

**FOOD AND DRUG ADMINISTRATION
PSYCHOPHARMACOLOGIC DRUGS ADVISORY COMMITTEE**

**PSYCHOPHARMACOLOGIC DRUGS
ADVISORY COMMITTEE
MEETING NUMBER 39**

09 AUG -4 PM 3:34

REGISTRATION BRANCH

July 19, 1993

**Parklawn Building
5600 Fishers Lane
Rockville, Maryland 20857**

Proceedings By:

**CASET Associates, Ltd.
3927 Old Lee Highway
Fairfax, Virginia 22030
703-352-0091**

PARTICIPANTS

CHAIRPERSON

CAROL TAMMINGA, M.D., Maryland Psychiatric Research Center
Professor of Psychiatry, University of Maryland
PO Box 21247, Baltimore, Maryland 21228

EXECUTIVE SECRETARY

MICHAEL BERNSTEIN, M.P.H., Division of Neuropharmacological
Drug Products, Center for Drug Evaluation and Research, FDA,
HFD-120, 5600 Fishers Land, Room 10B-45, Rockville, MD 20857

MEMBERS

REGINA CLAIRE CASPER, M.D., Professor of Psychiatry, The
Dept of Psychiatry, Stanford Univ. Medical Center School of
Medicine, TD 114, Panama Street, Stanford, CA 94305-5490

DENNIS S. CHARNEY, M.D., Prof. of Psychiatry, Yale Univ. and
Chief, Psychiatry Service, West Haven VA Medical Center,
Bldg 1, Rm 9-53-M, 950 Campbell Ave, West Haven, CT 06516

LARRY ERESHEFSKY, Pharm.D., Program Director, Psychiatric
Pharmacy Program, The Univ. of Texas Health Science Center,
Room 101F, 7703 Floyd Curl Drive, San Antonio, TX 78284-7765

JAVIER I. ESCOBAR, M.SC, MD, Professor and Interim Chairman,
Department of Psychiatry, University of Connecticut School
of Medicine, Farmington, Connecticut 06032

ELLEN FRANK, PhD, Associate Prof. of Psychiatry, Univ. of
Pittsburgh School of Medicine, Western Psychiatric Institute
& Clinic, 3811 O'Hara St, Pittsburgh, PA 15213-2593

ABBY J. FYER, M.D., Co-Director, Anxiety Disorders Clinic,
New York State Psychiatric Institute, 722 W. 168th Street,
Room 820, New York, New York 10032

ROBERT MARK HAMER, Ph.D., Associate Professor of
Biostatistics, Department of Biostatistics, Medical College
of Virginia, Box 32-MCV Station, Richmond, Virginia 23298

LINDA FRANCES HEZEL, Ph.D., Associate Professor, School of
Medicine, University of Missouri, Kansas City, 2220 Holmes,
Kansas City, Missouri 641087-2676

KEH-MING LIN, M.D., Associate Professor, Dept of Psychiatry,
UCLA, 1000 W. Carson Street, D-5, Torrance, CA 90502

NINA ROSENBERG SCHOOLER, Ph.D., Director, Psychosis Research
Program, Department of Psychiatry University of Pittsburgh,
3811 O'Hara Street, Pittsburgh, Pennsylvania 15213-2593

TABLE OF CONTENTS

	<u>Page</u>
Opening Comments	1
Open Public Hearing	6
Open Session	
- SERZONE (Nefazodone HCL): Safety and Effectiveness in Use as an Antidepressant	
FDA Presentations:	
- Introduction - Thomas Laughren	6
- Efficacy Review - Joy D. Mele	14
- Safety Review - Earl Hearst	63
Sponsor's Presentations: Bristol-Meyers Squibb	
- Sponsor's Comments - Donald Robinson	91
Committee Discussion/Recommendation(s):	139
Discussion on preclinical toxicity data relevant to the risk/benefit assessment of RISPERDAL (risperidone), New Drug Application (NDA) 20-272, Janssen Research Foundation, for use in the treatment of psychotic disorders.	
FDA Presentation - Glenna Fitzgerald	209
Sponsor's Presentation - Dr. Bruce Given	221

P R O C E E D I N G S

(8:40 a.m.)

DR. TAMMINGA: I would like to call this meeting to order and welcome everyone to the 39th meeting of the psychopharmacologic drugs advisory committee.

My name is Carol Tamminga. I am from the Maryland Psychiatric Research Center at the University of Maryland. I am the chairperson of the committee.

Next, I would like those seated around the table to introduce themselves.

(Introductions were made.)

DR. TAMMINGA: Mr. Bernstein, who is the executive director of this committee, would like to say some introductory things.

Agenda Item: Opening Comments.

MR. BERNSTEIN: Thank you, Dr. Tamminga. I would like to welcome each of the committee members to this, the 39th meeting of the psychopharmacologic drugs advisory committee. My name is Mike Bernstein and I am the executive secretary of this committee, which functions within the division of neuropharmacological drug products. Please bear with me while I make a few

1 administrative announcements.

2 On the table by the entry are hand outs
3 of the entry, agenda list, and roster of committee
4 membership. We ask that all speakers speak directly
5 into a microphone.

6 Individuals from the audience, following
7 recognition by the chair, should come forward to a
8 microphone. Unless one speaks directly into the
9 mike, comments cannot be heard by all
10 transcriptionists, nor by those of us sitting in the
11 rear of the room.

12 If anyone in the audience desires to
13 make any comments in the open public hearing, we ask
14 that you wait until you have been recognized by the
15 chair before coming forth to a mike.

16 Please identify yourself and your
17 affiliation before beginning your statement.
18 Statements made in the open public hearing must
19 relate to the issue being considered at this meeting
20 and be of general interest to the committee members.

21 Smoking is not permitted in this
22 building and, obviously, in this conference room.
23 For those of you who desire a quick lunch, the
24 cafeteria is directly behind us on the opposite side
25 of the building.

1 A lunch break will be determined
2 according to the schedule that we have at hand, and
3 we will make an announcement later on.

4 As this is an open meeting, a reminder
5 that the proceedings may be tape recorded, but that
6 the recording is considered to be unofficial until
7 it has been approved by the Commissioner of Food and
8 Drugs.

9 The following announcement addresses the
10 issue of conflict of interest and is made a part of
11 the record to preclude even the appearance of such
12 at this portion of the meeting.

13 Based on the submitted agenda for the
14 meeting and all financial interests reported by the
15 committee participants, the agency has taken the
16 following action to preclude even the appearance of
17 a conflict of interest.

18 The conflict of interest statutes
19 prohibit special government employees from
20 participating in matters that could affect their or
21 their employer's financial interests.

22 However, the agency has determined that
23 the need for the services of those participants who
24 are affiliated with a university and/or hospital
25 which could potentially be affected by the

1 committee's deliberations, outweighs the potential
2 for a conflict of interest created by the financial
3 interests involved.

4 Therefore, institutional waivers have
5 been granted to all committee participants who are
6 affiliated with a university and/or hospital.

7 In addition, full waivers have been
8 granted to the following participants for their
9 interests related to the particular matters coming
10 before the committee today or the competing
11 products: Drs. Abby Fyer, Carol Tamminga, Javier
12 Escobar, Bob Hamer, Larry Ereshefsky, Dennis Charney
13 and Ellen Frank.

14 A copy of these waiver statements may be
15 obtained from the agency's Freedom of Information
16 Office, Room 12-A-15 of the Parklawn Building.

17 Further, we would like to disclose for
18 the record that, because of past involvements in
19 studies of risperdal, Dr. Ereshefsky and Dr. Nina
20 Schooler are excluded from participating in the
21 discussions and voting related to risperdal.

22 In addition, Dr. Dennis Charney would
23 like to disclose that his employer, the West Haven
24 Veterans Administration Medical Centers, is
25 currently involved in a study of risperdal and of a

1 competing product to risperdal.

2 Since Dr. Charney's interests are as an
3 employee of the federal government, it has been
4 determined that this is not a financial interest
5 under 208, and he may participate fully in today's
6 discussions.

7 In the event that the discussions
8 involve any other products or firms not already on
9 the agenda, for which an FDA participant has a
10 financial interest, the participants are aware of
11 the need to exclude themselves from such
12 involvement, and their exclusion will be noted for
13 the record.

14 With respect to all other participants,
15 we ask, in the interests of fairness, that they
16 address any current or previous financial
17 involvement with any firm whose product they may
18 wish to comment upon.

19 And finally, NDA 20-152, serzone, and
20 NDA 20-272, risperdal, will be the only issues
21 discussed by the committee at this meeting. Thank
22 you for your attention and this concludes my
23 comments, Dr. Tamminga.

24 DR. TAMMINGA: The open public hearing
25 is now in progress.

1 **Agenda Item: Open Public Hearing.**

2 DR. TAMMINGA: No one has contacted Mr.
3 Bernstein ahead of time to request an opportunity to
4 address the committee. However, if anyone from the
5 audience has any comments or statements to make
6 about our business today, would they please come
7 forward to a microphone, identify themselves, and
8 proceed.

9 (No audible or visible response.)

10 DR. TAMMINGA: It seems like no one has
11 any comments to make about the business of the day,
12 so that the public hearing is closed.

13 The topic for today's advisory committee
14 meeting, as Mr. Bernstein has already stated, is NDA
15 20-152, serzone, followed by an issue with NDA 20-
16 272, risperidone. Dr. Tom Laughren, who is the
17 group leader in the department of
18 neuropharmacological drug products, will have the
19 opening remarks.

20 **Agenda Item: Open Session. SERZONE**
21 **(Nefasodone HCL): Safety and Effectiveness in Use**
22 **as an Antidepressant.**

23 DR. LAUGHREN: I would like to welcome
24 you to this 39th meeting of the psychopharm advisory
25 committee. We have two items on the agenda today,

1 first nefazodone, and then later on this afternoon
2 we are going to revisit risperidone, the drug that
3 we talked about back in April.

4 First, nefazodone. Nefazodone is a new
5 compound that has been proposed for use as an
6 antidepressant. It has several pharmacological
7 effects that are of interest. It is a 5 HT2
8 antagonist. It also inhibits the uptake of both
9 serotonin and, at least in vitro, norepinephrine,
10 and it has a weak alpha-1 blocking effect.

11 This drug is extensively metabolized and
12 there are two metabolites that may have some
13 importance in terms of their activity and the
14 amounts present in plasma. The first one is
15 hydroxynefazodone, and the second is tryazolodione.

16 I want to emphasize that our reviews on
17 nefazodone are not entirely complete at this time.
18 But we felt that we were far enough along in the
19 review process to merit bringing this drug to the
20 committee for your thoughts on its safety and
21 effectiveness.

22 First, I would like to make a few
23 comments about efficacy. Joy Mele, the biometrics
24 reviewer, is going to present the efficacy data.
25 She will be presenting these findings in great

1 detail. However, as a way of introducing what I
2 think is a very complex data set, I thought it might
3 be worthwhile for me to try to give a brief
4 overview.

5 This may seem somewhat redundant, but I
6 think this data set is complex enough that it might
7 bear some repetition.

8 The regulatory question that we are
9 focusing on is whether or not there is substantial
10 evidence of efficacy from adequate and well-
11 controlled trials to support the anti-depressant
12 claim.

13 Our efficacy review has focused on eight
14 short-term placebo-controlled studies. Two of these
15 studies, when analyzed as a whole, I think, provide
16 some evidence of effectiveness. Those are studies
17 004B and 005.

18 Now, 004B is a titration study involving
19 two doses of nefazodone, one up to 600, one up to
20 300, and placebo.

21 The second study is 005, also a
22 titration study, involving a nefazodone up to 600,
23 imipramine up to 300, and placebo.

24 Now, even though those studies make it
25 overall, there are some inconsistencies. For

1 example, in study 004B, it doesn't make it on the
2 HAM-D Depressed Mood item. In 005, although the
3 analysis overall is positive, it is clear, when you
4 look at the centers from that study, that most of
5 the positive outcome is coming from one of the two
6 centers, the Family Practice Center, whereas the
7 psychiatric center tends not to make it.

8 Of the other six studies, two, I think,
9 provide some support. Those are studies 003 and
10 006. 003 is also a titration study, in this case
11 involving two different dose ranges for nefazodone,
12 one up to 500, one up to 250, and imipramine up to
13 250 and then placebo.

14 That study is generally positive on the
15 high nefazodone dose, at least on the last
16 observation carry forward analysis, but it doesn't
17 make it on the observed cases analysis.

18 The other study, 006, is similar to 005.
19 It involves three arms, one nefazodone arm up to
20 600, imipramine up to 300 and then placebo.

21 Now, the analysis for that study is not
22 positive overall. However, there are two centers
23 for that study. And if you analyze those centers
24 separately, one is generally quite positive. The
25 other is a failed center in which there is a very

1 high rate of drop out, and neither active drug beats
2 placebo.

3 So, that takes care of four of the eight
4 studies. Of the other four studies, three of them,
5 I think, probably failed because of dose. The
6 nefazodone dose was generally lower, and that may be
7 a reasonable explanation for why those studies failed.

8 The fourth study, 004A, which is similar
9 in design to 004B, in other words, two nefazodone
10 doses and placebo, it is unclear why that study is
11 negative.

12 My overall impression, again, is that
13 there is evidence of antidepressant efficacy among
14 these eight studies. However, I want to emphasize
15 that the results here are mixed and there are some
16 inconsistencies.

17 And this is a situation in which we are
18 particularly eager to get your advice and your
19 counsel.

20 I want to make one more comment about
21 efficacy data before moving on to safety. The one
22 other issue that I want to talk about is one that we
23 have talked about at recent advisory committee
24 meetings, and that is the question of, to what
25 extent the sponsor has provided evidence of long-

1 term efficacy.

2 Depression, of course, is often a
3 chronic illness requiring long-term treatment. And
4 ideally, we would have some data to address the
5 question of how long to continue a patient on
6 therapy after response, and whether or not the drug
7 has any relapse prevention effect.

8 Now, in fact, the sponsor has provided
9 some data in this NDA in patients who were continued
10 from the double blind short term trials for some
11 period of time.

12 A meta analysis of those data are
13 suggestive of long term effects. However, we
14 believe there are some problems in drawing
15 conclusions about data that are obtained in that
16 manner, and that may be a topic that is worth some
17 committee discussion.

18 The design that we generally prefer to
19 address this question is the relapse prevention
20 design, in which patients who respond on an open
21 basis are then re-randomized to either continuation
22 on drug with placebo for a long period of time.

23 Now I want to turn briefly to safety.
24 Earl Hearst, the clinical reviewer for nefazodone,
25 will present the safety data. Nefazodone was

1 recently approved in the UK but it is not yet
2 marketed there or anywhere else. And our safety
3 review is focused entirely on the premarketing
4 studies that the sponsor has provided. And that
5 involves roughly 2,700 patients exposed to
6 nefazodone.

7 Our impression, based on our review, is
8 that the adverse events that are associated with
9 nefazodone can be easily handled through labeling.
10 There is one issue that I think is of some interest,
11 that may merit some discussion, and that is a very
12 substantial pharmacokinetic interaction between
13 nefazodone and triazolam.

14 Now, I want to make one final comment on
15 nefazodone. As you notice, you have been provided
16 with a copy of the sponsor's draft labeling in the
17 package. This has been provided more as a summary
18 than as a topic for discussion.

19 I want to emphasize that this is the
20 sponsor's proposed labeling. It is not the labeling
21 that would accompany any approval package if this
22 drug were to be approved. We did not review this
23 yet.

24 Our focus today is on the general
25 questions of safety and effectiveness of nefazodone,

1 and not on the details of labeling.

2 Nevertheless, we would welcome any
3 comments you might have on particularly important
4 issues that pertain to labeling, but this is not a
5 setting in which we can feasibly draft labeling for
6 this product.

7 Now, the other topic that we are going
8 to deal with, probably later this afternoon, is
9 risperidone. Risperidone, of course, is a drug
10 which was the subject of an April 29th meeting of
11 this committee.

12 And at that time, the vote was unanimous
13 in favor of both its safety and its effectiveness.

14 Now, subsequent to that meeting, we
15 became aware of some findings from rodent
16 carcinogenicity bioassays, which were somewhat
17 unusual. And we thought it would be important to
18 share those findings with you.

19 Since rescheduling risperidone for
20 today's meeting, those data have been to the
21 center's internal carcinogenicity assessment
22 committee. And their recommendation has been to
23 mention the findings and label them along with the
24 usual statement about the fact that the relevance of
25 those findings for humans is unknown.

1 And our plan at present is to implement
2 that recommendation. Nevertheless, we thought it
3 would be important to share this with you and, of
4 course, you can discuss these findings. We have not
5 planned to ask for any particular vote on this
6 issue.

7 Glenna Fitzgerald, the supervisory
8 pharmacologist for the division, will be making a
9 brief presentation on pertinent data from those
10 studies, and I believe the sponsor is also planning
11 to make a brief presentation.

12 At this point, I would like to introduce
13 Joy Mele from the division of biometrics, who is
14 going to present the effectiveness data for
15 nefazodone.

16 **Agenda Item: FDA Presentation -**
17 **Efficacy Review.**

18 MS. MELE: Dr. Laughren has given you a
19 good overall introduction to the efficacy data and
20 now I will give you some of the details.

21 This is a brief outline of my
22 presentation this morning. Even though my outline
23 is brief, my presentation is not. So, if you have
24 any questions, please interrupt me during the talk.

25 First, I will present a few definitions

1 to clarify the terminology I will be using
2 throughout my presentation. Then I will present
3 some general information about the efficacy trials.

4 Next, I will present the efficacy data
5 for low dose nefazodone. Six of the eight placebo-
6 controlled trials we will be talking about today had
7 a low dose treatment arm. Even though the low doses
8 used is less than the recommended dose for efficacy,
9 I think it is useful to look at this data to
10 understand the development of nefazodone, and also
11 to see the relationship between the high and low
12 dose.

13 The last part of my presentation will
14 focus on the five studies in which high dose
15 nefazodone was compared to placebo. This is the
16 part I ask you to give your closest attention to,
17 since the high dose data is critical for
18 establishing the efficacy of nefazodone for the
19 treatment of depression.

20 Primarily, two doses of nefazodone were
21 studied. I will refer to these two doses as low and
22 high dose nefazodone. Low dose is defined as peak
23 doses less than or equal to 300 milligrams per day,
24 while high dose is defined as peak doses greater
25 than 300, but less than or equal to 600 milligrams

1 per day. For six of the eight studies, the dosing
2 was BID.

3 I have included study center and site
4 here, because during the review process, I found the
5 sponsor and I were applying different meanings to
6 these terms.

7 I consider sites as part of a single
8 center, and centers as part of a multicenter study
9 or trial.

10 Usually, sites consist of small numbers
11 of patients and are geographically close to each
12 other.

13 Several sites may be combined based on
14 some common trait to form a quasi-center, which is
15 administered by a single investigator.

16 Centers, in a multi-center study, are
17 conducted under the same protocol, and are generally
18 geographically separated and are independently
19 administered.

20 Now, in many of my slides, I will use
21 the abbreviations LOCF and OC, meaning last
22 observation carried forward, and observed cases.

23 I also will use the term N points, which
24 refers to the last response recorded for a patient
25 or the final LOCF value.

1 Observed cases refers to all the data
2 observed at a specific measurement time. This
3 should not be confused with completer data, which is
4 the database of patients who have completed the
5 study.

6 Also, to avoid any confusion, I would
7 like to point out that the sponsor may use the term,
8 visit-wise, and this term is synonymous with
9 observed cases.

10 Now, all the doses in this submission
11 were reported as mean model doses. The mode for
12 each patient during a single week was found and the
13 mean of these model doses is computed to summarize
14 weekly dosing.

15 I was initially concerned with the use
16 of mean modal doses instead of mean dose, because it
17 seemed like the mode might overestimate the dose
18 taken by patient, since it would ignore missed
19 doses.

20 However, a comparison of mean dose
21 versus mean model dose showed no appreciable
22 differences, with differences generally less than
23 five milligrams.

24 Listed on this slide are the eight
25 randomized, double blind placebo controlled trials I

1 will be discussing today.

2 With the exception of CN 104-002, these
3 studies are listed in the order that they were
4 conducted. Study CN 104-002 was conducted before
5 the last two CN studies.

6 All the studies were conducted either at
7 multiple sites or at multiple centers, and centers
8 were geographically disbursed throughout the U.S.
9 and Canada.

10 The first six studies were six-week
11 studies, while CN 104-005 and 006 were eight-week
12 studies. With the exception of the one fixed-dose
13 study, study 0007, these studies were all titration
14 studies.

15 I have broken the studies into three
16 groups -- low, high/low, and high. The low dose
17 studies utilized only nefazodone doses of 300
18 milligrams per day or less. For the three high/low
19 studies, two dose levels were used. And for the two
20 high dose studies, the peak allowable dose was 600
21 milligrams per day.

22 The plus preceding the study numbers
23 indicates those trials that had an active control
24 arm of Imipramine in addition to the placebo arm.

25 Before I go on to the next slide, I want

1 to point out that I usually will refer to these
2 studies by only the last digit of the study number.

3 Following a baseline wash-out period
4 ranging from four days to four weeks, patients were
5 randomized to treatment if they were diagnosed as
6 exhibiting major depression based on research
7 diagnostic criteria in the three early studies, and
8 on the DSM-III criteria in the later studies, and
9 also if they had a HAM-D 17 total of 20 or greater.

10 Four variables I focused on for my
11 review are listed here -- the HAM-D 17 item total,
12 the depressed mood item, which is measured on a
13 scale of 0 to 4, the two CGI scores -- severity of
14 illness and global improvement, which are both
15 measured on a scale of 1 to 7.

16 The first three variables were evaluated
17 as change from baseline, and in all of the studies,
18 only the intent-to-treat data was analyzed.

19 In my presentation, I will primarily
20 emphasize the HAM-D total results.

21 In the majority of the efficacy studies,
22 about two-thirds of the patients were women. The
23 average age was about 39 years and more than 85
24 percent of the patients were Caucasian.

25 With respect to demographics, there were

1 no major treatment group imbalances at baseline in
2 any of the studies.

3 In addition, treatment groups had
4 comparable psychiatric history. About half the
5 cases presented with recurrent depression. And the
6 median number of prior depressive episodes in these
7 studies was one.

8 For those instances where treatment
9 groups differed on baseline values for the efficacy
10 variables, an analysis of covariants, or a
11 stratified Cochran-Mantle-Hanson procedure was
12 performed to adjust for these baseline differences.

13 Now, I am going to start my presentation
14 of the efficacy trials with the three trials that
15 have treatment arms for both low and high dose
16 nefazodone, to show you the relationship between
17 those dose levels.

18 Then I will show you the results from
19 the studies of just the low dose nefazodone. This
20 discussion of low dose, then, will be followed by a
21 discussion of the high dose.

22 The three trials in which patients could
23 be randomized to receive either a low dose or a high
24 dose of nefazodone were studies 003, 004A and 004B.

25 In study 003, patients in the low dose

1 nefazodone group could be titrated to a peak dose of
2 250 milligrams per day, and in the high group, to a
3 dose of 500 milligrams per day, while in studies
4 004A and 004B, higher peak doses of 300 and 600
5 milligrams per day were allowed.

6 Note that only study 003 had an
7 Imipramine arm. Study 003 was originally designed
8 as a five center study. One center withdrew from
9 the study without enrolling any patients.

10 Three centers enrolled patients and then
11 stopped after one to eight months for a variety of
12 reasons, including slow enrollment, change in
13 personnel, or change in priorities.

14 Those three centers enrolled a total of
15 24 patients. Sixteen of those patients completed
16 the study.

17 The protocol was amended to increase
18 enrollment in the one remaining center, center 2191.
19 One hundred and eighty patients were enrolled in
20 that center.

21 For my presentation, I will focus
22 primarily on the one large center, but I will
23 mention the results for the small centers combined
24 with the large centers for comparison.

25 My analysis of all the data were not

1 stratified by center, primarily due to the disparity
2 in the sizes of the centers. It did not seem
3 sensible to me to give the results of a center where
4 88 percent of the patients' equal weight, with the
5 remaining three small centers having the remaining
6 12 percent of the patients.

7 So, when I refer to the all patients
8 analysis of study 003, I am referring to an analysis
9 which gives equal weight to each patient.

10 Studies 004A and 004B were both two-
11 center studies conducted under the same protocol.
12 Each study enrolled 80 patients into each treatment
13 arm.

14 These two graphs depict the mean change
15 from baseline on the HAM-D total for each of the
16 three high/low studies at week six. The results to
17 the left are the last observation carried forward
18 results, and to the right we see the observed cases
19 results.

20 In the red squares are the Imipramine
21 response. The open boxes are the placebo responses,
22 and the green dots are the low dose. And the
23 triangles, the dark triangles, are the high dose
24 nefazodone.

25 If you look first at the results for

1 completers, which are these results here, and focus
2 just on the placebo and low dose responses, you see
3 that the magnitude of the low dose response is
4 either equivalent to placebo or smaller than
5 placebo.

6 Looking now at the last observation
7 carried forward data for studies 004A and 004B, no
8 difference exists between the low dose and placebo.
9 The response for high dose for 004B, however, is
10 significantly greater than placebo.

11 In study 003, there appears to be
12 ordering of effects among the treatment groups.

13 Now, the next two slides I will present
14 the HAM-D results plotted over time for these three
15 studies.

16 To the left are the results from the
17 study 004A, and these are both graphs of the last
18 observation carried forward data. The observed
19 cases data look very similar for these two studies.
20 And to the right are the results for 004B.

21 The top dotted line is the placebo
22 response. And the green dotted line is the low dose
23 nefazodone, and the solid line is high dose
24 nefazodone.

25 Note that there is no significant

1 difference between the low dose and placebo for the
2 duration of the trials, not just at week six.

3 In addition to both 004A and 004B, the
4 low dose was not statistically different from
5 placebo for any of the other efficacy variables.

6 I would like to stress here that an
7 Imipramine arm would have been helpful, particularly
8 in 004A, where no treatment differences are seen.

9 In this graph, the red line here is the
10 Imipramine response, again the solid line is the
11 high dose, and the green dotted line is the low
12 dose. And this top line is the placebo response.

13 Note for study 003, that last
14 observation carried forward, and observed cases
15 graphs look quite different. Later, I will talk
16 about the LOCF OC differences you see here for the
17 high dose, and the relationship of the drop out
18 patterns for this difference.

19 For now, though, I would like you to
20 just focus on the relationship between the low and
21 high dose responses.

22 Note that up to week four, the two
23 nefazodone groups look quite similar. At week four,
24 about 80 percent of the nefazodone patients, and 64
25 percent of the placebo patients were still on study.

1 I performed a repeated measures analysis
2 using the last observation carried forward data from
3 all six weeks, to compare the low dose to placebo,
4 and the results were non-significant with a P value
5 of .21. Adding in the patients from the
6 discontinued centers increased that P value.

7 Now I would like you to look at weeks
8 five and six. The LOCF responses appear to be
9 ordered at these two groups. And at these last two
10 weeks the high dose is significantly different from
11 placebo, but the low dose group is not.

12 This relationship between the high dose,
13 low dose and placebo, is also apparent from the
14 other three efficacy variables. But again, the
15 paralyzed comparisons of placebo to low dose were
16 not significant.

17 Next, I would like to show you the
18 results for the low dose studies, CN 104-002. The
19 relationships among the results of the three
20 treatment arms in this study look similar to what we
21 just saw in study three.

22 The three treatment arms in study two
23 were low dose, Imipramine and placebo. Patients
24 randomized to either nefazodone or Imipramine could
25 be titrated to a peak dose of 300 milligrams per

1 day.

2 A hundred and eighty patients were
3 enrolled at three sites in San Diego and all sites
4 were administered by Dr. Feighner.

5 Seventy-seven percent of the nefazodone
6 patients completed the study, while 63 percent of
7 the placebo patients completed. Most of the drop-
8 outs in the placebo groups occurred during weeks
9 one, three, and four, primarily due to patient
10 withdrawal of consent or lack of efficacy.

11 Ten percent of the low dose patients
12 dropped due to lack of efficacy, and none due to
13 adverse experience, while 18 percent of the
14 Imipramine patients dropped due to an adverse
15 experience, with most of those occurring during the
16 first week.

17 To the left is a graph of the last
18 observation carried forward means, and to the right,
19 the graph of the observed cases means.

20 Again, the color scheme is the same.
21 The lower red line is the Imipramine group. And
22 then we have the low dose and the placebo group.

23 Looking just at the week six means,
24 neither of the observed cases nor the last
25 observation carried forward low dose means are

1 statistically significantly different from placebo.
2 However, the last observation carried forward
3 comparison is close to significant with a P value of
4 .08.

5 The Imipramine placebo comparisons were
6 significant at every time point after week one, from
7 both the last observation carried forward data and
8 the observed cases data, while the low dose data was
9 only significantly different from placebo at week
10 five in last observation carried forward.

11 The results for the other three efficacy
12 variables were consistent with the HAM-D total
13 results, in that the LOCF and the OC nefazodone and
14 placebo comparisons did not agree. And the
15 magnitude of the low dose response was consistently
16 larger than placebo, but less than Imipramine.

17 But, unlike the HAM-D 17, the P values
18 for the nefazodone and placebo comparisons, at week
19 six, with the last observation carried forward, for
20 all three variables it was less than or equal to
21 .05. However, the observed cases comparisons were
22 not significant.

23 The next study we will look at will be
24 the fixed dose study. Among the eight placebo
25 controlled trials, this was the second study

1 conducted by the sponsor. It was completed about
2 three years before the high dose studies.

3 Patients were randomized to placebo or a
4 fixed dose of 50, 100, or 300 milligrams per day of
5 nefazodone.

6 Of the 194 patients enrolled at a total
7 of five centers, more than 60 percent completed the
8 study in each treatment group.

9 There were about 30 patients in each
10 treatment group at the end of the study. Major
11 reasons for drop outs in this study, the major
12 reason was lack of efficacy, with about 12 percent
13 of the patients dropping for that reason.

14 Drop-outs for adverse events were
15 primarily seen in the two highest doses, with 11
16 percent of the 200 milligram patients dropping and
17 19 percent of the 300 milligram patients dropping
18 for that reason.

19 Now, unlike the last graphs we looked
20 at, here you should note the similarity between the
21 last observation carried forward and the observed
22 cases graphs.

23 Also note that it is difficult to
24 differentiate the different doses of nefazodone. I
25 will try to point them out here. This upper line is

1 the placebo response, the dotted line is the 300
2 milligram response. This lower line is the 200
3 milligram response. This pink line or whatever
4 color that is, is the 50 milligram dose. And then,
5 the triangles represent the 100 milligram dose.

6 At week six, for both LOCF and OC, only
7 the 200 milligram group's response is significantly
8 different from placebo. Neither the 200 milligram
9 group nor the 300 milligram group results were
10 significantly different from placebo for the
11 depressed mood item or for the CGI scores.

12 In fact, only the 50 and 100 milligram
13 doses showed some significant placebo differences on
14 these other three efficacy variables, and that was
15 at week four and five.

16 The observed cases, week five, HAM-D
17 totals, these responses were interesting in that all
18 the doses except the 300 milligram dose were
19 significantly different from placebo.

20 I investigated the 300 milligram data
21 carefully to determine if the blip you see there
22 that was seen at week five was due to a few
23 outliers.

24 I found that one-fourth of the patients
25 who had data at weeks four, five and six showed the

1 pattern that you see here. That is, they showed an
2 increase in the HAM-D total at week five.

3 Clearly, I think the fixed dose study
4 revealed no relationship between dose and response
5 in these lower doses under 300 milligrams or equal
6 to 300 milligrams a day.

7 Now, these graphs summarize the
8 responses for low dose nefazodone. Again, you have
9 the same -- these are the placebo responses, the
10 open scores, just like we saw in the earlier scatter
11 plots. And the green dots are the low dose. I did
12 not plot the high dose on this graph, maybe to make
13 it a little less confusing, I guess.

14 And the Imipramine are the red squares.
15 Do not confuse these points with Imipramine. These
16 are doses below 200 milligrams. In study 007, this
17 point represents the 200 milligram response as well
18 as this down here.

19 Now, this study, 0045, I have not
20 discussed yet. This was the first placebo
21 controlled study conducted by the sponsor. And the
22 mean modal dose of nefazodone in the last week of
23 the study is 175 milligrams per day, indeed a very
24 low dose.

25 So, as you can see, the nefazodone is

1 not discernible from placebo.

2 To focus just on the observed cases
3 graph which is in these points here, note that the
4 only mean, in addition to the Imipramine, which is
5 different from placebo, is the 300 milligram dose in
6 the fixed dose study.

7 So, the computer data reveals no
8 important differences between low dose nefazodone
9 and placebo.

10 The last, I boxed in the results from
11 study three and two, that showed some ordering of
12 the treatment effects, perhaps suggesting that some
13 activity in the low dose was clearly not providing
14 significant benefit over placebo.

15 Okay, now we will go on to the high dose
16 studies. These are the five studies that we will be
17 looking at. The first three we have already talked
18 about with respect to the low dose.

19 Remember, these studies were all six
20 week studies, which included both the low and the
21 high dose arm.

22 Now, the last two studies, studies 005
23 and 006, were eight-week studies with three
24 treatment arms -- placebo, Imipramine and high dose
25 nefazodone.

1 This slide shows the baseline means for
2 the HAM-D 17 total of the depressed mood items, and
3 the CGI severity of illness score for high dose
4 nefazodone, placebo and Imipramine.

5 A 3 on the HAM-D depressed mood items
6 indicates from moderate or depressed mood and
7 obvious behavioral evidence.

8 A CGI severity of illness score of 4
9 denotes moderately ill, while a 5 denotes markedly
10 ill. You can see that the groups were quite comparable.

11 The reason that the Imipramine response
12 is higher is because this -- remember, Imipramine
13 was not in two of the trials. In the two trials
14 that Imipramine was not in, the baseline for the CGI
15 severity was about 4.3.

16 Now, this slide is to remind you that
17 study three was essentially a single center study.
18 Again, I will focus primarily on results from
19 studies for center 2191, but I will mention, as I
20 did for the low dose, what the results were when the
21 24 patients in the other three centers were included
22 in the all patients analysis.

23 Note here that the peak allowable dose
24 of nefazodone was 500 milligrams per day, while in
25 the other four studies, the peak allowable dose is

1 600 milligrams per day.

2 This graph shows the percentage of
3 patients who completed each week of the study. The
4 open squares are the placebos and the Imipramine is
5 represented by red, low dose by green, and the solid
6 line is the high dose.

7 Most of the drop outs in the placebo and
8 Imipramine groups occurred during week three.
9 Seventy-five percent of the high dose nefazodone
10 patients completed the study, while only 53 percent
11 of the placebo patients were completers.

12 This pattern of drop outs for the
13 placebo and nefazodone group is not unusual for this
14 NDA. What is unusual is that in the Imipramine
15 group, most of the drop outs occurred during week
16 three, while ordinarily in antidepressant trials,
17 Imipramine patients drop predominantly during groups
18 one and two, due to adverse events.

19 However, in this study, most of the drop
20 outs in all the groups were due to lack of efficacy,
21 as you will see in the next slide.

22 About twice as many placebo and
23 Imipramine patients due to lack of efficacy than the
24 nefazodone patients.

25 It is interesting that very few patients

1 in the drug groups dropped due to adverse
2 experiences, none in the high dose nefazodone group.

3 You have seen this graph before, so this
4 time I have removed the low dose group so that the
5 relationship between the high dose group and placebo
6 group is more clearly discernible.

7 Looking at the last observation carried
8 forward means over time -- and that is the graph to
9 your left -- it is clear that both nefazodone and
10 Imipramine meet placebo. At week six, the P value
11 for the nefazodone placebo comparison was .03. An
12 all patients analysis of covariants yielded a P
13 value of .05.

14 For the observed cases results at week
15 six, nefazodone is not significantly different from
16 placebo, with a P value of .5.

17 To examine the observed cases results
18 further, I performed a repeated measures analysis,
19 using the completer data to compare nefazodone to
20 placebo. Including the data for weeks one to six
21 produced a P value of .03. For the all cases
22 analysis, the P value was .07.

23 If I just included the last three weeks,
24 this comparison was not significant, with a P value
25 of .19.

1 The sponsor performed an unbalanced
2 repeated measures analysis using all the observed
3 cases data, which yielded a P value of .07 for the
4 placebo/nefazodone comparison.

5 These repeated measures results are more
6 favorable, and obviously agree more closely with the
7 last observation carried forward analysis than the
8 fixed results alone.

9 Now, in addition to these further
10 analyses of the observed cases data, we were
11 interested in examining the role drop outs play in
12 the last observation carried forward outcome.

13 I found that excluding all patients that
14 dropped out during week three rendered the last
15 observation carried forward week six analysis non-
16 significant with a P value of .21.

17 I looked at the means for these drop
18 outs and found that the placebo patients showed a
19 small mean increase on the HAM-D, while the
20 nefazodone patients showed a decrease of about 4.

21 Looking at the means of the patients
22 still on study at week three, the placebo patients
23 had a mean of 06.4 and the nefazodone patients a
24 mean of 08.

25 So, not including the placebo drop outs

1 clearly biases the results against the drug,
2 producing the non-significant results we see at week
3 six for the observed cases.

4 However, the draw back to including the
5 last observation carried forward data for drop outs
6 is that we must assume that these patients would not
7 change if they remained on study.

8 We decided to try another approach,
9 which allows one to compare the slopes of the
10 treatment groups. In this way, all the data is
11 used, not just the last observation carried forward
12 data, or not just the observed cases data, as in the
13 repeated measures analysis.

14 Using the approaches of Liang and Zeger,
15 and of Wu and Carroll, Dr. Tagauchi(?) of the FDA's
16 division of biometrics found a significant
17 difference between high dose nefazodone and placebo.
18 The P value for that analysis was .02.

19 These are the week six results for the
20 other variables that were measured. What we see
21 here is basically what we saw for the HAM-D total.
22 The last observation carried forward comparisons are
23 significant, while the observed cases comparisons at
24 week six are not.

25 Again, the Imipramine observed cases

1 responses are larger than the nefazodone responses.

2 Now we will go on to study 004A. You
3 recall that study 004A was a high/low study with no
4 active control arm. Two hundred and forty patients
5 were entered in this study, 80 patients in each
6 treatment group.

7 Approximately 60 percent of the patients
8 completed the six weeks of treatment. Eighteen
9 percent of the high dose nefazodone patients dropped
10 due to adverse events, while the same percentage of
11 placebo patients dropped due to lack of efficacy.

12 At week six, the high dose group was not
13 significantly different from placebo on any efficacy
14 variables. In addition, for the observed cases
15 data, the magnitude of the placebo response was
16 greater than the nefazodone response.

17 Now as I did for the last study, I have
18 removed the low dose arm from the graph. Basically
19 what you see here is no difference between the
20 groups at any measurement point.

21 At week four, about 70 percent of the
22 patients remain on study in all the groups, and we
23 see that for both the observed cases and the LOCF,
24 neither is significant.

25 Also, the efficacy results were not

1 positive on any of the other efficacy variables.

2 The Imipramine group here may have
3 helped us ascertain whether it was the test
4 situation that failed or whether it was, indeed, the
5 drug that failed.

6 We will go on to 004B. Study 004B was
7 conducted under the same protocol as under study
8 004A. As in study 004A, two centers participated in
9 study 004B. Eighty patients were randomized to each
10 of the three treatment arms, high, low and placebo.

11 The percentage of patients completing
12 this study was the highest among all eight studies.
13 Seventy-five percent of the low dose patients
14 completed, 79 percent of the high dose, and 73
15 percent of the placebo patients.

16 Fourteen percent of the patients in the
17 high dose group and 14 percent in the placebo group
18 discontinued treatment due to adverse events. It is
19 unusual to have that many placebo patients
20 discontinue for adverse events.

21 Few patients dropped due to lack of
22 efficacy in any of the groups. Only four placebo
23 patients and two high dose patients dropped for that
24 reason.

25 Here I am just showing you the last

1 observation carried forward graph, since the
2 observed cases graph was essentially the same.

3 The placebo and high dose groups are
4 clearly different. These differences were
5 statistically significant from week three through
6 week six.

7 This slide shows you the results for the
8 total of the depressed mood item and the two CGI
9 scores. What stands out on this slide is the lack
10 of efficacy on the HAM-D depressed mood items.

11 An analysis stratifying it on baseline
12 yielded a smaller P value, .14. Nevertheless, the P
13 value is still non-significant.

14 This is interesting, since the HAM-D
15 total treatment different of 3.2 is significant, and
16 the value, too, is consistent with the differences
17 observed in the other trials.

18 Since the HAM-D depressed mood item
19 appears to be not contributing substantially to the
20 HAM-D total treatment difference, I was interested
21 in knowing which items were contributing to this
22 difference.

23 This is a rather busy bar chart, but
24 this chart shows the six items -- depressed mood,
25 guilty feelings, suicide, work and interest, psychic

1 and somatic anxiety, and anersia -- which
2 contributed the most to the HAM-D total treatment
3 difference observed in 004B.

4 These six items, combined, explain about
5 60 percent of the treatment difference observed on
6 the HAM-D total. Each bar represents the percentage
7 of the HAM-D total treatment difference which is
8 explained by each of the items. I am just repeating
9 that, just so that you understand.

10 For example, the depressed mood item for
11 004B, which is the red bar, comprises about six
12 percent of the HAM-D total treatment differences.

13 Now, in addition to study 004B, I have
14 included the results from the four other centers,
15 which showed positive results on the HAM-D totals.
16 But first, I would like you to focus on the solid
17 red bars, the results from 004B.

18 Note the items that contribute the most
19 to the HAM-D total treatment difference are work and
20 interests, suicide, and guilty feelings.

21 When we factored in the placebo
22 comparisons for both suicide and work and interest,
23 it was statistically significant.

24 I included the other studies on this
25 graph, not just to make this a busy graph, but

1 because I wanted to see if the items that showed the
2 large differences in study 004B also showed large
3 differences in these other studies that showed
4 causative changes on the HAM-D total and on the
5 depressed mood item.

6 Inconsistencies may have suggested that
7 the 004B patient sample was inherently different
8 from the patient samples of the other samples.
9 This, however, does not seem to be the case.

10 We see that work and interest, these
11 four middle bars, in particular, and the other items
12 as well make up a large percentage of the HAM-D
13 total treatment difference in these other studies,
14 as well as in study 004B.

15 Going on to study 005, study 005 was
16 composed of two kind of quasi-centers. Center one
17 was composed of six psychiatric sites, and center
18 two was composed of seven family practice sites.

19 The protocol stated that the treatment
20 sites would include both psychiatric and family
21 practice sites, but did not specifically state that
22 one type would be defined as a center. However,
23 randomization was blocked on center, implying that
24 assignment of sites was made a priori.

25 There were three treatment arms -- high

1 dose nefazodone, Imipramine, and placebo. High dose
2 patients could be titrated to a peak dose of 600
3 milligrams per day. Note that this study was an
4 eight-week study, two weeks longer than the others
5 that we have discussed.

6 This slide shows the number of patients
7 randomized and completing in each center. At week
8 four, in both centers, about 70 percent of the
9 patients remained on study.

10 It is interesting to note, in center
11 one, that more patients in the placebo group
12 completed the study than in either of the drug
13 treatment groups, while in center two about 20
14 percent more nefazodone patients completed than in
15 the other groups.

16 In those centers, the major reasons for
17 drop out in the nefazodone group were adverse
18 experiences and loss to follow up. No nefazodone
19 patients in center two dropped due to lack of
20 efficacy, while four in center one dropped for that
21 reason.

22 The major reason for drop out in the
23 placebo group was lack of efficacy, in both centers,
24 with most of those drop outs occurring after week
25 four.

1 In center one, 18 percent of the
2 Imipramine patients dropped due to adverse events,
3 primarily during the first three weeks. An
4 additional 18 percent dropped due to lack of
5 efficacy in the Imipramine group, primarily during
6 the last five weeks of the study.

7 In center two, no Imipramine patients
8 dropped due to lack of efficacy, while 26 percent
9 dropped due to an adverse experience.

10 These graphs depict the LOCF means for
11 each center. The observed cases result looked
12 similar to the last observation carried forward results.

13 I am presenting the results by center,
14 even though this is not the approach we ordinarily
15 take. Generally, in a multi-center study, we are
16 primarily interested in the overall treatment
17 effects. However, routinely, we check center
18 results for consistency, particularly if the P value
19 for the treatment center interaction was less than
20 about .2.

21 In this study, the P value for
22 interaction was less than .02, strongly suggesting
23 the by-center results should be explored.

24 In addition, one could argue that the
25 patient populations for these centers may be

1 inherently different, since one was conducted at
2 only psychiatric sites and the other only at family
3 practice sites.

4 First, I would like you to focus on the
5 placebo groups for each center. And the placebo
6 response is this lower dotted line for center one.
7 And for center two, it is the upper dotted line.

8 You see that the placebo response in
9 center two is appreciably smaller than the placebo
10 response in center one. The change from baseline at
11 week eight in center two is only about 4. In the
12 other studies in this NDA, the mean placebo change
13 was about 7 to 9.

14 Second, notice that the drug effects in
15 both centers look about the same. And focus
16 primarily on the high dose and you can see that, in
17 fact, this was a larger drop in center one.

18 So, the nefazodone/placebo comparisons
19 for center two were highly significant with P values
20 less than .01, while neither nefazodone nor
21 Imipramine were different from placebo in center
22 one.

23 The lack of an Imipramine response
24 without explanation is another reason to look at
25 study 005's results by center. It is comforting,

1 however, that the results for both centers combined
2 were still statistically significant. The P value
3 at week eight was less than .01.

4 The results for the other three efficacy
5 variables are consistent with the HAM-D results.
6 Again, in center one, the large placebo response
7 renders the comparisons non-significant, while in
8 center two, all the comparisons are significant at P
9 values less than .01.

10 What is interesting to note on this
11 slide is the fact that the responses for nefazodone
12 is greater in center one than in center two.

13 Now we will go on to the last study I
14 will be discussing this morning, which is study 006.

15 Study 006 has the same design as study
16 005. The two centers enrolled a total of 135
17 patients, about 45 patients in each treatment arm.

18 These graphs show the percentage of
19 patients remaining on study by week for each center.
20 I am sure it is immediately obvious to you why I am
21 presenting this data to you by center.

22 As you can see, the drop out patterns
23 observed in center one, were very different from the
24 patterns seen in center two.

25 Looking at center one, you note that

1 about 20 percent of the placebo and Imipramine
2 patients drop out during week one. About another 20
3 percent drop during week three. Only 35 percent of
4 the nefazodone patients completed the study and only
5 41 percent of the Imipramine and placebo patients
6 were completers, whereas in center two, more than 65
7 percent of nefazodone and placebo patients complete
8 the study.

9 It is interesting to note that in center
10 two, about 20 percent of the nefazodone and
11 Imipramine patients dropped during week one,
12 primarily due to adverse events.

13 Since there were so many drop outs in
14 center one, I wanted to show you the reasons for
15 drop out. What is particularly interesting here is
16 the high number of patients who withdrew consent.

17 Usually very few patients withdraw
18 consent in these studies. Those patients dropped,
19 primarily, during week one.

20 There are also a surprising number of
21 patients that dropped due to lack of efficacy in the
22 nefazodone group, 22 percent.

23 By contrast, only one patient in the
24 nefazodone group of center two dropped due to lack
25 of efficacy.

1 In center two, the main reason for drop
2 out was adverse experience. Sixteen percent of the
3 nefazodone patients in center two, and twelve
4 percent of the placebo patients, and twenty-four
5 percent of the Imipramine patients withdrew due to
6 an adverse experience.

7 For study six, the results at week
8 eight, last observation carried forward, with the
9 centers combined, were non-significant, with a level
10 of significance of .35.

11 The P value for the center by treatment
12 interaction with Imipramine in the model was .26.
13 When I dropped Imipramine from the model, the
14 interaction turn was significant at a .15 level.

15 The latter P value, plus the
16 differential drop out pattern, suggested the result
17 for each center should be looked at separately, at
18 least in an exploratory manner.

19 It is clear from this graph of center
20 one, that neither nefazodone nor Imipramine are
21 different from placebo. In fact, the placebo
22 response shows a slightly larger drop from baseline
23 in the HAM-D total than the two drug groups. The
24 fact that Imipramine does so clearly in center one
25 is another reason to look at the centers separately.

1 For center two, nefazodone and
2 Imipramine are not distinguishable. However, the
3 Imipramine placebo comparison at week eight, last
4 observation carried forward, is statistically
5 significant with a P value of .03, while the
6 nefazodone/placebo comparison is borderline
7 significant with a P value of .09.

8 The observed cases results for
9 nefazodone were also borderline significant with a P
10 value of .07.

11 This table shows the nefazodone/placebo,
12 last observation carried forward, treatment
13 differences for the depressed mood items and the CGI
14 for center two only. These comparisons were all
15 non-significant for center one.

16 Only the CGI severity score P value is
17 greater than .05 at week eight. The observed cases
18 comparison for that variable, as well as the other
19 two variables, were all statistically significant.

20 On my next slide, I am going to
21 summarize the high dose, HAM-D 17 total data, from
22 these studies we have just discussed.

23 This is a plot of the change from
24 baseline mean at end point for the five high dose
25 studies. In your package you also have a plot of

1 the six week mean.

2 Since for studies 005 and 006, the week
3 6 and the week 8 means look very similar, I am
4 presenting here only the end point mean. Remember
5 that the X axis are the studies that we are talking
6 about, study 003, 004A, 004B, center one of study
7 006, center two of study 006, center one of study
8 005 and center two of study 005.

9 The boxed responses are those studies or
10 centers showing positive results from nefazodone
11 over placebo on the HAM-D total at end point.

12 With the exception of study 004A and
13 center one of study 006, the nefazodone response is
14 quite consistent. Those are the dark triangles.
15 These values range from about -11 down to -13.

16 The results for center one of study 005
17 and center one of study 006 fail to distinguish
18 nefazodone as well as Imipramine from placebo.
19 Notice that the lack of an Imipramine arm for study
20 004A creates problems in the anticipation of the
21 study results.

22 On the next slide, I will point to you
23 the dosing for 004A, which may offer some
24 explanation for the lack of a difference here.

25 This is my last slide and it is a pretty

1 busy one. The solid green lines are those centers
2 or studies that showed a positive effect on the HAM-
3 D total.

4 Notice that the Y axis is the mean modal
5 dose and the X axis are the weeks on study.

6 This is the mean modal dose for the
7 patients on study. So, we can think of it as the
8 observed cases modal dose at each week for each of
9 the centers.

10 My goal with this graph is to try to
11 identify some pattern between the positive results
12 on the HAM-D and the dosing regimen.

13 I will point out some of the studies
14 that I would like you to pay attention to. This J
15 represents study 005. Notice the like letters refer
16 to centers from within study. So, this is center
17 two and this is center one from study 005.

18 And for study 003, notice that the
19 dosing levels off in study 003. The protocol
20 specified that they should reach their peak dose
21 around week two or three -- I don't remember it
22 exactly -- and remain at that dose for the remainder
23 of the study. And as you can see, it pretty well
24 does that.

25 Study 004B, I also want to point out,

1 this was also high dosing in study in 004B, just as
2 it was in study 003. Notice 004A is this upper line
3 here. 004A, remember, was the study that showed no
4 differences.

5 Basically, I think this slide says that
6 the dosing seems to range between 200 and 500 and
7 does not really pin down any dosing range that we
8 might recommend.

9 This is my last slide, so I would be
10 happy to answer any questions that you might have at
11 this point.

12 DR. TAMMINGA: Thank you very much for
13 your detailed presentation. I would suggest that we
14 ask whatever questions we want to Ms. Mele's
15 presentation, but save the discussion until a bit
16 later.

17 DR. LAUGHREN: Joy, just one point of
18 clarification. On study 003 where you used the
19 repeated measures approach and the longitudinal data
20 analysis approach for the observed cases data for
21 HAM-D total, that level is fairly persuasive. I
22 wondered if you did that for any of the other
23 variables.

24 MS. MELE: No, we did not. We didn't
25 have time to do that. But the other variables did

1 follow the same pattern, so we might expect that it
2 might have the same results. Remember, the other
3 variables are categorical, too, which presents some
4 problems.

5 DR. CHARNEY: In relation to your last
6 slide, was there any correlation between final dose
7 and treatment response in terms of HAM-D or any
8 depression item.

9 MS. MELE: We didn't do a formal
10 analysis of the correlation between the two, but I
11 did look at them and, in my overview, I think there
12 is a bar graph showing the relationship between the
13 last -- do you know what page it is on.

14 DR. LAUGHREN: It is on page 47.

15 MS. MELE: On page 47 of my review. And
16 just looking at that data, there seemed to be no
17 relationship. I didn't do any formal analysis of
18 that, however.

19 DR. TEMPLE: Can you give any insight
20 into how some of the dosing arrangements worked out.
21 Some of the supposed high dose studies didn't even
22 get doses up beyond 300, which is what the low dose
23 was seeking. What was the dosing paradigm. How did
24 they decide. Why didn't they go higher, things like
25 that.

1 MS. MELE: I will make a few comments
2 and then I will ask the company to fill in. But the
3 studies did vary, first of all, in the starting dose
4 that was used. And then, for instance, in study 005
5 it started at 100 milligrams a day -- is that
6 right -- and then we continued -- we could go up to
7 200 milligrams and then they had to remain at 200
8 milligrams for three weeks, and then it could
9 increase, whereas in some of the other studies, they
10 started at 100 milligrams but they could immediately
11 increase the dosing in the second week. So, that is
12 one difference and perhaps it can explain some
13 further differences between the study.

14 DR. ROBINSON: I can try to explain the
15 differences. We really studied not only with
16 emphasis on efficacy but trying to establish the
17 therapeutic dose range.

18 So, in the initial two dose range of the
19 study, we asked the investigators to raise the dose
20 rather rapidly in the first and second weeks, in
21 order to try to bracket the dose range of interest
22 for later studies.

23 So, there were differences in the
24 location of studies, even with the same design.

25 The early two dose ranging studies was

1 essentially to give us information that would be
2 helpful to us in designing the later studies. I
3 don't know if that answers your question or not.

4 DR. TEMPLE: Well, not entirely. I
5 guess I remain somewhat mystified as to how doses
6 were chosen. There is major non-linearity that
7 would confuse even the most careful work-up. And I
8 guess I can't tell how you figure out where you are
9 under those circumstances. A small change in dose
10 leads to a large change in blood level.

11 The dose and time must be confounded in
12 ways that remain mysterious. You don't have some
13 blood level data hidden away anywhere that we are
14 going to hear about or anything, do you.

15 DR. ROBINSON: Yes, there was blood
16 level data submitted. Unfortunately, we felt it was
17 only useful with 100 or so patients. It appeared
18 that there was a wide range of blood levels. We
19 focused on those six to eight hours after dosing.

20 There was possibly a non-linear
21 relationship of plasma levels, but it did not appear
22 to be useful or predictively useful.

23 DR. LEBER: I think this really is a
24 follow up on Dr. Temple's question. I think in a
25 concrete way, if you were to look at Dr. Mele's last

1 slide, can you put it up.

2 (Slide is shown.)

3 DR. LEBER: If I have it right, look at
4 the difference between 004A obtained doses, which
5 represent the open circles, which is the totally
6 failed trial, which allowed 600 dosing in the high
7 arm, and 006-2, which are the closed triangles on
8 mine but I think it is H.

9 Both of them are designed to bring a
10 treatment arm to a dose of 600 milligrams and I
11 think that was the question that I believe Dr.
12 Temple was asking. How is it that the same assigned
13 dosing pattern leads to such discrepancies in
14 achieved dose.

15 MS. MELE: What I remember from 004A and
16 004B, and correct me if I am wrong, they could start
17 at a dose of 200 milligrams per day. In fixed they
18 started at a lower dose, 100 milligrams.

19 So, by the time even week one came, that
20 was the first couple of days, you start at a dose of
21 200. They already look different. Is that true.

22 DR. ROBINSON: That is correct. The
23 active and placebo controlled studies, the dose
24 interaction is done weekly. And so, the dose tended
25 to be considerably lower during the first week of

1 treatment, more gradual.

2 DR. CHARNEY: This is also related to
3 dosing. If you look at it within study 005 and 006,
4 the two centers differ quite a bit.

5 MS. MELE: I did point those out. Study
6 006 has a sort of positive center at center two, and
7 this H here represents center one. Now, in 005 --
8 again, I am recalling this so if I am saying
9 something wrong the company can correct me -- but
10 the protocol is amended in study 005 after about 94
11 patients had entered the trial.

12 A larger percentage of those 94 patients
13 came from center one, which is here. And the
14 amendment called for a slowing down of the
15 titration. So, that may be what is reflected here,
16 those differences in those two centers. I don't
17 know if the company has any further information on
18 that, whether the changing or the protocol amendment
19 contributes to the difference that you might see
20 between center one and two.

21 DR. ROBINSON: Yes, of course, we did
22 look at that and it was our belief that that does
23 explain some of the difference between center one
24 and center two.

25 As we gained information from the

1 completing studies, we had more experience with
2 those, and it was our opinion that it was important
3 to follow those strategies in later studies with
4 active and placebo controls that we had done with
5 study 005 and also 006.

6 DR. FRANK: Could you say something
7 about the initial dose and titration strategy for
8 the Imipramine control. Was it in the same in each
9 of the trials, did it differ by trial. Let me just
10 ask those two questions first.

11 MS. MELE: It differ and I don't recall
12 the exact details on that. So, again, I will ask
13 Dr. Robinson, do you recall whether the Imipramine -
14 - I do remember that their initial doses did vary,
15 as they did for nefazodone, but I don't recall the
16 exact numbers.

17 DR. ROBINSON: I am not sure I
18 understand your question.

19 MS. MELE: The starting dose.

20 DR. FRANK: Let me say where I am going
21 to and that is, my question is whether it has any
22 relationship to drop out in the Imipramine control
23 subjects. In other words, how high were they
24 started and how fast were they titrated up.

25 DR. ROBINSON: They tended to be started

1 at 100 milligrams corresponding to 200 milligrams of
2 nefazodone. In the first group, they received on
3 average 100 milligrams of Imipramine, 200 of
4 nefazodone with titration in the later studies, it
5 would graduate after a week.

6 DR. HAMER: My perception, after staring
7 at this material for a while, is that there seemed
8 to be a larger placebo effect asserted in many of
9 these studies than I would have sort of ordinarily
10 expected in similar studies.

11 I don't recall from the material that we
12 were given, whether there was any provision in terms
13 of the inclusion/exclusion material to eliminate
14 subjects who had a large response during a wash-out
15 period. Was there a wash-out period.

16 MS. MELE: There was a wash-out period.
17 I mentioned that in the beginning, and it ranged
18 anywhere from four days to four weeks. There was a
19 great deal of variability among these studies.
20 Tony, do you want to make a few comments about that.

21 DR. LAUGHREN: Only a general comment.
22 I think there is sort of a building consensus, from
23 looking at a lot of data on placebo wash-out, that
24 placebo wash-out in depression studies doesn't work
25 very well, that you don't get the placebo response

1 until after you randomize patients.

2 DR. HAMER: I guess my question still
3 remains. Was there an exclusion criterion that said
4 if subjects responded, that they should --

5 MS. MELE: Yes.

6 DR. TAMMINGA: And in addition, we will
7 get a whole presentation from the company in a
8 little bit with an opportunity to ask them direct
9 questions, too.

10 DR. CASPER: My question also refers to
11 the Imipramine data, and I wonder if you have
12 plotted similar data for Imipramine.

13 MS. MELE: For the doses.

14 DR. CASPER: For the doses, yes. So,
15 here if we have the low dose at 300 milligrams and
16 the high dose is 600 milligrams, most patients
17 reached a dose of 475, perhaps, in the high dose.

18 And the question would really be whether
19 the Imipramine patients reached, invariably, a dose
20 of 300 milligrams, which would be a very high dose
21 of Imipramine, which might explain the high drop out
22 for adverse effects in the Imipramine group.

23 MS. MELE: If you look in the summary, I
24 did not do a graph, first of all, of the Imipramine
25 doses, but I did summarize the Imipramine doses in

1 my summary table which is on page 51 of my review.

2 What I think you can see from this table
3 -- this is just the high dose studies -- if you look
4 to summary table three, you will see some of the
5 other studies.

6 What I have summarized here, this is the
7 peak dose, the last dose for the Imipramine group,
8 for the observed cases. So, that is the last week
9 on study. The fifth column is the Imipramine modal
10 dose.

11 So, you can see the doses range from
12 about 140 to about 220 at the end of the studies.

13 DR. HEZEL: Can you tell me what the
14 final number of patients who appear to have a
15 positive effect is.

16 MS. MELE: No.

17 DR. TAMMINGA: I bet the drug company
18 could. We will let them incorporate that into their
19 presentation, the final number of positive
20 responders.

21 MS. MELE: Defined how. How would you
22 define that, the final number of patients in the
23 positive centers, or do you mean actually the
24 patients who showed positive response.

25 DR. HEZEL: Well, I would look at it

1 both ways.

2 DR. LEBER: Well, this is almost an
3 editorial point, but I will ask it as a question.
4 We have not done an analysis that examines, within
5 study, individual patients' change scores on the
6 HAM-D versus the retained modal doses by time, have
7 we.

8 MS. MELE: No.

9 DR. LEBER: Because I think the
10 editorial point, of course, is that by looking at
11 the study mean doses, it is like looking at any
12 mean. It obscures the differences that are probably
13 attributable to individual response, so that this
14 data doesn't really speak to dose response in the
15 sense we ordinarily mean it.

16 DR. TEMPLE: The only thought I had is
17 that where the placebo groups respond quite
18 dramatically also, the number of patients who
19 respond is going to be somewhat confusing, because
20 in the failed studies it is because everybody
21 responded.

22 One could certainly do responder
23 analysis by setting criteria change of this
24 magnitude or that magnitude, and compare those.

25 MS. MELE: The company did look at it

1 responder/non-responder.

2 DR. CHARNEY: I think if the company
3 could address these points in particular, because if
4 you look within study 005 and study 006, the centers
5 that had positive results had lower final doses.

6 DR. TAMMINGA: I am sure the company
7 will speak to that.

8 DR. CHARNEY: And those low doses are
9 not that far from the final doses in the low dose
10 studies. They are only separated by about 50 or 60
11 milligrams.

12 DR. TEMPLE: Just one last, I guess,
13 also editorial thought, and that is, titration
14 studies typically produce things like inverted U-
15 shaped dose response curves, because the people who
16 are resistant and don't respond very well tend to be
17 the ones that get titrated up.

18 It is not the right way to discover dose
19 response relationships. The parallel study is. It
20 was at least somewhat disappointing to me to see
21 that that early study was never followed up later
22 once the larger needed dose was needed, because it
23 is very hard to deduce dose response in this
24 setting.

25 DR. TAMMINGA: Pharmaceutical companies

1 must be ready to throw clinicians' dosing judgment
2 out of the window when you look at data like these.

3 DR. TEMPLE: Well, in many areas, that
4 lesson has been well learned and you don't -- there
5 are ways of extracting dose response information,
6 but you can't just look at the response and the
7 dose. You have to use complicated models of
8 analysis that I can only refer to, but don't
9 understand.

10 But certainly, they have been successful
11 in hypertension in teasing dose response
12 relationships out of these kinds of data, sometimes
13 anyway.

14 DR. TAMMINGA: Unless there is further
15 comment, we will thank Ms. Mele for her very
16 detailed presentation and will go on to the safety
17 review by Dr. Hearst.

18 **Agenda Item: FDA Presentation - Safety**
19 **Review.**

20 DR. HEARST: In my presentation, I am
21 going to characterize the safety profile of
22 nefazodone. I will be talking, first briefly, to
23 give an overview of the clinical pharmacology of
24 nefazodone.

25 Secondly, I will be describing the data

1 sources that contributed to my review. Finally, I
2 will describe the actual safety findings.

3 Nefazodone is a new compound synthesized
4 by Bristol-Meyers. It is a chemical and
5 pharmacologic analog of triazodone and a member of
6 the phenylpiperazine class of antidepressants.

7 Its proposed use is as an
8 antidepressant. Its presumed mechanism of action is
9 5 HT2 antagonism and serotonin re-uptake in
10 addition.

11 Other actions are a weak alpha one
12 adrenergic antagonism and norepinephrine uptake
13 inhibition, at least in vitro.

14 Nefazodone is rapidly and completely
15 absorbed with a T max of one hour. Its absolute
16 bioavailability is between 15 and 23 percent. It is
17 approximately 99 percent protein bound. Nefazodone
18 does not alter in vitro protein binding of many
19 other protein bound drugs.

20 The total recovery is about 85 percent,
21 with 55 percent found in the urine, 30 percent in
22 the feces. It has extensive presystemic metabolism.

23 We cannot concentrate on nefazodone
24 alone. There are a number of metabolites and the
25 better characterized ones are identified in this

1 column.

2 The ratio of these metabolites to
3 nefazodone is listed in the second column. This is
4 area under the curve at steady state. The half
5 lives are listed in the third column.

6 A note on the dione, even though it
7 sticks around a long time and is present in fairly
8 great quantities, its activity is only about one-
9 sixth of nefazodone at the 5 HT2 site.

10 There are non-linear pharmacokinetics
11 for nefazodone and hydroxynefazodone. By that, I
12 mean an increase in the dose results in a
13 disproportionate increase in the plasma
14 concentration.

15 There are also food effects with an
16 absorption delay and a 20 percent decrease in
17 bioavailability. The clinical significance of this
18 is not known.

19 Special populations were looked at.
20 Elderly females show higher plasma concentrations
21 than elderly males. Elderly females also show
22 higher plasma concentrations than young females.
23 Renally impaired patients have essentially normal
24 clearance, but caution would appear to be advisable.

25 Hepatically impaired patients show

1 decreased clearance for both nefazodone and
2 hydroxynefazodone, with the AUC being 20 percent
3 higher.

4 The integrated safety database used
5 throughout the majority of my review is composed of
6 2,256 nefazodone treated patients in Phase II, III
7 trials, and 424 patients, or subjects, in Phase I
8 trials. Corresponding numbers for the other
9 treatment groups are listed.

10 This slide describes the demographic
11 profile for the Phase II, III studies. The Ns are
12 listed below each treatment group, and as we can
13 see, the age and the age range are fairly comparable
14 throughout.

15 All of the demographics are roughly
16 comparable for the four treatment groups. Two-
17 thirds of the patients are females, nine-tenths are
18 white, two-thirds are between 35 and 64 years old.

19 We might note, there were 127 patients
20 over age 65 at this time, who were in the nefazodone
21 group.

22 This slide shows the number of all
23 patients receiving nefazodone, according to overall
24 modal dose and duration of therapy in Phase II, III
25 studies.

1 To explain this slide, we look at this
2 cell right here. There were 588 patients who took
3 nefazodone between 32 and 90 days, and the modal
4 dose was in the 200 to 399 milligram per day range.

5 Eighty percent of all patients had a
6 modal dose between 200 to 600 milligrams per day.
7 Only 14 percent of the patients were treated longer
8 than 181 days.

9 This slide shows the patient exposure in
10 the Phase II, III depression trials expressed in
11 patient exposure years. To illustrate, one patient
12 taking nefazodone for 12 months counts as one
13 patient exposure a year.

14 Likewise, two patients taking nefazodone
15 for six months, or four patients taking nefazodone
16 for three would all count as one patient exposure
17 year.

18 We see the patient exposure years in
19 this column, and I would like to point out the
20 relative exposure rations, particularly nefazodone
21 to placebo, which we may want to keep in mind in
22 slides coming up.

23 Our safety review consisted of
24 describing the common event profile, through ADR
25 tables, labs data, vital signs and ECG data.

1 Additionally, we looked for serious and
2 uncommon events, such as deaths, patients who
3 dropped out due to adverse events. We performed
4 special searches, such as a suicidality search,
5 which will be described in a moment.

6 We also searched for potentially
7 important changes in labs, vital signs and ECGs.

8 Several short term placebo controlled
9 trials of a similar design were combined to obtain
10 the following list of common nefazodone related
11 adverse events.

12 These events occurred in more than five
13 percent of nefazodone patients and were
14 significantly higher than in the placebo group.

15 Our events are dry mount, somnolence,
16 dizziness, light-headedness, nausea, constipation,
17 asthenia, and blurred vision. These same events
18 will continue to come up in the next few slides.

19 We also looked for adverse events for
20 which dose dependency was observed in dose
21 comparison trials. This data comes from trials 004A
22 and 004B. There are doses where up to 300
23 milligrams for the low dose, up to 600 milligrams
24 for the high dose.

25 A Fisher's Exact Test was used, and

1 these events occurred more frequently in the high
2 dose group -- nausea, dizziness, somnolence,
3 abnormal vision, constipation and confusion.

4 Other variables evaluated included serum
5 chemistry, hematology, urinalysis, vital signs, and
6 ECGs.

7 In our search for the serum chemistry
8 variable changes, we had two methods. One was a
9 comparison of groups unchanged from baseline, and
10 then a comparison of groups on incidence of drop
11 out.

12 The methodology for the comparison of
13 groups on change from baseline was to compare
14 treatment groups, or four different groups, for a
15 pool of short-term trials, and the incidence of
16 patients with potentially clinically important
17 change in serum chemistry variables.

18 There were no statistically significant
19 nefazodone placebo differences.

20 When we compared treatment groups for a
21 pool of all Phase II, III studies on the incidence
22 of patients discontinuing for changes in serum
23 chemistry variables, the results are as listed
24 here -- 14 nefazodone patients discontinued, 5
25 active control, and 2 placebo patients. The

1 corresponding percentages are listed in this column.

2 Most of the discontinuations were for
3 increased serum transaminase. These included 12 of
4 the 14 nefazodone patients and all of the active
5 control and placebo patients. For nefazodone, none
6 of the patients had jaundice, for whom any follow up
7 data is available. Two of these patients had
8 malaise and the rest were not symptomatic.

9 All of these patients had favorable
10 resolutions upon cessation of medication.

11 We also looked at hematology variables.
12 And our comparison of groups on change from baseline
13 did show that nefazodone, at a P of less than .01,
14 had lower hematocrits, as compared to placebo.

15 We defined lower hematocrit as less than
16 32 percent in females, less than 37 percent in
17 males.

18 Additionally, we looked at mean
19 laboratory data across treatment group. This tended
20 to confirm the finding with the nefazodone group
21 having a decrease of 1.5 to 2 percent in their
22 hematocrit, and there was some suggestion of dose
23 dependency.

24 We also looked at comparison of groups
25 on incidence of drop out. Eight nefazodone patients

1 dropped out and one placebo patient and these are
2 the corresponding percentages.

3 It is somewhat reassuring that only two
4 of these eight drop-outs resulted from anemia. One
5 was a pre-existing case and the other had a GI
6 bleed.

7 There were also four lipopenia cases,
8 all with normal differentials. One of the four had
9 clinical symptoms. Another patient had a pre-
10 existing thrombocytopenia and another patient had
11 mononucleosis.

12 I might mention, again back up here, of
13 the patients who were identified as having a low
14 hematocrit, there were 24, and only 2 of the 24 was
15 symptomatic. The one would have an acute GI bleed,
16 which resulted in a hematocrit of 26, and that
17 patient dropped out. And then, another patient had
18 a GI bleed, but continued in the trial.

19 We looked at urinalysis variables in our
20 comparison of groups on change from baseline,
21 identified no statistically significant nefazodone
22 placebo differences.

23 The comparison of groups on incidence of
24 drop out showed only one nefazodone patient and one
25 active control dropping out. The nefazodone patient

1 had hematuria and was later found to have a bladder
2 carbuncle.

3 Looking at the vital sign and weight
4 changes, we did identify a statistically significant
5 different at the P less than .05 level, with
6 nefazodone patients having a tendency for low
7 systolic blood pressure.

8 This was defined in our criteria as
9 blood pressure less than 90, with a decrease of 20
10 millimeters of mercury from baseline.

11 Fifty-three patients were identified as
12 having lowered systolic blood pressure. Thirteen
13 were symptomatic, complaining of either light-
14 headedness or dizzy, but there were no cases of
15 syncope.

16 Four of these patients were identified
17 as having postural hypertension and will be
18 discussed in a moment. One of the patients was
19 identified as having sinus bradycardia, and that
20 will be discussed in a moment also.

21 The comparison to groups on incidence of
22 drop out showed nine nefazodone, nine active
23 control, and three placebo patients dropping out
24 with the corresponding percentages.

25 Of our nine nefazodone drop outs, three

1 patients dropped out with hypertension. In two, it
2 was per-existing. One patient dropped with
3 tachycardia and was thought to have had a panic
4 attack.

5 One had elevated temperature with
6 mononucleosis. Two discontinued due to weight gain,
7 and two discontinued due to weight loss.

8 Our general conclusion is that there is
9 a nefazodone effect on blood pressure and, in a
10 moment, I will get to the slide on orthostatic
11 hypertension and describe that in more detail.

12 We compared the groups unchanged from
13 baseline looking at ECG data, and identified, at the
14 P .05 level, that sinus bradycardia was more common
15 in nefazodone than placebo patients.

16 This was defined as having a heart rate
17 less than 50 beats per minutes, with a decrease of
18 15 beats per minute from baseline.

19 We also looked at mean laboratory data
20 across treatment groups, and this confirmed that the
21 nefazodone group tended to have a decreased heart
22 rate of one to four beats per minute. And this was
23 confirmed by the pulse rate also.

24 And once again, there was a suggestion
25 of dose dependency, seeing the trend somewhat larger

1 in the high dose group than in the low dose group.

2 Of ten patients that had sinus
3 bradycardia, three were symptomatic. One of these
4 three also was in our low systolic blood pressure
5 group.

6 Of the seven patients who were not
7 symptomatic, one later dropped out because of an AV
8 block.

9 Comparing the groups on incidence of
10 drop out, we find 13 nefazodone drop outs, four
11 active control, nine placebo, and corresponding
12 percentages.

13 Four patients dropped because of PVCs,
14 one with extra-systoles, one with atrial
15 fibrillation, one with sinus bradycardia. There was
16 one with a third degree AV block, one first degree
17 AV block, and four blocked with STT wave changes.

18 This slide shows crude and adjusted
19 mortality rates for Phase II, III depression
20 studies. The crude rate is given here. There were
21 five mortalities in nefazodone, one in tricyclic,
22 with the corresponding percentages.

23 Adjusted for exposure time, the rates
24 are given here, per 100 patient exposure years.

25 We looked for serious adverse events

1 through an expanded data base that had a cut off of
2 April 15th of 1993. At that time, there were a
3 total of 9 nefazodone deaths, all suicides.
4 Nefazodone did not play a role in any of these
5 suicides.

6 This slide shows suicide and suicide
7 attempts in patient exposure years through this
8 expanded nefazodone safety data base of April 15th
9 of 1993.

10 By this time, the N for nefazodone is
11 much larger, patient exposure years have gone up
12 correspondingly.

13 All of the suicides found were in the
14 nefazodone groups. The suicide attempts were spread
15 across all treatment groups, with the rates per
16 patient exposure year in this last column.

17 This slide shows the rates of drop out
18 by treatment group and reason, for the pooled Phase
19 II, III data base. The treatment groups are listed
20 here with the Ns below.

21 As might be expected, placebo had the
22 highest drop-out rate due to lack of efficacy.
23 Placebo also had a very low rate due to adverse
24 experiences. Nefazodone was somewhere in between
25 the placebo rate and the tricyclic rate. Total drop

1 outs are as shown here.

2 This slide shows the common and drug
3 related adverse events showing drop outs in
4 nefazodone treated patients. These were defined as
5 events occurring in more than one percent of the
6 treatment group, with the nefazodone group having an
7 incidence of twice the placebo group.

8 Once again, we see many of these same
9 events -- nausea, dizziness, insomnia, somnolence,
10 asthenia.

11 This slide shows the occurrence of
12 common adverse experience over time, the cohorts of
13 nefazodone treated patients with onset of the
14 experience during week one, and who completed
15 treatment into week six, in short term placebo
16 controlled trials.

17 To explain this slide, let me stress
18 that these are patients who completed treatment.
19 Any patient who dropped out along these six weeks is
20 not represented in this slide.

21 Every patient who complained of one of
22 our common adverse experiences in week one is listed
23 in this column. Each week thereafter we see the
24 percent of those same patients who still are
25 complaining of the adverse experience in the first

1 column.

2 Please note that by week six, the
3 percent of patients has dropped considerably in all
4 categories, with only 17 percent still complaining
5 of light headedness and up to 60 percent still
6 complaining of dry mouth.

7 We specifically looked for a couple of
8 adverse events which we thought were likely to be
9 drug related. We looked for mania, hypomania, and
10 for syncope postural hypertension.

11 This slide shows the occurrence of
12 mania, hypomania, in clinical trials. In the
13 monopolar patients, the occurrence rate in
14 nefazodone is about what it is in tricyclics. In
15 the bipolar group, nefazodone had a rate of 3.2
16 percent. The occurrence rate in the tricyclic group
17 was 10 percent.

18 We also looked for the occurrence of
19 syncope postural hypertension in Phase II, III
20 trials. As you can see, for syncope, nefazodone and
21 placebo rates are about equal, somewhat less than
22 what is seen in tricyclic.

23 For postural hypertension, the
24 nefazodone group is somewhat above the placebo rate
25 and the SSRI rate, but certainly it is less than the

1 rate seen in the tricyclic group.

2 This, in general, supports out finding
3 from the mean laboratory changes that were shown in
4 a previous slide and confirms, probably, the weak
5 alpha adrenergic blocking activity of nefazodone.

6 A number of formal interaction studies
7 were done. Haloperidol shows decreased clearance,
8 with the AUC being 1.36 times higher.

9 Triazolam shows decreased clearance,
10 with the AUC being four-fold higher. Alprazolam
11 shows decreased clearance with the AUC being two-
12 fold higher. Lorazepam and Cimetidine shows no PK
13 interaction found.

14 There is limited experience with
15 nefazodone overdose in humans. There were only two
16 overdoses in clinical trials. One patient took 3400
17 milligrams, the other 3600. Both fully recovered.
18 Vomiting occurred in one patient.

19 Neither patient had alterations in vital
20 signs, ECGs or laboratory tests.

21 My conclusion regarding safety was that
22 a review of the clinical trials database for
23 nefazodone of over 2680 patient exposures revealed
24 no adverse findings that would preclude its use as
25 an antidepressant.

1 That concludes my slide presentation.

2 Are there any questions.

3 DR. TAMMINGA: Thank you, very much, Dr.
4 Hearst.

5 DR. HAMER: I assume that the comparison
6 to SSRIs were in the Phase II trials.

7 DR. HEARST: Yes.

8 DR. HAMER: Do you know, were there many
9 SSRIs or was there one in particular. Were they
10 different drugs or the same drug.

11 DR. HEARST: I guess it was fluoxetine
12 throughout.

13 DR. FRANK: What proportion of the
14 patients included in these trials were bipolar, and
15 how is bipolar defined.

16 DR. HEARST: Off hand, I am not sure
17 that I have that readily available. Perhaps the
18 sponsor could reply to that.

19 DR. ROBINSON: It was a relatively small
20 number.

21 DR. FRANK: Did that include bipolar I
22 and bipolar II patients or just bipolar II patients.

23 DR. ROBINSON: I am not certain if I
24 have that information.

25 DR. HEZEL: I have two questions. ECGs

1 and suicide, were the bradycardia in elderly only or
2 all age groups.

3 DR. HEARST: I believe they were
4 throughout all age groups. I don't know the exact
5 break down by age, but they weren't exclusively in
6 the elderly.

7 DR. HEZEL: And could you revisit the
8 suicides and talk about how you conclude there is no
9 relationship there, in regard to the drug. You have
10 nine successful and twelve attempted.

11 DR. HEARST: You mean, as compared to
12 other treatment groups. Well, in that slide, if you
13 look at -- maybe we should put that slide back up.
14 You know, it would seem that only one or two
15 suicides in other groups would bring the percentages
16 back to equivalent to nefazodone.

17 I can't tell you why all nine suicides
18 were in nefazodone, but I think statistically we are
19 just within an occasional event in one of the other
20 groups, which we didn't have. The other groups, of
21 course, have a zero rate.

22 DR. TAMMINGA: It is a large data set of
23 2600 patients, so that there are too few events to
24 make a firm connection.

25 DR. LAUGHREN: If I can just comment

1 here, it is not a surprising number of suicides,
2 having looked at a lot of antidepressant databases
3 over the years. This is not an unusual number.

4 I think if you have that slide in front
5 of you, it is -- I guess we are not going to be able
6 to bring it up. If you look at the relative
7 exposure time for the different groups in that
8 comparison, it is roughly five-to-one, nefazodone to
9 placebo.

10 It is roughly six-to-one for nefazodone
11 to the tricyclics. So, if you had one or two events
12 in the other groups, that would completely wash away
13 the findings.

14 We don't have statistics on these
15 comparisons, but the confidence intervals are going
16 to be fairly wide. It is not an unusual finding.

17 DR. CASPER: I agree with Dr. Laughren,
18 this is not an unusual finding, the number is very
19 small. On the other hand, if you would perhaps
20 examine whether these patients were suicidal to
21 begin with, because if you look at the total
22 Hamilton score, these patients were moderately
23 depressed, on average. So, you wouldn't necessarily
24 expect a high suicidality.

25 And if these patients, for instance --

1 what we might want to look at is where did these
2 patients rate on the Hamilton depressions scale, and
3 whether these were de novo events, or whether they
4 rated, to begin with, on the scale.

5 DR. LAUGHREN: There is an additional
6 analysis that may shed some light on this that we
7 didn't have access to as part of the NDA. If I
8 could address this to the sponsor, I understand that
9 you may have done an analysis looking at emergence
10 of suicidality. Did you do that. We didn't have
11 that as part of the package that we reviewed, I
12 don't believe. But maybe, in your presentation, you
13 can present those findings. That sort of gets at
14 the question that you are asking.

15 But the other problem here -- there is
16 one other problem. Doing an adjustment for time,
17 simply looking at patient exposure years, doesn't
18 address the possibility that there is a change in
19 the hazard rate over time.

20 Again, it is somewhat unfair to
21 nefazodone here. You have much longer exposure --
22 many patients exposure much longer than the short-
23 term phase of the study, during which monitoring
24 isn't as good. The probability of the event may
25 change during that period of time and make it very

1 difficult to make these kinds of comparisons.

2 DR. CHARNEY: My question was relative
3 to that point, which is when, in the point of
4 treatment, did these suicides occur, because if that
5 data was available, it would be helpful. If it all
6 occurred in the first two or three months of
7 treatment, that would be different than if they
8 occurred scattered throughout the treatment periods.

9 DR. TAMMINGA: Perhaps the company could
10 incorporate that into their presentation.

11 DR. LAUGHREN: I think we have that data
12 here.

13 DR. HEARST: That data is available. I
14 don't have it with me right now. Some of the
15 suicides were fairly far out, some occurred shortly
16 after the short term trials were over.

17 DR. LAUGHREN: I can try and summarize
18 it here. We have data on the duration of treatment
19 at the time of the suicide for the nine patients.
20 And it ranges from 17 days at the earliest to 366
21 days. And it tends to be fairly spread out.

22 I mean, there are a number that occur
23 early, many others that occur late. I will just
24 pass it down to you so that you can get a look at
25 it. But it doesn't suggest any clustering at one

1 particular time point.

2 DR. HEZEL: Would you repeat for me what
3 you said about bioavailability with food, and
4 whether or not you think the 20 percent decrease has
5 any impact.

6 DR. HEARST: I think the clinical effect
7 isn't known. There is an absorption delay also, but
8 I don't know what to make of it. Perhaps the
9 sponsor has some recommendations.

10 DR. LAUGHREN: One other comment on that
11 question. This food effect study, I believe, was a
12 single dose study, and maybe, Ray, you could address
13 that. It is often hard for a drug that is going to
14 be used chronically, what a finding like this from a
15 single dose study, would have during chronic use.

16 It may actually diminish even this
17 effect during chronic dosing. The increment would
18 be less.

19 DR. LIN: I have several technical
20 questions. The first question is about
21 availability. You mentioned that it is 15 to 23
22 percent. That seems to be fairly low. So, I wonder
23 what is the reason.

24 And the second question is about a non-
25 linearity of the pharmacokinetics. What do you

1 think is the reason for that and what is the
2 possible clinical significance of that.

3 And the third question is about the
4 blurred vision, constipation and confusion. Does
5 this mean that this drug may have anticholinergic
6 effect.

7 I think in the description earlier it
8 says that the drug doesn't have anticholinergic or
9 histamine effect.

10 The last question is about the
11 gender/age interactions. I wonder if you could
12 comment on that.

13 DR. HEARST: You know, one thought about
14 the gender and age interactions is that the elderly
15 females have higher levels. And one thought was,
16 perhaps it had something to do with their body
17 weight. But I don't know at this point whether that
18 is the only reason. That is a possibility.

19 The drug is not thought to have any
20 anticholinergic activity. I have forgotten your
21 other question.

22 DR. LIN: What is your explanation about
23 the high incidence of the vision problem and
24 constipation and confusion.

25 DR. HEARST: I don't have an

1 explanation.

2 DR. TAMMINGA: Perhaps the company, in
3 their presentation, could concentrate on whatever
4 explanation for that is available.

5 DR. HEARST: I think you asked about the
6 nonlinearity also. And one speculation is that
7 metabolic pathways become saturated. And that may
8 contribute to it.

9 DR. LAUGHREN: Also, you had a question
10 about the low bioavailability. This drug is
11 extensively metabolized. There is a lot of
12 presystemic clearance.

13 This is not an unusual absolute
14 bioavailability. It turns out that it is fairly
15 infrequent that we get these kind of data for
16 psychotropics. You don't often see the numbers.

17 But in fact, many drugs are extensively
18 clear presystemically, and then, if you had absolute
19 bioavailability data, you would see the same kinds
20 of figures.

21 So, most of it is first positive effect.
22 Ray, maybe you could respond to that. I assume that
23 it is first pass. Is that your impression.

24 DR. TAMMINGA: You may want to come up
25 to a microphone and make a comment, if you wish,

1 since I think that a lot of the committee members
2 have these kind of questions.

3 MR. BAWEJA: Ray Baweja, division of
4 biopharm, FDA. In response to his questions, I have
5 the following to add.

6 Essentially, yes, the drug does display
7 a non-linear pharmacokinetics, both for the parent
8 compound and for the hydroxy metabolite, which is
9 considered to be equally active.

10 We have seen -- Dr. Mele's presentation
11 talked about low dose and high dose. In terms of
12 non-linearity, we have seen doses up to 200
13 milligrams BID which just takes it up to 400
14 milligrams daily dose. So, we are looking at a non-
15 linear drug.

16 I see numbers here, four times greater
17 than expected for AUC and C Max. So, we only know
18 non-linearity characterized up to the 400 milligram
19 daily dose. We don't have it characterized all the
20 way up to the 600 milligram dose.

21 In response to your question about
22 absolute bio, it is low. It is extensively
23 metabolized to several metabolites and that number,
24 therefore, appears low. You had another question, I
25 believe.

1 DR. LIN: The other questions, one is
2 about the possibility of anticholinergic effect.
3 The other one is about gender/age interactions.

4 MR. BAWEJA: Yes, again, in gender and
5 age, we can just take it all along the side of
6 elderly females, showing higher numbers than young
7 females. And if it were on genders, elderly females
8 are, again, higher than elderly males. That is
9 about the best we could tease out, if the sponsor
10 would like to add any more to that.

11 DR. LAUGHREN: Ray, was an attempt made
12 to adjust for weight.

13 MR. BAWEJA: I think the explanation was
14 thought of along those lines but I don't think we
15 were that far yet, or have done it, so far.

16 DR. TAMMINGA: Since the hydroxy
17 metabolite is equally active with the parent
18 compound, if you were to add those together, what
19 would be the apparent bioavailability then.

20 MR. BAWEJA: Yes, I think if I were to
21 answer you and give you a total comprehensive
22 picture, let's assume the parent is one unit of
23 activity.

24 The hydroxy metabolite which closely
25 tracks the parent is another full unit of activity.

1 The NCPP is a minor metabolite of sorts, when we
2 look at the quotient of exposure and activity.

3 And the third one, the dione, may be
4 present four times more, but is one-sixth less.
5 Therefore, we come to a number something like a
6 quotient of two-thirds. So, we have one plus one
7 plus a two-third. That is the full slate of events
8 here.

9 And then again, like I said, non-
10 linearity is seen for the parent and the hydroxy.
11 It has gone up to 4X for parent. And I think that
12 is how high it goes for the -- maybe a little less
13 for the hydroxy.

14 The down numbers for linear/non-linear
15 aspects come out a little less.

16 DR. LIN: A follow up to the question of
17 the non-linearity of kinetics. If the explanation
18 is that it is because of a situation of an enzyme,
19 do we know which enzyme that is, because the
20 question here would be that if one enzyme is
21 saturated, it may have a significant effect on other
22 drugs which are also metabolized by that enzyme.

23 DR. BAWEJA: We haven't seen an isozyme
24 characterization per se. We surmised that the minor
25 metabolite NCCP, when it degrades further, is

1 probably is about a 36, but do we have a
2 characterization of isozymes along these lines.

3 DR. ROBINSON: We have not done any
4 direct studies with isozymes. The drug interaction
5 studies, mentioned by Dr. Hearst, would suggest that
6 isozyme does have an effect on the drop in the
7 enzyme system. Direct studies have not been done.

8 DR. LAUGHREN: I think that is a
9 particularly important question, though, because I
10 believe that triazolam is probably metabolized by
11 P450 3A4, an enzyme which has been implicated in
12 several other important interactions.

13 If that enzyme is being inhibited by
14 nefazodone, it could be a marker for other
15 potentially important interactions, for drugs that
16 are likely to be used with nefazodone. So, it is a
17 particularly important point to follow up on.

18 DR. TAMMINGA: If there aren't any more
19 questions from the committee, thank you, Dr. Hearst.
20 And we could ask you, Dr. Laughren, if that
21 concludes the FDA presentations.

22 DR. LAUGHREN: That does, that is
23 correct.

24 DR. TAMMINGA: In that case, we will
25 take a 15 minute coffee break and we will take it --

1 it will truly be 15 minutes. So, everybody, would
2 you please come back on time.

3 (Brief recess.)

4 DR. TAMMINGA: We will continue with our
5 nefazodone discussions and presentations and Dr.
6 Donald Robinson from Bristol-Meyers will present for
7 the company and answer our questions.

8 **Agenda Item: Sponsor Presentation,**
9 **Bristol-Meyer Squibb.**

10 DR. ROBINSON: Thank you, Dr. Tamminga,
11 and Dr. Leber, Dr. Laughren, other members of the
12 division, and members of the committee.

13 A number of interesting questions were
14 raised during the discussion by the committee and I
15 will try to address those as best I can.

16 One question regarded the proportion of
17 patients who were responders, who were rated as
18 responders to drug treatment.

19 And of course, that really has to be
20 looked at in the context of in comparison to non-
21 drug treatment of placebo. And I think a table that
22 would perhaps summarize this briefly is C-51.

23 This is a table which has other
24 information on it. But what I would like to point
25 out is that the clinical, global improvement rating

1 of much improved or very much improved at end of
2 treatment was one of the two primary outcome
3 measures that we employed in all of the efficacy
4 trials.

5 And this summarizes some results and the
6 results across trials at measure.

7 The analysis is showing -- the first
8 week at which drug/placebo difference was evidenced
9 is shown on these studies, which Ms. Mele has spoken
10 to in great detail. And within the fixed dose
11 study, we show it by fixed dose treatment arm.

12 And I think it is apparent -- now many
13 of these studies were six week trials and only two
14 were eight week trials.

15 The percent responders were in the
16 range, for the treatment group mean, of 55 to 65
17 percent in studies. So, that is sort of a general
18 overview of what we observed across the phase II and
19 phase III studies.

20 DR. HEZEL: Do you have numbers of
21 patients. That is what I was looking for, not the
22 percent, numbers versus percent. Out of your
23 population of 2,000, what were the number of
24 responders.

25 DR. ROBINSON: The placebo controlled

1 trials encompassed about 1,000 patients across the A
2 trials, who were randomized to nefazodone.

3 As you know, some of the treatment arms
4 randomized to restricted dose range and others to
5 the full dose range.

6 In the full dose range, there were
7 approximately -- I don't have the exact number in
8 front of me -- approximately 300 to 400 patients on
9 nefazodone who had the opportunity to receive
10 nefazodone within its full dose range.

11 As I said, in that group, on average, we
12 were seeing percent responders ranging somewhere
13 from the low 50s to the 60s, percent of patients.

14 I would like to next make some comments
15 about the dosing of nefazodone. The problem of how
16 to dose and define the therapeutic range of a
17 psychopharmacologic agent is a difficult issue, as
18 most of you know.

19 And there has been, always, some
20 difficulty in establishing the dose range early in
21 the development program for new antidepressants.

22 One way to look at the appropriate dose
23 range is to look at the dosing experience in the
24 placebo controlled trials, where the patients had
25 the opportunity to be dosed within the full range.

1 So, this shows an analysis of the -- it
2 is a meta analysis or a grouped analysis, I should
3 say, of the patients who were randomized across
4 studies to the dosage arm that allowed dosing up to
5 600, except in the case of 003, in which the maximum
6 dose is 500 milligrams a day.

7 So, if you plot the end of treatment
8 dose, the dose to which patients were titrated at
9 the time they completed treatment or discontinued
10 from the study, across the X axis, starting from 100
11 up to 600, we show the Ns down here in each arm.

12 And you plot that against those patients
13 rated as responders on the CGI improvement scale --
14 that is, the percent much improved or very much
15 improved on the Y axis, the distribution for end of
16 treatment dose in these studies was as shown here.

17 And the percent responders was highest
18 in those who had an end of treatment dose of 300
19 milligrams a day, and a rather similar, slightly
20 lower response, in those patients whose end of
21 treatment dose was 400 milligrams a day and 500
22 milligrams a day.

23 However, it is obvious that some
24 patients, in fact, were responding at lower and
25 higher doses, but that is presumably a minority of

1 the patients.

2 DR. LAUGHREN: Don, if I could just make
3 one comment, since you have the slide up here, and
4 repeat a caution that Bob Temple raised earlier,
5 these are all titration studies.

6 And if you have a subgroup of non-
7 responders in the population, they are the ones who
8 are likely to be pushed to the highest doses. So, I
9 am not sure that you can conclude from this,
10 necessarily, that that dose range of 300 to 500 is
11 the best dose, unless you have looked at it in the
12 proper way.

13 DR. ROBINSON: I think that it is the
14 dose range that we studied. I would agree with you
15 that it is very difficult to interpret the response
16 rates at the two extremes of the curve, because
17 patients tend to get pushed to high doses if they
18 have not responded at lower doses, and they
19 obviously tend to stay at lower doses if adverse
20 experiences seem to be dose limiting.

21 So, I would not claim that this is a
22 dose response relationship. I would merely say that
23 it is the experience in dosing across all studies,
24 where the patients had the opportunity to be dosed
25 within that range.

1 Would you like to discuss any other
2 aspects of the dosing.

3 DR. CASPER: Another consideration, Don,
4 would be to look at, since patients also obviously
5 dropped out for lack of efficacy or non-responder
6 status, what you are showing us is really the last
7 observation carried forward.

8 DR. ROBINSON: That is correct, that is
9 an LOCF analysis.

10 DR. CASPER: So, this is a select group
11 on top of the flexible dosing.

12 DR. ROBINSON: Well, it is an intent-to-
13 treat sample and LOCF. So, all patients are
14 counted.

15 DR. CASPER: So, this is an intent-to-
16 treat sample.

17 DR. ROBINSON: It is an intent-to-treat
18 sample, so it is all patients.

19 DR. CASPER: But this end point dose is
20 at what time, though.

21 DR. ROBINSON: It is their daily dose
22 during their last week of treatment, whether they
23 were completers, or they might have discontinued
24 earlier.

25 DR. CASPER: So, this one includes the

1 ones that have discontinued.

2 DR. ROBINSON: Yes.

3 DR. CASPER: So, really, it is a very
4 mixed group.

5 DR. ROBINSON: Well, it is the total
6 sample that had the opportunity to be dosed within
7 the full range that we studied, 100 to 600
8 milligrams.

9 DR. CASPER: And they might also, the
10 ones who have reached, at some point, 600
11 milligrams, might have been dosed by week six or
12 seven or week four or five, again down to 400 or
13 500.

14 DR. ROBINSON: That is correct. And you
15 might have seen some evidence for that when the
16 grouped data, dosing data, was shown by Ms. Mele, in
17 some studies.

18 I think some point of confusion that has
19 arisen from the fixed dose trial, which is one of
20 the early studies we conducted in phase II, and not
21 to get into, I think, a fairly complicated
22 interpretation, I think one of the things that
23 wasn't brought out, but I think it might be helpful
24 to you, is to understand that those patients
25 assigned to all of the doses -- 50, 100, 200, 300 --

1 were not initially titrated to those doses. They
2 started at those doses.

3 One of the things we learned from that
4 study, we believe, and I think that the data support
5 it because of the drop out patterns, was that
6 patients starting at 300 milligrams a day did not
7 tolerate the drug as well.

8 And therefore, it affects the end point
9 analysis and the LOCF analysis, because you have a
10 differential drop out rate in the high dose group.

11 We are sensitive to the value in doing
12 fixed dose trials, although they have limitations as
13 well, and it probably would be preferable to have
14 given a titration in all arms of that study, within
15 a reasonable period of time, up to their fixed dose.

16 DR. LEBER: Don, what years was the
17 fixed dose conducted over, I mean, secular time.

18 DR. ROBINSON: Approximately 1985 to
19 1987.

20 DR. LEBER: The reason I raise the
21 point, always, is that there is a retrospective
22 criticism that arises because you are operating with
23 your overview of 1983, applying it to design
24 features from 1985. And the points that are now
25 well understood by most may not have been understood

1 in 1985.

2 DR. ROBINSON: There was a question
3 about the emergency of suicidality raised by Dr.
4 Casper. We will display that analysis.

5 In this analysis, we are looking at,
6 again, the placebo controlled trials. I would point
7 out that, in the placebo controlled trials, as is
8 customary, an exclusion factor was to enter patients
9 who had significant suicidal ideation.

10 So, there were not very many patients
11 who had extreme values on the suicide item on entry.
12 But it does allow one to then do an analysis for
13 patients who, on Hamilton item 3, starting with a
14 zero or one, at some point during treatment, reached
15 a maximum value of three or four.

16 And these are the results for nefazodone
17 maximum and end of treatment. These are the numbers
18 of patients who, at some point in time, achieved a
19 score on item three of three or four, with
20 nefazodone, with placebo, and with tricyclic. And
21 these are the end of treatment data by the same
22 approach.

23 There was a question about some of the
24 side effects of nefazodone. I think it is probably
25 helpful here to look at the placebo controlled data

1 for the common adverse experiences, that, that is,
2 that differed significantly from placebo.

3 The question was posed about the
4 incidence of somnolence, constipation, and blurred
5 vision. Again, I think that it is helpful to
6 compare the adverse experience incidents to the
7 other control groups.

8 And you can see that for dry mouth, it
9 is somewhat greater, of course, than placebo.
10 Obviously, as one would expect, much less than for
11 the tricyclic.

12 The pharmacology of nefazodone indicates
13 that it does not bind to the cholinergic receptor
14 and there is no evidence of cholinergic effects.

15 The explanation, then, for this modest
16 increase in dry mouth is a little bit uncertain, but
17 many would argue that it may be the alpha adrenergic
18 blocking effects which nefazodone does effect,
19 although you could not rule out a serotonergic
20 effect, since there is some evidence that this may
21 also be reported with serotonergic drugs.

22 Similarly, for somnolence, you see the
23 pattern that there is an increase when compared to
24 placebo, although less than was reported for the
25 tricyclic.

1 Again, this is a modest difference and
2 the explanation, again, I think, is difficult from
3 the pharmacology.

4 My interpretation is that it is also
5 reported with other serotonin reuptake inhibitors as
6 a significant adverse experience.

7 And I would think the blurred vision, 6
8 percent for nefazodone versus 3 for placebo and 8
9 for tricyclic, again, most likely reflects the alpha
10 adrenergic effects.

11 You also raised the question about
12 whether we looked at the side effects by gender and
13 by age. And I think it would be helpful if we could
14 look at the breakdown of these side effects by
15 gender.

16 Stratifying on these common adverse
17 experiences by sex, we found that these are the
18 common AEs that we have been talking about. We
19 found that only lightheadness is more common in men
20 in the nefazodone groups. The other seven did not
21 differ.

22 With regard to age, it might be helpful
23 to look at the incidence of serious adverse events
24 across the entire database of approximately 2200
25 patients, of whom 127 in this analysis were elderly.

1 And these are serious adverse events by
2 the regulatory definition and we saw no evidence of
3 a greater liability in the elderly compared to the
4 younger. There was a 3 percent, approximately,
5 incidence in both groups.

6 DR. SCHOOLER: Could I just ask one
7 question about that. Would the length of exposure
8 have been about the same for the patients under 65
9 and those over 65.

10 DR. ROBINSON: I think if anything, on
11 average, the length of exposure was greater in the
12 elderly because they tended to be more highly
13 represented in the open trials, which had the
14 purpose of seeing long term experience.

15 DR. TAMMINGA: Don, a lot of the side
16 effects that are most bothersome in the elderly, of
17 course, are not the serious adverse events. Do you
18 have any idea for the more common things like
19 constipation and somnolence, what this comparison
20 would look like.

21 DR. ROBINSON: Yes, I think that I can
22 show you the common adverse experience broken out by
23 age as well. This compares in the younger and in
24 the elderly for the eight common adverse
25 experiences. The incidence with nefazodone in the

1 two groups.

2 Now, because this is the placebo
3 controlled data base, these are relatively small
4 numbers, of course, for elderly.

5 Elderly were not excluded from the
6 placebo controlled trials, so we do have a small
7 number. And in general, there did not seem to be
8 important differences in the incidence for the
9 elderly versus the younger, with the possible
10 exception of asthenia.

11 DR. HAMER: A change in one patient
12 there would have been like five percent or something
13 difference. I mean, those aren't very stable
14 estimates in the groups with low ends.

15 DR. LEBER: I have a methodological
16 qualification I think may be important. I assume
17 these are spontaneously reported.

18 DR. ROBINSON: That is correct.

19 DR. LEBER: They are not cued or
20 checklist solicited.

21 DR. ROBINSON: That is correct. These
22 are spontaneously reported.

23 DR. LEBER: And therefore, is there not
24 likely to be tremendous variation between centers
25 and investigators in what is declared an event and

1 collected as one. And there is no attempt to make
2 these comparisons within single studies, but these
3 are aggregated data.

4 DR. ROBINSON: That is correct.

5 DR. LEBER: The reason I raise that,
6 obviously, is the hazard of comparing, you know,
7 marginals where individual cells may be the
8 important locus of the comparison.

9 And these rates, to compare to studies
10 that used a systematic method of inquiry to produce
11 these ADRs would also be difficult to compare with
12 because of the obvious methodological problem. I
13 think that is an important groundwork for discussing
14 these numbers.

15 DR. ROBINSON: We would agree there are
16 limitations to the interpretation of the data.

17 Finally, there is a question about the
18 number of bipolar patients in the sample. There
19 were 64 bipolar patients out of the 2200,
20 approximately, nefazodone treated patients, for a
21 rate of about 3 percent.

22 They were all enrolled when they were
23 bipolar depressed, of course.

24 DR. FRANK: Could you comment on whether
25 they were bipolar I or bipolar II. I think that is

1 really important.

2 DR. ROBINSON: Well, it could be
3 important but I am unable to answer it today.

4 If there are no other specific items to
5 be addressed, I would like to end with just a few
6 comments about the drug.

7 I want to thank the agency for an
8 extremely thorough review of the NDA and express a
9 general agreement with their conclusions.

10 Obviously, the comprehensive nature of
11 the preceding reviews with Dr. Hearst and Ms. Mele
12 makes little need for extensive comments at this
13 time. But I would like to emphasize a few points
14 for further clarification.

15 I plan to briefly highlight nefazodone's
16 pharmacology, some findings of particular interest
17 during this clinical investigation, and to give some
18 recommendations about clinical use.

19 Briefly, the methods of action to be a
20 dual mechanism by blocking -- it is a potent blocker
21 of 5 HT2 receptor sites. It is also a serotonin
22 reuptake inhibitor.

23 And pharmacologic studies show that it
24 significantly down regulates cortical 5 HT2
25 receptors, but not beta receptors.

1 Several well controlled trials showed
2 nefazodone is an effective antidepressant, in
3 studies enrolling patients with major depression.

4 Nefazodone's efficacy generally appears
5 to be similar to Imipramine's in the controlled
6 trials. Some additional meta analyses of data from
7 the eight placebo controlled trials were conducted
8 and also showed that nefazodone is effective in
9 subpopulations of interest, for example, those
10 patients who are more severely ill, defined by a CGI
11 rating of markedly ill, and those patients who have
12 prominent anxiety symptoms associated with their
13 symptoms, based on a pretreatment Hamilton anxiety
14 scale score of 19 or higher.

15 So, nefazodone appears to be effective
16 across a broad range of patients meeting criteria
17 for major depression.

18 Dr. Hearst has addressed many of the
19 points in the search of the nefazodone safety base.
20 No evidence was found of untoward effects that have
21 associated with some other antidepressant drugs or
22 with the antidepressant drug class in general --
23 that is, so-called class safety issues.

24 There was no difficulty experienced in
25 the small number of patients that took rather large

1 doses of nefazodone in the suicide attempt. And the
2 patients had mild to transient symptoms and rapidly
3 recovered.

4 Weight and appetite were not adversely
5 affected during long-term treatment and there was
6 little, if any, sexual dysfunction, and no treatment
7 emergent anxiety symptoms associated with nefazodone
8 therapy in these controlled trials.

9 This would suggest that, in clinical
10 use, nefazodone treated patients would not
11 experience some of the particularly troubling side
12 effects of some of the other agents.

13 We have already spoken to some of the
14 common adverse experiences in some of the controlled
15 trials. What I have listed here are those that meet
16 the criteria for common adverse experience for
17 either active drug. So, this is the total list for
18 Imipramine and/or nefazodone.

19 It is of some interest, that in general
20 the interest with nefazodone there were not only
21 fewer common AEs, but except for nausea and
22 lightheadness where there were slightly higher
23 incidence on nefazodone compared to Imipramine, in
24 all others, it appeared to be lower.

25 Another index of a drug's safety and

1 tolerability is the rate of premature
2 discontinuation from treatment for reason of adverse
3 experience.

4 This is the total experience with
5 nefazodone, both acute, long-term controlled and
6 placebo controlled and open studies. And
7 nefazodone's rate of 15 percent discontinuation for
8 reason of adverse experience compares favorably to
9 placebo, which is 10 percent, and tricyclic which,
10 overall, is 20 percent.

11 The findings from the extensive studies
12 of differing designs during the phase II and phase
13 III development support nefazodone's recommended use
14 with the following guidance about dosing, in our
15 opinions.

16 It is effective with BID administration.
17 The initial dose should usually be 200 milligrams a
18 day for most patients. Dose increases are indicated
19 for most patients based on clinical response
20 following a week of therapy.

21 Let me make one final point. In the
22 efficacy studies, as I had indicated in our previous
23 discussions, there was a pattern that most
24 responders in the titration studies were receiving
25 an end point dose of 300 and 500 milligrams a day.

1 So, in summary, serzone, the trademark
2 for nefazodone, represents a novel antidepressant
3 drug, in our opinion, because of its dual effects on
4 the serotonin system.

5 Its efficacy and advantageous safety
6 profile have been established in a comprehensive
7 program of studies designed to carefully define its
8 therapeutic use.

9 I thank you very much, that is the end
10 of my formal comments. And I and other colleagues
11 involved in the investigation of nefazodone are
12 available for further questions.

13 DR. TAMMINGA: Thank you for your
14 presentation, Dr. Robinson.

15 DR. HAMER: On about your fourth slide,
16 the one entitled efficacy summary, your third bullet
17 is, effective in markedly and moderately ill
18 patients with major depression.

19 Could you perhaps expand a little bit on
20 sort of what that statement is based on. Was it
21 compared with a comparison to placebo in people at
22 that level of depression, or was it based simply
23 upon some sort of change from baseline.

24 DR. ROBINSON: That is based on a meta
25 analysis of all eight placebo controlled trials,

1 comparing the outcome, the primary outcome measures,
2 in patients who were randomized to the treatment
3 groups allowing the full dosing range and compared
4 to placebo.

5 DR. HAMER: So, it is compared to
6 placebo.

7 DR. ROBINSON: It is compared to
8 placebo, right. And it is highly significant, as one
9 might expect, when you have large numbers.

10 DR. CHARNEY: There were some center
11 differences in the data and in your looking at it,
12 analyzing that data, is it relevant to specific
13 patient subtypes in terms of response. In general,
14 what did your analysis reveal in terms of center
15 differences.

16 DR. ROBINSON: My general answer is it
17 is difficult to explain differences in outcomes of
18 studies, and one can only speculate retrospectively
19 why you observe different results between studies
20 and between centers within the same study.

21 It is our opinion that the differences
22 in 006, as Ms. Mele pointed out, had a lot to do
23 with the implementation of the study, with its
24 exceedingly high drop out rate, for all treatment
25 groups. It was much higher than one would normally

1 expect.

2 So, it is both very difficult to see a
3 rationale for combining those findings with the
4 other site in that study, which had a fairly
5 reasonable implementation strategy and a reasonable
6 retention rate of patients.

7 In the other study where the question
8 was raised about the possibility about site
9 differences, I think again it is speculation,
10 primarily, but there was a higher placebo response
11 rate in those psychiatric treatment facilities as
12 compared to the other sites.

13 But actually, the degree of change on
14 all treatment was of the same -- I am sorry, the
15 degree of change or improvement on the two active
16 treatments was approximately the same as in the
17 other site. Again, it is difficult to know, in
18 retrospect, exactly what the explanation might be
19 for that.

20 DR. SCHOOLER: I would like to get back
21 to the slide that you showed on common adverse
22 experiences.

23 One of the issues with the nefazodone
24 group, if I understand it correctly, is that that
25 would include the low dose nefazodone cases, which

1 is a dose lower than one would anticipate using.

2 Do you have that broken out, at some
3 point, by dose, so that one could compare what we
4 would consider the effect of the nefazodone group to
5 the Imipramine which were clearly in the effective
6 range.

7 DR. ROBINSON: Yes, the question was the
8 nefazodone data includes patients who were dosed
9 within a restricted range and a full range; that is
10 correct. It obviously would be somewhat different if
11 you broke it out according to dose range.

12 I do not have the data that I can give
13 you to show you, but I can tell you that it is
14 somewhat higher, as one would expect, in the
15 patients who had the opportunity to be dosed in the
16 full range.

17 But there was nothing in there that
18 would suggest safety concerns to us, because
19 patients should be dosed and titrated according to
20 their clinical response.

21 DR. HEZEL: I am sorry, I am back on the
22 numbers question again. As close as I can figure
23 out, about 353 subjects were in the therapeutic
24 range that you are basing positive effect on. Am I
25 close.

1 DR. ROBINSON: In that analysis, that is
2 correct.

3 DR. CASPER: I have two questions, one
4 about efficacy and the other about headaches, an
5 adverse effect we really haven't discussed much,
6 because I think the incidence was not much different
7 from the placebo responders.

8 Maybe since that is a quick question,
9 because in research trials, NCCP, one of the
10 metabolites, I think, has produced migraine
11 headaches, or migraine-like headaches, I was
12 wondering whether the quality of the headaches in
13 nefazodone treated patients was different.

14 From the data we could just see the
15 headaches. Maybe you want to answer that.

16 DR. ROBINSON: I think that is a good
17 question and I agree that there could be a
18 qualitative difference in headache, possibly due to
19 NCCP if it is present in high concentrations.

20 To the best of our knowledge in looking
21 at it, because we were interested in that question
22 as well, we could not ascertain any qualitative
23 differences.

24 And the overall incidence of headache,
25 as you pointed out, was the same across the

1 treatment groups. So, overall, there is no increase
2 in incidence.

3 The other point about NCCP that might be
4 of interest to you is that the effects of nefazodone
5 and hydroxynefazodone are in opposition to those of
6 NCCP on the 5 HT1C2 receptor. And this might
7 suggest that you wouldn't see those troubling side
8 effects with NCCP.

9 DR. CASPER: Thank you. The other
10 question related more to efficacy, because you said
11 you did agree with Ms. Mele's interpretation of the
12 data.

13 You showed us, in the efficacy data,
14 only the global improvement data; correct.

15 DR. ROBINSON: Correct.

16 DR. CASPER: Whereas, we saw data which
17 indicated a depressed mood really did not show that
18 much of an effect, although if you take all the
19 symptoms together -- somatic, anxiety and guilt and
20 so on -- you do see an effect.

21 DR. ROBINSON: Well, my interpretation
22 of that is that there was a lot of heterogeneity, I
23 thought, in the drug effect on those measures that
24 Ms. Mele showed. Across studies, there was a lot of
25 heterogeneity.

1 I can't explain that. It could be the
2 samples were different or the rating was different.
3 In some of the studies, as Ms. Mele summarized for
4 you, there was significant improvement on the
5 depressed mood item one, and overall, I thought that
6 when you look at the four or five measures of
7 efficacy measures, that there was a pattern of
8 superiority of nefazodone to placebo.

9 And I guess my final comment would be
10 that the studies are designed and powered but the
11 statistical power analysis is to detect difference
12 on generally one or two outcome measures.

13 And the ones we chose were the Hamilton
14 17 total score and the CGI Improvement Percent
15 Responders. So, it may not have been -- because of
16 power considerations, there may not be the
17 opportunity to detect significant difference. But I
18 cannot explain the heterogeneity.

19 DR. LAUGHREN: If I could just add a
20 point of clarification there, of the two studies
21 that made it overall, that we considered providing
22 the strongest evidence, 004B and 005, it was only in
23 004B that it failed to make it on the HAM-D
24 depressed mood item.

25 And even in the supportive studies --

1 and Joy, jump in if I am wrong -- that those two
2 studies, the centers that made it, made it on the
3 HAM-D depressed mood items.

4 So, it was really only 004B where it
5 didn't make it on that item.

6 DR. FRANK: Just sort of a follow up to
7 that, and that was the family practice site; right.

8 DR. ROBINSON: No, 004B was one of the
9 earlier studies where we used two dose ranges versus
10 placebo.

11 DR. HAMER: I would like to ask if you
12 have any studies underway now, perhaps with fixed
13 doses, in an attempt to get a better handle on
14 dosing range of dose response sort of effect.

15 DR. ROBINSON: We do not have a fixed
16 dose study currently in progress. We had decided
17 that we had -- we provided with reasonable
18 confidence that we can define the therapeutic range
19 and the dosing recommendations.

20 DR. TAMMINGA: Dr. Robinson, there were
21 several questions this morning about
22 bioavailability, pharmacokinetics, and drug/drug
23 interactions. I wonder if you have anything to add
24 to what the FDA said this morning about that.

25 DR. ROBINSON: Well, I would be glad to

1 give a couple of points. It has high first pass
2 metabolism. That is not unusual for drugs of this
3 class, but it is high.

4 I am sorry, you asked two questions and
5 I was --

6 DR. TAMMINGA: The kinetics and the
7 bioavailability and what data you may have on more
8 chronic kinetics, perhaps the blood level clinical
9 response relationship, if there is any, and then the
10 drug/drug interactions.

11 DR. ROBINSON: Okay, the blood level
12 data, I briefly summarized, when I answered Dr.
13 Temple earlier. Unfortunately, we only have a small
14 number of patients.

15 Although plasma levels were drawn during
16 many of the studies as a routine, it turns out that
17 very few of them have much value. It is a small
18 number that have value and you can identify the
19 timing with respect to the last dose.

20 And one really wants to focus, I think,
21 on the trough levels to try to look at relationships
22 to efficacy.

23 We found a very weak curvo-linear
24 relationship in those approximately 100 patients
25 where we had documented plasma levels drawn six to

1 eight hours after their last dose.

2 So, while it could be argued that
3 scientifically there was a weak relationship, it did
4 not appear to have, because of the high variability
5 of levels, did not appear to have any predictive
6 value.

7 You had a question, then, of drug/drug
8 interactions. I would be glad to discuss that in
9 detail. I thought that they were summarized, and we
10 could show the formal drug/drug interactions if you
11 would be interested.

12 There was a small number of
13 benzodiazapines, three in number, were studied. And
14 nefazodone affects the metabolism of two of the
15 three. Alprazolam and triazolam have decreased
16 clearance when given concurrently with nefazodone.
17 Lorazepam appears not to be affected.

18 The other formal drug/drug interaction
19 studies with haloperidol concurrently given, there
20 there was about a 30 to 40 percent increase in
21 haloperidol levels at steady state, but no effect on
22 nefazodone.

23 And with cimetidine, which of course, is
24 a drug of great interest because it affects many
25 drugs that are metabolized by the liver, there was

1 no evidence of interaction with cimetidine.

2 DR. LAUGHREN: Don, are you planning on
3 pursuing the triazolam or prazalam interaction
4 trying to understand its mechanism better at this
5 point.

6 DR. ROBINSON: Yes, we are. We believe
7 that it is important to do P450 isoenzyme testing
8 and we are in the process of planning such studies.

9 DR. HAMER: I am still having some
10 difficulty with the dosing range, inferring a dosing
11 range out of a series of studies in which it appears
12 that a really large portion of the studies, perhaps,
13 were receiving a comparatively low dose.

14 I mean, usually you would attempt to
15 come out of phase II with a good handle on what the
16 dosing range should be, and then you would attempt
17 to design your phase III trials to span this dosing
18 range adequately.

19 Here, it looks like you came out of
20 phase II and wound up in phase III with perhaps a
21 quarter of your nefazodone subjects in what you are
22 now considering to be within a reasonable dosing
23 range.

24 And these are all titrated studies as
25 opposed to fixed dose studies. And then, you are

1 attempting to infer dose range from that.

2 I mean, it seems to me not unlikely, for
3 example that, depending on the physician behavior,
4 you might wind up with a fair number of subjects who
5 were increased past what their optimal dose ought to
6 be, in an attempt to get more of a response, and
7 then simply left there, even though they didn't
8 particularly respond further.

9 I mean, I don't know. I just feel like
10 perhaps I feel a need for some sort of fixed dose
11 studies to get a better handle on dosing range.

12 DR. ROBINSON: Well, I will try to
13 answer that, if I may, in a couple of ways. First
14 of all, we feel that we showed evidence in three
15 trials that would allow dosing across the range to a
16 maximum of between 100 to a maximum of 500 or 600
17 milligrams. Clear drug/placebo differences on
18 primary outcomes measures for nefazodone.

19 DR. HAMER: But in terms of the higher
20 dosing range, were those the studies in which you
21 feel now that you attempted to plot the subjects up
22 to the high dose too fast and perhaps might have had
23 a comparatively large number of drop outs in the
24 high dose groups due to adverse effects.

25 DR. ROBINSON: Again, my opinion is that

1 that did not occur, at least in two of the important
2 studies. One is 005 and the other is 006, center
3 two, both of which had a rather gradual titration
4 from 200 milligrams a day during the first week, or
5 at the first week of evaluation, to somewhat higher
6 doses over the eight weeks of treatment.

7 So, we feel confidently that, looking at
8 the complimenting study results, that we have
9 identified a tolerated safe and partially effective
10 starting dose of 200 milligrams a day in the fixed
11 dose study.

12 And secondly, that in the studies where
13 we had both an active control -- that is, Imipramine
14 -- and placebo for comparison which, in a way, is
15 the most naturalistic study, I think, more
16 consistent with practice, that we showed that the
17 drug was effective and that the dosing range that we
18 recommend is both safe and effective.

19 There was another point, because I think
20 the fixed dose study design is a very difficult
21 design, particularly in psychopharmacology.

22 The way that I think about it is that we
23 do believe that mass action is important. We have a
24 lot of focus on plasma concentrations as guides to
25 therapy, although they don't work very well in

1 psychopharmacology.

2 If you believe that, and since we know
3 that there is a lot of inter-individual variation in
4 clearance, you would not believe that in a fixed
5 dose design, that all patients randomized to the
6 same dose would be getting their optimal dose.

7 So, I think the fixed study design is
8 helpful, but it doesn't answer all the questions
9 about how patients should, in fact, be dosed in
10 practice.

11 I believe that the studies that I
12 mentioned -- 003, 005 and 006 -- are more realistic.
13 And as I pointed out, we saw the highest percent of
14 responders at an end-of-treatment dose of 300, 400,
15 500 milligrams a day, which is not to say that some
16 patients didn't respond at 200 milligrams, their
17 starting dose.

18 DR. CASPER: I wanted actually to
19 consider Dr. Hamer's discussion, because if you look
20 at the -- well, what we have evaluated as high dose
21 as up to 600 milligrams, really, if you look at the
22 mean modal dose which was received by the patients,
23 no one really got 500 milligrams. Most were
24 probably just about 400 milligrams.

25 And the question then is, as you said in

1 your recommendation, between 300 to 500 milligrams -
2 - the question is really, do you need to go above
3 400 milligrams.

4 If you look at the data which were
5 presented, the side effects really increase, there
6 is a relationship between adverse effects and
7 dosing. And so, I wonder whether what we have
8 evaluated as high dose is not really the lower point
9 of the high dose, namely, around 400 milligrams, if
10 the low dose goes up to 300 milligrams. So, there
11 is sort of a medium dose range.

12 And another question I had relates to
13 the severity of illness in the patients, because if
14 you look at the data, the Hamilton score really
15 indicates moderate depression.

16 Now, this might not have served your
17 purposes, actually, to have patients with largely
18 moderate depression, because if you want to show an
19 effect, you want to have patients who are more
20 severely depressed.

21 So, you argue the more severely
22 depressed also improved, but I would warn of --
23 maybe this is kind of -- I think it really should be
24 taken as a warning.

25 I wonder whether you really need to

1 consider doses above 400 milligrams given even your
2 presentation with the 600 milligram data, and
3 whether you don't want to recommend staying with 200
4 to 400 milligrams.

5 DR. ROBINSON: I think you have raised
6 very important points, which basically we would
7 agree with. I think when you study a drug over a
8 range, it is important to know that some patients
9 may end up at the maximum dose, however that is
10 defined. We chose to define it as 500 or 600
11 milligrams.

12 The vast majority of patients did not go
13 to the maximum tolerated dose, except in the very
14 early phase II, two dose range study, where that was
15 a strategy, because in part, we were interested in
16 efficacy and tolerability early in phase II when we
17 were studying a new and novel antidepressant and we
18 weren't certain how to define the range of interest.

19 But certainly, I think the point that we
20 can say with some confidence is that those patients
21 who did go to 600 tolerated. There were not
22 alarming safety questions about them. They did not
23 experience unusual difficulties.

24 But admittedly, there is a bias about
25 which patients get to the top dose.

1 DR. CASPER: Yes, I would like to
2 emphasize this, because with your design, we don't
3 know whether the non-responders all went to 500, 600
4 milligrams and whether this dose was even necessary
5 for these people and how they responded in the end,
6 not with this fixed dosage but open dosage design.

7 You might just have seen higher dosage,
8 but not more of a response, with a little more
9 adverse effects. But still, there is some.

10 DR. ROBINSON: If I may follow onto your
11 point, which is an interesting one, if you were to
12 do a study, for example, with a fixed dose of 400,
13 500 and 600 milligrams a day, I believe that it is
14 very likely that what would happen is that you would
15 have an inordinate number of drop outs at the
16 highest fixed doses.

17 So, the fact that you do that experiment
18 doesn't necessarily help you establish the dose
19 range, because we know that some patients will, by
20 titration, based on their clinical response, should
21 receive a lower dose, and some, a higher dose. And
22 that is the way drugs are generally used for the
23 treatment of depression.

24 DR. LAUGHREN: But Don, you may have, as
25 you pointed out yourself, have greater success in

1 doing the experiment if you titrate patients up to
2 the fixed dose. And really, that is the only way
3 you are going to find out what additional advantage
4 there is in pushing patients up to the higher dose.

5 I don't think you can learn that from a
6 titration design. And it makes it somewhat
7 difficult to write labeling instructions for a drug
8 for which you don't have that information.

9 I don't think anyone is questioning the
10 finding that you do have efficacy when you titrate
11 within that range. The question is, what additional
12 advantage is there in pushing the dose up to 600.
13 That is not clear from the data that you have.

14 DR. CHARNEY: Do you have any open label
15 experience on patients that did not do well on the
16 lower doses and were able to be titrated up.

17 DR. ROBINSON: Well, we have no formal
18 analysis of that. Again, the open trials did allow
19 dosing over the range of 100 to 600, and a small
20 number of patients do end up at the top dose, 600
21 milligrams, and tolerate it.

22 So, our belief is that there are not
23 over-riding safety issues for those patients who
24 arrive at the maximum dose by careful clinical
25 evaluation.

1 DR. CHARNEY: What I meant, say there
2 were patients that went through the fixed dose
3 studies that only got the low dose regimen. Were
4 they allowed to be entered humanitarian studies that
5 allowed them to be increased. Did the non-
6 responders turn into responders.

7 DR. ROBINSON: Again, we couldn't
8 formally study it because at that time, early in
9 development, there were some restrictions on
10 patients going on into double blind extension for
11 more than a very short time.

12 DR. TAMMINGA: One of the strengths of
13 this data set in front of us, it seems to me, is the
14 variety of patients that were treated with the drug.

15 There were both in-patients treated and
16 out-patients, elderly, and the usual depression age
17 range. And even psychiatric patient sets and family
18 practice patient sets.

19 And there were such differences between
20 the latter two in the 005 study that I wonder what
21 you made of that. I mean, the family practitioners
22 developed such a spectacular drug/placebo difference
23 and psychiatrists were much less able to do that.
24 Do you imagine it was for different patient
25 population reasons.

1 DR. ROBINSON: Well, I think that is a
2 probable explanation, if one could discern what it
3 is. We do know that the patients who enrolled in
4 the family practice sites tended to have fewer
5 previous episodes.

6 I have forgotten the other -- there was
7 one other difference of interest. But one might
8 infer from that, that they were less -- there could
9 have been an over-representation of more treatment-
10 resistant patients in those patients that end up at
11 a psychiatric treatment facility. There are, of
12 course, many possible explanations.

13 DR. FRANK: Have you considered the
14 possibility that the difference was in the
15 clinicians and not in the patients.

16 DR. ROBINSON: That is a possibility, I
17 would agree.

18 DR. FRANK: I would suspect that
19 psychiatrists giving placebo probably do something
20 different than family practice physicians giving the
21 placebo. And there were no restrictions on the
22 interaction between the patient and the clinician in
23 any of these trials.

24 DR. ROBINSON: That is correct, not with
25 regard to time spent with the patient, for example.

1 DR. FRANK: Or what went on in the
2 interaction.

3 DR. ROBINSON: Right, and we have some
4 reason to think that there may have been more time
5 spent with the patient, but again, that is just
6 speculation, because we didn't study it -- I am
7 sorry, more time spent in the psychiatric setting
8 with the patients.

9 DR. LEBER: Just one salvaging comment,
10 that the one thing that we do have in at least a
11 couple of these centers is Imipramine as a measure
12 of the assay sensitivity of the sample. And in that
13 particular study, with the psychiatrists, they may
14 have been able to get a good placebo response but
15 they are unable to detect a difference from
16 Imipramine.

17 I think that tells you how to weight
18 that study. We have, in general, included these
19 three armed designs, I think a point made by Ms.
20 Mele and by Dr. Laughren.

21 So, it is a marker for how well the
22 sample responds. We would ordinarily classify such
23 a study as a failed study, rather than as a negative
24 one, because it has, in the words of Modell and
25 Hood, an inability to discriminate a standard drug

1 from placebo, and so we sort of tossed those.

2 And that has been our long-standing
3 view. It is not a failure of the drug. It is a
4 failure of the methodology, for reasons unstated.

5 DR. HAMER: Similarly, did you all
6 speculate on what appears to me to be a relatively
7 large placebo response over the course of the whole
8 set of studies.

9 DR. ROBINSON: That is always a cause of
10 some consternation when you see it. I guess my own
11 opinion is that, since most placebo controlled
12 trials in depression are, or maybe even have to be,
13 conducted in an out-patient rather than in a really
14 more severely ill -- very severely ill -- in-patient
15 population, that there may be a tendency to enroll
16 more patients who will respond for a myriad of
17 reasons.

18 There seems to be a gradual escalation
19 of reasons, if you read the literature and listen to
20 reports of other studies with other agents.

21 I don't think that the placebo response
22 rates that we encountered were particularly out of
23 line with what I understand to be the case with many
24 other studies with other agents in the curing of
25 depression.

1 DR. TEMPLE: That is my impression, too.
2 One thing that occurs is that, in the setting where
3 there is such a large response in people who aren't
4 given active therapy, it is very hard to learn
5 anything about dose and anything.

6 What we have encountered recently,
7 having begun depressed people to carry out long-term
8 studies in people who respond and then were
9 withdrawn from therapy is that, ironically, it is
10 somewhat easier to show effectiveness in that
11 setting, because you are only doing it in
12 responders, to people who appear to be able to
13 respond to the drug.

14 So, a possible way to sort out some of
15 these kinds of things, including dose, is in the
16 withdrawal and maintenance study that you eventually
17 do to randomize people to several different doses
18 and, I would also urge a fair amount of blood level
19 monitoring, including metabolites, to help sort out
20 some of the fairly large number mysteries.

21 But with the responders, it often turns
22 out it is rather easier to show effect in them.

23 DR. SCHOOLER: That is very much related
24 to the point that I was going to ask, which has to
25 do with questions of long term treatment, much in

1 the same way that Dr. Hamer was asking about whether
2 there was a short-term fixed dose study ongoing.

3 I was wondering what your intentions
4 were with regard to longer term treatment, both in
5 terms of efficacy, withdrawal designs, maintenance
6 treatment, and so forth.

7 DR. ROBINSON: Well, we do have a formal
8 discontinuation design placebo substitution design,
9 maintenance effect study underway. Those are
10 difficult large studies, take a long time to
11 complete, but we understand that there is value to
12 that approach, and our doing it.

13 DR. SCHOOLER: Could you say a little
14 bit more about the design. Is that a fair question.

15 DR. ROBINSON: Well, I think we tried to
16 use what has, so far at least, been the conventional
17 design which, as Dr. Temple pointed out, is to
18 enroll patients who respond during acute treatment
19 who have stabilized their symptomatology by well
20 defined criteria.

21 Then they get randomized to a placebo or
22 to stay on, remain on, nefazodone on their dose.
23 And follow through to their relapse, again, using
24 well defined criteria and the Hamilton scores and
25 the CGI.

1 We also have in mind and have, by
2 amendment planned to do, a second re-randomization
3 farther out for those patients who receive
4 treatment, for approximately a year.

5 DR. SCHOOLER: Do you mean re-
6 randomization to either continue for a second year
7 or be discontinued.

8 DR. ROBINSON: Or go on placebo. So,
9 again, this might -- I mean, that has value, I
10 think, both in terms of relapse and recurrence
11 inference, but possibly even for as you were asking,
12 for withdrawal syndromes of some kind.

13 MS. MELE: I just had an additional
14 comment about the psychiatric center versus family
15 practice, and if you are still interested in talking
16 about that, I will just show you.

17 What I found was a difference between
18 the males and the females in the placebo group, and
19 I thought it was interesting, even though I
20 certainly can't explain it.

21 On the bottom here are the results for
22 the eight placebo controlled trials, and as you can
23 see, there are essentially no differences between
24 the males and the females.

25 I just thought it was curious that the

1 placebo responders seem to be mostly in the female
2 group. You can see that the males are about of the
3 magnitude that we saw in the other studies, where
4 the females are much higher. So, you could probably
5 conjecture a lot of things from that.

6 DR. TAMMINGA: Do we have any more
7 questions for Dr. Robinson and the company.

8 DR. HEZEL: Mine is just a real general
9 question. The nine suicides, I have been assured it
10 would be expected in this population. What is the
11 magic number that we wouldn't expect.

12 DR. TAMMINGA: Maybe if you wouldn't
13 mind, we have our committee discussion after that,
14 and why don't we address to Dr. Robinson the
15 questions we have remaining for him and the company.

16 DR. TEMPLE: If you discussed this while
17 I was out, tell me and I will find out. The
18 metabolism of the drugs is obviously somewhat
19 complex and there are some interesting drug/drug
20 interactions.

21 I take it you don't know what P450
22 isozyme is responsible yet.

23 DR. ROBINSON: That is correct, we
24 haven't done the studies. We have it in mind to do
25 so.

1 DR. TEMPLE: Do you happen to know off
2 the top of your head what the isozyme that
3 metabolizes triazolam is. Is it 3A4.

4 DR. ROBINSON: Triazolam, by inference,
5 would be a 3A4, yes.

6 DR. TEMPLE: I mean, that raises --
7 probably everybody knows this, but that raises some
8 interesting problems. If people are familiar with
9 the experience with astemizol and triphenadine know
10 that, so far, only a bunch of antifungals have had
11 profound effects on inhibiting that system, with
12 erythromycin having a much smaller effect.

13 The magnitude of this is considerable, a
14 four-fold decrease in clearance is quite large,
15 raising the possibility that this agent could
16 interact with quite a few drugs, because 3A4 is
17 ubiquitous and there are many many therapeutic
18 agents that are metabolized that way.

19 This looks like a fairly large effect.
20 So, apart from triphenadine and astemizol, it is
21 certainly something to think about.

22 DR. ROBINSON: I agree with that
23 interpretation and we are pursuing that. And
24 because it did raise the very point that you make,
25 we went back to look at the concurrent drugs in the

1 placebo controlled trials -- I am sorry, in all
2 nefazodone treated patients.

3 And there were something over 130
4 patients who received triphenadine, which is one of
5 the drugs of interest, with regard to 3A4 and
6 toxicity. And we did a very careful search of the
7 records of those patients that did not detect any
8 important safety issues.

9 But again, we admit that is limited data
10 and formal studies still are required.

11 DR. LEBER: This is another question
12 more for the record. Was there an attempt, in the
13 development program, to look at patients who were
14 thought to be actively suicidal, i.e., in an in-
15 patient setting, in any kind of a controlled way, in
16 response to nefazodone.

17 DR. ROBINSON: Not in a controlled way.
18 Of the nine suicides, only one occurred in a placebo
19 controlled trial, and that was the patient on
20 nefazodone. Two occurred in active controlled
21 trials, which involved in-patients. And the
22 remaining were open studies in which, when we looked
23 at the records of those patients, several of them
24 were selected by the investigator because, in his or
25 her opinion, they were either treatment resistant or

1 perhaps more at risk for suicide. But that, again,
2 is by inspection.

3 DR. LEBER: You may have misunderstood
4 the intent of the question. Maybe I didn't say it
5 plainly. Was there, a clinical trial design to
6 selectively enter patients who were suicidal rather
7 than non-suicidal. And in that trial, were they
8 prospectively randomized to nefazodone and
9 appropriate controls.

10 DR. ROBINSON: No, it was not. It was
11 an exclusion criteria, as I mentioned, so in the
12 opinion of the clinician if there was significant
13 suicidal risk, the patient was excluded.

14 DR. TAMMINGA: So, if the committee
15 doesn't have any additional -- Dr. Lin.

16 DR. LIN: A follow up on Dr. Temple's
17 question earlier. Would you comment or suggest that
18 more studies should be done on drug interactions
19 with drugs or metabolites by 3A4.

20 DR. TEMPLE: Once you find out what the
21 metabolic route is and how great the affinity is for
22 the relevant enzymes, you can probably make good
23 guesses about where to look for trouble and those
24 guesses should be followed up.

25 It is not real difficult to do. The

1 effect is quite large, if it is real, so you don't
2 need a very big study. But this is just a growing
3 recognition on our part, based, in part, that for
4 drugs that are at all close to the margin, the
5 interference with their metabolism can have profound
6 effects. Triazolam is a good candidate for that.
7 So would, I think, be xanax and probably a lot of
8 other drugs.

9 There is a spectacular interaction, as
10 probably everybody knows, between phloxitine and
11 foroxitine and tricyclics where you virtually,
12 overnight, get an eight-fold elevation of your C max
13 or area under the curve. I mean, it is a really big
14 difference for a toxic class of drugs.

15 So, the knowledge that these are out
16 there certainly has me nervous. More study seems
17 like a real good idea.

18 DR. TAMMINGA: Any additional questions
19 for Dr. Robinson. Thank you very much.

20 Now the committee needs to consider the
21 questions that Dr. Laughren addressed to us
22 initially:

23 Has the sponsor provided evidence from
24 more than one adequate and well controlled clinical
25 investigation that supports the conclusion that

1 nefazodone is effective for the treatment of
2 depression. That is the first question.

3 The second question is, has the sponsor
4 provided evidence that nefazodone is safe when used
5 in the treatment of depression.

6 **Agenda Item: Committee**

7 **Discussion/Recommendations**

8 **DR. TAMMINGA:** Dr. Laughren reminded us
9 of a couple of significant issues at the beginning,
10 saying that the results of efficacy are mixed and
11 that there are inconsistencies in the data sets, and
12 then the drug/drug interaction safety questions that
13 we have been discussing.

14 And then, questions of long-term
15 efficacy and relapse prevention, we have discussed
16 those to some degree. So, the discussion of this
17 drug is now open for the committee.

18 **DR. SCHOOLER:** I will start. I had a
19 very hard time this morning keeping the studies, the
20 subparts of the studies, the centers, the doses, the
21 appropriate comparatives, straight.

22 And I keep finding myself going back to
23 Joy Mele's, one of her early tables on the hand out
24 that we have, which I think lists all of the
25 studies.

1 And I am still not completely sure that
2 I understand what message we should be taking from
3 each one of these.

4 One of the things that seems to me is
5 the notion of kind of a box score, like three out of
6 eight were okay, or two out of seven were
7 discounted, and so forth, is a very unfortunate way
8 to look at these data, in part because it seems to
9 me that the early studies were ones which were
10 designed to try to find a way to decide what were
11 the right doses to use and so forth.

12 So, if I look at that table -- and I
13 would appreciate some assistance because I am still
14 not completely sure I understand it -- it seems to
15 me that the two studies at the top, which were the
16 003, 0A2, 004 and 005, and the A27, are studies
17 which we ought to discount. You know, I want help
18 with this.

19 DR. TAMMINGA: Let me suggest a better
20 table, and that is in the brown book that we have,
21 page 48 of Ms. Mele's presentation. That study not
22 only has the studies listed by number -- number 48,
23 tab P.

24 That has the numbers of the studies and
25 the FDA reviewers' comments and then a one-word

1 statistical evidence, the bottom line, either
2 failed, supported, positive or negative.

3 DR. SCHOOLER: Because I guess the
4 question is that if we are saying that the dose is
5 too low, then the fact that the study has failed, it
6 seems to me that that ought to be discounted. At
7 least, I wouldn't necessarily worry about that
8 trial.

9 DR. TAMMINGA: As I understand, a failed
10 study is not necessarily one where the dose is just
11 too low, but one where there has been no placebo,
12 active drug difference defined.

13 DR. SCHOOLER: I guess what I am saying
14 is that whatever the technical terminology is,
15 neither of those two studies are of particular
16 concern or interest to me.

17 It seems to me that if the dose was
18 inadequate, in a sense, you would say that it seems
19 to me you start counting or you start examining the
20 data after you have determined what the appropriate
21 dose range is.

22 And that is what is comforting to me
23 about those two studies, is that those were early in
24 the development.

25 So, if we then come down to the next

1 study, which I guess is the 003 in that series, the
2 problem with that study -- and I would actually like
3 to hear a little bit more about this -- is that
4 three of the centers were -- I guess the word is
5 abject failures in terms of conducting the trial.

6 In other words, three centers had
7 enrolled 24 patients. And I suppose I would like to
8 ignore those three centers at one level. At the
9 other level, they seem troublesome because I presume
10 that there is a fair amount of experience of the
11 process of selection of centers, so that everybody
12 thought that those were three centers that were a
13 good idea to go with at the outset. And yet, they
14 turned out to provide nothing at all.

15 I would like to believe that that was
16 purely a function of the three centers. But I would
17 just like some sort of further information that
18 tells me that it had nothing to do with the drug or
19 actually with the design.

20 I am not sure what to make of that.
21 Now, maybe that was a question that I should have
22 addressed to Dr. Robinson earlier, but as I say, I
23 have had a lot of trouble sorting out of the studies
24 and it wasn't until I got to this point that I have
25 sort of done that.

1 DR. TAMMINGA: Maybe you could answer
2 that for us. This is about study 003.

3 DR. SCHOOLER: Right, and the first
4 series.

5 DR. ROBINSON: That was a purely
6 Canadian study that was done -- all centers were in
7 Canada and we admittedly knew somewhat less about the
8 investigators than we would know about U.S. studies.

9 Our opinion was the same as yours. They
10 were -- those three sites were abject failures from
11 many points of view, and therefore, we then revised
12 the strategy to increase the sample size of the one
13 center where we were very confident that the study
14 was being conducted appropriately.

15 DR. TEMPLE: Do I understand, Joy, that
16 you actually did an analysis that included all of
17 the patients but didn't do something silly like
18 weighting a very large clinic equally.

19 I mean, I know there is a long track
20 record of liking to weight equally, which I have
21 never understood, but when you do that you find at
22 least a nominally significant amount, even when you
23 didn't weight them equally, even taking into account
24 that they might have been weird.

25 MS. MELE: And they definitely were

1 weird. I mean, there were seven placebo patients in
2 that study who showed a very big increase. In fact,
3 their HAM-D total at the end was about 4.

4 So, those three centers were definitely
5 strange and the placebo patients in them were also
6 outliers.

7 DR. TEMPLE: But despite that, I mean,
8 things often look strange when you look at them.
9 Despite that, you did do an overall analysis that
10 included essentially all the patients equally
11 weighted, and the overall result remained favorable.
12 I don't know whether that is additional reassurance
13 or not.

14 MS. MELE: We did include them and gave
15 each patient equal weight and it was still
16 significant.

17 DR. HAMER: Did you do an analysis where
18 you just dropped the 24 patients.

19 MS. MELE: Yes.

20 DR. HAMER: That was the one we saw.

21 MS. MELE: That was primarily what I was
22 showing, was dropping those 24, because there was a
23 little discomfort in including a large center with
24 these other small centers.

25 DR. CHARNEY: My general take on the

1 drug is that it is effective. However, I am still
2 concerned about the dosage. And maybe Don would
3 want to address it again, because when you look at
4 his table you do see that the 300, 400 and 500 doses
5 do show the greatest response rate.

6 On the other hand, if you look at what
7 are termed the three positive studies, in two of
8 them -- center two of center 006 and center two of
9 study 005, the modal doses are 332 in study 006
10 center two, and 347 in study 005 center two, which
11 are clearly at the lower end of what is being
12 suggested as the therapeutic range that clinicians
13 ought to shoot for.

14 So, I am not clear where, in say, the
15 400, 500 range of the slide that was shown, where
16 that is coming from, because that is clearly pooled
17 data from, I guess, all the studies.

18 But when you just concentrate on the
19 positive studies, it looks like a much lower dose
20 would be effective.

21 DR. TAMMINGA: I had actually looked at
22 the dose that the company was recommending as the
23 therapeutic dose, and they recommend 200 milligrams
24 a day to start with and then an increase
25 subsequently.

1 So, when I looked at these modal doses,
2 they seemed to be within the recommended dosing
3 range, but I may be wrong.

4 DR. CHARNEY: Well, it is within it, but
5 I guess in part it is a matter of emphasis when you
6 are saying that it is 300 to 500 that is where
7 clinicians ought to be shooting.

8 The positive studies, at least two out
9 of the three, suggest it is clearly on the lower
10 end.

11 DR. HEZEL: Just a follow up remark to
12 that, the clinician won't have access to the modal
13 dose and know that, and that is what you are saying,
14 isn't it, in terms of recommendation, if you
15 recommend starting at 200.

16 DR. TEMPLE: I know you shouldn't ask
17 questions where you don't have any idea what the
18 answer is. In settings where the time between
19 giving a drug and changing the dose and response is
20 not large, like hypertension, titrational studies
21 have been analyzed using mixed effect modeling, non-
22 mem, and other stuff like that.

23 To my best knowledge, it has never been
24 applied in this setting, where there is a perceived
25 significant delay between the time you give the

1 drug.

2 But that doesn't mean it couldn't be
3 useful if you modeled some sort of delay. And I
4 just wondered whether anybody has thought about
5 that. I mean, I am well outside my competence, but
6 there are lots of people who know how to do these
7 things.

8 And when all of your studies are
9 titrational design studies and you therefore can't
10 use conventional methods to get dose response out of
11 that, sometimes these things work. They definitely
12 work in hypertension.

13 DR. LEBER: I have a question to ask Dr.
14 Temple. When you don't know what the lag is between
15 a plasma level and the clinical response, when you
16 have free titration and patients are dosed, perhaps
17 as the curve that Don Robinson showed -- I don't
18 know if you were here -- of an inverted U-shaped
19 response curve -- that is, there is some probability
20 that patients who were totally treatment resistant
21 would be dosed higher.

22 How will the model decipher or link
23 plasma levels, no matter how many ways it operates,
24 and treatment response when, in fact, you have a bi-
25 variant direction on the response.

1 DR. TEMPLE: I am not worried about the
2 plasma levels here. The parent and each of the
3 metabolites have not terribly lengthy half lives.
4 So, they ought to reach steady state fairly soon,
5 the parent almost instantly.

6 What you don't know is the lag between
7 essentially getting the drug on board and a
8 response. On the other hand, you have ways of
9 looking at that, the data themselves. I mean, when
10 do the drug and placebo groups start to separate.

11 I mean, you get hints of something as
12 little or a week or two and you can, in fact, model
13 those sorts of things and make suggestions about
14 what they look like.

15 And the inverted U, at least in
16 hypertension, shows up all the time, but when you
17 actually look at the response of particular
18 individuals, they don't show a U shaped curve.
19 They, if anything, keep improving or get flat.

20 It is only when you look at the
21 population that got the large dose versus the
22 population that got the small dose, that you
23 encounter a population that is highly selective for
24 resistant patients. So, naturally, they don't do
25 very well.

1 But if you look at individuals, as they
2 are titrated up, you see individual dose response
3 curves. And they generally are monotonically
4 upward. They may plateau, but they go up. They
5 don't necessarily go down.

6 Now, they could go down but that is a
7 different phenomenon. It is just not very often
8 observed.

9 DR. LEBER: We really shouldn't be doing
10 this, or maybe we should be, because one of the
11 other things that is going on is that there is a
12 spontaneous remission rate. And I understand there
13 is fluctuation regression to the mean hypertension
14 studies, but there is probably a time trend here
15 that may be strong.

16 In addition, the outcome measures that
17 we are using in hypertension are probably pretty
18 simple. Although you can find others, you are
19 basically measuring a cuff pressure, and whether you
20 do it with a zero mystifying sphygmometer or not,
21 it is not much of a problem.

22 In depression, we don't even know which
23 outcome measures are making the change of
24 statistical significance different. In fact, the
25 major problem we have is studies that only examine

1 differences in P values when they first appear as a
2 measure of treatment onset. So, the question is
3 what should you be tracking and when.

4 I think it is an extremely difficult
5 area, a very interesting one, but before we ask
6 anyone to do it, we probably need to do a lot of
7 modeling to find out whether it is even feasible
8 yet. I think we ought to, but before we recommend
9 it as a remedy for this, I think there is a lot of
10 work to be done.

11 DR. TAMMINGA: At this point in time,
12 the committee, however, is called upon to give an
13 opinion on a drug without any of that information.
14 Just like Dr. Charney was saying, the data that we
15 have that relates dose and clinical response is
16 fairly meager.

17 DR. HEZEL: May I ask my general
18 question about suicide now. What is the magic
19 number. When would I be alarmed. You know, Dr.
20 Laughren, you said that nine is to be expected and I
21 would kind of feel better if they were distributed
22 in all the groups a little bit more.

23 DR. TAMMINGA: Would you be willing to
24 clarify what the nature of your alarm is. In other
25 words, does the drug cause suicide.

1 DR. HEZEL: Right, because all the
2 suicides happened in the drug group and if the study
3 sample was randomized, why don't they scatter around
4 in the rest of the groups.

5 DR. LAUGHREN: Part of the problem is
6 that you are dealing with a much more heterogeneous
7 sample for the nefazodone group than you are for the
8 active control or the placebo, both in terms of
9 cumulative duration of exposure, but also the nature
10 of the exposure.

11 As I understand it, only one of the
12 suicides occurred in the placebo controlled trials.
13 Is that correct.

14 And some of the other suicides occurred
15 in open studies in which, you know, if you look back
16 at the histories of those other patients, they had
17 indicators of suicidality at baseline.

18 I think the most persuasive data set
19 pertinent to your question is the one that Dr.
20 Robinson presented, which is data from control
21 trials, looking at the emergence of suicidality,
22 looking at item three on the HAM-D, where you don't
23 see any difference across nefazodone, active control
24 and placebo with regard to emergence of suicidality.

25 That is the only data set where you have

1 enough similarity across the treatment groups to
2 actually make a comparison, and that showed no
3 indication whatsoever of any differentially greater
4 suicidality for nefazodone compared to active
5 control or placebo.

6 That is what I find most persuasive
7 here. I don't think it is even -- it is very
8 difficult to try and interpret this finding of nine
9 suicides across 3500 patients exposed to nefazodone
10 across a very diverse clinical trials experience,
11 and the fact that there are none occurring in a much
12 different population of placebo and active control
13 patients.

14 DR. FYER: I don't disagree with your
15 basic premise of it not being an alarming number for
16 this kind of trial but I wonder a couple of things
17 about it.

18 First of all, I think I would be a
19 little hesitant in a study that, as a treatment
20 entry criteria, required that people with a
21 significant amount of suicidal ideation were
22 excluded, to use the treatment emergent suicide
23 symptoms.

24 And I wonder if what might not be more
25 convincing would be for that table and maybe some

1 additional clinical material to be more generally
2 distributed.

3 That table describes when people
4 committed suicide. I notice a lot of people on that
5 table, it was seven to fourteen days after their
6 last study visit, about half of them, which might
7 suggest some other kind of process going on in terms
8 of suicide.

9 DR. LAUGHREN: Does the company have a
10 slide of that table that you could present so that
11 everyone could look at it.

12 DR. FYER: I am not disagreeing, you
13 know, with the substance of your remarks. I am
14 saying, in this kind of a situation where the group
15 data is so difficult to interpret, some more
16 detailed clinical information about the cases might
17 actually be more helpful.

18 DR. LAUGHREN: That is what available on
19 this slide if you wanted the committee as a whole to
20 see it.

21 DR. FYER: I think in these kinds of
22 situations maybe distributing that with the other
23 data might be useful.

24 (Slide is shown.)

25 DR. ROBINSON: This summarizes some of

1 the relevant data on the nine suicides. As Dr.
2 Laughren said, some of the suicides occurred, as you
3 can see, if you look at the days on treatment, in
4 the first weeks of treatment, but then they are
5 distributed out to as far as 366 days.

6 As I mentioned in response to Dr.
7 Leber's point, at least three of the outpatients
8 were selected by the investigator in open trials
9 because they had been treatment resistant and these
10 patients often had a history of previous suicide
11 attempts.

12 As you can see, two of them occurred in
13 an in-patient setting, they actually occurred in a
14 hospital. So, we didn't discern any real pattern of
15 this, except to say that it is a rather
16 heterogeneous group and it occurred over an extended
17 period -- it was distributed throughout a rather
18 extended period of treatment.

19 DR. TAMMINGA: Dr. Hamer, do you have
20 questions on this slide.

21 DR. HAMER: No, not on this slide.

22 DR. TAMMINGA: Are there any other
23 questions or comments on this slide.

24 DR. SCHOOLER: I might just mention that
25 there is one consistent feature, and that is that

1 eight out of the nine are men.

2 DR. TAMMINGA: And what are we to make
3 of that.

4 DR. SCHOOLER: I haven't a clue, just
5 that it is a consistency.

6 DR. TEMPLE: It is at odds with the
7 distribution of patients, who are two thirds, or
8 sixty percent, women.

9 DR. FRANK: And it is not simply a
10 canard, it is an actual fact, that depressed women
11 are more likely to attempt suicide, but depressed
12 males are more likely to complete suicide. I mean,
13 there is actual data to support that. So, this is
14 consistent with the epidemiologic data.

15 DR. TAMMINGA: If there aren't any more
16 comments on this slide, then we will have this slide
17 off and the lights on. Do you want to ask
18 additional questions or comments on the suicidality
19 issue, Dr. Hamer.

20 DR. HAMER: I also want to sort of agree
21 with Dr. Laughren. What we have got here is a
22 placebo group that is at least three to four times
23 the size of any of the other groups.

24 So, if you divided the number of
25 suicides -- excuse me, we have a nefazodone group

1 three to four times the size of any of the other
2 groups. If we divided those nine suicides by three,
3 that is three suicides. Divided by four, it is two-
4 and-a-quarter suicides.

5 If we had one suicide in any of the
6 other groups, we would have almost the same suicide
7 rate. Two suicides in, let's say, the tricyclic
8 group would have probably a slightly higher suicide
9 rate.

10 So, we are operating in the area of very
11 low numbers, where it only takes one additional
12 subject in one of the groups to do something to
13 completely change the results. These are very
14 unstable estimates.

15 And that is the reason why I am not
16 overly worried about the nine suicides in a group
17 that is three to four times as large, minimum, of
18 any of the other groups.

19 DR. TAMMINGA: Do you think we ought to
20 address any additional suicidality concerns that you
21 have, or any of the other committee has now, or
22 consider it a discussed issue.

23 DR. HAMER: Just one other comment, in a
24 clinical sense. These are patients, in a sense, at
25 high risk for suicide. If you look at the lifetime

1 prevalence, yearly prevalence, yearly incidence of
2 suicide attempts and all that kind of stuff, I think
3 it is remarkable, in a way, that we don't have more
4 suicides all over.

5 DR. TAMMINGA: If that issue is done, I
6 think one of the things that the committee has to
7 consider is a return to Dr. Charney's issue about
8 having an opinion on efficacy in the face of the
9 dosing information that we were given by the
10 company. And perhaps other people have additional
11 comments on that.

12 We are not called upon to recommend
13 further studies. We are called upon to recommend
14 our current opinion today.

15 DR. HAMER: To address Dr. Charney's
16 question, I will sort of note once again that to
17 some extent, this is a problem with the structure of
18 the question that we are given to decide and which
19 has to do with, I guess, the way that the
20 regulations are written.

21 That is, the company was asked to
22 provide us with evidence of two studies which are
23 well controlled and well designed, that provide
24 evidence of efficacy. And the regs were not written
25 to say two out of two studies or two out of four

1 studies or two out of five hundred studies.

2 So, I am always puzzled by -- was it you
3 who said the ballpark figure, you know, the box
4 score figure. You know, it is easy, when you have
5 got two studies or three studies and two of them
6 show efficacy.

7 It is harder when you have a larger
8 number of studies and things are mixed and really,
9 the question we are asked to decide, in a sense,
10 doesn't specify out of how many studies. It just
11 says, were there two studies.

12 DR. TAMMINGA: Well, we are not morons.

13 DR. HAMER: I am not saying that. All I
14 am saying is that the structure of the way that --
15 my impression is that the structure of the way the
16 rule is written makes it hard for us to do our job.

17 DR. LEBER: Let me emphasize something.
18 It says that there has to be evidence that comes
19 from adequate and well controlled investigations,
20 including clinical investigations -- there is an s
21 at the end. And we have said that usually or
22 ordinarily means more than one.

23 But what has to come is evidence that
24 would allow qualified and appropriately qualified
25 experts to conclude from the evidence -- and I think

1 that means the evidence as a whole -- that the drug
2 has the effect claimed for it.

3 So, that gives you great latitude in
4 interpreting the entire data set. I think
5 technically, if you had only one open study that
6 showed hope, we would say that you could not
7 conclude from the evidence.

8 But given a body of evidence arising
9 from adequate and well controlled, it is your
10 judgment -- we need to know how you arrived at it,
11 that you have got to put plainly on the record, but
12 we need to know whether the evidence that you are
13 reviewing as experts with knowledge could reasonably
14 and fairly conclude from that evidence that the drug
15 has this effect as an antidepressant.

16 DR. TEMPLE: Various of you were getting
17 at how one gropes with that. If the dose, in
18 retrospect, turns out to be too low and a study
19 fails, you are not particularly worried about it.
20 If you include your active control and it fails, you
21 are not too worried about it.

22 You are more worried when, seemingly,
23 the same experiment doesn't work once and then
24 doesn't work another. Those points of confusion are
25 no problem.

1 But you can bring whatever reason to
2 this you feel you want to. It needn't be mindless.
3 The only limitation is we generally expect
4 replication. But that doesn't mean that if you get
5 two studies out of four that work, it is okay.

6 DR. TAMMINGA: We hope that it is not
7 mindless. In fact, from that point of view, only
8 the 004A actually has been rated by the FDA a
9 negative study. And all of the other studies that
10 weren't positive were actually failed.

11 There is only one negative study in this
12 data set, as I read it. And that is, 004A is a
13 negative study. And the other failed studies are
14 studies where there has been no difference defined
15 between placebo and the active control.

16 DR. CHARNEY: Yes, it is that data that
17 leads me to say that I think it is an effective
18 drug. But I do worry about the large number of
19 failed studies. And when we address the issue of
20 what may account for that, we really don't have data
21 that says, well, it failed because this is the
22 patient group that was enrolled, it failed because
23 these are the sites that conducted the studies.

24 And that is, I think, in part the uneasy
25 feeling that at least I have, because of so many of

1 the failed investigations.

2 DR. CASPER: I would also agree that
3 basically we have drug rates with moderate
4 effectiveness, because of course, it is tried -- if
5 you have a drug that is not fully effective, you try
6 to increase the dose, and this was done in many of
7 the studies.

8 But we don't really know whether the
9 increased dose really had more of an effect, most
10 likely, given the data that we have now.

11 If we were recommending studies to the
12 drug company, I would recommend that we look at the
13 patient population who really did respond to this
14 drug, and try to identify better the particular
15 either the symptom constellation or the patient
16 population who did respond.

17 But we do not have overwhelmingly strong
18 support for this drug being a strongly effective
19 antidepressant.

20 We have -- and I would agree we have
21 some effectiveness, and it is moderate.

22 DR. HAMER: That is right. We have seen
23 the slide which shows, of the people who were on
24 various doses, what proportion of them responded.
25 It might be instructive to see a slide of, of the

1 people who responded, what doses were they on. I
2 don't suppose you have that.

3 DR. CHARNEY: I think there is another
4 way of asking the question. If we saw a
5 distribution of the patients who responded in study
6 006 center two and study 005, center two, where the
7 modal doses were 330 and 340, what if that data --
8 maybe if you have it, it would be good to look at it
9 -- what if that data showed that there was a fair
10 number of patients that responded at 200 milligrams
11 in that study, and it was equal to the number that
12 responded at 300, would you then consider the low
13 dose studies as failed studies or negative studies.

14 DR. TAMMINGA: Well, the failed studies
15 were not just where low doses did not produce a
16 significant change. The failed differences were
17 where there was no difference between placebo and
18 active drugs.

19 DR. CHARNEY: But they were called
20 failed as opposed to negative because it was
21 interpreted the dose was too low.

22 DR. TAMMINGA: No, because there was no
23 difference between active drug and placebo.

24 DR. CHARNEY: But you would have called
25 it a negative study then. But you called it failed.

1 as opposed to negative.

2 DR. TEMPLE: It is both. Some of the
3 low dose studies didn't have Imipramine and were
4 considered failed anyway because the dose was too
5 low.

6 DR. CHARNEY: It is stated right here on
7 page 48 at the bottom. Is that data available, to
8 look at the distribution of doses in the patients in
9 the positive stuff.

10 DR. TAMMINGA: Response by dose in
11 center two and center two of 006 and 005. Let's
12 give the company just a minute to do that.

13 DR. TEMPLE: Didn't they do that in that
14 cumulative response thing.

15 DR. TAMMINGA: Let's let Dr. Robinson
16 respond to this issue in general or in specific.

17 DR. ROBINSON: We do not have that
18 analysis by individual study, in part because it is
19 very difficult to tease out dose response
20 relationships except in larger samples or meta
21 analysis or grouped analysis.

22 So, the slide I showed was our effort to
23 establish the end of treatment doses and the
24 probability of response in those patients.

25 In general, I think we have established

1 that 200 milligrams -- in those studies where the
2 starting dose was 200 milligrams, where there was an
3 active and a placebo control in addition to
4 nefazodone, that nefazodone was effective and that
5 the drug should be titrated based on clinical
6 response.

7 And as you will see from the various
8 tabulations and graphs, on average, the modal dose
9 on average was in the range, for those later
10 studies, of 300 to 400 milligrams, approximately.

11 So, I think it is the best one can do to
12 bracket a therapeutic range.

13 DR. LEBER: This has to do only with
14 terminology and I think we ought to be careful. The
15 words failed and negative are thrown around. They
16 don't have an official meaning for us, although that
17 doesn't mean that we haven't attempted to separate
18 the two.

19 This is in the past. When we have had a
20 trial that includes an active treatment arm, when we
21 were able to find that we cannot discriminate the
22 active treatment arm, find no difference between it
23 and placebo, we have used the argument that we know,
24 in the sense Modell and Hood used the term assay
25 sensitivity, that that study can be disregarded,

1 because it documents whatever the reason is that
2 that particular set of circumstances couldn't
3 discriminate drug and placebo, and we discount such
4 studies.

5 In the setting where you believe that
6 you fail to find a difference because the dose is
7 low, you can't be absolutely certain that is true if
8 you find no difference, without that sort of marker
9 for effectiveness.

10 So, we have been more cautious there,
11 although people will argue, post hoc, from other
12 priors that a particular dose is too low to show an
13 effect, you don't really know that.

14 So, I just wanted to clarify the use of
15 those terms very precisely.

16 When we say, now, a failed study, we
17 usually mean an active control. It is not
18 discriminated from placebo.

19 DR. SCHOOLER: But that is not the way
20 that that is used in this table.

21 DR. LEBER: I understand that. I just
22 want to put for the record what we mean.

23 DR. SCHOOLER: I guess the question has
24 to do with the dose and the response. And one of
25 the things that can't be separated is whether the

1 300 to 500 dose is effective simply as a function of
2 time, because that is the end point dose, and
3 perhaps the person would have gotten that level of
4 improvement if they had stayed on the lower dose,
5 since there is a full mix-in of time and change in
6 dose.

7 And that is a concern for me because, in
8 proposing a starting dose of 200 milligrams a day
9 that would then be titrated up, the titration would,
10 in a sense, be occurring before one had an
11 opportunity to wait and see whether the 200
12 milligram dose was effective.

13 If the titration takes place trying to
14 minimize side effects and keep patients comfortable,
15 then you would probably be seeing the titration
16 within a week or so, which I think we all reel is
17 too short a time to know whether the drug is
18 working.

19 DR. LAUGHREN: You do have several
20 studies here which compare low and high doses of
21 nefazodone, where patients are titrated over a
22 period of six weeks that show an effect for the high
23 dose but failed for the low dose.

24 DR. SCHOOLER: But where the high dose
25 is not administered at the upper level of the dose,

1 as Dr. Charney has suggested, that the dose seems to
2 be at the lower end of that range. Is that fair.

3 DR. LAUGHREN: It is certainly higher
4 than the lower dose.

5 DR. SCHOOLER: Higher than the 200
6 perhaps. Let me see if I know which study we are
7 talking about. This would be the 004B. Is that the
8 study that provides that information.

9 DR. LAUGHREN: Yes, that would be one
10 such study.

11 DR. SCHOOLER: Okay, what would the
12 other one be.

13 DR. LAUGHREN: Well, 003 had two
14 different doses and, correct me if I am wrong Joy,
15 but the low dose certainly failed in that study.
16 The high dose succeeded, at least on the last
17 observation carried forward.

18 DR. TAMMINGA: So, we essentially have a
19 low dose range and a high dose range with the actual
20 doses for the high dose range on the lower end.

21 DR. LAUGHREN: Right.

22 DR. LEBER: I think if you look at Joy
23 Mele's last slide, you see the confounding of dose
24 with the titration schedule. And I think that was
25 the point that Dr. Schooler was making, so that you

1 can't tell, you can't distinguish dose from the way
2 the drug was induced, if you will, and that may lead
3 to all sorts of problems that are beyond
4 interpretation.

5 DR. TEMPLE: But as Tom said, the
6 attempts to use still lower doses, I mean, they were
7 up to 300 but in fact nobody, most people, didn't
8 get to 300 there either, do give some reason to
9 think that continuing to give 150 or 200 probably
10 won't do it and that you need to be shooting for
11 something higher.

12 So, there is partial confounding of
13 duration and dose, but you do have some information
14 about the very low dose.

15 DR. TAMMINGA: Additional efficacy
16 considerations.

17 DR. FRANK: One thing in trying to
18 understand this that hasn't been clear to me so far
19 is, in which of these studies in-patients that were
20 included, whether there was any study that was
21 exclusively a study of in-patients and whether the
22 in-patient and out-patient data have ever been
23 considered separately.

24 MS. MELE: There were no in-patients in
25 the studies that I talked about this morning, but I

1 did look at the two in-patient studies that the
2 company submitted.

3 One of the studies was of the very low
4 doses. It was very early fixed dose study of 75,
5 150 and 300 milligrams of nefazodone. There was no
6 placebo group in that study. And it was only of a
7 four-week duration.

8 The responses on the drug in that study
9 was about -10 on the HAM-D 17.

10 DR. FRANK: For all doses.

11 MS. MELE: For all dose. There was no
12 dose response. In fact, I requested the data for
13 that study from the company and I tried some dose
14 response analysis and found no relationship at all
15 between dose and response.

16 Secondly, I looked at another in-patient
17 controlled study. It was active control. The
18 active control was chlomipramine, and the responses
19 on chlomipramine were a little bit higher, but both
20 of them showed an appreciable change on the HAM-D
21 17.

22 Those were slightly longer studies of
23 about eight weeks duration. Slightly more patients
24 on nefazodone dropped out due to efficacy than on
25 chlomipramine. But still, there was really no

1 appreciable difference between those two drug
2 groups.

3 DR. TAMMINGA: Is that second study, is
4 that what the nefazodone dose was about.

5 ME. MELE: I don't remember. The was
6 the 006 study. Perhaps the company knows the dosing
7 of that.

8 DR. ROBINSON: The dose range was the
9 same, 100 to 600 milligrams with titration.

10 MS. MELE: The end point mean modal dose
11 was 480.

12 DR. FRANK: But as I understand what you
13 are saying, there was no placebo controlled data for
14 in-patients.

15 MS. MELE: That is right. In those two
16 studies, there was no placebo.

17 DR. LIN: I just wanted to say that I
18 share the confusion of many of the committee members
19 in terms of the efficacy of this drug. But one
20 thing that is comforting is this last-to-the-last
21 slide presented by Ms. Mele.

22 According to this, the studies that did
23 not show efficacy also showed non-efficacy in terms
24 of Imipramine effects, or there is one that didn't
25 have Imipramine there. So, the majority of the

1 studies actually indicate efficacy of the drug.

2 So, I would think that this clearly
3 demonstrated the effectiveness of the medication.

4 In terms of a dosage, I think that if we
5 look at the last slide of this package, it does show
6 that two of the studies that were effective had a
7 modal dose of around 300. So, that means that some
8 of the cases responded to the treatment above 200.

9 So, I wondering maybe, in light of that,
10 in the labeling and package on page 16, instead of
11 saying that these studies indicate that most
12 responding patients received a daily dose between
13 300 to 500 milligram, whether it might be more
14 accurate to say that it is between 200 and 500.

15 DR. CHARNEY: I was just going to say,
16 we don't know that because we haven't seen the
17 distribution. We don't know how many of those
18 patients in the positive studies responded below
19 300.

20 DR. LIN: That is true. I am just
21 guessing that in general, if the modal dose is, say,
22 around 325 or 350, you would expect 20 or 30 or 40
23 percent of them below 300.

24 DR. HAMER: That is really the slide I
25 was asking for earlier. Of the patients who

1 responded, how many were taking 200, 250, 300, 350,
2 et cetera, et cetera, et cetera, to get an idea of
3 the distribution of the dosages among the patients
4 who responded.

5 DR. TAMMINGA: Although that would be a
6 step beyond the dose data that we have now, it still
7 wouldn't be uncompromised dose finding data. I
8 think what we would really like to see if we could,
9 would be a fixed dose study with an analysis of what
10 patients responded on which dose.

11 DR. HAMER: That is right.

12 DR. TAMMINGA: But this is the hopefully
13 the beginning of studies with this compound.

14 DR. HEZEL: Did you collect compliance
15 information on any or all subjects to determine if
16 they were taking the drug.

17 DR. ROBINSON: It is standard practice
18 in clinical trials to make very careful accounting
19 of the prescribed dose, the amount taken by pill
20 count and so forth. So, as good as it is possible
21 to establish compliance, I would say that a very
22 strong effort is made to show that, in fact, the
23 patients were taking their medication.

24 DR. HEZEL: By pill count.

25 DR. ROBINSON: By pill count, yes.

1 DR. HEZEL: So you have the patient
2 bring the bottle in and count pills.

3 DR. ROBINSON: That is correct. They
4 are accounted for and they are counted.

5 DR. HEZEL: When did you do the plasma
6 studies for the different studies.

7 DR. ROBINSON: The plasma
8 concentrations, they were done throughout many of
9 the studies, actually, but as I indicated, it is
10 very difficult, in a clinical trial, to collect well
11 documented plasma level data.

12 And when you select the ones that are
13 properly documented and also fall within six to
14 eight hours of the previous dose, it turns out to be
15 a relatively small sample.

16 We looked at those approximately 100
17 patients where we had that information and we, as I
18 pointed out, did see some evidence, although very
19 modest, of a curvo-linear relationship.

20 And we also saw evidence that plasma
21 levels, on average, correlated with the dose that
22 the patient was taking. So, there was some
23 correlation with dose and plasma level.

24 But there is a great deal of variability
25 in the data. So, there is a big variance term with

1 those.

2 DR. TEMPLE: There are available better
3 ways to really find out what people take. There are
4 smart bottles and things like that. I think there
5 is a fair view -- perhaps promoted by people who
6 sell smart bottles -- that pill counts are not
7 really the best way to find out what a person is
8 taking.

9 So, it is possible to do better.
10 Whether that would be too costly to apply to every
11 study or not, I don't know, but the technology is
12 actually available for actually timing -- it also
13 helps with your blood level measurements because you
14 can tell approximately what time the bottle was
15 opened and presumably that has some relation to when
16 the pill was taken.

17 DR. HEZEL: Well, that would make me
18 feel better because we know that just compliance in
19 general in the general population is pretty poor.
20 So, we are asked to answer these two questions on
21 the assumption of 100 percent compliance.

22 DR. TEMPLE: No, I mean in general, poor
23 compliance tends to screw up studies. So, you could
24 argue that you are looking at a worse case here,
25 that if compliance was better, they would do better.

1 In general, that doesn't make the
2 studies work out better and may account for some of
3 the reasons that studies don't work -- poor
4 compliance, particularly with a drug with some side
5 effects.

6 It shouldn't give you a false -- if you
7 think these are great data, that is probably not
8 because of the compliance. Bad compliance
9 interferes with the results.

10 DR. FRANK: I was just wondering whether
11 anyone thinks this is a compound for which stability
12 of level dose ratios would be an important indicator
13 of compliance, and whether you have that data.

14 One of the ways that one sometimes looks
15 at compliance over time is stability, a ratio
16 between the blood level and the dose. How much does
17 that change over time.

18 Now, obviously these are pretty short
19 term studies, so that may not be as meaningful.

20 But I was wondering, in the small set of
21 data which you have which you feel is worthy of
22 looking at, if you have looked at level dose ratios.

23 DR. ROBINSON: No, we haven't. Again,
24 it is a good question, but it is very difficult --
25 it is hard enough to get data that is well

1 documented, when it was drawn in relationship to a
2 dose and a rating.

3 It is very difficult to get paired data
4 now over time. But we have no reason to believe
5 that the plasma concentrations change in a way other
6 than you may expect, given the pharmacokinetics,
7 which has been discussed.

8 DR. LEBER: Again, I think this is a
9 complicated situation. I think the firm only
10 recently discovered the dione was active. So, you
11 really haven't done measurements of that.

12 It may have saturation. So, once you
13 reach a certain dose, I believe -- and my colleagues
14 in biopharmaceutics can correct me -- doesn't the
15 dione saturate out so that, no matter how high you
16 draw the dose, you tend to get a fixed level of it
17 after a while.

18 And then there are other problems in the
19 relative ratios of the hydroxynefazodone and the
20 parent. And I don't know how many of these have
21 been measured or are useful at the present time.

22 DR. TAMMINGA: It seems to me that it is
23 the sense of the committee that this is a drug that
24 shows effectiveness in the treatment of depression,
25 but there is a considerable uneasiness about which

1 doses show effectiveness. And perhaps we could
2 focus on this discussion so that we could render our
3 opinions in a timely way.

4 DR. HEZEL: One of the hardest things
5 for me, the questions we were asked to answer are
6 very specific. But in practice, the label
7 generalizes to a much broader treatment and dosage,
8 actual use.

9 So, I feel like, by voting one way or
10 the other, I am answering specific questions that
11 then are extrapolated, in labeling, to much broader
12 use and practice.

13 DR. TAMMINGA: I think what we are being
14 called upon to answer are questions of safety and
15 efficacy, and we are not necessarily being called
16 upon to review labeling, although I guess that our
17 comments on dose range would be welcome.

18 But it is not my impression that the
19 indication is broadened by the labeling. I think we
20 are being asked to comment on a labeling for
21 depression, for the treatment of depression, and
22 that that wouldn't be broadened.

23 DR. LAUGHREN: Yes, the general
24 questions are effectiveness and safety of the
25 product. Labeling generally comes later. We

1 certainly wouldn't mind any general comments that
2 are pertinent to particularly important aspects of
3 labeling.

4 In writing labeling and improving
5 labeling, we certainly try not to let the labeling
6 to extrapolate beyond the data.

7 DR. HEZEL: I guess I wasn't clear on my
8 point. We are asked to answer those two questions
9 given these two very limited pieces of information,
10 but the general practitioner, consumer -- being
11 patient or physician -- doesn't have access to make
12 those same judgments. But in use, that is what
13 happens.

14 DR. LAUGHREN: FDA doesn't regulate the
15 practice of medicine. An individual clinician is
16 not limited by the labeling in what he or she
17 chooses to prescribe the drug for.

18 DR. HEZEL: But the label is the most
19 common piece of information will have available, not
20 all of this data.

21 DR. LAUGHREN: Well, we try and include
22 all the pertinent data that would help a clinician
23 in prescribing in the label.

24 DR. TEMPLE: See if this helps. We
25 approve drugs when there is evidence of

1 effectiveness and where it appears that they can be
2 safely used and when it is possible to describe, in
3 labeling, an approach to using the drug that will
4 accomplish safe and effective use of the drugs.

5 If this drug had bizarre side effects
6 and you couldn't figure out how to administer it in
7 such a way to prevent them in a reasonable number of
8 people, so that you thought the relatively poor dose
9 response work up we have seen here really gets in
10 the way of using the drug safely and effectively,
11 you might well advise us that we can't write
12 adequate directions for use.

13 On the other hand, lack of good dose
14 response information may induce a certain clumsiness
15 into this. You may have to start titrating way
16 below the level where you really would have to if
17 there was better dose response information. Maybe
18 you could skip right to 300 if this had been
19 assessed properly.

20 You could conclude from that that, while
21 more information is welcome, you actually can write
22 a dosing regimen that a practitioner can use to get
23 to the right place. And teasing out, you know,
24 which of those two things it is is really part of
25 what we are asking you. I don't know if that helps

1 or not.

2 We do care about being able to write
3 adequate directions for use. Sometimes they are the
4 devil. I just went back to look at desipramine
5 labeling. It says, start way down here and go way
6 up here. That is because that is the only way that
7 anybody knew how to cope with the fact that there
8 are two populations, some of which get a big amount
9 of drug and some who metabolize it differently and
10 get a much lower amount of the drug.

11 So, it was very crude. Really, the
12 right way to do it is find out whether a person is a
13 slow metabolizer or fast metabolizer and adjust the
14 dose. But I don't think people knew that when the
15 labeling was written and it is sort of clumsy to do
16 it now.

17 But if you felt that the lack of
18 knowledge really interfered with being able to use
19 the drug properly, you should tell us that, and that
20 would matter.

21 DR. TAMMINGA: I would like to know what
22 the summary of the committee is on Dr. Temple's last
23 comment, whether we feel that there is enough
24 evidence, based on the studies that have been done,
25 to use the drug properly, maybe not exactly, but at

1 least properly.

2 DR. FYER: In response to Dr. Temple's
3 statement, I have some reservations related to Dr.
4 Hezel's about the sort of all or none quality of the
5 decision that the committee is asked to make in
6 terms of advising you.

7 And one thing that I think helps me with
8 that has to do with being able to discuss labeling,
9 because labeling is the most influential thing in
10 clinical practice, hopefully.

11 For example, in the drug that we are
12 considering today, I agree with you about the
13 seriousness of the issue of potential interactions.
14 And combined with the question about whether such
15 high doses are really necessary, in several
16 recently-approved drugs, the initial dosing
17 instructions have turned out to be much higher than
18 what was actually finally needed.

19 Now, if those drugs had had the kinds of
20 interaction that this drug seems to have, we might
21 have had a lot of very serious medical events
22 completely unnecessarily.

23 For example, on this drug, I would feel
24 much more comfortable if we could say, well, it does
25 look to me like this drug was probably effective, if

1 we assume that the studies were low doses, or argue
2 the dosing rather than a negative study.

3 But if the labeling were to say to
4 people very strongly, up front, look, this is a drug
5 where there may be serious interactions with
6 commonly used drugs like benzodiazapines, rather
7 than bury it away someplace, that would make me
8 incline more toward advising them toward efficacy,
9 than in the current situation where it is not clear
10 if we have any influence on labeling and, if so, it
11 is going to be in a standard way, even though we
12 know the situation is not a standard one.

13 DR. LEBER: I think that is a very
14 eloquent statement and I think we want to hear what
15 you want to say about labeling and every thought
16 that would mitigate the risks of the drug and lead
17 to its proper use.

18 But for the decision making process, it
19 is useful to find -- parse out if you will -- why
20 you conclude it is or is not effective in use. And
21 that might be part of an issue of for whom.

22 For example, Dr. Frank has asked on one
23 or two occasions the nature of the population. Are
24 they bipolar Is and bipolar IIs. How depressed is
25 the population -- severity issues. All of that can

1 come out in labeling.

2 How we emphasize labeling and other
3 issues, we certainly want to hear. I think what we
4 said at the beginning, when Dr. Laughren spoke, that
5 he didn't want to discuss labeling per se, it is
6 because we really have not, as an institution,
7 reviewed the company's draft labeling at this point.

8 It wasn't to discourage you from
9 offering us good advice about how the drug should be
10 labeled. So, I want to erase -- if we got you off
11 on the wrong foot. That is not our intent. We need
12 to know anything that we can that would make it a
13 safer and effective drug when used. So, your advice
14 is welcome. We just didn't want to get into the
15 nitty gritty of negotiating the words about how we
16 spin something, which is probably what we often do
17 in the very end. So, what you say is heard and
18 listened to.

19 DR. TEMPLE: Just specifically, the one
20 identified interaction with triazolam is fairly
21 obviously quite important, and really affects
22 whether you should decide to use those two drugs
23 together. We would certainly feature that
24 prominently. Whether we know other things that we
25 know well enough to feature prominently or whether

1 you end up saying, well, there is all this stuff we
2 don't know, that is trickier.

3 But we are very conscious of metabolic
4 interactions these days. We have had a number of
5 exciting experiences related to it.

6 DR. CASPER: I think we are in a dilemma
7 and we have talked about effectiveness -- I don't
8 think we can talk about effectiveness in a general
9 sense, because we can only talk about the
10 effectiveness of the drug in relationship to the
11 data we have seen here.

12 And the data we have seen are largely
13 out-patients. There is no placebo controlled in-
14 patient study. The data we have seen are moderately
15 depressed patients, moderately depressed out-
16 patients. And the data show us that there is an
17 improvement which is also moderate, an improvement
18 from a HAM 25 to, off about 10, gets you still to a
19 HAM 15 or 16, which means you are still depressed,
20 and this after six or eight weeks.

21 So, what we have seen, I think, and not
22 considering the dosage problems, whether indeed, an
23 increased dose is more effective, which most
24 clinicians actually assume, I would say the drug is
25 effective in out-patients, is moderately effective

1 in out-patients in low to moderate doses.

2 And I would want to qualify my
3 recommendation about the effectiveness of the drug,
4 limited to those conditions.

5 I think the issue raised by Dr. Fyer
6 about the drug/drug interaction at high dose, I
7 think, is a real one. I think labeling informs
8 physicians, or physicians need to be informed. And
9 labeling does inform physicians.

10 And if we would say, this drug can be
11 used in high doses, the high doses more effective,
12 as the data were presented to us, I think it would
13 be unconscionable to do that.

14 DR. TEMPLE: We would certainly try to
15 describe what can honestly be said and what can't.
16 Let me ask you a follow up.

17 Typically in the indication section or
18 sometimes in the clinical pharmacology section, we
19 described who the population that was studied is and
20 what was found. And, for example, it would come and
21 we would say, there were no placebo controlled
22 trials in in-patients. That is typically how we
23 convey that.

24 We wouldn't usually say, don't you dare
25 us it on in-patients. That seems to go beyond what

1 the absence of data would require, although we are
2 certainly prepared to hear suggestions to that
3 effect.

4 We ordinarily try to describe the
5 providence of the data, why do we think it works and
6 who do we think it worked in.

7 DR. LAUGHREN: Just a follow up on the
8 point Dr. Temple was making, I think it is important
9 to keep this in context and look at the other
10 antidepressants that we have approved in recent
11 years.

12 For the most part, we don't have in-
13 patient data because of the difficulty of studying
14 in-patients. And as Dr. Temple pointed out, our
15 usual approach to conveying that information is not
16 to limit the indication but describe the populations
17 in which the studies have succeeded.

18 And if you have heard of some particular
19 problem with this drug that would merit a specific
20 indication, that would be one thing. But I think it
21 would be a clear departure from our usual approach
22 to try to limit the indication in some way.

23 DR. CASPER: I did not mean to say that,
24 because I think what I said is that we can only
25 describe its effectiveness in those populations. I

1 did not want you to limit the indication, because I
2 agree with you. I think, actually, this drug might
3 be more effective in in-patients, but I don't think
4 we have the data for it.

5 So, I would not want you to limit the
6 indication, but I don't think I could say anything
7 about the effectiveness of the drug without seeing
8 the data, in in-patients.

9 DR. LAUGHREN: Right, and our labeling
10 would convey the fact that it has not been
11 adequately studied in in-patients.

12 DR. CHARNEY: It is true that it is hard
13 to study in-patients in placebo controlled studies.
14 But it is not hard to have studies in which you have
15 a comparator that is also active.

16 I think it would have been useful, and I
17 would recommend for future antidepressants, to have
18 a comparator study in severe in-patients so that at
19 least you can show it as as-effective.

20 If we had a study -- I think we have it
21 but we don't have the data -- that shows that it is
22 equally as good as menafronil, chlormipramine or
23 other ones, then you become a little bit more
24 comfortable in the idea that this would be used on
25 in-patients.

1 DR. TAMMINGA: I think we do have that.
2 I think was one that, if I am not mistaken, Ms. Mele
3 showed before.

4 DR. LEBER: I think you are raising a
5 question that I would like to re-surface again, and
6 that is that the failure to find a difference
7 between two treatments in an in-patient study, even
8 one which shows improvement in the patients, is
9 ambiguously interpretable.

10 It may, in fact, be a drug effect
11 equally in both treatment groups. On the other
12 hand, it might be the asylum effect, coupled with
13 just good therapy. And that is part of the problem
14 here.

15 And priors are not always as useful
16 about the distribution response in hospital. You
17 really don't know if there is assay sensitivity.

18 DR. CHARNEY: I think that is true, but
19 if you have a negative result, if your drug is doing
20 worse than the comparator, then that is a red flag.

21 DR. CASPER: If we are to design a
22 study, I think ideally we want to have an inpatient
23 study of a fixed dose, or a couple of fixed doses,
24 placebo and active control, and plasma levels.

25 Plasma levels, not initially, but after

1 the fixed dose is reached for one week. So,
2 ideally, we would like to see if we could recommend
3 this for future studies.

4 DR. TEMPLE: That sounds good and it is
5 exactly what we would like to see, but the context
6 is difficult. Historically, we have had difficulty
7 getting placebos to be used in depression trials at
8 all. The Europeans, for years, wouldn't allow such
9 a thing because of fears of suicidality. Only very
10 recently have they even tolerated such trials.

11 So, we have insisted on them for out-
12 patient settings, but for the more severe, more
13 suicidal people inside, we have had a lot of trouble
14 getting those trials. But we welcome the support
15 for it. Maybe it can be done again. We can watch
16 people inside. You would think it would be safer.

17 DR. LEBER: And I think we have been
18 fairly reasonable in accepting other kinds of
19 outcomes, like time to forced withdrawal from a
20 study because of therapeutic failure. The
21 distribution of those times can show drug effect in
22 an in-patient study.

23 The amount of rescue medication being
24 used, a variety of other indirect indicators of an
25 effect which we might use as the primary proof of

1 the effectiveness, but would give a lot of comfort
2 about whether or not the drug is working in the
3 population.

4 DR. TAMMINGA: I am trying to keep track
5 now of who all has actually expressed their opinion
6 on out bottom line question of efficacy.

7 DR. SCHOOLER: I don't think I have. I
8 would say that I would agree with the majority of
9 the group so far, that the drug is effective. I
10 think, though, that I share the malaise that I am
11 hearing around the table.

12 People keep wanting to qualify the term
13 and I would qualify it in a further way, which is
14 that the general experience -- in a further way
15 talking about the duration of the effect, in that we
16 have very little information beyond the
17 discontinuation for lack of effectiveness in the
18 double blind extension regarding long term
19 treatment.

20 I am comforted by the fact that there is
21 a long-term discontinuation trial that is currently
22 ongoing. But it is certainly my impression that six
23 or eight weeks does not represent the limit to which
24 antidepressants are administered. And the kind of
25 bail-out which says, re-evaluate before going

1 further is a sort of restricted one.

2 But I appreciate the situation that we
3 are in and I would say that I vote for
4 effectiveness.

5 DR. HEZEL: I will have to abstain on
6 the question of effectiveness because, although the
7 sample size originally was over 2,000, ultimately
8 the number of responders was fairly small, the lack
9 of compliance information, unclear dose response
10 information and the lack of long-term treatment
11 info.

12 DR. TAMMINGA: Let me just see if
13 everybody has expressed their opinion that they
14 would like. If we could take a vote on the question
15 of efficacy.

16 Has the sponsor provided evidence for
17 more than one adequate and well controlled clinical
18 investigation that supports the conclusion that
19 nefazodone is effective for the treatment of
20 depression. All that would concur with that, please
21 raise your hands.

22 (All but one hand raised in
23 concurrence.)

24 DR. TAMMINGA: All opposed.

25 (No hands raised.)

1 DR. TAMMINGA: And all abstaining.

2 (One hand raised.)

3 DR. TAMMINGA: Let's turn to the next
4 question of safety. Has the sponsor provided
5 evidence that nefazodone is safe when used in the
6 treatment of depression.

7 DR. CASPER: Since we are proceeding
8 swiftly here, I think there is good evidence that
9 the drug is fairly safe. My concern would be with
10 drug/drug interactions. The drug might interact
11 with other drugs which are not safe. Therefore, the
12 drug might be compromised at high doses, if the
13 enzyme systems are occupied by other drugs.

14 So, I think the nefazodone itself is, I
15 think, can be considered a fairly safe drug.

16 DR. TAMMINGA: Safety issues that people
17 would like to discuss.

18 DR. SCHOOLER: This is back to the slide
19 that Dr. Robinson presented on common adverse
20 effects. The nefazodone column includes all of the
21 nefazodone doses, and I would be interested in
22 seeing a column that looks like that, but which
23 dealt with the higher dose group, or at least
24 separated the doses, because I think that that would
25 be a more valuable piece of information to have,

1 because it might more closely match some of the
2 dosing recommendations.

3 That column includes some that are
4 nefazodone 50 and 100 in those.

5 DR. TAMMINGA: You would like the
6 committee to see that or you would be content if the
7 company showed it to the FDA along with their
8 dosing.

9 DR. SCHOOLER: I am more than happy to
10 have it shown to the FDA, rather than to the
11 committee, but I think it is an important added
12 piece of information.

13 DR. CHARNEY: I guess I would feel
14 comfortable in saying, when used alone it is safe.
15 But at this point, putting it out on the market and
16 leaving it up to the clinician without more control
17 data on the true extent of the interaction,
18 particularly with the benzodiazapines, I am
19 concerned about that, because so many of these
20 patients are on benzodiazapines.

21 What is going to be the clinical meaning
22 of the drug/drug interactions. So, I would
23 recommend further studies be completed and examined.

24 DR. TAMMINGA: When the FDA wrote
25 labeling, the actual data that the company already

1 has with the three benzodiazapines would
2 specifically be included; is that right. So, that
3 they have actually done drug/drug interactions with
4 three different benzodiazapines.

5 DR. CHARNEY: Was that the behavioral
6 data. I may have missed that. But in terms of, if
7 you put a patient on .25 of triazolam and they are
8 maintained on nefazodone, what happens to that
9 patient.

10 DR. LAUGHREN: There were
11 pharmacodynamic effects as well, in that interaction
12 study. I forget the exact tests that were done, but
13 clearly, there was a greater effect from triazolam
14 along the same lines of somnolence.

15 DR. CHARNEY: So, when the clinician
16 reads the package insert, are they going to know --
17 what is the safety threshold there.

18 DR. TEMPLE: They are going to know not
19 to take those drugs together, because the right dose
20 of triazolam to take with this drug hasn't been
21 defined. It is probably not even available.

22 DR. CHARNEY: So, you are saying, do not
23 use these drugs in combination.

24 DR. TEMPLE: That is my reading, at
25 least initially, because you can't easily take much

1 less than .125, and if it is bouncing it by a factor
2 of 4, you can't get there.

3 DR. TAMMINGA: And there are
4 benzodiazapines whose metabolisms are not interfered
5 with. One.

6 DR. TEMPLE: Actually, a lot of that, if
7 they get down to it, they can do a lot of that in
8 vitro. These methods are available. Actually, our
9 labs can help them. We like interesting projects.

10 DR. FRANK: I guess my concern has to do
11 with the fact that physicians will know not to
12 prescribe these two compounds together, but will
13 patients know not to take these two compounds
14 together.

15 In my experience, depressed patients
16 have a lot of stuff hanging around in their medicine
17 chests that they take when they are agitated or
18 anxious, just the kinds of things that we would be
19 concerned about here.

20 So, physicians may read labels, but
21 patients don't always. I am not sure what the
22 potential is for this in terms of real adverse
23 experiences.

24 DR. TEMPLE: We are not either, but
25 there are things you can do. They are probably

1 that we use in our daily practice that has drug/drug
2 interactions. I don't think it would be fair to
3 exclude this drug simply because there are
4 interactions that people can learn about and know
5 about.

6 DR. TEMPLE: That is fair. And for
7 example, the question of what erythromycin does to
8 triazolam blood levels is not, to my knowledge,
9 worked out yet. And there are plenty of those
10 sitting around waiting to be discovered.

11 DR. LEBER: I wanted to raise another
12 point, too. The assumption that, by lengthening the
13 time of elimination for triazolam, that you actually
14 make it a more dangerous drug is unproven.

15 Part of the risks of rapid elimination
16 of a drug like triazolam may be related to the speed
17 of elimination between nightly doses.

18 For all you know, you are in a sense
19 dalmanizing, if you want to use the word, this drug
20 and who knows what consequences that has. You might
21 have to adjust the dose. But those things are still
22 not certain yet.

23 DR. TAMMINGA: Could we just see your
24 overhead a minute. This is the overhead that would
25 suggest what you found.

1 MS. SAHAJWALLA: This is data taken at
2 half an hour post dosing, one-and-a-half hours, 2.54
3 and 9 hours. And this is for triazolam group. And
4 this is for when nefazodone and triazolam were co-
5 administered.

6 So, if you look at the concentrations,
7 it increases -- concentrations at half an hour
8 increased from 1.75 to 2.52. DSSG percent change
9 when triazolam was administered alone was -5.74
10 versus 7.21.

11 If you look further down at one-and-a-
12 half hours, it decreased from 31 to -17, and at two-
13 and-a-half hours it decreased from 17.8 to 66.

14 Similarly, at four and nine hours, it
15 decreased from seven to 63 percent.

16 And CPT changes were also significant.
17 They increased from -1.4 -- a range of 1.4 to 10 to
18 a range of 8 to 65. Similarly, HEYE percent changes
19 were also significant, and sedation scores were also
20 quite significant.

21 DR. TAMMINGA: Could you identify what
22 those initials stand for, DSST, CPT, NEYE.

23 DR. SAHAJWALLA: This is digit
24 substitution and I think hand and eye coordination,
25 and that is the sedation score.

1 DR. TAMMINGA: So, these are the
2 behavioral data that Dr. Charney just asked for.

3 DR. SAHAJWALLA: These are the
4 concentration profiles.

5 DR. TEMPLE: There is an effect both on
6 half life and C Max. 3A4 is importantly found in
7 the gut and is responsible for a fair amount of
8 first pass effect. So, that might account for why,
9 with a single dose, C Max is elevated. And then it
10 looks as if the half life is greatly increased also.

11 DR. SAHAJWALLA: Yes, half life
12 increased from, I think, two hours to almost twelve
13 hours.

14 DR. TEMPLE: So, it basically changes
15 the whole nature of the drug. At some dose this may
16 be just what you want, but somebody would have to
17 figure out what the right dose is.

18 DR. TAMMINGA: These kind of data would
19 be featured in the labeling so that physicians would
20 not presumably prescribe this without knowing
21 necessarily the specific data.

22 DR. TEMPLE: At this point there would
23 be some sort of specific don't-use-it-together
24 statement, whether that would be in warnings,
25 precautions or where. You know, we are listening.

1 You obviously feel that it should be quite
2 prominent. So, we could put it in dark print or we
3 could even box it.

4 DR. HEZEL: I would want it to be part
5 of a patient information insert. I mean, in
6 response to the comments that patients have things
7 hanging around in their medicine cabinets and may
8 not be able to be informed, to withhold this kind of
9 information would actually be interfering with the
10 patient is consenting to be treated, knowing of
11 these consequences, preventing informed consent.

12 DR. TEMPLE: Is that the sense of the
13 committee, that we should work toward a patient
14 insert on this.

15 DR. CASPER: Yes, I think it would be
16 very important, because even if the physician says,
17 don't use benzodiazapines or don't use that and
18 valium, the patient, first of all, doesn't remember
19 the trade name. They need a list that gives them
20 the trade names and the generic lists at home. So,
21 I think this would be very important for them to
22 take.

23 DR. HAMER: To some extent, though, I
24 think we are getting into what you almost might
25 think of as an order effect in terms of order in

1 which these drugs came along.

2 In other words, there is clearly, for
3 example, an interaction with triazolam. But I am
4 not quite sure which it is that we are saying is
5 unsafe, in a sense. I mean, maybe I am not phrasing
6 it right, but it has been maybe unsafe to add
7 triazolam to this medication. But do you put that
8 warning in the triazolam labeling or here.

9 And the answer is going to be clearly
10 here, because triazolam is out there, it has been
11 out there, all those sorts of considerations. But
12 the problem in terms of safety, in the absence of
13 the other drugs, is not with this drug. It is with
14 the interaction with all the other drugs.

15 And I mean, I would hope that to some
16 extent, that the FDA would know how to address that
17 in labeling. They have had to address those issues
18 before.

19 DR. TAMMINGA: That would be a point
20 that I would wonder, whether or not something like
21 this would actually need a patient insert. This
22 isn't the first drug at all that has had drug/drug
23 interaction. And what have been the most effective
24 ways to avoid bad side effects with drug/drug
25 interactions that the FDA has found in the past.

1 Because of the lack of patient inserts
2 with drugs, people who prescribe drugs and people
3 who take drugs have figured out effective ways to
4 avoid serious drug/drug interactions.

5 DR. TEMPLE: We hear a high level of
6 concern and actually we didn't write a patient
7 insert, I don't think, for tephenadine, where we
8 were very worried and tried to communicate in other
9 ways.

10 But I think we need to think about
11 whether or not this is appropriate here. And we
12 certainly would do that and I hear at least some
13 sentiment for it.

14 Now, you may want to make a still
15 stronger statement, but we certainly would think
16 about that possibility.

17 DR. HEZEL: With the continued
18 development of new drugs and newly-discovered
19 drug/drug interactions, is it not possible, then, to
20 go back to existing drugs and start incorporating
21 that information in labels.

22 I mean, it is not a static database. I
23 would think that all labels would need to start
24 evolving to reflect that in order to reflect patient
25 safety.

1 DR. TEMPLE: Well, we are, in fact. We
2 are proposing revision of labeling of tricyclics now
3 to reflect their interaction with drugs like
4 quinidine and phloroxidine and peroxidine.

5 It is very daunting. The more you look,
6 the more you discover and the limits of what we are
7 going to discover are not nearly over. Grapefruit
8 juice, corn, and all sorts of other inhibitors are
9 all around us.

10 And it is a challenge, not just for us,
11 but for the whole community, to try to list these
12 things and keep a count of them. I don't think we
13 have them figured out yet.

14 DR. HEZEL: No, but just because it is
15 difficult doesn't mean we don't start addressing it.
16 I mean, it is going to get more complex, the more
17 information we have.

18 DR. TEMPLE: We are relabeling the
19 tricyclics to reflect that. People are studying the
20 impact of grapefruit juice on a variety of
21 substances, like the hydroperadine, calcium channel
22 blockers. And there are mountains of information
23 coming. And it will get into labeling as we
24 discover it, for sure.

25 DR. TEMPLE: It seems that consumers

1 need to start rethinking that there is a pill for
2 every ill and they are all safe. That is sort of
3 something that we are evolving out of and starting
4 to understand that things are more complicated than
5 we once thought.

6 DR. TAMMINGA: That would be a superb
7 message.

8 DR. HEZEL: We have to start the message
9 in regulation.

10 DR. TAMMINGA: We can all have our own
11 opinion on how the patient consumer ought to get
12 reported about drug effect. But I would certainly
13 agree that the idea that there is a pill for every
14 ailment without side effects is a message that needs
15 to get across.

16 In fact, I bet that is why problems with
17 drugs come up to a large degree, because of undue
18 expectations and incorrect use, based on those
19 expectations.

20 DR. HEZEL: It is my opinion that
21 consumers believe once drugs have been approved,
22 though, that they are safe no matter what, because
23 there is this agency taking care of them and looking
24 after all that.

25 So, I think we have to help them

1 understand the complexities of it and what that
2 really entails.

3 DR. TAMMINGA: But if we try to protect
4 the public to too much of a degree, we start to
5 cooperate with that fantasy rather than inform the
6 fantasy.

7 DR. TEMPLE: There really is a new
8 appreciation, both here and everywhere, about the
9 potential for drug interactions, because there have
10 been some very striking experiences. Tephendine is
11 one but there are others.

12 And that is a growth area that we are
13 going to discover large numbers are involving large
14 numbers of drugs. We are all going to have to come
15 to grips with that, including patients that go to
16 several different physicians for medication.

17 Even the best will in the world, they
18 are not going to discover all of them. I don't know
19 if people should start carrying lists of the things
20 they are on or whether central pharmacy arrangements
21 will do it, but there is a lot of work here.

22 DR. LIN: I want to reiterate the
23 suggestion that more drug interaction studies should
24 be done with this drug.

25 I think in terms of the benzodiazapines,

1 it looks like the pattern is that the
2 benzodiazapines are metabolized by the P450
3 isozymes, interacting with drug.

4 Loazapam, which is not metabolized by
5 the P450 enzymes, does not interact with the drug.
6 And it would be a good idea to do more drugs to see
7 if the pattern holds.

8 Also, antidepressants are very often
9 used in combination with neuroleptics and probably
10 it would be a good idea also to test other
11 neuroleptics that may be used with this drug in
12 combination.

13 DR. TAMMINGA: I wonder if the committee
14 has any more comments to make on safety or concerns
15 to express or whether it is time to draw our opinion
16 and vote on safety.

17 Has the sponsor provided evidence that
18 nefazodone is safe in the treatment of depression.
19 Will all those who say yes raise their hands.

20 DR. HAMER: Can I raise my hand with a
21 qualification, and the qualification is assuming
22 that the FDA writes appropriate labeling to handle
23 interactions.

24 DR. TAMMINGA: I guess I was voting
25 based on that assumption.

1 DR. SCHOOLER: Including a re-do of what
2 the five percent figure is.

3 DR. TAMMINGA: Was that unanimous or
4 were there any abstentions or negatives.

5 DR. FYER: I think it was unanimous,
6 given Dr. Hamer's comment.

7 DR. BERNSTEIN: The vote was unanimous.

8 DR. SCHOOLER: I just have to come back
9 to this. Did the comment that I made regarding,
10 what is the five percent figure for significantly
11 different from placebo, that it be dose dependent.
12 Is that also in the discussion of safety.

13 DR. TEMPLE: There is a table in the
14 review that shows what -- actually fairly striking
15 dose, considering how crude the dosing is -- fairly
16 striking dose response relationships for a number of
17 the adverse reactions where you might expect it.
18 And we often put a table like that in the labeling.

19 DR. LAUGHREN: And we are planning to do
20 that here. We will address dose response for
21 adverse events.

22 DR. TAMMINGA: Now, I would like to draw
23 this part of the meeting to a close, our discussion
24 of nefazodone. We will break for lunch for an hour.

1 MR. BERNSTEIN: We will be back at 3:00
2 o'clock to discuss risperdal.

3 (Whereupon, at 1:56 p.m., the meeting
4 was recessed, to reconvene at 3:00 p.m., that same
5 day.)

6 ///

A F T E R N O O N S E S S I O N

(3:03 p.m.)

1
2
3 DR. TAMMINGA: This afternoon, we will
4 switch topics, and we will discuss some preclinical
5 toxicity data relative to the risk benefit
6 assessment of risperidone. And this is a new drug
7 application that we considered at our last meeting,
8 20-272, from Janssen Research Foundation.

9 Dr. Laughren introduced those issues
10 this morning and first we will have an FDA
11 presentation and then some sponsor's presentation or
12 response, before discussion among the committee.

13 And Dr. Glenna Fitzgerald will present
14 the information from the FDA.

15 **Agenda Item: FDA Presentation.**

16 DR. FITZGERALD: Thank you, Dr.
17 Tamminga, and committee members. This is going to
18 be a very brief presentation, so you can be thankful
19 that it will not be a delayed afternoon.

20 As Dr. Tamminga just mentioned, at the
21 April 29, 1993 meeting of the psychopharm drugs
22 advisory committee, the data for the use of
23 risperidone for the management of manifestations of
24 psychotic disorders were presented.

25 Your vote was unanimous that evidence

1 for both safety and efficacy had been provided.

2 Since that meeting, we have become aware
3 of somewhat unusual findings in rodent
4 carcinogenicity bioassays, which we wanted you to be
5 aware of.

6 A summary of these findings is also
7 included in your package for this meeting, which I
8 am sure you have seen, and there also are copies of
9 my overheads separate from that.

10 As a consequence of its activity as a B2
11 receptor antagonist, risperidone administration
12 results in significant elevations of prolactin
13 levels in both rodents and humans.

14 Drugs with this mechanism of action are
15 commonly associated with an increase in endocrine
16 tumors in the rodent carcinogenicity bioassays,
17 specifically mammary gland tumors, pituitary, and
18 endocrine pancreatic tumors.

19 The specific question of the relevance
20 of rodent models for assessing potential human risk
21 from antipsychotic drugs, which are associated with
22 elevated levels of prolactin, was addressed in a May
23 1977 meeting of the FDA toxicology advisory
24 committee.

25 The committee had a consensus, and I am

1 going to quote directly from those proceedings, with
2 respect to what they concluded.

3 First, with regard to carcinogenic
4 potential to the pancreatic islets, no conclusions
5 as to relevance for human risk can be drawn until
6 further pharmacological or physiological data are
7 available which would demonstrate whether or not
8 there is an action of prolactin on the endocrine
9 pancreas.

10 At that time, there was no evidence --
11 this is not in the proceedings but I am just saying,
12 at that time, we had no evidence for any kind of
13 prolactin role in the pancreas. There has, since
14 that time, been some minimal evidence that prolactin
15 receptors may exist in the pancreas.

16 Point number two. With regard to the
17 occurrence of mammary tumors, it was the consensus
18 of the committee that, first, prolactin inducing
19 compounds are all considered to have carcinogenic
20 potential for the mammary glands in rats and mice.

21 Second, there is known to be a general
22 correlation between the duration and extent of
23 increase in plasma prolactin levels and the degree
24 of mammary carcinogenicity in rodents.

25 And third, there are major differences

1 in the hormonal and reproductive physiology between
2 rodents and humans, including some related to the
3 role of prolactin.

4 At present, the committee feels there is
5 insufficient evidence to extrapolate with mice and
6 rats to humans with respect to the role of prolactin
7 in human mammary carcinogenesis.

8 It is therefore the opinion of the
9 advisory committee that the rodent studies are not
10 relevant to a determination of the magnitude of the
11 potential for human risk from mammary cancer.

12 That ends the conclusions from that
13 committee meeting, and these conclusions have
14 provided the basis for our regulatory decision
15 making with respect to drugs which elevate prolactin
16 levels in rodents, and humans as well, and which are
17 associated with an increase in endocrine tumors in
18 rodents from 1977 until the present time.

19 When we first looked at the mouse and
20 rat carcinogenicity studies for risperidone, it
21 appeared that the findings were not substantially
22 different from those observed with other marketed
23 antipsychotic drugs for which we have data, and I
24 must say we have rather minimal data for the drugs
25 on the market.

1 The first overhead is a summary of tumor
2 types seen with risperidone, haloperidol,
3 chlorpromazine and pimizide.

4 It also contains data for drug A, which
5 is not identified, a drug not marketed in the United
6 States because of the overall tumor pattern, and for
7 drug B, which was not marketed because of the
8 finding of pancreatic tumors for that drug.

9 And at that time, when it was being
10 considered, it was thought that risk could not be
11 assessed because of the inadequate information about
12 prolactin effects in islet cells.

13 It also should be noted that clozapine
14 is not on this slide. We do have data for that
15 drug, and there are no increases in rodent tumors
16 associated with clozapine administration.

17 Also, you will note that chlorpromazine
18 also has an increase in pancreatic tumors. And the
19 reason that there was no issue with it is that the
20 bioassay was done several years after it had been on
21 the market. In fact, the results that we have on
22 chlorpromazine are maybe about 10 years old, at
23 most.

24 The next slides summarize the data for
25 the risperidone carcinogenicity studies.

1 On the left, are mammary gland, lung and
2 pituitary gland. And you will notice that, in female
3 mice -- this is the 18 month carcinogenicity study
4 in mice for risperidone -- in female mice, mammary
5 gland adenocarcinomas were statistically
6 significantly increased across dose groups and also
7 pituitary adenomas, benign tumors, were increased at
8 middle and high dose.

9 There is no slide for male mice because
10 there were no tumor findings in male mice, although
11 perhaps the dose used in that segment of the study
12 may have been a little less than optimal.

13 The next two slides will summarize the
14 findings in the rat carcinogenicity studies. This
15 is the two-year rat carcinogenicity study for
16 risperidone, and this slide shows only the female
17 segment of that study.

18 It shows that mammary gland
19 adenocarcinomas, again, were also significantly
20 increased in female rats treated with risperidone,
21 with no increase in benign mammary tumors, which
22 occurred both in control groups and in dosed groups.

23 The next slide summarizes the findings
24 in male rats, and it shows a significant increase,
25 both in benign tumors of the endocrine, pancreas, as

1 well as in mammary gland adenocarcinomas.

2 It was this finding of a significant
3 increase in mammary gland adenocarcinomas in male
4 rats that caused us particular concern.

5 We therefore undertook to compile the
6 available data from carcinogenicity studies for the
7 marketed drugs which I have mentioned previously in
8 this class, the drugs for which we have data, as
9 well as the two that are not marketed in this
10 country.

11 The next two slides will summarize the
12 data for mammary gland neoplasms, which occurred in
13 all of the studies which were available to us, or at
14 least all of the data that we could find.

15 This slide summarizes the findings in
16 female mice. There was no increase in mammary
17 tumors associated with clozapine administration.
18 Risperidone, haloperidol, pimozide and drug B all
19 caused a dose related increase in malignant mammary
20 tumors.

21 There is no slide, again, for male mice,
22 because there were no significant findings for any
23 of these drugs in male mice.

24 The next slide summarizes the findings
25 in rats, both males and females. As I said earlier,

1 there was a significant increase in malignant
2 mammary tumors in both male and female rats treated
3 with risperidone.

4 The two unmarketed drugs caused
5 malignant tumors in female rats but not in male
6 rats. Neither benign nor malignant mammary tumors
7 were observed in rats of either sex treated with
8 clozapine, chlorpromazine or haloperidol.

9 It should be noted, however, that one
10 cannot put a lot of reliance into the haloperidol
11 study because there was an incredibly high mortality
12 associated with that study and it is conceivable
13 that the animals didn't live long enough to develop
14 tumors.

15 Because the findings of mammary gland
16 malignancies in male rats treated with risperidone
17 appeared to be unique in our experience, we decided
18 to present the data to the CDER carcinogenicity
19 assessment committee, so that they could evaluate
20 what they thought the relevance was to human risk.

21 In conducting their deliberations, the
22 CAC considered the following points. The profile of
23 tumor findings in female rat and mouse overlapped
24 with the profiles observed for other antipsychotics,
25 as I showed you on the first slide of the pattern of

1 tumors. But it was not identical with any one
2 particular antipsychotic drug.

3 The tumors identified have been
4 associated with hormonally responsive sites in
5 rodents.

6 Point number two, the finding of
7 increased mammary gland animal carcinomas in male
8 rats was unique among investigative antipsychotic
9 drugs.

10 Although prolactin levels were not
11 measured in the risperidone carcinogenicity studies,
12 there are data from a six week study with
13 risperidone in rats in which prolactin levels were
14 elevated, both in males and females. The elevations
15 were higher in females than in males.

16 It is not known, we don't have the
17 information, at least, about how these elevations
18 compare with the magnitude of elevations which were
19 seen with the other antipsychotic drugs for which
20 the carcinogenicity studies were done.

21 It is very difficult to compare
22 prolactin levels across studies, because they are
23 very difficult to measure accurately, they are
24 dependent upon endocrine status, time of day, and
25 many other factors.

1 Point number three that they considered,
2 there is presently no epidemiological data
3 indicating increased risk for breast cancer for
4 humans using antipsychotic drugs. However, these
5 data are limited.

6 Prolactin levels are elevated in humans,
7 as in rodents, following treatment with these
8 agents.

9 After deliberating on these points, the
10 questions that the committee was asked to vote on
11 are shown in the next three slides, together with
12 their vote.

13 The first question presented to the
14 committee, is there a significant difference in the
15 findings with risperidone compared to marketed
16 antipsychotic drugs because of the mammary
17 adenocarcinomas in male rats.

18 The vote of the committee was, yes, they
19 thought there was a difference, and no five people.
20 Eight people voted yes and five people voted no,
21 they did not think there was a substantial
22 difference between risperidone and other drugs.

23 The second question the committee was
24 asked was, even though the pattern is different, do
25 you believe that the relevance to humans is unknown.

1 And the committee voted unanimously, yes, they
2 believed that the relevance to humans is, at this
3 point in time, unknown.

4 The third question and the final
5 question the committee was asked was, can the
6 additional concern be adequately addressed in
7 labeling by stating the findings and stating the
8 unknown relevance for human risk. And again, the
9 committee voted yes, unanimously, that the concern
10 could be handled in labeling.

11 That is all I have to say and I would be
12 happy to entertain questions.

13 DR. TAMMINGA: I have one question. Can
14 you tell us who this committee is.

15 DR. FITZGERALD: The carcinogenicity
16 assessment committee was established in the center
17 for drugs about two or three years ago, perhaps. It
18 is composed of the supervisory pharmacologist. Dr.
19 Temple is usually a member. We also have, for this
20 particular committee, we had a member from NTP --
21 National Toxicology Program at Research Triangle
22 Park -- and we had also two experts from National
23 Center for Toxicological Research, people who were
24 knowledgeable, both about rodent bioassays, tumors,
25 and in particular, endocrine effects.

1 It also includes the statistical people
2 who have been involved with these drug products, as
3 well as from time to time, different experts as
4 deemed necessary.

5 DR. TAMMINGA: Questions for Dr.
6 Fitzgerald.

7 DR. CHARNEY: I am aware of a study that
8 may have been published about a decade ago, that
9 looked at whether or not there was an increased
10 incidence of breast cancer in patients on
11 neuroleptics. And I think it was a negative study.
12 But is there more recent documents.

13 DR. FITZGERALD: There are very little
14 data available to us. And I am not an
15 epidemiologist. I think I will defer to Dr.
16 Laughren on any questions of human epidemiology.

17 DR. LAUGHREN: We haven't reviewed the
18 epidemiologic data in anticipation of this issue
19 being brought up. I think the company is planning
20 to address some of the more recent epidemiologic
21 data, if you can hold off on that.

22 DR. LEBER: I believe -- and this is by
23 remote memory -- that the issue of risperidone's
24 role in this was covered many many years ago by the
25 agency officially.

1 DR. FITZGERALD: By that committee.

2 DR. LEBER: By that committee, and I
3 believe in about 1978 or so, there was some
4 discussion of neuroleptics in general and whether
5 they were a risk factor for the induction of mammary
6 tumors.

7 I think the conclusion was that it was
8 not. However, there was the issue of tumors bearing
9 receptors for prolactin and there was concern that
10 they might promote the growth of already
11 established. But that is probably dated by about
12 what, some 14 years or so.

13 DR. FITZGERALD: Yes. I don't think
14 there is any better information available, though.

15 DR. TAMMINGA: Additional questions for
16 Dr. Fitzgerald.

17 Thank you. We will move on to the
18 sponsor's presentation. This is Dr. Bruce Givens.

19 **Agenda Item: Sponsor's Presentation -**
20 **Janssen Pharmaceutical.**

21 DR. GIVEN: Good afternoon, I am Dr.
22 Bruce Given, senior vice president and head of U.S.
23 research and development for Janssen Pharmaceutical.

24 I am happy to report that, in this
25 particular case, the company is in full agreement

1 with the FDA division and with the carcinogenicity
2 assessment committee concerning the final
3 conclusions regarding the risperidone
4 carcinogenicity findings and risk.

5 We also are in full agreement with the
6 recommendation of how this should be handled in
7 labeling.

8 For that reason, we have elected not to
9 make a full, formal presentation, and save the
10 committee and the public an hour of slides, some of
11 which would be repetitive, relative to what you have
12 just heard.

13 I should say, however, that there are
14 subtleties in how we got to that same conclusion,
15 and it is possible that those may come out during
16 the discussion.

17 We have actually spoken with the
18 chairperson and with the executive secretary of the
19 committee beforehand, and there is agreement that
20 if, during the discussion, we have information that
21 could be of use to the committee or to the public,
22 in helping deal with, perhaps, speculation, that we
23 could step forward and be recognized. We have
24 prepared quite a bit in that regard.

25 Just to let the committee know, we have

1 brought some consultants with us who may be of some
2 use to you. Dr. Charles Capen is professor and
3 chairman of veterinary pathobiology at Ohio State.
4 And Dr. Terry Nett is professor of reproductive
5 physiology at the Department of Physiology, Colorado
6 State University.

7 Both are experts in the relationship
8 between prolactin and rodent neoplasia and
9 carcinogenesis. And you should feel free to call on
10 them at any time if you would like.

11 In addition, we have two clinical
12 consultants -- Dr. William Crowley is professor of
13 medicine, Harvard Medical School. He is at the Mass
14 General. Dr. Crowley is a former member of the
15 endocrine advisory committee and is a well known
16 expert in prolactin physiology in humans.

17 In addition, we have -- and this is
18 specifically, I think, of value, perhaps, Dr. Samuel
19 Shapiro, director of the Sloane epidemiology unit,
20 research professor of epidemiology at Boston
21 University School of Medicine.

22 Dr. Shapiro has had at least a 20-year
23 interest in the relationship between prolactin and
24 human breast cancer, and in fact, does have a
25 complete review of the epidemiology literature, if

1 you would choose to call on him.

2 I should point out that, not to presage
3 what he would say, but it is true that there is not
4 an overwhelming amount of data in neuroleptics, per
5 se, with respect to breast cancer risk.

6 However, there has been and continues to
7 be, ongoing epidemiological work, looking at the
8 general issue of the relationship of prolactin with
9 breast cancer risk, either in the de novo state, or
10 in response to drug therapy.

11 I think that you might find Dr. Shapiro
12 helpful, if this is an issue that the committee
13 would like to hear more about.

14 So, with that, I am going to step down
15 from the podium. We are here to provide any
16 information that might be of value. Thank you.

17 DR. TAMMINGA: Well, since the question
18 has already been raised, I would ask the question of
19 either Dr. Crowley or Dr. Shapiro, in your expert
20 opinion, what is the relationship between prolactin
21 causing mammary tumors in rats with prolactin
22 causing cancer in human patients.

23 I think the data seem reasonably clear.
24 We all know that risperidone increases prolactin in
25 humans and we can see the animal data are fairly

1 simple, I take it, and there is extensive agreement,
2 what the implications are.

3 DR. SHAPIRO: Thank you. I think this
4 is one circumstance where the epidemiological data
5 enable us not simply to say that there was no
6 evidence that high prolactin levels increase the
7 risk of breast cancer, but there is evidence to
8 suggest that high prolactin levels do not increase
9 the risk of breast cancer.

10 The data come from two sources. The
11 first concerns the famous or the infamous reserpine
12 controversy. This is my formal slide.

13 There have been some studies which have
14 looked directly at the risk of breast cancer in
15 relation to prolactin levels. The first one was a
16 study carried out on the Island of Guernsey in which
17 patients had their blood tested at entry.

18 And they were divided into quintiles of
19 prolactin and they were divided into post-menopausal
20 and pre-menopausal women.

21 The numbers of post-menopausal women
22 were quite small in each of the quintiles except
23 one. The numbers were in the single digits. The
24 relative risk estimate was set at 1.0 with the
25 lowest quintile. And the highest quintile was 1.6,

1 but there was no trend according to quintile and
2 this finding, purportedly, had been due to chance.

3 Among premenopausal women where they had
4 larger numbers, there were cases in at least two
5 digits of the strata of the relative risk levels.
6 And the lowest quintile was 1.0. And the top-most
7 quintile was 1.0. In the intermediate quintiles it
8 was not significantly different from 1.0 and there
9 was no evidence of increase.

10 The investigators concluded that the
11 evidence suggested that prolactin does not increase
12 the risk of breast cancer.

13 DR. TAMMINGA: Could you make sure we
14 know what prolactin levels these quintiles are.
15 Could you at least tell us the range from one to
16 five.

17 DR. SHAPIRO: Unfortunately, the paper
18 did not give the quintile levels, did not give the
19 actual levels in the paper, but they divided them
20 into quintiles.

21 DR. TAMMINGA: Say, the fifth quintile.
22 What range would those be.

23 DR. SHAPIRO: I don't know the answer to
24 that question, I am sorry.

25 DR. CASPER: Do we know when these

1 levels were drawn. Is there some variation.

2 DR. SHAPIRO: Yes, they were drawn at
3 recruitment.

4 DR. CASPER: At recruitment, but during
5 different times of the day.

6 DR. SHAPIRO: Yes, different times of
7 the day, different parts of the menstrual cycle,
8 different underlying stimuli, different anxieties,
9 not taking into account the pulses that occurred in
10 prolactin levels. And this is one of the shortfalls
11 of the study. I will come to that in a moment.

12 But if one looks at the means -- and I
13 think it is important to compare the lowest and the
14 highest quintile, there was no significant
15 difference.

16 Now, this was a study done in New York
17 among women who reported to a breast cancer
18 screening clinic. At the time that they reported,
19 they had a blood sample drawn and the blood sample
20 was stored at -80 degrees Centigrade. And the
21 patients were then followed for the occurrence of
22 breast cancer.

23 Eventually, more than six months after
24 the women were found not to have breast cancer --
25 six months to nine years -- 78 women developed

1 breast cancer. They were matched with controls,
2 with 135 controls, who did not have breast cancer,
3 whose blood was drawn at the same time, and who were
4 the same age as the women.

5 The data were then divided into
6 quartiles of prolactin and in a moment I will show
7 you the mean doses.

8 But if you compare the uppermost
9 quartile with the lowest quartile adjusted simply
10 for age, the relative risk in the uppermost quartile
11 was 1 as compared with 1 in the lowest quartile.

12 There was a jump to 2.0 in that
13 intermediate quartile. When this was adjusted, in
14 addition, for estradiol, this was blood estradiol
15 level, and this was done because estradiol was found
16 to be significantly associated with breast cancer
17 risk in this study.

18 The relative risk was 3.3 and
19 significant, but there was no dose response effect
20 as one went up to the third and fourth quartiles.
21 The relative risks were not significant.

22 Prolactin, it was not normally
23 distributed. There is a tail on the one side. And
24 so, they estimated geometric means. The geometric
25 mean prolactin level in the cases was 6 nanograms

1 per milliliter, and in the control, 6.06, and of
2 course, this is a non-significant result.

3 The standard deviations are quite narrow
4 and suggest that there was no difference.

5 Now, one of the problems you have
6 already alluded to, and that is that there were
7 pulses that, measuring prolactin at different phases
8 of the menstrual cycle presents problems, that it
9 matters whether you measure it during the day or the
10 night.

11 And it is conceivable, despite these
12 rather reassuring data, that an association could
13 have been missed because of a lack of specificity
14 and a lack of standardization in the measurement of
15 prolactin levels.

16 For that reason, it is more interesting
17 to measure drugs which chronically stimulate
18 prolactin levels, as perhaps another model of
19 getting at the issue. And reserpine, in that
20 respect is quite helpful.

21 Now, this is data from the original
22 study that first stimulated the reserpine
23 hypothesis, which was published in 1974. I am
24 embarrassed to inform you that I was one of the co-
25 authors of this study.

1 We had 150 cases, of whom 11 had taken
2 rauwolfia in the three months before admission. We
3 had two control groups of 600 each. In each of
4 those control groups, 13 had taken rauwolfia. The
5 relative risk was 3.5 and the P value for that
6 relative risk was 0.07.

7 This next slide, I am not embarrassed to
8 inform you that I was also a co-author. It is a
9 slide in which we did penance for what we had done
10 in the first study. The first study was justly, I
11 think, severely criticized for poor design, for
12 imprecision in the way the data were collected, for
13 failure to report confounding variables, for
14 provoking a cascade of some 20 or more additional
15 studies after the first one, the better conducted of
16 which were all resoundingly negative.

17 And in these data, we collected data
18 from the same catchment population, the same type of
19 women, using essentially the same method, but with
20 much greater attention to detail and with much
21 greater attention to confounding.

22 We had 1,881 cases as opposed to 150 in
23 the initial study, and 1,523 controls as opposed to
24 600 in the initial study. The prevalence of
25 rauwolfia used was 2 percent in the cases and 2

1 percent in the controls.

2 These data are extremely stable. They
3 are based on 31 and 34 exposures, respectively, were
4 adjusted by multiple logistic regression for a large
5 number of confounding variables. The relative risk
6 was 0.9, and one could rule out more than a 40
7 percent increase in the risk.

8 If one looks at past exposure, which was
9 an attempt to get at whether there was, perhaps,
10 some sort of genotoxic effect, the relative risk
11 estimate that was discontinued at least a year
12 previously, the relative risk estimate was .5 and
13 went down to .9. And if one looked at any exposure,
14 the upper band was 1.1.

15 Incidentally, we did not claim, and do
16 not claim, that high prolactin levels stimulated by
17 reserpine reduces the risk of breast cancer, if one
18 then continues it. I think this is a fluke finding
19 and simply an illustration that even with large
20 numbers one can get statistically weird results.

21 Shapiro's second law is that if you
22 don't find some funny results in your set of data,
23 you should wonder about whether your data are
24 correct.

25 In the same study, this analyzed another

1 drug that stimulates prolactin secretion. This is
2 an antihypertensive, methyldopa. The relative risk
3 for that drug was 1.0. When one analyzed the
4 duration of use, the timing of use, all of the
5 relative risks were close to, and comparable with
6 1.0.

7 Now, there are other ways in which one
8 can try to approach the question of whether drug
9 induced stimulation of prolactin increases the risk
10 of breast cancer.

11 There have been follow up studies of
12 psychiatric patients in which there has been no
13 comparison group, but the expected rates of breast
14 cancer have been derived from national statistics or
15 from registry statistics.

16 These studies have limited statistical
17 power, and they were open to other criticisms. But
18 for what they were worth, they, too, illustrated no
19 increase in the risk.

20 One might also turn to case reports.
21 Prolactinoma is a relatively common lesion. It is
22 one of the more common of the pituitary tumors. And
23 if it increases the risk of breast cancer, one would
24 expect to see a large number of case reports. In
25 fact, there have been very few.

1 Even the few that have been reported are
2 very likely biased because, obviously, a case of
3 breast cancer that occurs in the absence of the
4 prolactin level would not be reported. And there
5 are, of course, no denominator data.

6 Then finally, what I should mention is
7 that there is a vast array of what have been labeled
8 case controlled studies, but which really are cross
9 sectional studies in which prolactin levels have
10 been measured after women have developed breast
11 cancer, usually after they have undergone surgery as
12 well, and usually after they have been subjected to
13 chemotherapy.

14 Surgery, chemotherapy, psychological
15 stress, as you know, all affect prolactin levels.
16 And for those reasons, the findings from those
17 studies aren't interpretable.

18 My own judgment is that, taken in
19 conjunction, there is now a large body of
20 epidemiological evidence that suggests that
21 prolactin secretion does not affect the risk of
22 breast cancer in humans.

23 DR. TAMMINGA: Thank you. Questions.

24 DR. LEBER: I have one. Is there any
25 association between the rate of growth or lethality

1 of established breast cancer and prolactin level.

2 DR. SHAPIRO: There have been a large
3 number of studies which are highly contradictory. I
4 would say well over 50 published studies that I have
5 read through. Most of them were very poorly
6 designed, I thought, and incapable of answering the
7 question.

8 I should mention that, conceptually,
9 when the indication for examining an exposure is
10 confounded with the disease itself, you generally
11 de-randomized the controlled trial to settle it.

12 Now, we can't randomize prolactin
13 levels. But we also cannot say whether the
14 prolactin does anything to the growth of the breast
15 cancer. It might be the other way around. It might
16 be the breast cancer that stimulates the prolactin
17 levels.

18 DR. CASPER: You have shown us, now,
19 prolactin levels in physiological amounts, and then
20 the physiological range is not associated with
21 breast cancer. And I have two questions.

22 The exposure to methyldopa or to
23 rauwolfia, probably for hypertension in those
24 studies.

25 DR. SHAPIRO: Yes.

1 DR. CASPER: Was probably temporary and
2 not long-term, is my hung.

3 DR. SHAPIRO: Oh, no, long term in both
4 instances.

5 DR. CASPER: Were prolactin levels
6 measured in these patients. Do you have any idea of
7 the levels.

8 DR. SHAPIRO: No, they were not measured
9 in these studies but it is my understanding -- one
10 can correct me if I am wrong -- that reserpine and
11 methyldopa stimulate prolactin and stimulate it for
12 a prolonged period.

13 After a matter of years, the prolactin
14 levels begins to decline, but it does not return to
15 normal levels and it remains elevated for as long as
16 you continue to take the drugs.

17 I would stress that we analyzed our data
18 concerning reserpine and methyldopa for long regular
19 durations of use, and we found no effects.

20 DR. CASPER: It was well over a year.

21 DR. SHAPIRO: Oh, well over a year.

22 DR. CASPER: Because one of the
23 concerns, of course, with the antipsychotics, is
24 that most patients, once they have taken an
25 antipsychotic drug, they take it for years.

1 And the other issue is, how strongly
2 dopamine agonist, well, reserpine is obviously a
3 very strong dopamine agonist so you would have
4 probably comparable levels. But, depending upon the
5 dose, there is also a certain dose response
6 relationship to prolactin levels.

7 And I think what is really bothersome is
8 the lack of data on psychiatric patients.

9 DR. SHAPIRO: I can't answer the
10 question about psychiatric patients. I can't answer
11 the question about dose response. But I can answer
12 the question about duration of use. And this was
13 exceedingly prolonged. Reserpine is among the more
14 potent prolactin stimulators.

15 It is to be hoped that hypertensive
16 patients take their reserpine, if that is what they
17 are on, regularly and for many years. So, in that
18 sense, the analogy with psychiatric drugs is quite
19 close.

20 DR. CASPER: We also know that breast
21 cancer actually has a familial tendency. Do you
22 have any data, based on the breakdown by family.

23 DR. SHAPIRO: Positive family history,
24 it doubles the risk. If it is breast cancer that
25 occurs premenopausal and the family history is in

1 the mother or the sister, it can be substantially
2 increased.

3 We actually examined reserpine use and
4 methyldopa use among cases and controls with a
5 history of breast cancer in the family and without,
6 and there was no elevation of the risk in either of
7 those strata.

8 DR. TAMMINGA: If the committee has no
9 more questions, thank you very much.

10 I may not be entirely clear what we are
11 supposed to do with these data, Dr. Laughren.
12 Perhaps if there are some specific opinions that you
13 would like us to --

14 DR. LAUGHREN: Let me try to explain how
15 it is that we are coming to the committee with this
16 now.

17 Had we appreciated the difference in the
18 tumor pattern between risperidone and other marketed
19 antipsychotics in the country, at the time of our
20 April advisory committee, we probably would have
21 brought it to you as a point of information then.

22 We didn't, and in the interim, between
23 the time that we discovered the finding and it went
24 to our carcinogenicity assessment committee, we
25 rescheduled it for this meeting.

1 Subsequent to that, it has gone to our
2 CAC committee. They have given us a recommendation
3 which we are inclined to accept. But bringing it to
4 you now is really a point of information to tell you
5 what our plan is, and really as a matter of full
6 disclosure to you. I am not asking you for any
7 particular vote.

8 If you wish to discuss it or vote on it,
9 you certainly may, but we are not asking for any
10 particular vote.

11 DR. HEZEL: What is your plan.

12 DR. LAUGHREN: Well, the CAC committee
13 recommended that we mention the findings in the
14 labeling, along with the usual statements saying
15 that the relevance for human tumors is unknown.

16 That is the way they are handled for
17 other drugs that elevate prolactin, and that is our
18 plan for this drug.

19 DR. HEZEL: What will you do for patient
20 information, in terms of informing patients of that
21 risk, or the suggestion of that risk, in labeling.

22 DR. LAUGHREN: There wasn't any plan to
23 do anything differently for this drug than is done
24 for other drugs that elevate prolactin.

25 DR. FYER: There was some discussion at

1 the last meeting when this drug was considered in
2 full, about putting some more emphasis on the fact
3 that it did have this effect on prolactin, and that
4 since there is such widespread risk for breast
5 cancer in our particular population, that people
6 ought to be allowed to make their own decision about
7 it.

8 I wonder, hearing some of this data, and
9 Dr. Frank's comment, it is definitely the case that
10 there seems to be no positive evidence. But it is
11 also the case that the data presented have an
12 enormous number of loopholes.

13 It is also the case that there is a lot
14 of new knowledge about breast cancer and risk, et
15 cetera. I think it is an area in which there should
16 be a fair amount of information provided for
17 consumers so that people can make informed
18 decisions.

19 DR. LAUGHREN: Is your concern about
20 risperidone in particular or all drugs that elevate
21 prolactin. Are you suggesting that we revisit the
22 entire class of drugs.

23 DR. FYER: I think that might be a nice
24 idea. But the fact is, this is something that we
25 can do something about because you are about to do

1 the labeling in this drugs. So, it is someplace
2 where you can make an intervention and perhaps the
3 inference, just by example, will lead people to more
4 informed decisions about other things.

5 I mean, I think the issue that the
6 levels of prolactin are not really clear in this
7 data, that it is true about the risk, but the data
8 have not been analyzed. I mean, there are all kinds
9 of areas of ignorance here where you can't really
10 say we know for sure there is no increased risk.
11 And people should make their own decisions.

12 DR. LAUGHREN: It is certainly true that
13 an absence of a finding is not proof of the absence.
14 But again, it seems to me that that applies to all
15 drugs that elevate prolactin. If we are going to do
16 it for risperidone, then we really ought to think
17 about doing it for all drugs that elevate prolactin.

18 And my question is, is the committee of
19 the sentiment that you think we ought to re-visit
20 the issue for all antipsychotics, all of which,
21 except, perhaps, clozapine, elevate prolactin and
22 other drugs outside of the antipsychotic class that
23 elevate prolactin. Are you unhappy with the current
24 labeling with regard to this issue.

25 DR. CASPER: I don't know whether I can

1 answer your question right now, but are we unhappy
2 with the labeling, without the labeling before our
3 eyes, it is hard to tell.

4 I think there is a prolactin warning in
5 the FDA labeling for all antipsychotic drugs and so,
6 should we revisit the issue, yes, there should be
7 some studies done which would look at the incidence,
8 not of any endocrine tumors, but under psychotics,
9 but just in relation to risperidone.

10 And furthermore, I think breast cancer
11 in males should also be included, not just in
12 females. Just looking at data in male rats, there
13 might be, actually, an increased incidence of breast
14 cancer in males, but we don't have the studies.

15 So, we might want to suggest to you,
16 once we have seen the labeling, we might want to
17 visit this again or we might want to suggest some
18 studies. But I had one more concern which your
19 other advisory -- the toxicity or the cancer
20 advisory committee raised.

21 The pattern of tumors is slightly
22 different and I think you ought to mention that as
23 well. Apparently, there are pancreatic, pituitary
24 and mammary gland tumors. And the committee said
25 the pattern is different from other antipsychotics.

1 So, that should be mentioned in your labeling.

2 DR. LAUGHREN: We can certainly consider
3 doing that. The only concern I have about that is
4 that if you look across the other drugs for which we
5 have data, you also see some data.

6 Do you want us to revisit labeling for
7 the other drugs and talk about the differences. I
8 mean, it gets a little complicated if you are going
9 to try to point out all the differences in these
10 tumorigenicity studies for all the different drugs
11 in the class.

12 DR. CASPER: I think they should be
13 mentioned. I don't think this is that complicated
14 not to have it mentioned in an insert.

15 DR. LEBER: I really want to ask another
16 question which is more of a follow up to Dr. Fyer.
17 It is true that in the ideal you would like to
18 communicate fully informed individuals, physicians
19 and patients alike and perhaps those who have a
20 secondary interest in that relationship -- family
21 members, friends, loved ones -- about what the true
22 risks are and what you are supposed to conclude from
23 the evidence.

24 The problem I have, and this is the
25 practical one, is that if you are going to write

1 either informed documentation for patients or even
2 for physicians, when you have data of this sort,
3 what is the intent of what you convey, simply to,
4 one, enumerate that you have these facts, you put
5 them down and people can make what they will. Or do
6 you think we have an added responsibility to also
7 interpret them in light of what current judgment
8 among experts is about them.

9 I mean, what is the purpose of this. Is
10 it to put on record that we know these things
11 happen, because, believe me, every day there will be
12 reports in the literature, in SCIENCE or NATURE or
13 somewhere else about some other phenomena that has
14 been described in relationship to a drug class.

15 And if all you want to do is inform
16 people, the list will grow and grow. The question
17 is the difference between information and knowledge
18 in these areas, and I would sort of like the
19 committee's guidance on that part of the equation.

20 DR. TEMPLE One of the reasons that this
21 particular finding has been considered perhaps less
22 important than it might look, is because of an
23 impression -- and we just went through all of this -
24 - that the physiological role of prolactin is
25 different in rodents and humans. So, there is more

1 than usual skepticism about what these findings
2 mean.

3 And I guess I would echo what Paul said.
4 I mean, we have a fairly hard time knowing what this
5 means. The best people in the business have
6 grappled with it for decades, and they don't know
7 what it means.

8 So, what would you be telling a patient
9 if you told them that these tumors are there in a
10 very conspicuous way, like in a patient insert.

11 It is not that anybody wants to hide it,
12 but when you bring it out and put it in there, why
13 did you pick that. That is my question. That is a
14 real problem.

15 DR. FRANK: It seems that before you
16 could do the kind of informed labeling that you are
17 talking about, what we really need to know about is,
18 what is the interaction among antipsychotic drug
19 use, family history, and risk for breast cancer in
20 patients with psychotic disorders, because I think
21 they are a special class with respect to health
22 care, stress levels, and a whole host of other
23 things that we know may be risk factors for breast
24 cancer.

25 So, I think we can't necessarily

1 extrapolate from general population data and that
2 this is really actually a very complicated question
3 that would require a study that is specific to the
4 issue at hand.

5 DR. TAMMINGA: I think, though, that at
6 the present time there is no study that is specific
7 to the question.

8 DR. FRANK: I think that is right.

9 DR. TAMMINGA: So that, the toxicity
10 data in rats is clear. And then comes the question
11 of what the known elevated prolactin levels in
12 humans have to do with breast cancer.

13 Although I wasn't necessarily so
14 convinced like you were, Dr. Casper, about the
15 relationship between breast cancer and prolactin
16 levels within physiologic dose ranges, within
17 physiologic prolactin levels, we did then hear some
18 data about drug stimulated prolactin levels, and the
19 relationship between, or the incidence -- the
20 prevalence -- of breast cancer in those situations.
21 Can risperidone in neuroleptics produce similar
22 prolactin levels.

23 DR. FRANK: But I would argue that
24 hypertension patients are not schizophrenic
25 patients, that they are really different, and

1 probably different on a host of variables that may
2 be relevant to risk for breast cancer.

3 DR. TAMMINGA: Then you would have to
4 argue that they were different with respect to
5 breast cancer susceptibility.

6 DR. FRANK: Yes.

7 DR. TAMMINGA: On the basis of what kind
8 of data, though.

9 DR. TEMPLE: How will you do the study.
10 They are all on these drugs. What is the control
11 group. This is not an easy territory.

12 DR. LEBER: I think what you are
13 grappling with is what we would all like. In any
14 situation where you take a medicine, you want to
15 know what the risks are. In this case, you want to
16 know what the risks of having breast cancer is the
17 result of having taken this and anything else that
18 might be prolactin related.

19 I mean, if you want to be literal, males
20 or somebody, should worry about pancreas. I don't
21 know if we can get it.

22 I mean, clearly, each of who chooses the
23 vegetables we eat might want to know their relative
24 etiology for a variety of cancers.

25 In the here and now, for this drug

1 product, is there a basis -- now this is the hard
2 question -- for making any unique statement, given
3 what you know. I think I am echoing Tom's point,
4 that there may be class issues here. Perhaps they
5 are unique to being a schizophrenic patient,
6 whatever that means, perhaps not.

7 But what you are really saying is that
8 we ought to broaden our knowledge in general. But
9 that statement could be divorced from any action on
10 this drug and I guess I would like to know that.

11 There is a difference between exhorting
12 us to learn more and then making a practical
13 concrete recommendation vis-a-vis this drug.

14 DR. CHARNEY: I would essentially agree
15 with that, because you could equally say that
16 clozapine is different than other antipsychotics.
17 And that is not really informing the patient or even
18 the practitioner because the meaning of that is not clear.

19 So, you could have a package insert that
20 says, risperidone is different from other
21 antipsychotics, chlorpromazine is different than
22 other antipsychotics. Essentially, you are saying
23 they are all different from each other, perhaps on
24 this variable, and the end result is information
25 that is not informative in terms of drug use.

1 So, I think it is a little premature
2 other than to note that more work is needed in this
3 area.

4 DR. CASPER: But I think we have raw
5 material from Janssen, actually some evidence that
6 the population, namely schizophrenic patients, might
7 be different.

8 I think you argued that actually the
9 rats, which you tested now in the 1990s or the late
10 1980s, were different from the rats which were
11 tested in the 1970s because they are a different
12 breed.

13 What you argued, essentially, was that
14 they are less mobile and that they overfed. And we
15 have exactly this problem with schizophrenic
16 patients. Well, not only with schizophrenic
17 patients.

18 But if you argue that they might have a
19 higher incidence of tumor because they have other
20 risk factors, there we have an argument that this
21 population, indeed, might be different, and
22 therefore we should be more careful, with at least
23 warning them or if they have a family history of
24 tumors, that the physician might decide not to give
25 high doses.

1 DR. TAMMINGA: Of course, one could
2 argue that schizophrenics actually have a lower risk
3 of breast cancer because the prolactin suppresses
4 estrogen levels and estrogen surges in women
5 throughout their menstrual cycle and puts them at
6 lower risk.

7 Once you start carrying on, you can
8 carry on in any different direction. We need to
9 speak to the data, I think, and echo Dr. Charney's
10 conclusion that we certainly need to study it more.

11 DR. GIVEN: The only thing I would say,
12 we would be willing, if you would like, to put out
13 the class labeling. We do have it.

14 I think the interesting thing about the
15 class labeling, as we have really had to dive into
16 this issue over the last six to eight weeks,
17 obviously in some detail, is that the class
18 labeling, I think, really is a pretty good piece of
19 writing, because it has not unduly, I think, scared
20 patients and physicians away from treatment with
21 drugs that are really needed.

22 But on the other hand, the class
23 labeling has also not discouraged ongoing
24 epidemiology work. This epidemiology work is
25 difficult, it is never conclusive. But as you could

1 see from Dr. Shapiro's presentation, the
2 epidemiology continues to be looked at with these drugs.

3 And it might be of value to the
4 committee to see that class labeling, if you would
5 like to.

6 DR. TAMMINGA: Please.

7 (Slide is shown.)

8 DR. TAMMINGA: The proposal now is that
9 this same labeling be included as is for
10 risperidone.

11 DR. TEMPLE: Presumably, the
12 distinguishing features of the results here would be
13 mentioned, would be described. Certainly, one of
14 the issues that was brought up was whether or not
15 the fact that risperidone was different from other
16 drugs should be included.

17 And again, the problem I see with that
18 is that if you are going to point out the
19 differences for risperidone, for other drugs should
20 we also do it. And it is just a very cumbersome
21 thing to try and do. They all differ in one way or
22 another. And is it an important difference.

23 Our carcinogenicity committee has
24 already concluded that the relevance to humans is
25 unknown. If that is the general consensus, then why

1 is it important to point out differences in the
2 pattern among different drugs in the class. I don't
3 quite follow that.

4 DR. CASPER: There are different ways to
5 present the data or the information. I think you
6 would want to include that in rodents and mice and
7 rats -- male and female -- you have pituitary and
8 pancreatic and many issues.

9 So, that information you don't need to
10 say necessarily is different.

11 DR. LAUGHREN: Absolutely, but the plan
12 was to do that, to describe in full the findings for
13 risperidone. The question is whether or not you
14 would go on to say, this pattern differs from
15 chlorpromazine, it differs from haloperidol. That
16 is the question I have, whether or not that adds any
17 value to the labeling to do that, given that we
18 don't know the significance of those differences.
19 We don't know how to interpret them. That is the
20 problem.

21 DR. TAMMINGA: I have another question
22 of the company pertinent to this. In humans, does
23 risperidone produce a different elevation of
24 prolactin than other neuroleptics. Maybe nobody
25 would know that.

1 DR. GIVEN: We have that. (Slide is
2 shown.)

3 Now, let me give some caveats with this
4 slide. First of all, we had a great deal of
5 difficulty finding prolactin levels with historical
6 agents, largely because they tend to date back to
7 the days of prolactin bioassays.

8 So, we were winding up with an apples
9 and watermelon comparison.

10 So, what you are looking at here is all
11 radioimmuno assay prolactin levels. Now, let me
12 make a couple of other points.

13 In the risperidone here, what you have
14 is the dose which you may all recall from April, we
15 feel to be the optimum dose.

16 At higher doses, a number of things
17 happen. First of all, efficacy seems to be lost to
18 a certain extent. There are greater adverse
19 experiences. And in fact, prolactins continue to go
20 higher.

21 DR. TAMMINGA: How high.

22 DR. GIVEN: In females they can reach up
23 to 50, 60.

24 DR. TAMMINGA: At what dose of
25 risperidone.

1 DR. GIVEN: Up to 16. But they go
2 higher at 10, they go higher at 12, they go higher
3 at 16. They definitely go higher with higher doses.
4 And there is a lot of noise in this data, too,
5 because we did not put the background levels here.
6 But as you recall, there were not long wash-outs.
7 So, this data is pretty difficult to interpret.

8 Our only point in wanting to put up this
9 slide was to say that the levels with risperidone
10 fall into that broad category of other drugs. In an
11 individual patient or at a higher dose, they may be
12 somewhat higher. But basically, this is sort of
13 what we were able to put together.

14 We are not surprised. Reserpine
15 methyldopa came out of the literature. These were
16 not comparative trials. Haloperidol and risperidone
17 were out of the same trial. But again, other
18 risperidone doses produced higher levels than this.
19 So, I don't want to misrepresent this data at all.

20 DR. TAMMINGA: Perhaps we could see the
21 FDA data that you have, too.

22 DR. MOSHOLDER: This is Janssen's data
23 from the two clinical studies where prolactin levels
24 were measured. This transparency is study 024.
25 There is no placebo group in this study. There was,

1 dependent increase, although actually slightly less
2 in the 16 milligram group, going from baseline here
3 to on treatment. And for comparison, the change in
4 the haloperidol group.

5 If one looks at the patients who were
6 normal at baseline prolactin and then there elevated
7 above normal on treatment and take simply the
8 proportions of patients in each dose group, the
9 percentage here is shown down at the bottom and the
10 actual numbers, which we can see roughly 30 patients
11 in each group who had paired prolactin levels from
12 baseline and treatment.

13 One sees that actually the highest
14 percent is in the 16 milligram risperidone group,
15 although, again, this was an N of only 34.

16 DR. TAMMINGA: Thank you.

17 DR. HAMER: At baseline, one would
18 expect them all to be the same except the
19 randomized. There should be no pattern.

20 DR. MOSHOLDER: Well, that would be the
21 expectation, although one question is, with the
22 wash-out period, I suppose many of these patient may
23 have been on other drugs. But still, you see the
24 baseline for the 16 milligram group is rather higher.

25 DR. HAMER: I mean, I have seen enough

1 of these things, not with these particular drugs,
2 but where completely inadequate wash-outs are
3 allowed between treatments and there are all sorts
4 of order effects and sequence effects and time
5 effects and everything else.

6 DR. TAMMINGA: Even if you don't pay any
7 attention to the baseline and just compare these to
8 known normal ranges, you can take a look at the end
9 point treatment analysis, the mean treatment.

10 DR. GIVEN: I could maybe make a point
11 that would be of value here. Remember, some of
12 these trials were placebo controlled, and what you
13 see is that the placebo actually does fall. So,
14 there is clearly a time effect here and these
15 patients are not fully washed out by any means.

16 That is why I say, the baseline makes it
17 hard to interpret but you know, you do get an on-
18 treatment effect which, presumably at the end of an
19 eight-week treatment period, probably represents
20 what your drug is doing and not all that much carry
21 over, I would expect.

22 DR. LIN: I have a somewhat different
23 question about the mechanism or reason for the
24 differences in the carcinogenetic effect of the
25 medicine between rodents and human beings.

1 I think Dr. Temple earlier said these
2 effects of prolactin is different between rodent and
3 the human being. If that is the case, then maybe
4 that would be comforting information in terms of
5 suggesting that what happened to rodents may not
6 happen to people. I wonder if people have enough
7 detailed information.

8 DR. HEZEL: I wanted to comment on Dr.
9 Laughren's question about describing differences in
10 the various drugs in the label and whether or not it
11 was significant.

12 The thought I had about it was that FDA
13 approval really isn't the end of research. It is
14 more the beginning of a much larger human
15 experiment. And maybe description of those
16 differences would be important in stimulating other
17 epidemiological research and practitioners
18 identifying things that would be helpful, to know
19 whether or not those differences are significant or
20 have meaning for prescription or consumption.

21 DR. LAUGHREN: Again, the major concern
22 that I have is the practical one of how to
23 describe -- first of all, to present the findings
24 for these tox studies, in itself, is a challenge, to
25 summarize all that data.

1 To then try and describe the differences
2 between a particular drug and other drugs in the
3 class expands labeling. I appreciate the need for
4 greater research in this area, to try and find out
5 whether or not there is a real effect here. But I
6 am wondering if that is the best way to do it, to
7 put it in that section of labeling.

8 DR. HEZEL: Well, that is another issue
9 for debate, but if it is in the FDA files or the
10 company files and not accessible to consumers -- the
11 prescribers or the patients -- I mean, it has got to
12 be somewhere. But I don't know where you would want
13 to put it.

14 DR. TAMMINGA: Many of these data are in
15 the literature already.

16 DR. LEBER: I was sort of trying to
17 listen with a third ear. I don't mean to over-do
18 it, but are we hearing each other correctly here,
19 because I think it will be in labeling.

20 The section of labeling that would
21 describe the results of these studies will describe
22 the explicit results. What I believe Dr. Laughren
23 is trying to avoid doing is drawing up a discussion
24 section in which you say, risperdal differs from
25 haloperidol in this way. It differs from compazine

1 in this way, from thorazine in this way, and so on
2 down the list.

3 You would end up with a set of explicit,
4 you know, dyadic comparisons which would be boring,
5 not particular informative because, if you were
6 really interested in it for research purposes, you
7 could easily take the labeling in which we describe
8 what the results were -- unfortunately the results
9 would have been gathered across three decades in
10 different studies with different animals with
11 different standards.

12 I don't know what use you would make of
13 them, but if you were interested and so motivated,
14 you could at least collect it from the raw
15 descriptions.

16 But I think what he is raising
17 objections to -- and I think I would as well -- is
18 to the idea that we would write a long soliloquy
19 about, this isn't like this and this is like the
20 other and so on. I don't know what it would mean.

21 DR. TEMPLE: I think if there were
22 thought that this was likely to be meaningful or
23 mattered, we would be taking a different posture.

24 The best estimates of the people we
25 could assemble -- and I think the outside world

1 would think the same thing -- was that we don't know
2 if this means anything.

3 If you write something down as if it
4 means something, but it doesn't, you shape what
5 people use, and this might not be the best basis.

6 I mean, the particular concern that the
7 tumors show up in the males here might not be a very
8 good basis for making a choice of antipsychotic
9 drugs.

10 It is unfortunate that we don't know the
11 whole answer, but when you present something, it
12 implies that it is information that is meant to be
13 used, always a difficulty, and it is not always easy
14 for experts to make use of this. It is doubly hard
15 for a lay audience to make much sense out of animal
16 tumorigenicity studies.

17 The other thing I need to add is that
18 individual person observations are not going to get
19 the answer here. The answer is going to depend upon
20 use that is going to take place over the next very
21 long period of time.

22 And as a final note of discouragement --
23 Sid can tell me if I am wrong here -- we can't
24 figure out whether taking estrogens is bad for you
25 yet. There have been hundreds of studies by now.

1 They go this way, that way, they look at one subset
2 this way, one another.

3 And the most obvious thing to worry
4 about, we don't have an intelligent answer. So, I
5 don't expect much in the future. Maybe that is more
6 discouraged than I need to be and you can tell me I
7 am all wrong. But it is very hard to work in these
8 areas. There are too many factors that affect it.

9 DR. TAMMINGA: I would actually like to
10 concur with what you are saying, because it had been
11 my thought, based on all the information here, that
12 saying something too specific in labeling would be
13 misleading, especially after seeing those prolactin
14 data -- the human prolactin data.

15 We are expecting that this mammary tumor
16 is mediated through human prolactin levels. And
17 when we actually look at the data that you just
18 presented, and that was presented by Janssen, if you
19 actually go out in a state hospital, like many of us
20 have done, and measure prolactin levels in both
21 males and females, these are just nothing like the
22 levels you see. These are rather reasonable and
23 rather low.

24 Of course, for any antipsychotic, the
25 level of prolactin depends on not only type of drug

1 but, probably moreso, dose of drug and duration of
2 administration. So that, there are so many factors
3 that make more difference, I would say, than
4 neuroleptic, that I think we get an epistle for the
5 drug labeling by the end of it, which we want a
6 brochure to be used as well as to be correct.

7 DR. LIN: I wonder if I didn't make
8 myself clear. I was asking to see if the company
9 has additional information about differences between
10 the rodent and human being in response to prolactin.

11 DR. GIVEN: Yes, Dr. Crowley can give
12 you a brief presentation in that regard. I am not
13 sure I would call it data. It is more a sort of
14 general overview.

15 DR. CROWLEY: I think that is a very
16 reasonable question because this has to be put into
17 a perspective, because prolactin in the rodent and
18 prolactin in the human are entirely different,
19 number one.

20 And number two, what Dr. Temple said is
21 actually right on target as well, in that we still
22 don't find that prolactin has as many effects as we
23 think and find in other animal species.

24 In fact, for example, in the human male
25 there is no known action for prolactin, other than

1 to get pathologic levels and disruptive.

2 And to give it some perspective for you
3 in what you are asking, if you look at prolactin,
4 most of us remember two things about prolactin from
5 medical school. One is that it is associated with
6 lactation, in that it causes milk production from
7 the breast, and second, that it is under negative
8 tonic control by the brain. And those are really
9 very close to a lot of what is known in terms of
10 role.

11 It is under hypothalamic control by both
12 dopomanidric and serotanergic neurons, and that is
13 where you collide with it here on this committee,
14 and that is that you are manipulating the biogenic
15 amine receptors by a series of drugs, as well as,
16 increasingly, the pathonergic neurons.

17 But the predominant influence from the
18 brain is a negative one via dopamine, such that, if
19 you cut off the hypothalamic pituitary stalk, all
20 other pituitary hormones drop and prolactin levels
21 go off.

22 The second point that is very very
23 important here and was mentioned by the chairperson,
24 is the interaction between estrogens and prolactin,
25 which is very positive and, in fact, during

1 pregnancy the prolactin levels increase dramatically
2 to well within the ranges that you are talking about
3 here on the drug, and also with estrogen
4 administration, birth control pills, a variety of
5 things.

6 In fact, 30 percent of the cells in the
7 pituitary become prolactive during pregnancy and the
8 physiologic blind spot enlarges.

9 And finally, suckling reflex via spinal
10 afferance(?) causes a very positive influence by
11 relieving the dopamine inhibition and causing
12 prolactin to occur, by as yet unknown mechanisms.

13 If you then look at the actions of
14 prolactins across the vertebrate animals, you find
15 things that in lower vertebrates, osmoregulation is
16 important. And where we may see this in the human
17 may have something to do with the enormous levels
18 that fetuses are exposed to in the amniotic fluid.
19 It may be a vestige of this.

20 It also is linked very closely with
21 growth in lower animal species, because it is very
22 close to growth hormone.

23 As you start to come up the evolutionary
24 axis, it departs to an ever-greater degree and, in
25 fact, it has an important metabolic role in lower

1 animal species that is not present at all in humans.

2 What we know about is the interaction
3 between prolactin and reproduction, these other two
4 being modulatory actions or prolactin. But this is
5 the one that clinicians run into routinely.

6 If you look at the menstrual cycle, for
7 those of you that might not be looking at it every
8 day, it is divided into two phases -- the follicular
9 phase, where the major agenda here is ripening of
10 the dominant follicle and mounting of an estrogen
11 response with that follicle that, in turn, evokes
12 the mid-cycle gonadotropin surge.

13 The second half -- and this is where
14 prolactin is terribly important in the rodent -- is
15 the maintenance of the corpus luteum and
16 progesterone secretion.

17 It has little or no effect in the human
18 in this regard. It is the major luteotropic hormone
19 of a rodent. It has little to do with the corpus
20 luteum function of the human in physiologic ranges.

21 If you then look at it across the cycle,
22 you begin to see the first problem you had with
23 prolactin, and that is getting ambient prolactin
24 levels in the follicular phase which I just
25 mentioned, which is the minus days here up to the

1 mid-cycle surge, and in the luteal phase, which are
2 the positive days.

3 The first thing that strikes you is that
4 perhaps the luteal phase level might be a little bit
5 high, but there is enormous scatter in this data.
6 And that has to do with the non-specific effects of
7 stress, time of day, which is shown in the next
8 slide.

9 In fact, prolactin is a pulsatile
10 secreted hormone, particular with high pulses of
11 this at night. But these are sort of hourly
12 samples. If you break these down into five and ten-
13 minute samples, you will see that each one of these
14 is comprised of a series of many pulses.

15 So, this episodic secretion confounds
16 even the best attempts to get ambient prolactin
17 level, as does stress.

18 In fact, if you look at this across sort
19 of prolactin levels pre and post-natally, you see
20 that once pregnancy ensues, prolactin levels
21 immediately begin to rise. This is from two
22 sources, one being the pituitary itself. As I
23 mentioned, 30 percent or 40 percent of the pituitary
24 becomes prolactin secreting cells in response to the
25 rising estrogen levels over pregnancy.

1 And secondly, the placenta makes
2 prolactin, in and of itself, for completely unknown
3 reasons, but in fact maybe having something to do
4 with the amniotic fluid environment, that not being
5 well studied.

6 At the time of delivery, there is a
7 dramatic fall as estrogen levels fall, assuming that
8 there is no breast feeding. But in fact, if you
9 have breast feeding and repeated suckling here,
10 there is, in fact, a rise with every time that a
11 mother nurses a child.

12 Also, note the levels here. These are
13 quite realistic. During breast feeding, which is a
14 known protective effect, I might add, for breast
15 cancer, the prolactin levels get up into the
16 hundreds repeatedly.

17 So, you see, in terms of physiologic
18 causes of increased prolactin, pregnancy, the post-
19 partum period, and suckling, particularly with
20 nipple stimulation during suckling, give you
21 prolactin levels routinely six and eight times a day
22 that are at the level of, or greater than, the drug
23 under consideration.

24 There are a few other physiologic
25 stimuli that provide less elevations of the

1 prolactin level.

2 Now, going from the physiology of
3 prolactin, in which the excursions, as I showed you,
4 are quite into the pathologic ranges, let's move
5 into what clinicians see, and you see all the time,
6 as disruption of the reproductive cycle by
7 increasing levels of prolactin which disrupt normal
8 simplicity.

9 The first thing that you run into -- and
10 this is very common in your specialty -- are a wide
11 variety of drugs, of which the psychotropic agents
12 are class specific. In fact, the class labeling has
13 been devised for this.

14 But remember, there are several concerns
15 out there in terms of oral contraceptives, alpha
16 methyldopa, hampahypertensive medications, and a
17 variety of other drugs which influence other
18 biogenic amine receptors in the hypothalamus as well
19 as other small polypeptide hormones and, of course,
20 estrogen replacement therapy, in fact, as Dr. Temple
21 mentioned.

22 In terms of that, these can be classed
23 into groups, of which you are dealing with this
24 particular set of receptors here. But you can see
25 that cholinergic agents, catecholamines,

1 seratonergic agents, as a group, cause prolactin
2 release that is elevated, substantial, and
3 sustained.

4 And finally, as you look at ergot
5 alkaloids and this particular agent which is,
6 remember, a selective agent to the D2 subset of
7 receptors, you have agents here which are less
8 specific but cause either suppression or elevation
9 of the serum prolactin.

10 The other thing is, what are the
11 metabolic consequences of this and what are you like
12 to see as clinicians with all of these drugs.

13 First of all, the menstrual cycle
14 dysfunction may already be present in your patients
15 at the time they present, or may ensue as they
16 improve on these medications.

17 We see it all the time in infertility,
18 largely because it creates inadequate luteal phases.
19 And in the most severe cases, you see it in
20 hypogonadism, with a loss of libido. And that is
21 particularly manifested in the males, which cause
22 infertility and hypogonadism. However, there is no
23 known function for this agent in the males.

24 DR. TAMMINGA: Could we have the lights,
25 please. Dr. Lin.

1 DR. LIN: Do you have any speculation as
2 to why prolactin is more likely to cause cancer in
3 rodents and less likely to cause cancer in human
4 beings.

5 DR. CROWLEY: Yes. Fortunately, when I
6 was on this committee, I was always glad for this
7 other committee, the CAC committee, for
8 considerations of what causes individual toxicities.
9 And carcinogenicity, I think, has more to do with
10 changes in the rodent population.

11 There is data that is quite substantial
12 in this regard, to indicate that the incidence of
13 carcinogenicity in the control animals in all of
14 these studies have been rising over years.

15 So, I don't have an explanation for this
16 in the lower animal studies, but I know there are
17 some unique susceptibilities to the rat.

18 For example, the mice in these same
19 studies are not susceptible to anything near the
20 level that the rats are. And I believe there is
21 evidence on this other committee, who unanimously
22 agreed to this sort of assessment of this for
23 specific carcinogenicity. I believe the company has
24 some information about the fact that, over time, as
25 we house animals and genetically screen for them,

271

1 the incidence of neoplasia in the control subjects
2 is rising all of the time.

3 Now, that doesn't answer your question,
4 which is, what is the added effect of prolactin,
5 which is clearly there, and not to be avoided. But
6 I think what we are doing is selecting a subset all
7 the time in the controlled carcinogenicity studies
8 in the lower animal primates.

9 Having said that, it is a major hormone
10 in the primate, many of whose functions in the human
11 are attenuated or even absent.

12 DR. TAMMINGA: Any other questions for
13 Dr. Crowley. Thank you.

14 Comments from the committee.

15 I think you have our best opinion. Do
16 you want more discussion, or is this all.

17 With that, we will close the committee
18 meeting for today, thank Mr. Bernstein, who has been
19 busy all day making sure the meeting has gone all
20 right, and hope everybody is going to come back
21 tomorrow.

22 (Whereupon, at 4:24 p.m., the meeting
23 was recessed, to reconvene the following day,
24 Tuesday, July 20, 1993.)

25 ///