

0100

1 hope to have more efficient inspections as well and
2 clear understandings.

3 So, that's the hope. Implementation I
4 see is important and we look forward to the
5 discussions at the end of these presentations to
6 really give us advice as we go forward.

7 Thank you very much and I'll see if
8 there's any clarifications.

9 DR. GLOFF: Okay, thank you.

10 Any clarifications?

11 Yes, Dr. Karol.

12 DR. KAROL: Yes, I wondered if you could
13 just elaborate a bit in view of the principals of
14 Q10, which is continual improvement of product, how
15 do you envision the interaction with the regulators?
16 At what stage would you have these interactions?

17 MR. FAMULARE: Well that's an important
18 implementation question we're hoping within our
19 regulatory authority to be able to, now be able to
20 be clearer when we approve an application that you
21 have a certain understanding of your product and
22 process and with that understanding, there's a

0101

1 certain characteristic of the product that we want
2 to have that relates to its effectiveness and
3 bioavailability.

4 With that, as Moheb said in his slide
5 and he can feel free to jump in, we're hoping to lay
6 that out clearly in some summary fashion so that the
7 ability to, when you commercialize your process, you
8 sometimes find, well, this, this parameter or thing
9 that I set in development really needs to move a
10 different direction to actually go to the original
11 design that we've approved.

12 So, we want to go from really approving
13 or looking at incremental steps, and this is my
14 commitment, to a more global understanding of what
15 we're trying to achieve in the product -- in the
16 process and then the manufacturer will have a clear
17 understanding when their product is approved that
18 they can keep striving for that improvement,
19 changing processing parameters, et cetera.

20 And I'll just say as a general thing
21 when you're going to change the characteristics of
22 the product, it would probably be a more likely time

0102

1 for submission for prior approval versus striving to

2 keep it where you originally wanted to be but
3 process experience tells you to change some of the
4 parameters in parts of the process.

5 So, that's a general answer.

6 Anything to add, Moheb?

7 DR. NASR: Yes, I think this is an
8 excellent question because the existing regulatory
9 system we have in the U.S. relies mostly on
10 supplements, that any time there is a significant or
11 sometime insignificant change, you communicate your
12 plan to manage that change to us at the agency and
13 we review, we can make the decision, it's yeses or
14 no or so forth.

15 So now if we move into a new, a more
16 flexible regulatory system where we empower
17 manufacturers, as we should, to make changes that
18 doesn't necessarily change the characteristics of
19 the product, or effect its efficacy, but for
20 innovation, how that change will be managed and how
21 that will be communicated to the agency.

22 A couple of things here. Number one, we

0103

1 are working on a new element to enrich our existing
2 regulatory process through the same surrogatory

3 agreement. And that agreement will be developed
4 after the product's approved. It will be an
5 agreement with the agency, not only with the review
6 side of the house, but with the entire agency that
7 will have listed some of the critical elements to
8 continue to manufacture this product.

9 In addition, it could have a plan of
10 managing post-approval changes, so that will lay
11 down some of the strategies that would be used to
12 manage the changes and when to communicate and how
13 to communicate.

14 So I think the same surrogatory
15 agreement is a very critical way to facilitate the
16 implementation of quality by design. And I think I
17 can discuss that a little bit more in the afternoon.

18 Another important, we have some existing
19 regulatory pieces that we have not used, such as a
20 special report, et cetera, so you can communicate
21 with us some of the information of some improvement
22 you are making without the need for submittal

0104

1 supplement and with our approval to make the changes
2 that may be beneficial for your manufacturing as
3 well as to make the drug available to the public.

4 MR. FAMULARE: And, you know, sometimes
5 that might serve to actually delay a needed
6 improvement and you'll be able to move forward. So
7 why continue to go suboptimally when it's well known
8 that this change is needed to get there and wait for
9 the regulator and then multiply that by multiple
10 regulatory authorities.

11 We're hoping to have a, based on all the
12 elements that you've seen here today, a system that
13 kind of has a better global understanding so that
14 we're not controlling things incrementally.

15 DR. NASR: If I may, just one thing, I
16 think it's an excellent question, we can discuss
17 that for a long time and maybe in the afternoon we
18 will. But I think the existing regulatory system
19 has some weak links and these weak links that we
20 don't have a true and well structure, a
21 comprehensive integrated system where the reviewer
22 and inspectors along with the compliance decisions

0105

1 made at the agency or the compliance decision-makers
2 work together.

3 As you will see, some of the
4 experimental approaches we are using now avoid this

5 integration and we are working collectively toward
6 an integrated system. And I think Joe put it fairly
7 well that through the GMP inspection, there will be
8 some findings that would be shared with the reviewer
9 and vice versa. So that will close the loop, if you
10 wish.

11 DR. KAROL: The further complexity which
12 you mentioned was the international aspect and the
13 international regulatory system, so I wondered how
14 much thinking has gone into this.

15 DR. GLOFF: Doctor, did you
16 have a question?

17 DR. SELASSIER: In your discussions with
18 your working group, have you had any input from the
19 outsourcing operations?

20 MR. FAMULARE: I don't believe that we
21 have any direct members there, the rapporteur, you
22 may recall, but it's just really a highly-principled

0106

1 discussion that we're having of that.

2 Is there any particular --

3 DR. SELASSIER: No, I'm just wondering
4 how it, because obviously at some point you would
5 have to conform to these regulations, so.

6 MR. FAMULARE: Well, in terms of
7 conforming, it's basically a highly-principled
8 discussion of don't try and impose multiple contract
9 quality systems, for example, within a contracting
10 facility, but be able to look at it, evaluate it as
11 a contractor and then be able to make links to your
12 own quality system to insure that it's within your
13 circle as a contractor, getting those operations
14 done. And it's looking at the lifecycle of the
15 product and all pieces of it and bringing it
16 together.

17 So that's basically the focus and
18 emphasis of it.

19 DR. GLOFF: Yeah, Gerry.

20 MR. MIGLIACCIO: Yeah, well, many of the
21 industry representatives, on the expert working
22 group, we do contract manufacturing for each other,

0107

1 so the concept is, as Joe said, and I did want to
2 clarify the optionality slide, Joe, the wording that
3 was on Joe's slide was in a recent draft of the
4 document. It has been significantly changed due to
5 comments from a number of parties and the concept
6 that we are setting a guideline, a standard for a

7 quality system.

8 Now, if you outsource many of your
9 activities, the elements of the quality system
10 related to the outsourcing may not be part of your
11 quality system, but you're expecting the outsourcing
12 or the contract manufacturer to have those elements
13 in their quality system.

14 So, we're not saying that, you know, you
15 can do all or part, what we're saying is if you're
16 not doing that activity, we wouldn't expect to see
17 it in your quality system, but there should be a
18 management oversight of someone else's quality
19 system that's doing it for you.

20 DR. GLOFF: Okay, well thank you very
21 much and we'll move to our last speaker for this
22 morning, Mr. King, Bob King, who's going to talk

0108

1 about Q4B.

2 MR. KING: Good morning. Thank you and
3 I welcome the opportunity, actually probably this
4 would be the first time that the topic of Q4B has
5 been brought before the committee, so it will be
6 very much an awareness tool for you to learn a
7 little bit more about another aspect of a Q topic

8 that the agency is involved with, committed to and
9 is working very hard on.

10 I'll start the presentation just to give
11 you a little history and overview of why we have
12 Q4B, where did it come from, the need for it and
13 then I'll get into a discussion of the process steps
14 involving what Q4B does in terms of its
15 deliberations, current activities and things that we
16 are working on within the group and then also
17 implementation considerations that are really
18 impacting each of the regulatory regions. And I'll
19 give you a little insight into how within FDA we're
20 going to contemplate doing some of the
21 implementation.

22 Q4B actually originally started as Q4

0109

1 and it was a, a need arising from prior ICH
2 guideline activity, namely, development and work for
3 Q6A, global harmonizing of specification setting
4 chemical entities.

5 It really was recognized within that
6 document and during the development process that it
7 was really crucial and helpful and necessary to have
8 some agreement amongst the three regional areas, the

10 steering committee in July of 2003 to actually force
11 that issue. There were concerns and there were
12 issues of could these individual regulatory systems
13 which are diverse within the three regions recognize
14 another Pharmacopeia method given that for each
15 region they are owing to their own in their laws and
16 their regulations their own regional Pharmacopeia.
17 So that's an issue.

18 There were also downstream issues in
19 terms of changed management control, given that
20 there may be many monographs, many products, many
21 tests affected by potential harmonization issues, is
22 a manufacturer or sponsor going to have to go back

0111

1 and make modification to all of its existing
2 applications and dossiers in terms of bringing it
3 into conformance if he was using a USP method and he
4 now has decided to globally harmonize on a JP or a
5 European Pharmacopeia method. You see what's the
6 impact as far as change control.

7 So the industry really is the creator of
8 this group. And I know that during the development
9 processes for Q6A the Pharmacopeias were also very
10 much instrumental in the development of that

11 particular guideline.

12 So actually in November of 2003 the
13 steering committees agreed to what the concerns were
14 of the industry and actually put into play a
15 mechanism to form a Q4 expert working group to come
16 up with a work plan as to initial thoughts and
17 concepts. It really was not viewed as a concept
18 paper in the traditional language of ICH, but it
19 really was a document that outlined and hopefully
20 came up with some of the issues that needed to be
21 dealt with from a global standpoint. That work plan
22 was approved by the steering committee in April of
0112

1 2004.

2 Further, in June of 2004, at its
3 meetings in Washington, the steering committee gave
4 full, full backing to the actual function of the Q4B
5 working group. At that time the name was changed to
6 Q4B and to go forward and develop the actual
7 guideline to outline the process steps of how we
8 would deal with some of the issues that are being
9 brought to us by the industry.

10 The actual process of developing that
11 guideline really took a two-year term in terms of

12 between November of 2004 and actually June of 2006
13 in which case the steering committee met and
14 actually approved as a step 2 ICH guideline. And
15 Moheb did go over the ICH steps with you earlier
16 this morning, I won't go into that at this
17 particular point.

18 But part of the process of doing the Q4B
19 activity was we had to decide how were we going to
20 bring all of the outcomes of evaluating the
21 doability of the compendial harmonization efforts to
22 the real world for transparency and awareness to

0113

1 both regulators and industry.

2 It was determined that what we would do
3 is develop specific annexes to the core Q4B
4 guideline developed through ICH and actually bring
5 each topic. And as you notice on the slide here the
6 first topic that we actually dealt with was a
7 harmonized test, referred to as the ROI/sulphated
8 ash test, which was common to many, many different
9 pharmaceutical products and monographs. This is the
10 first topic that was evaluated and approved through
11 the ICH process, again as a separate step 2 annex
12 and has also been moved forward into FDA's processes

13 as a draft guidance.

14 Keep in mind that through commitment
15 between ICH and FDA, what we are attempting to do is
16 when ICH develops its full guidelines, we will then
17 bring it through the FDA's processes for FDA and
18 each of the regions has their own process, but to
19 formally bring it into a draft guidance for
20 industry, hopefully receiving good comments back
21 during regulatory consultation during a review
22 period and then finalizing it as a final draft

0114

1 guidance -- final guidance for industry to help
2 them.

3 The Q6A process brought about the
4 awareness that there was a good group of general
5 test chapters that should be the first working
6 effort for this, for this group.

7 As you would obviously realize, there
8 are a lot of products, a lot of -- within a
9 manufacturer's or sponsor's environment, a company's
10 environment, there are many, many products affected
11 by one or more of these tests, so the impact, the
12 bang for the buck is very large with an
13 understanding if we could harmonize and develop

14 language that would be common to all three regions,
15 it would certainly facilitate to have, to have that
16 happen.

17 There are 11, as you can see. They've
18 condensed uniformity of content, uniformity of mass
19 into the simple title of uniformity of dosage units.

20 Well as I mentioned, the three
21 Pharmacopeia, collectively, have a working group
22 referred to as a Pharmacopeial discussion group,

0115

1 PDG, and I'll refer to them during the course of my
2 talk to give you a little understanding of where
3 they fit into the aspects of working with the Q4B
4 expert working group.

5 But these, this group has really been
6 formed since I believe 1989. They've been working
7 for obviously quite a number of years to try and go
8 through the rigors and difficulties of taking their
9 diverse mechanisms, their diverse methods and coming
10 up with harmonized individual methods.

11 They have worked not only on ongoing
12 general test chapters, but also on numerous
13 excipient monographs and other harmonization efforts
14 along the way. But I do want to emphasize that the

15 mandate and the actual working of the Q4B activity
16 is by scope limited by the ICH steering committee
17 and that is to the 11 general chapters only at this
18 point.

19 The PDG process is, again, a very
20 time-consuming, elaborate, multi-step process for
21 them to come to agreement and understanding. But
22 the effort is to really, and I'll show you this in

0116

1 my next slide, what they really do and they are
2 really looking at the slide, there's actually some
3 pieces that are missing. What each of them are
4 starting with is something entirely in some cases
5 different from what the final product is.

6 You're talking about three regions and
7 there are different ways to come to the same result.
8 The PDG process in many cases is taking multiple
9 years, five, ten years to come to common agreement
10 and understanding on just simply this piece right
11 here in terms of coming to a harmonized text.

12 The view was always that once they took
13 that effort and got to the harmonized text, that the
14 PDG would simply pass to each of the individual
15 members of PDG, EP, JP and USP these pieces here,

16 the same text so that in essence you had the same
17 words in each of the Pharmacopeia, which obviously
18 makes harmonization very simple, very
19 straightforward to understand.

20 The problem is that you'll notice that
21 these are deliberately colored differently and
22 you'll see the individual lines of a given test are

0117

1 different because what ultimately ends up
2 individually to each of the different Pharmacopeia
3 is not the harmonized text. It is their own
4 rendition or version of that text to serve the needs
5 of their own individual compendium.

6 Now there may be stylistic differences,
7 there may be incorporated references that need to be
8 for their legal purposes within the individual
9 Pharmacopeia. Quite often there are, there are
10 decisions made by each of the Pharmacopeia during
11 their individual approval processes which are
12 totally different. They will actually either take
13 out from the harmonized text or add to the
14 harmonized text to suit the needs of their
15 particular Pharmacopeia.

16 These particular additions, changes,

17 edits, modifications, end up with something that
18 renders these things no longer looking the same as
19 it was intended back as a harmonized text. Industry
20 was concerned, rightly so, that could there now
21 still be an equitable interchangeability amongst
22 these methods, with these little stylistic, in some
0118

1 cases, scientific changes to the methodology.

2 That's the purpose of Q4B.

3 Q4B is really a working group to look at
4 a at, the high level in a setting where you have
5 each of the stakeholders, the Pharmacopeia, the
6 industry and the regulators trying to resolve the
7 issues of these differences to, one, remove them, or
8 understand them so that we can achieve the
9 interchangeability that we want.

10 In Q4B, Q4B does this by taking the
11 documents that come from the PDG, namely the
12 harmonized original text, which is, takes years to
13 get to and then the particular versions, as I
14 mentioned, as they come through the USP, the EP or
15 the JP revision processes to see how they are going
16 to implement that harmonized text and a notice
17 provide, a brief note which is in many cases short

18 but in some cases, which I'll discuss, very, very
19 lengthy to define where there are differences, where
20 they exist and their assessment of the importance of
21 those differences.

22 And then they also give us a picture of
0119

1 how long is it going to take for some of these
2 changes and some of these revisions to get to an
3 official status. They each have their different
4 ways of doing that.

5 In the case of USP as we know, they have
6 various ways. There's an official printing of the
7 whole compendia every year now. There's also
8 multiple supplements, two supplements during the
9 course of a year and in many cases there are what
10 are referred to as interim revision announcements
11 that can be potentially printed in a two-month cycle
12 in terms of effecting official change.

13 It's not the same in Europe. The time
14 lines are different and certainly not different in
15 Japan. Japan has the one other obvious difference
16 in that not only do they have to work with the Q4B
17 process in English, everything that's done has to be
18 translated effectively into Japanese.

19 Dr. Berridge this morning mentioned that
20 language is somewhat difficult, words are somewhat
21 difficult. We have found during the process of
22 working with Q4B that words are everything. Trying
0120

1 to find words that each of the three regions, and
2 especially regarding Japan and their ability to
3 translate effectively for their audience and their
4 constituents, the same word is very, very difficult.

5 The word interchangeable, for example,
6 is not a common word that we can easily translate
7 into the Japanese. The original name for this Q4B
8 group was regulatory acceptance of Pharmacopeial
9 interchangeability. Well as you'll notice, that is
10 not the name of our group now. The name was changed
11 in Yokohama to put language in that removed the word
12 interchangeability and put a far more reaching global
13 terminology of regulatory acceptance of analytical
14 procedures and/or acceptance criteria, which is a
15 mouthful and doesn't lend itself to an easy acronym.

16 So the unfortunate aspect is that that
17 name change, which went forward in June -- I'm
18 sorry, in our recent meetings in June in Yokohama
19 probably is going to be changed again because those

20 particular terms, regulatory acceptance and
21 acceptance criteria, really step on potentially
22 interpretation aspects for different regulators in
0121

1 terms of what does, what does that really mean the
2 function of Q4B is.

3 So we will be coming, going to Chicago
4 with discussion and a need to change that title to
5 one more effectively refer it to what the Q4B
6 activity really is and not to leave open an
7 interpretation and a meaning that could pose
8 difficulties for certainly our regulatory authority
9 and certainly others down the road.

10 As I mentioned, we received these
11 documents as outlined on the screen from PDG. We
12 then take each of the members, you're talking about
13 three pharmaceutical industry representative members
14 from Europe, United States and Japan and the three
15 regulatory regions of Europe, Japan and the United
16 States and also interested observers.

17 Each of them take back separately with
18 no pre-defined way as to how to evaluate, but
19 basically what we're doing is looking for what's in
20 these versions of the different Pharmacopeia methods

21 and do they propose an impediment to actually
22 creating an interchangeability between them for the
0122

1 purposes of citation and regulatory documentation,
2 not only in applications and dossiers, but also in
3 compliance testing which is also a very important
4 aspect.

5 So each of the regulatory -- I'm sorry,
6 each of the Q4B parties, the ICH parties brings back
7 to their constituents for impact on doability, is
8 there something here that creates an impediment or a
9 problem for either industry or for the regulators.

10 Those results are then brought back to
11 the Q4B working group, either in between meetings or
12 during one of our every other -- our six-month
13 meetings, at one of the venues and we actually sit
14 down and we review these evaluations from each of
15 the members.

16 If there is no problems or no, no issues
17 that are bubbled up during that process, it becomes
18 a very, very straightforward matter for us to
19 evaluate and give our thoughts and opinions to the
20 ICH steering committee on what do we have here as
21 far as a potential interchangeable method.

22 The more likely scenario is that there

0123

1 are problems, there are issues and there are
2 disagreements and are matters that come up that need
3 to be resolved and quite often they impart a need to
4 get back in touch with PDG to actually sit down face
5 to face with PDG, which we do do at each of our ICH
6 meetings, we actually have an opportunity, a time
7 set apart so that we can sit down and meet with the
8 representatives from PDG.

9 This has actually been a very, very
10 positive process from that standpoint. Those
11 results of those discussions between PDG and Q4B
12 have managed to unwind problems and issues that have
13 existed for years and years and years in terms of
14 difficulty. The fact that at these particular
15 venues, and you have face to face the
16 representatives of the Pharmacopeia, the industry
17 and the regulators, they all are striving for the
18 same goal to come up with harmonized methods.

19 They all, I think for whatever their own
20 individual reasons are, have had difficulty coming
21 to understanding during all these years within the
22 PDG process, but the actual joint process between

0124

1 PDG and Q4B has managed, as I mentioned, to change
2 things that just haven't been able to be changed for
3 years of negotiation in the PDG process.

4 And a good example comes to mind is the
5 evaluation that's still ongoing relative to the PDG
6 submission on the sterility test, which again is
7 highly used in parenteral products.

8 There, during the evolutionary period of
9 PDG's process, there, each of the compendia came up
10 with their version and it ended up with at least 17
11 major significant issues that were impediments to
12 the actual use, interchangeability between the
13 different compendium.

14 The, in a six-month period of time
15 working with both the EU, the EU regulators, the
16 Japanese regulators, PDG and the industry, we
17 actually were able to unwind 15 of those 17 issues
18 and force the -- not force, I don't want to use the
19 word force, we don't force the compendia to do
20 anything, we provide suggestions to them for their
21 consideration.

22 They actually have unwound 15 of the 17

0125

1 discrepancies and issues that were resolved in the
2 original work done by PDG. The other two are still
3 being resolved, but we have full faith in the
4 mechanism and in the process that we can come to an
5 understanding that, so that these, in essence, these
6 methods become totally equivalent to the point you
7 can get the same result and the same ability to
8 accept and reject a lot. That's the key of this
9 whole issue.

10 Once we do finally receive resolution
11 and the Q4B working group is comfortable that we
12 have a level of interchangeability, we suggest an
13 approval to the ICH steering committee as a step 2
14 document.

15 As I mentioned, each topic, and there
16 are 11 of them, are going to be brought as
17 individual topic annexes to our core guideline.
18 This means that each of the topics we're going to,
19 we'll go back to each of the regulatory regions for
20 regulatory consultation. The process really for
21 that annex process is outlined in this slide.

22 As you can see on the left side of the

0126

1 slide here, it's basically a very quick summation of

2 what the PDG does. This, again, is a seven-step
3 process, I've only indicated the three major steps
4 which is simply for them to submit the documentation
5 to Q4B, they ultimately separately and apart and
6 don't care what Q4B is doing, they are going to go
7 through their own implementation mechanisms to print
8 and make official their versions of these tests.

9 They are trying to wait until this end
10 of the process takes place so that any feedback that
11 comes from their stakeholders, the industry and the
12 regulators is folded into ultimately what they go
13 through as far as an official printing of their
14 particular method is concerned.

15 As you notice and as outlined by Moheb
16 this morning, this is a five-step process modeled
17 after the traditional ICH process where we sign off
18 on a document, it goes through regulatory
19 consultation, then it's reviewed back further by,
20 after any comments come in during the consultation,
21 is then adjusted as necessary and ultimately goes
22 into regional regulatory implementation.

0127

1 As I mentioned earlier, what that would
2 entail is, one, at step 2 we put forth a draft

3 guidance, in FDA's terminology, and at step 5, we
4 would then go through the steps to implement a
5 document for final FDA guidance.

6 Simply I've outlined here very briefly
7 what effectively the Q4B activity is. It is, as I
8 mentioned, a way to resolve issues that might impact
9 both industry and regulators as far as their
10 Pharmacopeial testing.

11 For FDA, really what we're trying to do
12 is to determine that we on our -- potentially, not
13 that it's mandatory, but we could facilitate the use
14 of JP and EP methodology citations on our regulatory
15 documents. And again, it's not binding to us, it
16 does not impact in any way our regulatory authority
17 and I'll get into that discussion in a moment.
18 Certainly it's going to be a savings and a time
19 consideration relative to the industry.

20 In terms of them having to do one test,
21 apply that same test to each of the three different
22 regions is certainly something that keeps in what

0128

1 the ICH is all about and that's bringing efficiency
2 to the process, ultimately hopefully to the patient.

3 The second benefit may be certainly, to

4 a certain, a lesser degree to FDA. We have, as you
5 know, tied into our regulatory system through the
6 Federal Food Drug and Cosmetic Act a citation to the
7 fact that USP exists. USP methodology is recognized
8 certainly for cases of discrepancy or potential
9 adulteration that is the Bible. That is the
10 mechanism that we are to rely upon for those type of
11 situations, it's there.

12 It, it can be certainly an assist to FDA
13 if, indeed, each time a company wants to come to you
14 as -- to FDA and propose that they would like to use
15 this method X, Y, Z. In many cases it may be an
16 in-house method, in some cases it may be a foreign
17 Pharmacopodia method that they want to use for that
18 particular test in their application or in
19 compliance testing.

20 Traditionally, and as always, we have
21 the right to certainly question any method that is
22 put forth on an application to see that it is

0129

1 appropriate, it doesn't, in terms of making a
2 contribution to the safety and efficacy of the drug
3 and it meets with our regulatory authority in terms
4 of the review of that method.

5 But in many cases where historically a
6 company might site a separate method, we would
7 require them to go through a justification to verify
8 that it provides the same level of information and
9 capability to accept and reject the lot, that it is
10 indeed a comparable to the compendial method in your
11 country, the USP method if that was going to be even
12 considered.

13 So it does, it does, at one stage
14 injunction provide for a high level acceptability so
15 that that justification may not necessarily have to
16 achieve the same level of stress to a company that
17 wants to change to something else other than a USP
18 method. This gives a piece of information to be
19 used during the regulatory review process as part of
20 the overall process to hopefully come up with the
21 best methods for a given product.

22 Further, and as I mentioned in my

0130

1 example regarding the stability test, it is a very
2 strong mechanism and way to effect change by working
3 directly with all the stakeholders.

4 Each of those compendia have their own
5 revision cycles and certainly the FDA can comment at

6 any time in the USP's process by commenting on what
7 is published in the Pharmacopeial form, which is
8 USP's revision journal. We can comment, so there's
9 always a mechanism during the development process
10 for us to comment, for industry to comment on what's
11 going on.

12 But this gives a good high level at the
13 end of the line view of what is going on as to, for
14 the world of harmonization, do we have something
15 that's workable for each of the regions or not. And
16 by having each of these stake-holders in this
17 meeting in these venues to talk and discuss, as I
18 mentioned, we can effect these changes that they
19 couldn't effect over years or done in the space of
20 six months. So it helps, it helps.

21 It does not, it does not, and as I
22 mentioned in any way jeopardize or impinge upon

0131

1 FDA's review authority. We have the right and the
2 necessity within our CMC review processes to insure
3 that the methodology that we're going to work and
4 agree to with a manufacturer provides the best
5 method for that given product that we're reviewing.
6 And that may or may not be a compendial method.

7 It may be a compendial method that we
8 might ask for additional information on. Whatever
9 the case may be. Nothing changes here. The
10 regulatory method that we rely upon in the case of
11 any problems in this country, any discrepancy, if
12 there's a problem with a JP or an EP method and a
13 disagreement amongst the individual parties, by
14 definition in, in the Q4B mandates, it is indicated
15 that the regional method that you in your own region
16 is the one that would always take preference because
17 that is already tied into your own existing
18 regulation and law.

19 The Q4B process as we have evolved it
20 within the agency has indeed provided for
21 multi-office, multi-region, multi-center input into
22 this scientific review process. When we do receive

0132

1 the documents from PDG, they are distributed to CVM,
2 to CBER, to many components within CDER for that
3 review process and sanity check to see indeed do we
4 have something that's posing issues or problems to
5 the agency.

6 Again, I've already gone over this, I'm
7 not going to say it again, but it does not review --

8 it does not impart any difficulty or remove any of
9 our mandate for our own review authority. It also,
10 as part of the process of Q4B and this was something
11 that the industry was concerned about concerning
12 time constraints, it will not re, re-invent the long
13 review cycle, the revision cycle that is already
14 inherent in each of the individual Pharmacopeias.

15 Each of them go through the process to
16 come to what they want and they are then reviewed at
17 our Q4B meetings in terms of acceptability and
18 suitability for intended use, but it's not, it's
19 not, we are not intending to put another time factor
20 into the process to actually further delay the need
21 for harmonized methods.

22 And also it certainly will not establish

0133

1 a mechanism for changing or adding in acceptance
2 criteria outside of our normal internal FDA
3 processes.

4 For Chicago, as I've mentioned, we've
5 already moved our documents to step 2. We've moved
6 our core guidance to, guideline to step 2 and our
7 first topic annex for residue on ignition and
8 sulphated ash. They have been out now for roughly,

9 I guess it's, the 60-day comment period is coming to
10 an end next week.

11 We have not at this base, stage, at
12 least not in the FDA's circle, received any comments
13 on the draft guidances that we've put forth, but
14 those will be, any comments that do come in during
15 the comment period will be taken back to, to our
16 meeting in Chicago to be discussed, resolved,
17 whatever, to hopefully come up with a step 4
18 document through the ICH process for both our first
19 annex and also our core guideline.

20 We also intend and hope to bring our
21 next topic, which is extractable volume, it's a
22 section within the USP's general test chapter one on

0134

1 injections, it's another effort through PDG to try
2 to harmonize. This one is far easier because in
3 this case they each are taking the same language and
4 there are no I think impediments to actually moving
5 this one very quickly into a state of we think that
6 they are harmonizable and interchangeable.

7 We also, as I mentioned, there are 11
8 chapters, we also have received documentation from
9 PDG relative to the sterility tests, to particulate

10 matter and to dissolution. There are issues with
11 each of these and they are being discussed and are
12 being worked on. We expect as much as we have for
13 the sterility test that we can effectively through
14 discussion on the science involved within these
15 particular methods come up with resolutions to the
16 issues that have been, have been raised.

17 There are other general test chapters
18 yet to come in the process. As far as moving
19 forward in terms of implementation, obviously this
20 is, this is going to have to be an awareness topic
21 that for industry and for the FDA regulators, indeed
22 all the regulators in all the regions, is going to
0135

1 have to be clearly understood and explained.

2 So, within FDA we have for transparency
3 and for all of the regulators, we have formed a
4 working group within, again, the multi-center within
5 CBER, CDER, CBER, CVM and ORA to actually have an
6 awareness group to discuss the Q4B implications,
7 what are the things that we need to be careful about
8 and again, we're not trying to change, we're not
9 changing FDA regulation here, we're just trying to
10 understand the process and make sure that we have a

12 within Q4B to start the evaluation of some of these
13 harmonized texts started really in late 2004, two of
14 them were moved fairly quickly.

15 I think as I mentioned, there are issues
16 on some of them that have to be resolved and it
17 really, if they aren't resolved and then there are
18 impediments, we don't want to end up with something
19 that's more difficult. We don't want to end up with
20 yes, you can use the Japanese method but you have to
21 ignore this section, that section and that section.
22 That's not going to help anybody.

0137

1 These issues have to be resolved so that
2 there's common understanding amongst the
3 Pharmacopeia and in many cases it's their changing
4 that will have to happen.

5 But as we've seen with sterility tests,
6 they are willing to go the extra mile and they
7 turned these things around in six months and got the
8 majority of problems removed.

9 DR. GLOFF: Dr. Fackler.

10 DR. FACKLER: I think it will be a great
11 help. Maybe by 2010, maybe being a key word there.
12 But it's just a start, though.

13 If these 11 general chapters are
14 harmonized, one is still left with qualifying
15 re-agents and excipients in three different
16 methodologies and having to use the Japanese
17 qualified excipient in a dissolution test for Japan
18 and the USP qualified excipients for USP, so again I
19 applaud the effort and I think everybody is solidly
20 behind it, but it's just -- well, it's a mountain.

21 MR. KING: I mean you hit the major
22 issue there. I mean there are numerous impediments

0138

1 to this. There's a lot of nationalism that's coming
2 into play from the standpoint of making some of
3 these, some of these people, some of these things
4 just don't want to be changed.

5 But it's a very -- you know, the
6 ultimate goal is -- if you want to be very future
7 thinking to come up with a unified Pharmacopeia, I
8 don't know if it's -- if all of the players would
9 say that's in their best interests to do, long-term.

10 I can't speak for any of them, but
11 certainly I think there, there is, there is a sense
12 of necessity from certainly industry standpoints to
13 see that, to see -- there are different, you know,

14 even on some of the re-agent, even on some of the
15 excipient specifications there are notable
16 differences and who's assessing the impact of those
17 differences.

18 Well, if you remove the differences,
19 then you don't have to worry about that. It's far
20 reaching, but right now we're dealing with just 11,
21 which as I'm sure you'll agree affects many products
22 certainly within a sponsor's house as well as

0139

1 certainly globally.

2 DR. GLOFF: Okay, if there are no more
3 clarifications, questions, we'll start with our
4 discussion.

5 We -- yes. Okay.

6 We have a list of four questions that we
7 are asked to respond to and I think they're going to
8 be put up here in a second. We also have hard
9 copies in our packets and what I'd like to do is
10 start with the, give the committee the opportunity
11 to make general comments, general discussion, and
12 then we'll focus on each question at a time, but
13 let's start out with general.

14 So, I think Dr. Kibbe would like to

15 start.

16 DR. KIBBE: I have a question that I
17 think that could be answered yes or no and then
18 follow-ups that go with it and it's about the whole
19 ICH process that's been going on for almost two
20 decades.

21 Is the, is there a process in place
22 which allows countries or regions of the world that

0140

1 are not currently listed as members of the ICH
2 committees to apply to join and participate?

3 If yes, why has no one in the last
4 16 years been added. If no, then is that a decision
5 that the current members of the ICH have made
6 actively to limit it so it's a workable group. And
7 if they didn't make an active decision, do they just
8 kind of let it percolate along and not really
9 discuss it.

10 And wouldn't we be remiss to not
11 recognize that over the last 15 years there's been a
12 shift in where manufacturing has occurred and
13 shouldn't harmonization try to expand to cover those
14 issues.

15 And I don't know whether we want to get

16 into a long, prolonged discussion, but I think it's
17 something that eventually we have to talk about at
18 some level.

19 DR. GLOFF: Dr. -- Mr. Migliaccio.

20 MR. MIGLIACCIO: Let me address that.

21 There are six parties, official parties
22 at ICH, however when each new topic is brought

0141

1 together, so when the expert working group for Q10
2 sat down, our first charge was to determine what
3 other parties should be sitting in the room with us.

4 So to address your question, we did
5 invite China and India, the regulatory authorities
6 in China and India to sit with us.

7 We also had representatives of the
8 generic industry with us and we have representatives
9 from the consumer products industry with us, because
10 of the broad-reaching concepts that were being
11 discussed under quality systems.

12 So the answer is the six parties, the
13 six official parties remain constant, but for each
14 topic you are charged, the expert working group is
15 charged with determining what other interested
16 parties should be able to contribute to the

17 development of the guideline.

18 MR. UNIDENTIFIED SPEAKER: If I can just
19 add to that. I think the -- you can add additional
20 observers to the, to the ICH process. It is a
21 decision that's made I believe by the steering
22 committees and the leadership within ICH as to

0142

1 whether they can be added.

2 One of the criteria, though, has to be
3 that they need to represent, if you add additional
4 people, they need to represent the entire, either
5 their own country or if they are for a particular
6 area of the product lines, for example, like biotech
7 products or something, specifically, they would need
8 to come in as a representative that would represent
9 all of the three regions.

10 DR. KIBBE: But there's been no thought
11 to permanently add to the six?

12 DR. NASR: I think if I may jump in
13 here, I think Jerry and Keith described the process
14 that's been done in some ways on an ad hoc basis.

15 If I understand Dr. Kibbe's question,
16 his question is far more reaching than this, that
17 when we started the process, the intent was to

18 harmonize among three regions. If I understand your
19 question correctly, Dr. Kibbe, I think what you are
20 asking us is the ICH is considering a way based on
21 economic realities and global trade and commerce to
22 expand the ICH concept, include regions

0143

1 and/countries that are not currently official member
2 of ICH and based on what I know, the answer is no.

3 I may ask Dr. Berridge who has been I
4 think looking around this room, he's the longest
5 serving member of ICH if he'd like to add to this.

6 DR. BERRIDGE: I wonder whether that's a
7 compliment or not, but --

8 DR. NASR: Talking about how long the
9 process takes.

10 DR. BERRIDGE: Yes. I think we should
11 recognize that there are some regions that naturally
12 adopt the ICH guidelines and, for example, Canada
13 and Australia will accept the ICH guidances as their
14 own. We do have WHO representation in most of the
15 quality-related topics, so they are intimately
16 involved in it, and there is what's called a global
17 cooperation group which participates around the ICH
18 discussions which then involves the potential

19 participation of countries from Latin America, from
20 Asia who are interested in adopting ICH and we have,
21 for example, seen that there is an (inaudible)
22 regional common technical document which was largely
0144

1 based on the ICH processes.

2 So whilst they are not official voting
3 members of the ICH steering committee, regulatory
4 representatives from many countries in many regions
5 do observe the quality processes and then do adopt
6 many of the outputs from ICH within their regions,
7 but it is voluntary.

8 DR. GLOFF: I've just been reminded that
9 anyone who joins the discussion is asked to please
10 state their name when they begin speaking for the
11 record, so this was Dr. Berridge who was one of the
12 speakers earlier who just gave those last comments.

13 Art, did you have more that you wanted
14 to?

15 DR. KIBBE: I don't know whether it, I
16 kind of wonder at the commitment of observers to
17 carry through and whether it wouldn't be better in
18 the long run to have them as full participants in
19 order to get that kind of commitment.

20 And I recognize that as we just heard it
21 takes 25 years to get everybody to agree on one
22 word, or how to spell it, but at the same time, the
0145

1 regions of the world that are producing a tremendous
2 number of the products that are now internationally
3 distributed, there's major production in regions
4 that are not real participants, observers, perhaps,
5 are called in on special interests and then their
6 commitment to the outcome isn't the same.

7 And I, I don't know whether we should
8 talk about it here or whether we should ask and what
9 I had for a recommendation is that our
10 representatives from FDA and whatever raise the
11 issue and see whether it could be moved, I think it
12 would be worthwhile.

13 MS. UNIDENTIFIED SPEAKER: I think,
14 Dr. Kibbe, we appreciate your concerns and we will
15 be glad, of course we're only, FDA is only one of
16 the participants in ICH, we'll be glad to take that
17 through our representative to the steering committee
18 and bring up your issues and concerns.

19 I think they are very valid and I think
20 maybe with any organization there's always the time

21 where you sort of have to go back and look and see
22 if you're meeting the current needs as they come up,
0146

1 so I think this would be a good issue to take up.
2 So we really appreciate it bringing, you bringing it
3 to our attention.

4 DR. GLOFF: Thank you.

5 Any other general discussion, comments
6 or thoughts before we go on to the questions,
7 general discussions related to -- okay, Dr. Koch.

8 DR. KOCH: Yeah, I have one that maybe
9 stretches the concept here a bit, but when I hear of
10 things in Q8 with regard to process development,
11 design space and accepting variability and then Q9
12 with the risk assessment and the patient response
13 and then Q10 with the quality systems, it brings to
14 mind a real concern, a growing concern in recent
15 pharmaceutical, manufacturing and engineering
16 conferences with regard to the issue of
17 counterfeiting and when you think of this growing
18 concern, and it's largely spurred at the moment by
19 profitability in some of these products, not every
20 product, and also processes that are moving around
21 via the outsourcing and cost-conserving measures,

22 but the concepts that are expressed in the ICH team
0147

1 to address certainly the risk and the other things
2 that are concerned here.

3 And it may be an overview of a whole
4 different topic, but at some point it needs to fit
5 in based on the global concern that's arising here.

6 DR. NASR: Even though this is not part
7 of the topic, but it's an excellent question, for
8 those who are not aware, we at the Food and Drug
9 Administration have, at the commissioner level a
10 task force on counterfeit. That was initiated by
11 Dr. McClellan when he was here and was
12 re-invigorated, if you wish, by Dr. Andy van
13 Ockenbach.

14 And we have three, so far three public
15 workshops, I serve on the task force, we had three
16 public workshops where we had, tried to address the
17 issues and how can the agency through the change of
18 regulation and through some compliance activities
19 and through RFID technology, et cetera, how can we
20 do that. And we are currently working on some
21 basis, for example, getting back to RFID and the
22 exposure to RFID transmitters and readers,

0148

1 et cetera, on the quality of the product.

2 So we have active research efforts now
3 to make sure that, to enhance and facilitate the
4 implementation of some of these technologies. RFID
5 is one of them.

6 DR. GLOFF: Dr. Venitz, is that a
7 question or comment?

8 DR. VENITZ: I have a couple of comments
9 to the Q9 presentation about risk and I'm not a CMC
10 expert, but I'm on the clinical side, so I'm pretty
11 familiar with the term risk.

12 One of the comments when we talk about
13 probability and outcomes of what the definition is
14 is that was presented to us really does that a low
15 probability, high severity outcome is equivalent to
16 a high probability, low severity outcome, so my
17 analogy again on the clinical side would be that one
18 death every million patients is equivalent to 10,000
19 patients having a headache.

20 That's what risk this kind of way of,
21 risk assessment does. The offsetting, low
22 probability events by high severity and vice-versa,

0149

1 and that's just something that you have to keep in
2 mind. Not that I have proposed how to change it,
3 but it's something that sometimes we have certain
4 events that we want to avoid at any costs, which
5 means risk-based analysis may not work.

6 The second comment is related to the
7 FMEA analysis, that is a typical example of an
8 empiric test where you just at probabilities, you
9 make a judgment, but outcomes may be the ability to
10 detect them and then you decide whether something is
11 acceptable or not.

12 Well on the other hand, I've been
13 listening yesterday when we talked about the
14 Levothyroxine, the initiative to what's QBD, quality
15 by design. Well quality by design to me implies
16 that I have a mechanistic understanding of what's
17 going on.

18 So an alternative approach is using risk
19 analysis as root cause analysis, okay, and I guess
20 I'm proposing that that be considered as well and I
21 think it might example the parietal paradigm that
22 was mentioned, the reason why domain experts account

0150

1 for 80 percent of the knowledge (inaudible) is

2 because they understand mechanisms. They may not
3 have a lot more empirical data than risk analyzers
4 or analysts, but they do understand mechanisms and
5 that gives them a certain confidence.

6 The last thing which I think is probably
7 the most pertinent one is there's an additional, a
8 third part to risk and that's uncertainty.
9 Probability, how much certainty do we have that we
10 know what the probability is and the same would be
11 true for the outcomes, for the severity.

12 And again, this is something that I
13 think should be explicitly considered as you go
14 through those processes, that usually as a
15 regulatory agency you play worst case scenario, you
16 say if I don't know, that's bad, but then you have
17 to start qualifying in the context of a form of risk
18 analysis, how bad is it. Not the outcomes, per se,
19 but how much do you know about the outcomes, how
20 much do you know about the probability. How much do
21 you know about the severity.

22 So my fundamental I guess suggestion is

0151

1 to consider mechanistic-based root cause analysis,
2 at least complimentary, maybe sometimes

3 substitutable, to just FMEAs, which is testing.

4 DR. NASR: For me, may I just add
5 something simple, I think these are excellent
6 comments, we'll take them all into consideration as
7 we further implement these approaches.

8 I think your second comment I'm most
9 interested in and that's why I think when we talked
10 about integrational these quality approaches and
11 systems and concepts, Q8 and Q9 have to be working
12 together and I hope this and when I talk about the
13 FDA perspective and quality by design, I try to
14 illustrate how these things are being done or at
15 least our approaches, how to link these things
16 together.

17 But I completely agree with you that the
18 first assessment part of risk has to be scientific
19 understanding and first principles prior to using
20 some of what, quote, end quote, empirical risk
21 management approaches and tools. I agree with you.

22 DR. GLOFF: Are there further general

0152

1 comments, questions before we go to the questions
2 that FDA has posed?

3 Seeing no one, we'll go to question one,

4 which is up on the screen and I will also read it.

5 The question is posed again to the committee.

6 Do you agree with FDA implementation
7 strategy of the new ICH quality vision?

8 Anyone have discussion? Are you ready
9 to vote? No discussion?

10 What I'd like to do then is go around
11 the table and starting with Dr. Venitz, our two
12 industry representatives do not vote, I'd like to
13 remind the audience.

14 DR. VENITZ: Yes, I agree.

15 DR. GLOFF: Okay, also if you'd state
16 your name, I can either state your name or if you
17 just state your name and say your vote, that would
18 be great.

19 DR. SELASSIE: Cynthia Selassier yes.

20 DR. MEYER: Marvin Meyer, I think I'd
21 rather abstain out of ignorance.

22 MR. SWADENER: Marc Swadener, yes.

0153

1 DR. GLOFF: Carol Gloff, yes.

2 DR. KOCH: Mel Koch, yes.

3 DR. KIBBE: Art Kibbe, yes.

4 DR. KAROL: Maryl Karol, yes.

5 DR. GLOFF: That vote is seven yes, one
6 abstention.

7 And we'll move to question two.

8 Question two being, should FDA implement
9 additional quality risk management, QRM, activities,
10 given resource constraints?

11 Thoughts on this?

12 MR. UNIDENTIFIED SPEAKER: What
13 additional QRM activities are you considering?

14 MR. UNIDENTIFIED SPEAKER: And how much
15 is the resource constraint?

16 MR. UNIDENTIFIED SPEAKER: Right, and
17 what's the cost associated with it.

18 MR. UNIDENTIFIED SPEAKER: And should we
19 do something about the resource constraints first?

20 DR. NASR: I think the agency would
21 welcome that. We need all the help we can get. I
22 think we are applying some quality risk management

0154

1 being under consideration on the early stages of
2 implementation.

3 Some of this will be further discussed
4 this afternoon because, again, the quality by design
5 and risk management and quality risk management are,

6 we applied them in an integrated way.

7 But I think this committee have heard a
8 presentation in the past, maybe not very detailed
9 this morning, on the inspectional strategy, risk
10 based for inspection and today very briefly in
11 (inaudible) medicine approach to using quality risk
12 management and pre-approval but was not very well or
13 very detailed presented this morning. So these are
14 some of the things we are doing.

15 On the other hand as far as the
16 resources, our resources don't increase, so what I'm
17 saying is with the new initiative and approaches and
18 programs, we do not currently have (inaudible), we
19 don't have additional resources, so we have to use a
20 risk-based approach without using our resources.

21 DR. GLOFF: I would actually suggest
22 that we defer this question to later in the

0155

1 afternoon. I think after we have a discussion on
2 quality by design and some of the processes that
3 we're implementing within the different programs, we
4 can really get a better feel as to whether there are
5 risk management activities that need to go hand in
6 hand with some of these processes and we can talk

7 about those and we can also talk about some of the
8 resource constraints that we have at that time.

9 But I think right now the question would
10 be better deferred.

11 Okay, so unless I hear any disagreement,
12 we will just table this question until the set of
13 questions this afternoon.

14 Moving to question three, should FDA
15 continue to develop additional implementation
16 guidances or rely only on ICH guidelines?

17 MS. UNIDENTIFIED SPEAKER: I think I'd
18 like to make some clarification here. In the past I
19 think we've often put out our own guidances that
20 further explains how we will implement ICH
21 guidelines and many times we've found that some of
22 the implementation guidances are prescriptive and a
0156

1 lot more, you know, have a lot more detail than the
2 ICH guidelines.

3 And I think what we're looking for here
4 is really getting some input from the committee as
5 to whether the ICH guidelines should be adequate for
6 us or whether we really do need these additional,
7 more detailed guidances out there, both for the

8 agency and the industry.

9 DR. GLOFF: Dr. Koch.

10 DR. KOCH: Yeah, I guess without
11 understanding all of the guidances or needing to
12 hear that, I have the feeling that the ICH
13 guidelines often rely on FDA guidances for resource
14 and so there's, there's a value, maybe there's a way
15 to revise how the guidance is constructed. But it
16 appears that it's often a framework that fits for
17 somebody to build and implement.

18 DR. GLOFF: Mr. Migliaccio?

19 MR. MIGLIACCIO: From the standpoint of
20 the high-level conceptual discussions on
21 pharmaceutical development and quality risk
22 management, quality systems, I think the ICH

0157

1 guidelines stand alone fairly well, but I think
2 there's an opportunity for the agency to, on the
3 more technical elements, for example, innovative
4 approaches to process validation, where the FDA can
5 establish some models for the rest of the world. I
6 think that's where additional guidance is warranted
7 and is value added.

8 But at the high-level conceptual, I

9 think from an industry perspective, we think the ICH
10 guidelines stand on their own, they are sufficient
11 for us to interpret and to apply.

12 DR. GLOFF: Dr. Kibbe.

13 DR. KIBBE: I think there's always a
14 problem with deciding never to issue guidances
15 because we don't know what the next ICH guideline
16 will read like, nor do we know what our regulated
17 industry would then like in terms of help with it.

18 So the issue to me is the FDA should
19 read the guidelines carefully, decide whether they
20 can be easily implemented, consult with the
21 regulated industry and then issue guidances when the
22 regulated industry thinks that it would be helpful

0158

1 and not make a blanket decision one way or the
2 other.

3 MS. UNIDENTIFIED SPEAKER: I do think at
4 times, too, that the guidances are helpful in
5 clarifying some of the things that are in the ICH
6 guidelines that are so general that it's hard to
7 apply them in the regulatory world, both from the
8 standpoint of industry and the agency, so I think in
9 those cases it makes sense to me to have guidances,

10 but I really again am interested in especially how
11 the committee thinks about this.

12 DR. GLOFF: Dr. Fackler.

13 DR. FACKLER: I agree with all of this
14 discussion, but particularly with Dr. Kibbe. I
15 don't want you to tie your hands and have the
16 committee recommend that you not be able to
17 implement guidances.

18 I think that would be a serious mistake
19 and I can say from industry's perspective that
20 guidances are useful. You know, there's an amount
21 of uncertainty with the ICH guidelines that FDA has
22 in the past clarified for industry and, you know,

0159

1 the more experienced the company, the less you need
2 that additional guidance, but, you know, the less
3 experienced companies bringing products to FDA are
4 going to find those guidances extremely valuable.

5 And so I would recommend what I'm
6 staying away from not having the ability to write
7 guidances.

8 MS. UNIDENTIFIED SPEAKER: I'd like to
9 re-enforce that what the prior speakers have said
10 that I'm sure there are some instances in which the

11 ICH guidance is adequate, provides appropriate level
12 of detail so that both the experienced companies as
13 well as the inexperienced ones could understand what
14 was appropriate, but I think in other instances
15 that's not the case.

16 In addition, and a comment was made I
17 think by Dr. Kibbe about, you know, talking with
18 industry and determining where they feel additional
19 guidance would be needed and I would agree with
20 that.

21 In addition I think that sometimes
22 you're just going to see it in submissions again.

0160

1 Because if -- if it's just the ICH guidance and the
2 submissions that you get, it becomes clear that the
3 message isn't really getting across and you probably
4 don't need industry to tell you then that they need
5 more guidance.

6 So, I personally would be in favor of
7 recommending that you continue to develop additional
8 implementation guidances when appropriate.

9 DR. GLOFF: Anyone else?

10 So shall we just go along and do a quick
11 vote here on this?

12 On question 3, whether or not, do you
13 want to vote on this or other people express their
14 opinions?

15 MS. UNIDENTIFIED SPEAKER: I actually
16 think that enough has been said that we really don't
17 need a vote. I think everyone is pretty much in
18 agreement.

19 DR. GLOFF: I'll just ask does anyone
20 disagree and feel that the answer should be no, they
21 should not be?

22 Marc, Dr. Swadener?

0161

1 DR. SWADENER: There are really two
2 questions in the one question. If you're going to
3 vote, you really have to separate the two.

4 MR. UNIDENTIFIED SPEAKER: What I'd
5 gather from the conversation and agree, we probably
6 don't need a vote because this isn't actually a yes
7 or no question, up or down, is that we should
8 evaluate the need for guidances as we move forward
9 with implementation and with our experience,
10 industry's experience how we move ahead, we will, it
11 will be clear what guidances are necessary.

12 MS. UNIDENTIFIED SPEAKER: I think it

13 should be emphasized that the major focus should be
14 on the international guidances and only when
15 necessary develop those.

16 DR. GLOFF: Okay. Any other comments on
17 question three?

18 One more question, question four, and
19 the question is is it necessary to gain experience
20 through implementation of the new concepts prior to
21 development of additional guidelines?

22 Mr. Migliaccio?

0162

1 MR. MIGLIACCIO: My concern about this
2 question is it implies that we might stop activities
3 within ICH while we gain experience and I think
4 those of us who have been involved in ICH consider
5 it probably the best venue for regulators and
6 industry to talk about the key issues moving forward
7 to this desired state. Stopping that dialogue will
8 stop the innovative approaches that we're
9 undertaking now.

10 The, yes, we need to insure that what
11 we're doing is properly implemented, but this is a
12 continuum and we don't want to stop the momentum,
13 stop the phenomenal dialogue that's been going on

14 around the need of the patient.

15 So I would say we, we, when we qualify
16 this question, it should be around keeping the
17 dialogue going.

18 MS. UNIDENTIFIED SPEAKER: Could I ask a
19 clarification on this question, was this question
20 intended to refer to development of additional ICH
21 guidelines or development of additional FDA
22 guidelines?

0163

1 MS. UNIDENTIFIED SPEAKER: It was
2 additional ICH guidelines and basically I think
3 Jerry clearly states the issues here is that FDA has
4 a desire to continue the dialogue, to continue to
5 work on those guidelines that are currently being
6 developed, but there seems like for us there almost
7 needs to not be a pause in the dialogue or a pause
8 in where we are, but a pause in what new particular
9 guidelines we introduce based on learning some of
10 the information -- learning some of the pitfalls,
11 learning some of our knowledge gaps, et cetera, in
12 the implementation of these new concepts.

13 So, before we introduce new guidelines
14 for moving forward, we'd sort of like to have a

15 better understanding of the implementation problems
16 that we're going to have now because we think that's
17 really going to really present the opportunity for
18 additional guidelines.

19 So I don't think it's our intention to
20 stop the dialogue at all. I think our intention is
21 to sort of see where we are, gather ourselves sort
22 of together and see what the problems are and then

0164

1 move forward. But no stopping.

2 MS. UNIDENTIFIED SPEAKER: If I could
3 just try to paraphrase a bit then.

4 Perhaps the question is gaining
5 experience through implementation of the new
6 concepts prior to development of additional ICH
7 guidelines in the same focus area, because it seems
8 as if what you're saying is your thinking is that
9 you would want to understand how the guidelines that
10 are currently have just been developed or being
11 developed work, essentially, rather, before you add
12 new, in that, in that particular arena, but if it
13 was in another area that it would make sense to go
14 full speed ahead on those. Is that the message?

15 MS. UNIDENTIFIED SPEAKER: That's the

16 message.

17 DR. NASR: Can I add just some
18 clarification to the question? The question is not
19 very clear.

20 I think just to put things in
21 perspective and be fairly clear on what we're
22 discussing here, I think Helen put it fairly well.

0165

1 The agency is committed to two things,
2 number one to continue the dialogue on the ICH and
3 the global discussions. I think we are committed to
4 do that.

5 Number two, we are committed to
6 implement the new vision of ICH quality and I think
7 we in the U.S. more so at the agency have done quite
8 a bit already and we're in the process or we're
9 doing more. So these two commitments are already
10 made by the agency at the highest level.

11 Just for clarification, what we are
12 trying to explain here, and I'll go down a little
13 bit, some of the new concepts such as design space,
14 it is not a new concept altogether, but it's in some
15 ways a newer concept in the pharmaceutical
16 manufacturing area.

17 We are in the process through our
18 several efforts among which our office on the QA
19 quality, CMC pilot program and you will hear more
20 about that this afternoon.

21 We are just at the baby stages of
22 learning how to implement this concept, what does it

0166

1 mean to manufacturer and if it's -- how you put it
2 in a submission and how it's being evaluated, what
3 is the regulatory ramification of approval of such a
4 design space.

5 So, we are in the process of learning
6 about some of these concepts. So the question that
7 I'm trying to in some ways, in addition to what have
8 been discussed in getting input from the committee
9 on is since we are implementing the design space
10 concept is just one of the new concepts.

11 Should we take that concept further and
12 examine existing ICH guidelines or develop other
13 guidelines to provide more extrapolation what design
14 space is as far as Q6A or that deal with that
15 specification, et cetera, or should we wait until we
16 better understand how this could be used in
17 development and submission and review prior to

18 developing or revisiting existing guidances. I hope
19 that helps.

20 MS. UNIDENTIFIED SPEAKER: Thank you.

21 It certainly helped me.

22 DR. GLOFF: Given that further

0167

1 explanation by Dr. Nasr, comments, questions?

2 Dr. Fackler.

3 DR. FACKLER: I appreciate what you've
4 just said and would suggest that the more specific
5 these ICH guidances become, the more difficult
6 everyone's job becomes.

7 I mean there's a certain value in
8 understanding the expectation, but when an agency
9 writes down exactly what that expectation is, it, it
10 handcuffs the companies that are then trying to
11 supply it.

12 The freedom to move within the
13 principals of ICH I think are the ideal and I would
14 agree that it's going to take a certain amount of
15 time to understand how manufacturers will define
16 design space, implement it and would agree that it
17 might be premature to issue guidances to design
18 something that really has only been done for a short

19 period of time and in a relatively small number of
20 instances.

21 So, I agree is basically.

22 DR. GLOFF: Other comments?

0168

1 I thought I saw another hand over here
2 to my right, but, no.

3 No. Do we, I don't necessarily see this
4 as a voting question.

5 MS. UNIDENTIFIED SPEAKER: No, I don't
6 see. I actually think this was just for general
7 discussion and to get a feel from the committee, so
8 I don't think we need to vote on it. So I
9 appreciate the input.

10 I think you know that we have our
11 challenges ourselves internally with ICH and how
12 best to move forward.

13 We do, though, as I've stated and as
14 Moheb has stated, really do want to continue the
15 dialogue, we find it very valuable to us as part of
16 the learning process.

17 DR. GLOFF: Okay. That concludes the
18 morning session for today. We're scheduled to
19 reconvene at 1:00, which is 54 minutes from now, and

20 we will do so and that will be the open hearing for
21 today, starting at 1 p.m. in this room.

22 (End of morning session.)

0169

1 October 5th, 2006, afternoon session.

2 Advisory Committee for the Pharmaceutical Sciences.

3 DR. GLOFF: Good afternoon and welcome

4 back to the afternoon session of our Advisory

5 Committee for Pharmaceutical Sciences meeting today.

6 We're now going to enter into our open public

7 hearing and I'm going to read for you the required

8 open public hearing statement.

9 Both the Food and Drug Administration,

10 FDA, and the public believe in a transparent process

11 for information gathering and decision-making to

12 insure such transparency at the open public hearing

13 session of the advisory committee meeting. FDA

14 believes that it is important to understand the

15 context of an individual's presentation.

16 For this reason, FDA encourages you, the

17 open public hearing speaker, at the beginning of

18 your written or oral statement to advise the

19 committee of any financial relationship that you may

20 have with any company or any group that is likely to

21 be impacted by the topic of this meeting. For
22 example, the financial information may include a
0170

1 company's or a group's payment of your travel,
2 lodging or other expenses in connection with your
3 attendance at the meeting.

4 Likewise, FDA encourages you at the
5 beginning of your statement to advise the committee
6 if you do not have any such financial relationships.
7 If you choose not to address this issue of financial
8 relationships at the beginning of your statement, it
9 will not preclude you from speaking.

10 Our first speaker is Dr. Hoiberg.

11 DR. HOIBERG: My financial involvement
12 is Pfizer, at least before I gave this presentation.

13 DR. GLOFF: And could you just state
14 your name.

15 DR. HOIBERG: Chuck Hoiberg. You have
16 the first line, oh, okay.

17 It's hidden somewhere else. Oh, there,
18 here.

19 First off, the members of IFPAT
20 Manufacturers Association would like to thank the
21 committee for this opportunity to make this

22 presentation on a PAT equipment vendor certification

0171

1 proposal.

2 I will make a short presentation and
3 then I'll be followed by Neil Lewis, who was really
4 part of the technical committee for this.

5 I think you've all been provided in a
6 spiral notebook the white paper that this particular
7 association or group has created and at the end we'd
8 sort of like some feedback from you folks to see if
9 this has benefit to the regulators, to the industry
10 and to the vendors. It's sort of a checkpoint
11 because it's a work in progress at this juncture.

12 So, what is IFPATMA. Well as you can
13 see, it's really an organization right now. Various
14 pharmaceutical companies and instrument vendors have
15 joined together looking for a way of developing an
16 audit for instruments that will be used in
17 manufacturing and we feel it's very compliant with
18 the 21st Century initiative the agency has set
19 forth.

20 There are really two major objectives
21 for this particular initiative, one is really to
22 reduce the burden of audits to both the purchaser

0172

1 and the vendor and we're going to achieve this
2 through the development of the independent certified
3 audit program. And this will require the instrument
4 manufacturer to undergo a single audit and,
5 therefore, this will establish generally whether or
6 not that this particular instrument will be suitable
7 for its use and we feel that this would have great
8 benefit.

9 The second objective is to sort of
10 change the historical way in which audits were done
11 in this area, tick the box, and now we feel through
12 this approach it's going to be risk-based,
13 science-driven and we really will establish the
14 first time sort of a uniform standard so that it
15 would be fit for purpose and it will be robust and
16 all set for installation in the plant.

17 So at this point, I'll turn it over to
18 Neil.

19 MR. LEWIS: Okay, thanks, Chuck. My
20 name is Neil Lewis and my financial involvement is
21 through a company called Malvern Instruments.

22 I'm going to go through essentially the

0173

1 rationale for this. I think some of the benefits of
2 the process, expectations from the various
3 stakeholders, vendors, Pharma, companies, and the
4 regulators, sort of a proposed certification process
5 and the use of the vendor certification, a quick
6 discussion of the support in place and then some
7 discussion about next steps and timeline.

8 So, basically we believe that the, this
9 process will have significant technical and business
10 benefits for users, vendors and regulators alike.

11 And we believe that the certification
12 will allow PAT system users and regulatory bodies to
13 understand that the vendor is complying with the
14 minimum set of agreed criteria due to the
15 development, manufacture and the test of the system.

16 And in theory, the certification will
17 cover the instrumentation, the software and the
18 sample interface into the PAT or the sensor into the
19 process.

20 The benefits we believe are that the
21 Pharma firms will not have to carry out their own
22 quality audits of the vendor.

0174

1 Vendors will be assured that there's a

2 reciprocal process there that they will not have to
3 carry out their own quality audits of their systems
4 or their products multiple times and all parties
5 will reap benefits in terms of rapid and efficient
6 qualification process prior to the sale and delivery
7 of the system, reduced cost of quality and no
8 additional quality audit necessary.

9 And I think more importantly, perhaps
10 the third point here is the implementation of a
11 high-quality, systematic, uniform and traceable
12 certification process through all vendors and
13 through all pharmaceutical companies, so everybody
14 on the same playing field, essentially.

15 For the vendor, I think what we are --

16 Stepping a little bit out from the
17 slides here, I think what my perception is that my
18 expectations for the vendor would be perhaps more
19 rigorous than they might be right now, but there's
20 going to be less redundancies, there's going to be
21 less repeatability, but, in fact, the expectations
22 from the vendor will be that they will follow a

0175

1 product development process, there will be some kind
2 of a certified quality management system in place, a

3 quality improvement process, a product
4 specification, some kind of a robustness plan as
5 part a design criteria for the PAT sensor. We
6 believe this could come from a consensus group such
7 as ASTM, et cetera.

8 There would be test processes and
9 procedures associated with that and then an internal
10 audit that would test the compliance with these
11 processes.

12 Expectations for the pharmaceutical
13 companies is obviously that the organizations buy
14 into this process and basically there's internal
15 consensus that the certification process satisfies
16 the appropriate part of the quality management
17 system for the PAT equipment.

18 And as a result of that, then no further
19 technical or quality audit of the vendor would be
20 required.

21 So in a sense there would be a firewall
22 there that would say okay, if the instrument is

0176

1 certified for a particular use, then that's
2 basically it and the pharmaceutical company does not
3 have to go back into the development processes in

4 the quality systems of each vendor and each product
5 separately.

6 Obviously there's, you know, there's a
7 bit of the chicken and the egg process here. To
8 accelerate the uptake of the certification process,
9 it is expected and hoped that the regulators would
10 support this initiative.

11 The regulators would expect to see some
12 kind of a PAT system certification during an
13 inspection. The regulators would know about the
14 certification process and understand that it had
15 been done by an expert in a particular technology.

16 As the technologies for PAT broaden and
17 we get more and more different kinds of sensors and
18 new sensors coming on line, then obviously
19 individuals who are expert in particular
20 technologies certify instruments I think probably
21 has some significant benefit, as well.

22 And then the regulators could

0177

1 essentially focus on how that system, how that
2 sensor was being employed in a particular
3 manufacturing process without any regard of,
4 certainly as long as it's certified, without

5 necessarily a regard for how that sensor has been
6 manufactured and the processes that are, to certify
7 its suitability for a particular use.

8 I'm sure this is completely illegible,
9 even in the front and definitely in the back, but
10 essentially what we've got here is a, is up here
11 sort of the certification guidelines that feed into
12 both parts of the process.

13 Over here you've got an internal process
14 where the loop here for remediation within the
15 organization, within the vendor manufacturing
16 protocol and into that their quality systems,
17 et cetera, feed into this process.

18 And then when the vendor believes they
19 are ready for an outside certification, they would
20 request that an external, independent audit would be
21 applied to both the systems and the particular
22 product, so that a certification could be issued.

0178

1 And a certification is really a two-part
2 process. It's a certification of the systems and
3 protocols, processes in place in the instrument
4 company, along with a specific certification for a
5 particular sensor. And these two pieces come

6 together to form a certificate and that's the
7 certificate layer here.

8 And then a vendor, a pharmaceutical
9 company can basically request that certificate from,
10 from either the holder of the certificate, an
11 external original, or from the vendor themselves,
12 and that would form part of the PAT validation
13 process.

14 I guess that's basically what I've said
15 here, (inaudible), to use the vendor system as part
16 of a PAT implementation would request a copy of the
17 certificate, review of the certificate would reveal
18 the system of interest has been created in an
19 environment that makes it suitable for use in that
20 particular application. And then, in principle, no
21 further inquiry of the vendor would be or should be
22 necessary.

0179

1 Right now there's, we're building
2 support for this in both the pharmaceutical industry
3 and vendors. I want to make sure everybody
4 understands that this is not necessarily endorsed by
5 these organizations, but we have members from a
6 variety of instrument companies and pharmaceutical

7 companies essentially advising this group.

8 Next steps and timelines. One of the
9 key elements here obviously is to increase the
10 consensus across the pharmaceutical industry and
11 vendors. I mean this is not going to happen. I
12 don't think vendors are going to be interested in it
13 and pharmaceutical industry is probably not
14 interested in it unless we get consensus, we get
15 critical mass here so that, you know, a vendor is
16 not, there's not additional work that's added to a
17 vendor with some organizations that accept the
18 certification, other says, well, you know, we don't
19 believe in certification, therefore, we're still
20 going to go through our own process.

21 So I think that's part of the puzzle
22 here.

0180

1 Obviously we need to identify the
2 certification body, who is that going to be. As
3 Chuck said, this is a work in progress and we're
4 looking into that. And then create the vendor
5 certification guidelines. I mean, so, there's still
6 a lot of work to be done here.

7 We would like to think we could deliver

8 the scheme in the next two to three years. And I
9 guess for the, for the agency, we are, you know,
10 hoping that we can get some support here and some
11 concurrence that at least says that we're on the
12 right track here and this is basically a reasonable
13 idea and has a win/win philosophy I think for all
14 concerned, as I said in one of the earlier slides.

15 So with that, I think I'll leave it open
16 for questions and comments.

17 DR. GLOFF: Thank you.

18 Mr. Migliaccio.

19 MR. MIGLIACCIO: Just one comment and
20 one question. I always get nervous when I'm, the
21 concept of no further quality audit, no further
22 inquiry.

0181

1 Now I understand the concept of
2 certification, ISO certification, so I don't have
3 have to go in and recertify, I can assure within my
4 quality system that I can source from that vendor
5 and it meets a certain standard; however, there are
6 needs for for cause audits and there are needs,
7 because we're talking here about the U.S., whether
8 it's here or overseas, if an inspector comes in and

9 begins to question that PAT application, the sensor,
10 there may be a need for further inquiry back to the
11 vendor.

12 And so the absoluteness of no further
13 quality audits and no further inquiries, I, I think
14 is difficult to handle.

15 MR. LEWIS: Yeah, and I, obviously
16 that's an ideal scenario from the presentation here.

17 You know, I don't think we -- there
18 necessarily has to be a complete firewall there,
19 but, but certainly I think as a general rule it
20 would seem to me that because of the tremendous
21 amount of redundancy that I see in the process right
22 now, any way to mitigate that has got to have some

0182

1 value.

2 And, you know, if there are extenuating
3 circumstances where something needs to be done, then
4 that can be accommodated in the process.

5 But, you know, as a general scheme here,
6 it seems to me to have a, you know, a lot of merit
7 for all the stakeholders and you get experts
8 basically looking at specific technology who are
9 certified to look at a particular technology, the

10 pharmaceutical industry focuses their burden,
11 becomes focused on the process and the
12 implementation of the sensor and the vendors who I
13 obviously represent, you know, essentially don't go
14 through this repetitive redundant process that right
15 now is, you know, as you know, is different for
16 different companies and in some cases it's quite
17 different and arduous and expensive.

18 MR. MIGLIACCIO: So now just a question.
19 You're talking sensors now. Hopefully in the future
20 we won't be buying sensors, we will be buying
21 equipment which is fully enabled, fully PAT enabled,
22 which means the sensors are designed in

0183

1 appropriately, not retrofitted in.

2 MR. LEWIS: Correct, yeah.

3 MR. MIGLIACCIO: So when you're talking
4 about certification there, are you talking about
5 certification of the sensors or the equipment?

6 MR. LEWIS: We're talking about
7 certification of the equipment as in, again, in an
8 ideal case, as you know, I mean a lot of the sensors
9 that have been adopted right now for PAT
10 applications, really lab instrument that get, you

11 know, thrown into an (inaudible) enclosure and cross
12 your fingers and you hope it does the job, you know,
13 in that environment.

14 You know, as this matures, then clearly
15 you have dedicated process instrumentation designed
16 from the ground up and I think one of the slides, I
17 probably glossed over it, but this robustness idea
18 is part of a design criteria.

19 Again, the problem with that is, you
20 know, financially, again, there's a chicken and the
21 egg there because that requires a tremendous amount
22 of investment on the behalf of the vendors to be

0184

1 able to do that. And again, when you have a
2 six-month process to deploy a new infrared sensor
3 for a drying application, you know, these become,
4 these become really, I think really limit in staffs
5 to the uptake of PAT.

6 DR. GLOFF: Dr. Koch.

7 DR. KOCH: Yeah, Neil, I think it's a
8 great idea.

9 MR. LEWIS: Thank you.

10 DR. KOCH: You mentioned early on that
11 the instrument would go from -- well, taking the

12 measurement and including the sampling system and I
13 would have a fairly large concern there because I
14 don't know if I've ever seen two processes that use
15 the same sampling system. So to imply that I think
16 is a stretch.

17 And I think as Jerry's pointing out,
18 there's more and more opportunity for sensors to be
19 embedded in the unit operation and that's going to
20 resolve in systems sold in that way.

21 One thing I worry about is if one is
22 selling a -- or a PAT system, there's a, I don't

0185

1 know, a possibility that the person feels their
2 process is now PAT approved because they are using a
3 PAT instrument and it's gone all the way to this,
4 the other ridiculous part where I've seen some
5 advertisements where people are selling PAT approved
6 instruments, you know, just to try to sell an extra
7 unit.

8 So, there is some space between here and
9 there that have to be implied and, I don't know, is
10 there a way to consider an ISO or underwriters or
11 some other approach rather than using the term PAT,
12 unless that's largely into a marketing, because

13 you're going to accomplish most of that without
14 maybe using the term.

15 MR. LEWIS: Well, I think two points,
16 and I want to speak to the second point first.

17 I think you know part of a formal
18 process for it takes that out of the equation right
19 there. This idea that people would market an
20 instrument and call it PAT enabled or PAT capable
21 without having some kind of a certification, I mean
22 that removes I think that, you know, that ability to

0186

1 some degree.

2 And to come back to your interface
3 question, again, you know, this presentation
4 represents a committee effort, so, you know, there's
5 parties from Pharma companies, there's parties from
6 instrument vendors and I personally think, you know,
7 the rubber really meets the road on that interface
8 part.

9 I mean the sensors are actually in many
10 cases a lot better than that interface and, you
11 know, frankly, I would like to have, I would like to
12 have a series of certifications where you certify an
13 instrument's, you know, reliability or robustness

14 for a particular application or for a particular
15 sensing capability and then you've got an interface
16 as a separate aspect of it, because as you know, I
17 mean that's, that's where most of these processes
18 fall down.

19 DR. GLOFF: Dr. Nasr.

20 DR. NASR: A couple of comments.

21 Number one, I think the concept is
22 interesting and there is a serious attempt on the
0187

1 vendor part to do more toward the qualification. I
2 don't want to really use the word certification of
3 the equipment that's good, but under our GMP, and
4 I'm not a GMP expert, but Joe Famulare is not here,
5 equipment qualification is a very important goal
6 under GMP, whether it's process analytical
7 technology enabled or not, so I think we at the
8 agency would have to discuss.

9 I'm going to defer to my colleagues on
10 the GMP side, but I think having it certified and
11 without further certification by anybody also
12 qualified or beyond GMP, I would suspect that would
13 be completely unacceptable to the FDA.

14 I think having an effort to better

15 standardize and facilitate the implementation of PAT
16 and enable Pharma company to purchase some
17 (inaudible), so I just want to put on the table a
18 strong reservation on the FDA of how we can do that.
19 I think it would be difficult, but again, I'm not an
20 expert so I'm going to refer this to my colleagues.

21 Another point, I think it is
22 oversimplification if you label this equipment as

0188

1 PAT certified because even (inaudible) technology
2 today means different things to different people.
3 Is it just the sensor on line or is this a complete
4 enabled system with appropriate controls, et cetera.

5 So I think, I think the concept, I will
6 ask you to go back to your working group and
7 consider some of these issues and maybe there will
8 be additional discussion maybe with my colleagues on
9 the GMP side.

10 MR. LEWIS: Yeah, I mean I really
11 believe there's a building block mentality here and
12 if we take a building block approach where you, you
13 know, you break the process down into sensors, into
14 sensors and probes, integration of sensors into
15 processes, if you break it down into that way, then

16 it becomes I think a manageable process.

17 You know, right now, you know, I believe
18 that the ability to deliver instrumentation into PAT
19 applications, there's a real bottleneck there and we
20 can talk about, you know, PAT instrumentation and
21 putting things on line and all of the nice things
22 that's in the, you know, in the PAT guidance,

0189

1 et cetera, but if you don't have a streamline
2 process for, you know, enabling a pharmaceutical
3 company to put an instrument into a system without
4 there being a nine-month hiatus and a whole bunch of
5 ifs and buts and different procedures and
6 bottlenecks, I think it, I think that's on the
7 critical path to really a larger bigger picture
8 issue, as well.

9 DR. NASR: I think your question about
10 raising issue about what can we do as a
11 pharmaceutical community to streamline the process
12 and to enable the implementation of process
13 analytical technology is good. I think everyone
14 here will agree with that.

15 But I think going about it is where we
16 have some challenges the way it was presented to us

17 this morning.

18 MR. LEWIS: I would encourage everybody
19 here to get involved. You know, I think the more
20 people that we have involved, the better, so we
21 would welcome all opinions on that, I think.

22 DR. GLOFF: Thank you very much.

0190

1 MR. LEWIS: Thank you.

2 DR. GLOFF: I believe we have one more
3 speaker for the open session.

4 MS. UNIDENTIFIED SPEAKER: Mr. Fred
5 Razzaghi.

6 DR. GLOFF: Fred Razzaghi, thank you.

7 MR. RAZZAGHI: Good afternoon. My name
8 is Fred Razzaghi. I represent the Consumer Health
9 Care Practice Association and in terms of financial
10 interests, my travel was paid by myself and I
11 represent the OPC industry

12 Thank you. Thanks for the opportunity
13 to raise a few points here. I had the opportunity
14 to participate in the Q8 working group and also
15 working with the rapporteur on Q10 right now and
16 just wanted to raise a few points on what Q9 is all
17 about and without being redundant just to address

18 some of the issues that were raised earlier this
19 morning.

20 I tried to divide it into two sections.
21 Obviously Q8 is trying to develop a science aspect
22 of quality and on the risk management side we're
0191

1 hoping that Q9 will help us decide what's important
2 to do. And I have a question there where we've
3 outlined it can be important to do everything
4 because over time there's a burden of having so many
5 things accumulate that it's not possible to do
6 everything.

7 I mentioned here that accumulation of
8 requirements over time over more organizations and
9 as time goes on, maybe those things seem obsolete
10 and when someone comes around and asks you what's
11 the value of what you're doing, it becomes difficult
12 to answer that question.

13 Regarding risk management, what we tried
14 to do with the document was to use tools that are
15 already established. So when I say establish
16 knowledge to determine what's important is that
17 there are tools in that document that are well known
18 and well established, so you can use them to help

19 answer some of these questions.

20 Q9 is a systemic process oriented
21 approach to decision-making, if you will, and the
22 folks who are in the working group agreed at the
0192

1 time that we were doing the work that you want it to
2 be, give us the following benefits by being
3 applicable, in other words, you can take a tool and
4 adjust it to a particular situation and give it some
5 flexibility. Using the same tool you could gain
6 consistency and also the tools allow you to
7 integrate, bring different disciplines to answer the
8 questions.

9 I have a few points underneath what the
10 document is that you can see for yourself. What
11 does Q9 offer. Q9 serves as a foundation to support
12 other ICH quality documents. We believe that Q9 can
13 be helpful in Q10, in Q9 and the prior documents,
14 even though there's a question as to whether 9, the
15 first 7Qs are in line with the new thinking.

16 I put in a couple of items here about
17 circumstances affecting the regulators and industry
18 for reasons why Q9 was written.

19 At the time we started doing this work,

20 the discussion was there are forces that are
21 affecting both sides in terms of resources and we
22 need to be able to manage available resources, which
0193

1 is one of the questions that was asked this morning
2 about is it a good idea to continue a risk-based
3 approach within the agency. Our recommendation
4 would be yes, to do that.

5 Q9 was also written to help establish a
6 common understanding of what risk management is and
7 ICH was a good opportunity for us to establish that.

8 I've listed some benefits here. One of
9 the things we worked to put into Q9 was risk
10 communication. We -- it is clear that both industry
11 and regulators feel that risk management is an
12 important issue and for us to be able to communicate
13 it, we felt it was a way to enhance public's
14 confidence and there are specific instances where
15 good risk communication allows for clear
16 communication to the public about what their risk is
17 with a certain product.

18 The example that we had discussed during
19 the working group was recalls, that if there's a
20 question regarding the quality of a product, that

21 the industry has a way to communicate with the
22 regulators on the questions and try to understand
0194

1 what the issues are and be able to coherently
2 communicate to the public what the risks are.

3 Without getting into the safety areas,
4 this is, the Q9 stays out of that area, so I want to
5 be clear about that.

6 I also have listed other benefits of
7 risk management. One is understand the factors that
8 impact regulators and industry operations. And we
9 have some soft goals here in terms of partly due to
10 the overwhelming nature of the requirements that are
11 out there, you try to manage and react to what comes
12 at you and hopefully using Q9 you could approach it
13 in a proactive way.

14 I have a chart here that is the same as
15 Dr. Claycamp's. This information comes directly
16 from the working group's output that we used in the
17 briefing material, if you have a chance to look at
18 it on the Website at the ICH.

19 We also tried in doing a document not to
20 go back and re-invent the wheel, so this is a
21 reference list, if you will, but what's significant

22 about it is is most of these tools, most of the
0195

1 ideas in there have the roots in the engineers
2 sciences and they give us clarity and when I say
3 predictability, there are complex engineering models
4 that rely on risk management if you look at the
5 tools like (inaudible) analysis, to allow you to
6 build complex engineering models where we thought it
7 would be beneficial when we're talking about
8 applying it to the manufacturing environment.

9 I have a couple of slides here about the
10 science part. This is my own opinion that when
11 we're talking about manufacturing and the science
12 that exists there, we're talking about a combination
13 of things that we know.

14 It is my opinion that pharmaceutical
15 sciences is a major component. Engineering science
16 is a very important aspect of it, but there's also
17 room there for topics like we just heard before any
18 presentation on the technology that's available and
19 the use of that technology.

20 And also when you're talking about
21 operations and management, you're talking about
22 applying management techniques and within that you

0196

1 could also bring in risk management as part of
2 management of the operation.

3 I have a couple of thoughts here about
4 how Q9 integrates. Q9 is one of the 10 Q documents,
5 I guess there's consensus that Q8, 9, 10 stand
6 separate because of the new (inaudible) that has
7 gone into them, but essentially what we're saying is
8 that Q9 enables quality systems to address some of
9 the following problems.

10 These bullet points appeared in the
11 original concept paper that was written for Q9 and
12 we hope and we feel that applying Q9 appropriately
13 will help alleviate some of these issues that we had
14 raised.

15 You have seen the slide which was
16 basically the history of how we got started on the
17 new three Q documents. I'm going to skip over this.

18 This is the formula and how Q9 applies
19 into Q10 and Q8. I've also, this is also borrowed
20 from the ICH working group who put it together. On
21 the vertical side you see the operational, the Q10
22 side, and then how Q8 can be applied in between

0197

1 there.

2 I have a couple of slides here on the
3 distinction of Q10. You probably could just read it
4 on your own. I'm not going to go into it due to
5 time here.

6 Just a couple words on the Q9 document
7 itself. The idea was that we were going to propose
8 a simple process, a model, no -- you know, something
9 that people can refer to. Again, this was not
10 re-invented, this is something that was currently
11 available. Dr. Claycamp went into it.

12 One thing that was not mentioned earlier
13 on the risk formula. Let me go back here, is when
14 you talk about application, one component is
15 detectability, and that goes back to the available
16 technologies that might be used at the time.

17 So you do have probability of and
18 severity, but you also, what we did add later on in
19 the document was detectability. So you need to know
20 if it's there or not or your ability to be able to
21 take it up.

22 It certainly was raised earlier and this

0198

1 is something that Dr. Claycamp had brought to a

2 group when we were writing the document, I added the
3 slide, which is my own opinion, specifically
4 Dr. Venitz mentioned this earlier, we need to always
5 be aware of uncertainty and in prior discussions
6 this has come up again.

7 I'd like to put it in three categories,
8 limits of our knowledge, there are things that we
9 just don't know and we need to continue to strive
10 and there are ways in risk management that when you
11 go through the process of making a decision, you
12 could make new discoveries and that's how we can
13 institutionalize what we learn and keep going.

14 There's a healthy dialogue on the
15 absence of established science when it comes to this
16 stuff. So if you compare the science that I'm
17 referring to here to, let's say, mathematics,
18 there's plenty of room for improvement.

19 And if you can put some of those
20 sciences together in terms of pharmaceuticals,
21 engineering, some of the other disciplines, we could
22 start narrowing the gap there, but there's healthy

0199

1 room for improvement there and what we don't know is
2 contributing to uncertainty.

3 Again, the last one is limits of
4 technology. We do buy, our member companies buy
5 equipment from vendors. It comes with a claim that
6 it does certain things. You can look at it from a
7 risk perspective and say they are transferring that
8 risk to us because the companies have to make the
9 equipment work and actually meet the claim that's
10 made.

11 So there are limitations to what the
12 equipment can do and that needs to be something that
13 we can kind of add to the list of uncertainties.

14 I have listed some of the tools here.
15 These are the tools that there was consensus around
16 that they are widely available and used and they are
17 by no means comprehensive. This list also includes
18 a risk methodology that FDA had contributed when we
19 were doing the paper which was the last one on
20 ranking that they are refining their needs
21 currently.

22 DR. GLOFF: Sorry, your time is up.