

0300

1 arrows in Moheb's slides, ideally you start off with  
2 defining the desired product before you make  
3 something and then figure out what attributes  
4 matter. And so really for, and for biotech  
5 products, the APIs have been a big focus, because  
6 most of our formulations are not complicated,  
7 although there's certainly going to be complicated  
8 biotech formulations, but drug substance is complex.

9           So rationale protein engineering early  
10 on may avoid sites of deamidation that you would  
11 then need to worry about if it's not important for  
12 the activity of the product. And one can customize  
13 quality in early design.

14           Attributes that are desirable are built  
15 into the product and avoiding attributes that are  
16 negative. And again, to do this, structure function  
17 is critical. Not just of one's product in the  
18 matrix I showed before, but throughout the  
19 understanding of these types of protein products.

20           So protein engineering, to take one  
21 example, actually of Calcitonin, which is an ONDQA  
22 product, this product has a tendency to aggregate

0301

1 and, in fact, there are a lot of strategies one can  
2 try experimentally.

3           One can block free sulfhydryl groups to  
4 reduce aggregation. One can do sequence predictions  
5 about what amino acids tend to lead to the  
6 aggregation and, you know, avoid Glycine repeats or  
7 prolenes maintain a certain net charge, alternate  
8 residues based on polarity and avoid hydrophobic  
9 clusters that may lead to aggregation.

10           And so there are a lot of strategies  
11 that can be tried and again, human Calcitonin was an  
12 example of where some of these things were looked  
13 at.

14           Of course for all of these things, if  
15 you're dealing with an endogenous product, you have  
16 to think about immunogenicity, which is a difficult  
17 problem for many of these products, but nonetheless  
18 there's a lot of room for I think considering this  
19 engineering.

20           Now we talk about quality by design, but  
21 really as everything is interrelated, it's really  
22 quality, safety and efficacy by design and I think

0302

1 if you look at drug development in terms of safety

2 and efficacy by design, there's a great interest in  
3 taking certain structures and improving them and  
4 improving their function or properties, either  
5 changing bioavailability, reducing immunogenicity  
6 and rather than use first principle, which we don't  
7 know for many of these things, we're using  
8 evolution, we're selecting.

9           And for certainly antibodies, there are  
10 a lot of strategies, like expressing huge number of  
11 possible variants in a phage library and selecting  
12 for those attributes you want, higher binding,  
13 slower off time, whatever attribute you want, you  
14 can pick. It's a very powerful tool and it's  
15 certainly being used and talked about.

16           But those same principles can be looked  
17 at for quality and I think a company that's  
18 screening thousands of variants for potential -- you  
19 know, functional properties can also screen how  
20 easily do they aggregate in heat, how easily do they  
21 formulate in common buffers, how sensitive are they  
22 to pH.

0303

1           And so quality by design using some of  
2 these selective processes, if you're already playing

3 with the sequence, think about quality, too, when  
4 you're playing with the sequence.

5 I want to talk a little bit about  
6 process, we talked about product attributes and  
7 product design. So we have the iceberg with the  
8 different levels of characterization and the unknown  
9 at the bottom and we talk about this linking of  
10 attributes to process.

11 So the fact is for these products, that  
12 happens all the time already, because ever since  
13 we've had comparability protocols for these  
14 products, what we've done is we've assumed that the  
15 process covers the characterization and that once we  
16 characterize -- once we change the product, we can  
17 define it by characterizing it and we don't  
18 re-characterize the product every time we make it.  
19 We just use lot release tests because we assume the  
20 process is defining those attributes that we  
21 characterized.

22 And so this is a concept that's used a

0304

1 lot, I think it just needs to be more formalized.

2 So, how do you translate critical  
3 quality attributes to a design space or a



5 of variable inputs. Again, how do set parameters  
6 based on maximizing a lot of variables and for  
7 critical steps, ideally real-time sensors and based  
8 on a solid knowledge base, although, again, PAT may  
9 not be relevant to every product process step in  
10 biotech.

11                   And then we have examples with current  
12 products or products that have been seen by the  
13 agency have very problematic process designs that  
14 don't need sensors or high technology to fix. We've  
15 had examples about processes of variability.  
16 Somebody decides they need viral clearance or the  
17 agency feels and they had a heat treatment step, but  
18 where do they do, they add it after the  
19 manufacturing unit operation that removes  
20 aggregation, right.

21                   Processes performed at room temperature  
22 where there's a clear understanding that that may

0306

1 impact quality of the product. Generating a new  
2 working cell bank in which one doesn't need to  
3 re-clone. Companies re-clone. A lot of questions  
4 then about the variability of the product generated  
5 by that. And then choosing processes that are

6 different control, like roller bottle versus  
7 fermenters.

8                   So, I think a lot of these issues are  
9 things which sophisticated sponsors, unlikely to do,  
10 but still exist in the world of biotech  
11 manufacturing.

12                   And again, formulation from any of our  
13 products which are parenteral and liquid formulation  
14 may be less of an issue, but interaction both with  
15 container closure and with excipients has been  
16 problematic for many of our products, including the  
17 famous example of EPO and pure red cell aplasia.

18                   So, I talked a little bit about how our  
19 products may be impacted by quality by design and  
20 how they are reviewed currently. How is OBP going  
21 to implement QBD? How are we going to try and  
22 further the ideas for these biotech products?

0307

1                   So, I think we benefit greatly. It's  
2 always good to follow in the footsteps of others.  
3 For OPS knowledge gained, I think the pilot program  
4 will teach us a lot. I think hearing what OGD is  
5 doing is very useful for us. We're learning from  
6 what's already been done and again, not all of it is

7 applicable to our progresses, but a lot of it is.

8           We're participating now in some of the  
9 agency CRADAs to understand what industry is doing  
10 and biotech is playing a role in that.

11           Our structure has some advantages in the  
12 sense that we have research reviewers so we have  
13 people who do review and are involved in research,  
14 both of manufacturing processes and of the biology  
15 that would relate to biological characterization.

16           Currently we're certainly encouraging  
17 industry to engineer proteins for quality as well as  
18 safety and efficacy when we meet with them and we're  
19 certainly encouraging industry to pick the best  
20 process early on when we can do that.

21           But I think for more formal programs, we  
22 need to focus on small steps and that's areas where

0308

1 biotech has unique needs.

2           So, some of the small steps we're  
3 considering are for product testing and this is,  
4 again, this goes across all the different offices  
5 that regulate products here, is to try and avoid  
6 specifications that don't impact on safety and  
7 efficacy. And if those measures are important for



8 process consistency, to try to move them into a  
9 limit or some other strategy for controlling the  
10 process without having it be, you know, a pass or  
11 fail specification.

12                   And again, this concept has been  
13 discussed, I think it's our task internally to make  
14 reviewers understand this and be more comfortable in  
15 avoiding unnecessary specifications.

16                   Process changes. So obviously a  
17 strategy to assess the risk of process changes is  
18 critical and I think, you know, we talk about  
19 supplement reduction, clearly that's a goal that  
20 everybody wants, the agency and industry.

21                   And so one way we've looked at this is  
22 internally we've created some databases of the type

0309

1 of supplements that we review and categorized them  
2 by class. And the idea is to pick those classes  
3 which are highest in number and in the view of our  
4 management, the ones least clearly impacting  
5 quality, you know, and there are a number of  
6 examples of those.

7                   And to pick those, the ones that are  
8 most in number and the ones where we think the least

9 safety issues exist, just from an overall, this  
10 initial assessment before quality risk assessment,  
11 and then to target those, and to target them by  
12 having FDA industry forums to create risk map for a  
13 single class of change.

14 So to explain this for biotech products,  
15 there's been a CMC forum which is held, you know, a  
16 few times a year which picks a particular issue,  
17 like product impurities and it brings together the  
18 agency and open representation from industry and  
19 they produce a white paper at the end of this.

20 It's not guidance, but FDA is involved  
21 in it and it's very useful to rapidly produce some  
22 idea of how to approach a problem. Again, no

0310

1 guarantee of regulatory acceptance, but of great  
2 utility both to the agency and to industry.

3 And so rather than focus on a particular  
4 issue like potency assays or focus on impurities,  
5 the idea would be to take a class of change and the  
6 goal would be to produce a white paper of what the  
7 feeling is about the risk of this change. And it  
8 wouldn't be this change is high risk or low risk,  
9 because I think that kind of automatic

10 classification is very dangerous, certainly for our  
11 products there are enough anecdotal stories about  
12 minor changes with major effect that we don't want  
13 to be so cavalier.

14           On the other hand, if you look at any  
15 change and you think is there some map you could  
16 generate where we're very comfortable with this  
17 level of complexity product, this type of change,  
18 this level of experience with the sponsor, you know,  
19 this related similar prior knowledge that it isn't  
20 so important and so to have some more granularity on  
21 process changes, and again, these would not define  
22 CB 30 versus PAS versus annual report. But they

0311

1 would define risk class.

2           And then once that risk class is defined  
3 in some way, it's at the, you know, it's an option  
4 of the agency and industry to think, you know, or to  
5 try to make the case that that really relates to  
6 risk class with regulatory teeth.

7           Again, I mention publication of these as  
8 white papers.

9           A third category is to create a pilot,  
10 again, not all of QBD, because I think, you know,

11 Moheb's group has done an excellent job of dealing  
12 with experience in the whole QBD application, but in  
13 areas that are unique biotech issues.

14           And I think complex API, although it  
15 applies to molecules like Heparins and other  
16 molecules that are not biotech, nonetheless, it's a  
17 very consistent problem for biotech industry.

18           So the idea of this pilot would be it  
19 would probably not be BLAs or NDAs, because I think  
20 there are not that many of them, but I think  
21 supplements would be a great target for this type of  
22 pilot and potentially supplements which involve

0312

1 comparability protocols.

2           And the idea would be that manufacturers  
3 would generate and submit data on characterization  
4 of structural attributes and look for supportive  
5 data for function, whether in prior knowledge,  
6 whether in related product and whether their own  
7 biological assays and their own risk assessment and  
8 then they would create a product attribute range or  
9 space or whatever you would want to call it and the  
10 pay-off for that would be that there might be not  
11 only an expanded to range to win on a comparability

12 comparison, but maybe to make the case if you cover  
13 important product attribute impact, that the nature  
14 of the comparability protocol could be broader.

15           Certainly one issue that's always been  
16 back and forth between the agency and industry for  
17 the biotech world is, you know, industry wants a  
18 comparability protocol. We look at these things and  
19 we can make any change we want and as long as we  
20 pass them, that's okay.

21           Certainly that hasn't been something  
22 readily accepted by the reviewers and by OBP, but I  
0313

1 think if an exchange for really understanding  
2 product attributes, it may not be a comparability  
3 protocol that is any change, but maybe entertaining  
4 a broader class of changes than currently we accept  
5 in a comparability protocol.

6           And again, this needs to be considered,  
7 discussed and worked out, but these are some ideas  
8 about how biotech products could implement programs  
9 to encourage QBD in areas which are unique, or at  
10 least more associated with their products.

11           And platform strategies, and I think  
12 monoclonal antibodies are clearly an interesting

13 area for this. In industry, many innovators have  
14 come to us and said, you know, this is the Nth  
15 antibody with the same constant region and the same,  
16 you know, primary structure except for certain  
17 binding areas, you know, how much can we  
18 extrapolate. How much do we need to do over again  
19 for these.

20 So, again, is this a way of really  
21 efficiently using prior knowledge. Now it turns out  
22 there's a long history of a regulatory path that

0314

1 encourages that.

2 Now monoclonal antibodies points to  
3 consider, which is from 1997 and I think it was even  
4 in a '94 version, there's a concept of modular and  
5 generic validation, typically associated with viral  
6 clearance, but that doesn't mean it couldn't be  
7 applied to other impurity clearance. And basically  
8 that says if the same sponsor is making different  
9 antibodies with the same backbone using exactly the  
10 same processes, they may not need to repeat viral  
11 clearance studies.

12 And although that's used and some  
13 companies do that, it's really underutilized, and

14 that concept broadened could be a great advantage  
15 considering at least the massive number of  
16 antibodies that are under development. It would be  
17 a big savings if we can facilitate that.

18           And again, many sponsors have come and  
19 discussed that with us. At conferences it's been  
20 discussed a lot and the question is whether or not  
21 one should have more dedicated venues, like a  
22 specific conference to engage this idea of platform

0315

1 approaches to antibodies and what can or can't be  
2 extrapolated, what the burden of data would be to do  
3 those extrapolations.

4           Skip that question.

5           The last thing that I want to bring up,  
6 it's always touchy to talk about definitions, but I  
7 think definitions are pretty, are pretty critical  
8 because miscommunication over definitions can lead  
9 to lack of understanding and failures, as we've  
10 heard about before.

11           So, lifecycle is a critical issue for  
12 product development. I think all of us agree,  
13 anybody can tell me if you don't, that understanding  
14 product development over lifecycle and regulating it

15 is a critical issue.

16                   But we have many different terms for  
17 when we do in lifecycle. And it is clear that all  
18 aspects of the agency, now that we think like that,  
19 need to deal with many different parts of the same  
20 circle. And this is sort of a variant of the circle  
21 Moheb showed you.

22                   And so the box of all of this, this

0316

1 lifecycle is quality by design, but it can also be  
2 called process validation, it's also called quality  
3 systems. It's called by many names and it's the  
4 same circle and I think there are very different  
5 tilts and angles in terms of what those things mean,  
6 but it's striking to me that in a recent CMC  
7 conference on process validation, one of those CMC  
8 forums to generate a white paper which hasn't come  
9 out yet.

10                   In the biotech world, there was  
11 tremendous confusion about what definitions apply to  
12 this. Is process validation still a small part of  
13 it, is it now everything, how does that relate to  
14 quality by design. And it may mean that we, every  
15 part -- every different component of the agency and



16 every different part of industry needs to think  
17 about the whole circle, but we need to have some  
18 clarity.

19                   So, for instance, you know, an example  
20 that was discussed at lunch was, you know, if a  
21 company is doing technology transfer and they  
22 consider some information development and someone

0317

1 else considers it process validation, did the  
2 information go to the right place.

3                   So, I think it's important to ultimately  
4 think about what those things mean and I think one  
5 thing that clearly needs to be shared is what is  
6 extracted from all these parts of the lifecycle,  
7 which is the knowledge base, which is both product  
8 specific and product specific and the quality risks  
9 associated with that knowledge base. And that inner  
10 circle certainly needs to be looked at by everybody.

11                   But how you define these various terms I  
12 think is important to clarify communication.

13                   Okay. And I'd like to thank you many  
14 people who, you know, you know, helped me with this  
15 or provided information or figures for this and  
16 thank you for your attention.

17 DR. GLOFF: Thank you.

18 Any questions for clarification?

19 No, okay.

20 Let's take a break and be back here at  
21 5 minutes after 4 to let our industry  
22 representatives give their presentations.

0318

1 (Short recess taken)

2 DR. GLOFF: Our next speaker is  
3 representing the Generic Pharmaceutical Association,  
4 GPhA, it's Mr. Gordon Johnston and he will be  
5 speaking on the GPhA perspectives.

6 MR. JOHNSTON: Okay, well thank you,  
7 it's I guess kind of coming off a 7th inning stretch  
8 here going into the late innings, so I appreciate  
9 people staying around and certainly appreciate the  
10 opportunity to address the advisory committee today.

11 Maybe one of the advantages of being  
12 late in the day is that most of the issues you've  
13 talked about have already been fully discussed  
14 through the day, but that's okay.

15 I think what I want to try to do is go  
16 over some of the highlights of the generic  
17 industry's experience with quality by design and the

18 question-based review.

19                   Just quickly, I'll spend a couple  
20 minutes as an overview, speak a bit on quality by  
21 design. We heard a lot from Moheb and others,  
22 their, also in-depth review, the question-based

0319

1 review from Lawrence and kind of touch on the  
2 experience of the generic industry to date.

3                   Probably as I get started I should say  
4 that this is really a snapshot. You saw the  
5 chronology that was put up by Lawrence starting back  
6 in 2005 and there's been somewhere between 30 and  
7 40 ANDAs submitted to date using the question-based  
8 review template. So we don't have a lot of data, a  
9 lot of information to draw on.

10                   So again, I just want to emphasize,  
11 these are observations. At a later time we'll  
12 probably have a better opportunity to discuss this  
13 more in-depth as to the outcomes.

14                   So, combining the question-based review  
15 with quality by design, what has it meant for our  
16 industry? Well there's certainly been a change.  
17 There's been an increase in the amount of  
18 cross-functional coordination. It's just inherent,

19 you need to begin planning earlier, you need to  
20 coordinate with product development, regulatory,  
21 analytical, manufacturing. So it's changed the  
22 dynamics to some extent for our industry.

0320

1           This shift to quality -- I'm sorry,  
2 question-based review, there's a content change as  
3 well. Even with this I think the industry, the  
4 initial reaction is that we're cautiously  
5 optimistic, however there has been a steep learning  
6 curve.

7           And let me just comment on that. For  
8 15 plus years, ANDAs have been prepared using a very  
9 well-known content and format design, switching over  
10 to the common technical document format was a  
11 significant change in itself. Re-mapping  
12 22 sections of the old ANDA into a common technical  
13 document took a lot of time and energy by the  
14 industry.

15           So, it was the moving to a CTD format,  
16 along with a question-based review, learning what  
17 was expected and how to incorporate that. It was  
18 certainly an investment this time that the industry  
19 has to, it has to take shape in order to move into

20 the QBR environment.

21 Lawrence pointed out that the QBR has  
22 been in progress for about two years. It is, when

0321

1 you look at the changes that this encompasses, it's  
2 a fairly aggressive implementation schedule by FDA.

3 There's been a lot of communication,  
4 I'll touch on that in a moment. This year in  
5 calendar year 2006 we're looking at about 800 ANDAs  
6 being submitted. Last year it was about 800 as  
7 well, so there's a lot going on, not only the active  
8 generic industry in terms of submissions, it's  
9 transitioning to the new expectations.

10 So has it been an increased burden for  
11 industry. Well, the answer is yes. I think if you  
12 look back to Lawrence's slide back there, he showed  
13 the old stack of bricks on one side and the new  
14 stack of bricks on the other and it kind of  
15 switched. Before there was more for FDA to do in  
16 looking at some of this information.

17 Now there's more preparation for  
18 industry to do, so some of that burden has been  
19 shifted over.

20 A little bit about quality by design.

21 There's extensive manufacturing experience in the  
22 generic industry. Firms often manufacture 50 to 100  
0322

1 to 200, Lawrence mentioned over 300 products. In  
2 order to do this, there has to be a lot of skill in  
3 product and process understanding. It's just  
4 critical for efficiency and I think the industry,  
5 generic industry has been very adept at this.

6 In terms of quality by design, itself,  
7 the concepts and principles of quality by design has  
8 certainly been with the industry for a number of  
9 years. I think what we're looking at with FDA's  
10 movement in this direction, as well as ICH, it's a  
11 more organized, it's a more integrated approach in  
12 product development than maybe some firms had, but  
13 the principles have been around for a long time.

14 What are some of the opportunities with  
15 quality by design? Now I was very pleased to hear,  
16 I know our industry would be pleased to see the  
17 presentation by Lawrence. He's talked a little bit  
18 about prior knowledge and we've heard that in a  
19 couple of the other discussions.

20 When I mentioned companies have a lot of  
21 experience in manufacturing, it's how can we

22 leverage this prior knowledge in accelerating the

0323

1 product development reports, what information does  
2 the industry already have essentially from, from  
3 experience that can accelerate product development  
4 and still satisfy the needs of the information that  
5 FDA is looking for.

6                   Clearly if you're manufacturing 100 or  
7 200 oral solids, you have extensive experience in  
8 excipient properties, manufacturing attributes and  
9 processes.

10                   I had mentioned the key knowledge  
11 certainly of equipment and manufacturing processes,  
12 oftentimes these processes are used repeatedly in a  
13 product line for multiple products.

14                   Again, in the opportunities we certainly  
15 see a potential for reduced review time. I think at  
16 least the preliminary data that Lawrence showed  
17 earlier has indicated that they can more efficiently  
18 review these ANDAs.

19                   And the big area is a potential for  
20 reduced post approval burden. I think that's a  
21 little unclear yet as to how, as to how that will,  
22 will play out in terms of the post-approval

0324

1 reduction, but we're looking forward to working with  
2 FDA in more detail on that.

3           One of the questions we were asked to  
4 address are the quality by design expectations  
5 clearly defined. And I think based on where our  
6 industry is today, the expectations are certainly,  
7 have been laid out. There's still areas where we're  
8 looking for more information or guidance, but in the  
9 limited experience we had, where the disconnect has  
10 been are on GMP implications.

11           And Joe Famulare mentioned this morning  
12 the challenge it can be to get headquarters training  
13 with the field training and everybody working in a  
14 coordinated manner.

15           On the GMP side in relation to quality  
16 by design, it's kind of in a mixed bag. The ICH  
17 product development concepts seem to still be, be in  
18 the process of being integrated by the field. We've  
19 had experience where inspectors were asking for full  
20 validation of design space as opposed to what's  
21 proposed by the firm.

22           We realized the more extensive design

0325



1 space you have, the more flexibility, but this has  
2 led to some interesting conversations I guess have  
3 been reported over that.

4           So, again, education and coordination  
5 with the field appears to be one of those areas that  
6 are still, is still a work in progress by the  
7 agency.

8           And in some of the product development  
9 activities, the prior knowledge is not being  
10 universally accepted by the investigators at this  
11 time, so exactly what prior knowledge and prior  
12 experience will be that value to the industry is  
13 still a bit in question.

14           Should FDA modify its focus, another  
15 question that we were asked to address in preparing  
16 for this meeting. There are still some areas where  
17 we think there's room for improvement.

18           For instance, FDA currently establishes  
19 what the dissolution criteria shall be for a generic  
20 drug. That's somewhat counter-intuitive if you're  
21 looking for a quality by design process, setting  
22 risk-based specifications and using optimal

0326

1 formulations.

2                   The same thing, there are oftentimes  
3 prescribed or predetermined limits for  
4 specifications, residual solvents, in-process  
5 specifications, et cetera, that are based on process  
6 capabilities as well as the, instead, rather, of the  
7 quality by design principle.

8                   So another area that I think would  
9 mature over time, but it's an area to continue to  
10 look at.

11                   And most of the focus to date between  
12 the generic industry and FDA has focused on the oral  
13 solids, so it would be another area to expand in  
14 looking at the non-traditional oral solid areas.

15                   Question-based review, shifting gears  
16 into that, clearly it's, question-based review is a  
17 tool to efficiently assess the quality by design  
18 approach. Again, the industry is supportive of the  
19 initiative.

20                   I think both the industry and OGD are  
21 still learning, on the learning curve on this. We  
22 heard some of that discussed by Lawrence. Certainly

0327

1 that's the reflection I'm getting back from members  
2 of the Generic Association.

3                   The quality overall summary, again,  
4 spent about two years in the making. What I think  
5 has helped the industry move along in terms of the  
6 question-based review, there's been a lot of  
7 dialogue. I think there was a slight of dialogue  
8 that, of various meetings that Lawrence listed.

9                   But in terms of changing the paradigm to  
10 the extent it has, there's been numerous telecons,  
11 Webcasts, meetings and Q and A sessions, so that's  
12 been helpful in integrating this into our industry.  
13 Certainly OGD has been responsive in a lot of  
14 question-and-answer sessions along the way.

15                   The collaboration, as I mentioned, has  
16 certainly accelerated. Our understanding of the  
17 question-based review, I think open communications  
18 will still be important as we learn questions that  
19 FDA is going to be asking, as we get comments back  
20 from these QBR ANDAs and more companies begin  
21 preparing QBR applications for other dosage forms,  
22 we will continue to need the dialogue in order to

0328

1 make this, this transition as easy as possible.

2                   What does the model quality overall  
3 summaries do? It helps outline what FDA is looking

4 for, for the critical attributes.

5           Again, I think Lawrence's presentation  
6 gave a good insight into that, but it does help  
7 guide the industry towards the FDA's expectation in  
8 quality by design.

9           Quality overall summaries is still a  
10 work in progress, I would say industry is trying to  
11 hit the target. There's been, as mentioned, the QOS  
12 may be too long, it may not have addressed the  
13 critical attributes, that's a part of the learning  
14 process.

15           On October 20th there's going to be  
16 another in-depth session using FDA faculty on that,  
17 so that's one of the critical components when you  
18 looked at the side of additional work that the  
19 industry has to do, it's the quality, overall  
20 summary, but that's also what's going to help  
21 facilitate ANDA reviews for the Office of Generic  
22 Drugs.

0329

1           As I mentioned that at the outset that  
2 our experience has been limited, about 35 ANDAs to  
3 date and we know one has been approved, so we're  
4 just beginning to get a feel for the type of

5 questions and the value of the previous training in  
6 terms of is the industry fully understanding FDA's  
7 expectations.

8                   What are some of the challenges with  
9 QBR. Well it has been the simultaneous conversion  
10 to the common technical document format from the old  
11 ANDA format and certainly fully understanding the  
12 question-based review data elements.

13                   Implementation schedule certainly has  
14 been challenging for companies, depending on how  
15 many applications you submit, your ability to attend  
16 some of the training sessions. There's still a  
17 question, especially by the smaller generic  
18 industry, or generic companies in moving towards the  
19 QBR, based on the current timeline.

20                   But companies have actually accelerated  
21 the program, began submitting before the expected  
22 deadline of January of 2007 and in terms of

0330

1 challenges, there's also been as I've mentioned  
2 substantial training and coordination internally for  
3 companies using different -- that had different  
4 sites.

5                   There's also been a challenge getting

6 some of the information for the active  
7 pharmaceutical ingredient that's expected to be  
8 included in the QBR application, a lot of this  
9 application is typically, typically considered  
10 confidential by the API manufacturer, so that's one  
11 of the challenges that we've had.

12           Still some uncertainty on OGD's  
13 expectations. As we get more experienced, those  
14 should begin to decrease, we would believe. I  
15 mentioned the training coming up, certainly OGD has  
16 been very cooperative in training for the industry.

17           In terms of recommendations, moving to  
18 the post-approval environment will be very helpful.  
19 The more we can downgrade the burden of supplemental  
20 applications post approval, the more efficient the  
21 OGD process will be, the less burden there will be  
22 on industry.

0331

1           There's over 8,000 approved ANDAs out  
2 there, so there's certainly fertile ground to look  
3 at this. There's also a lot of information, in many  
4 of these cases there's been scores or hundreds of  
5 batches manufactured. So we really need to look on  
6 how we can leverage QBR for those products that are

7 already approved.

8                   And likewise, I began to look at the  
9 question-based review concept for drug master files,  
10 as well.

11                   In summary there's been excellent  
12 communications between OGD and the industry. We  
13 will look for ongoing communications as this process  
14 matures. I mentioned there's an increased burden  
15 and part of that is a one-time investment of moving  
16 towards a common technical document format, but also  
17 just the data that's being requested by OGD for  
18 these applications.

19                   So we look forward to expanding where  
20 it's appropriate, and in terms of getting a good  
21 feel for how the quality by design and  
22 question-based review is impacting the generic

0332

1 industry, I think in a year we'll have a much better  
2 feel, probably on both sides, both the FDA side and  
3 the industry side.

4                   And with that, thanks for your time,  
5 appreciate it.

6                   DR. GLOFF: Thank you.

7                   Any quick questions? Yes, Dr. Koch.

8 DR. KOCH: You mentioned that there's  
9 substantial internal training that's going on.

10 Is there any way that you could take  
11 advantage of the NIPTE experience that the FDA had  
12 in terms of pulling together and hearing the same  
13 thing in terms of consistent training?

14 MR. JOHNSTON: Yeah, NIPTE may be a good  
15 avenue for some of that training and collaborative  
16 training. I think that NIPTE just got up and off  
17 the ground this past Summer, so those training  
18 courses are apparently new, but that would be one of  
19 the resources certainly where we're all hearing and  
20 discussing the same issues.

21 MS. WINKLE: Actually, Mel, we've been  
22 looking at a variety of different training sessions

0333

1 for the generic industry. In fact, we were just  
2 talking at lunchtime briefly about working with some  
3 other organizations as well as NIPTE in trying to  
4 ensure that consistent training across the industry.

5 So I think that's an excellent question,  
6 maybe we can talk a little bit about that  
7 communication because I think there's a lot of  
8 things here that we really need to discuss and think



9 about.

10 DR. GLOFF: Anyone else?

11 Okay, then we'll move on to our next  
12 speaker, Dr. Baum from, giving the Pharma  
13 perspectives.

14 DR. BAUM: Good afternoon. It's a  
15 pleasure to be nominated by my great association, I  
16 think, to give this talk. Sometimes I'm not sure if  
17 I was nominated or I drew the short straw. But I  
18 guess we've made it through the 7th inning stretch,  
19 and now it's the bottom of the 9th.

20 And, you know, as Gordon I think  
21 mentioned that, you know, a number of the issues  
22 that I, you know, have to address have already been

0334

1 raised to some extent or another; and I'm not going  
2 to dwell on them for the sake of doing that and I  
3 will try to add a different twist or a little bit  
4 more insight or just, you know, move past it.

5 So with that, let me get going. The  
6 topics that I planned to discuss, just do a little  
7 bit in the way of an introduction or overview, talk  
8 about the Pharma views on some of the key principles  
9 of quality by design, spend a few minutes on some of

10 the challenges and gaps. And it's interesting that  
11 a number of these are aligned up directly with some  
12 of the ones that have been identified by our FDA  
13 colleagues.

14 Talk a little bit more about global  
15 considerations. And we'll go back to some of the  
16 discussion this morning on ICH, but again from a  
17 little bit different perspective.

18 And, you know, we do have some  
19 recommendations that I think we've thought about and  
20 want to share with, you know, the committee. And  
21 then just do a brief summary.

22 Moving on to the overview. Certainly,  
0335

1 you know, Pharma is very supportive of the agency  
2 efforts with all of their quality by design efforts.  
3 We understand, you know, the quality by design, we  
4 understand how it fits into the overall and  
5 long-term goal of achieving the desired state.

6 We also recognize that we're in a period  
7 of great challenge, great opportunity and it's very  
8 exciting. The important thing to remember is that  
9 we've just taken, you know, the very first steps in  
10 a very, very long journey, you know, and by long

11 journey I don't think it's from here to California  
12 or from here to Tokyo, it's probably from here to  
13 somewhere in outer space and back. It's a -- we're  
14 looking at this for the long-term and I think that  
15 we have to be careful that, that we don't get either  
16 too encouraged or too discouraged by what happens  
17 immediately.

18                   We have to set the foundation for the  
19 long-term success. A few things on, you know,  
20 communication with FDA, you know, has been  
21 outstanding. The high level management engagement  
22 has been, you know, superb.

0336

1                   They're out there, they're out there at  
2 seminars, at workshops, they're giving speeches.  
3 But they're not only giving speeches, they're taking  
4 the time, you know, to interact, explain what their  
5 views are, listen to what the concerns and views of  
6 industry are and debate.

7                   And I think we have a number of very  
8 lively, fruitful, you know, heated, at times,  
9 debates, but I think they all are in a positive  
10 vein.

11                   There have been numerous public

12 workshops I think as you saw on a couple of slides  
13 earlier today in which industry, you know, and FDA,  
14 other trade associations and even academia have been  
15 involved in discussing, you know, how do we want to  
16 go about, you know, achieving the desired state.

17           Again, just, just briefly on the CMC  
18 pilot, Chi-Wan outlined it very well, is that, you  
19 know, it's been a great way to jump start, you know,  
20 and get a number of people involved at the same  
21 time, where we can start, you know, getting, you  
22 know, feedback and learnings and share what the

0337

1 industry experience is and views with FDA and they  
2 can start, you know, digesting all of that, a lot of  
3 it in parallel to see how things are going.

4           And I think we need to say that it  
5 hasn't always been easy. It's something new. It's,  
6 we're looking at a lot more information, different  
7 kind of information than we've been discussing with  
8 regulators in the past, you know, submissions, but  
9 we've been learning by doing, and the, again, there  
10 have been, you know, meetings after meetings, all  
11 kinds of interactions and phone calls and lots and  
12 lots of questions.



14 to build more science and knowledge into regulatory  
15 submissions, which in turn should facilitate the  
16 regulatory review and approval process, you know, if  
17 we build the right information in the right format  
18 such that it's easy to review.

19           We'll talk more about that a little bit  
20 later. And again, one of the themes that I'll be  
21 coming back to is the desire and expected outcome  
22 that we will find a way to reduce the need for

0339

1 post-approval submissions and we have to find a way  
2 that encourage, to encourage continuous improvement,  
3 as well as technical innovation.

4           On to some of the Pharma views of what  
5 we've termed key principles of quality by design. I  
6 think we're consistent with what Moheb described  
7 earlier. We're looking at a systematic approach to,  
8 you know, product design, process design and  
9 control, as well as process performance and  
10 continuous improvement in which we, you know, design  
11 quality into manufacturing processes.

12           Again, you know, we hope to encourage  
13 both technical innovation with continuous quality  
14 improvements, as well as allow for flexibility with

15 the associated regulatory processes.

16                   And probably the most important of all  
17 of these is that quality by design should lead to  
18 the continued availability of high quality medicines  
19 to the patient.

20                   Some additional views, just some, you  
21 know, short points as I think we want to point out  
22 that quality by design is not a new concept from the

0340

1 technology perspective. I don't think we can say  
2 that we've been doing the full systematic approach  
3 to quality by design for a long time, but we  
4 certainly have been doing elements of quality by  
5 design within the industry for a long period of time  
6 and now it's a matter of, okay, now how do we bring  
7 that together into this systematic approach.

8                   What is new, though, is quality by  
9 design relative to the regulatory review and  
10 approval process. You know, it's something that,  
11 that just has not been done and I'll talk a little  
12 bit more later, you know, about how we compile and  
13 submit that information.

14                   We talked about the optionality, we feel  
15 that it should remain optional and not become a

16 regulatory requirement. And it's been pointed out  
17 previously that quality by design will not  
18 necessarily be included in all applications and that  
19 will probably be due to a variety of reasons.

20                   There are a lot of views, different  
21 views as to what constitutes quality by design.  
22 There are some out there that say, well, statistical

0341

1 design of experiments is quality by design, or, you  
2 know, you can't have quality by design without  
3 process analytical technology.

4                   Our view is a little bit different than  
5 that, is that we think DOE and PAT and things like  
6 that are tools that could be valuable and certainly  
7 facilitate quality by design, but they may not  
8 always be necessary.

9                   And also I think that we need to point  
10 out that the generation of quality by design  
11 information during the IND phases will probably be  
12 quite variable and differ significantly between, you  
13 know, company to company and even within a company.  
14 And something should probably be left to the  
15 industry or the applicant's discretion.

16                   And let me just give a couple of



17 examples. In terms of the generation of product  
18 knowledge, now I don't know now which is the  
19 traditional approach, the conventional approach. I  
20 think the last one that we heard was the current  
21 approach. But I think that, you know, but I think,  
22 you know, that, that the view was that, you know,

0342

1 the initial activity, you know, was geared toward  
2 developing information to enable clinical supplies  
3 and some clinical studies. You know, and about that  
4 time we would start on developing, you know,  
5 commercial and, you know, formulation and at the end  
6 of the line when we were at registration, the  
7 clinical activities would be down to almost nothing  
8 and we'd be, you know, have the full understanding  
9 of the commercial process.

10 Well I think the reality is what the  
11 agency might expect from a number of companies now  
12 is that, yes, there will be that initial work to  
13 enable clinical studies to start, but that may be  
14 very minimal and the reason is that companies will  
15 probably, or may want to wait until we have a better  
16 feel for proof of clinical concept before we invest  
17 in the full efforts to develop the commercialized



19 I think we need to realize that it will take a  
20 cycle, and by a cycle I mean we'd have to take the  
21 compounds that are currently in the system and get a  
22 lot of them out of the system where we can bring new  
0344

1 ones in and start at least thinking about quality by  
2 design from the beginning.

3 We'll talk more about this in a little  
4 bit when we get to the recommendations.

5 Well, what about, what do we do beyond  
6 the pilot? I think the pilot has been great as  
7 we've talked about, but what are the next steps?

8 We know what we're talking about in  
9 terms of the desired state but, you know, what are  
10 the intermediate steps, where do companies go who  
11 have been asking now about, well, I didn't make it  
12 into the pilot, but I'm thinking about having a  
13 quality by design submission in a couple years and  
14 we'd like to get started on, you know, what do we  
15 tell them to do and how do we encourage them to say,  
16 you know, that it will work out?

17 We need to establish the framework that  
18 will facilitate the post-approval improvements,  
19 innovation and so on without the need for regulatory

20 supplements. I think we've been calling this the  
21 regulatory agreement. It's turning out to be a very  
22 key need, we'll talk more about that later.

0345

1           Another point that was raised earlier is  
2 the difficulty in managing -- well, earlier what was  
3 pointed out was the difficulty in managing two  
4 systems, the current, conventional traditional  
5 system of development and the associated regulatory  
6 review and approval process versus what it will be  
7 like if it's quality by design based. However, it's  
8 probably more than two. It's traditional, it's  
9 quality by design and then the spectrum of  
10 everything else in between. So that's something  
11 that we have to sort out.

12           We've talked about roles and  
13 responsibilities of the CMC reviewer and field  
14 investigator need to be defined. We certainly  
15 understand and welcome the approach. We both are  
16 involved, integrated approach, but still there is a  
17 need to clarify the roles and responsibilities of  
18 each.

19           Guidelines, when I was talking to some  
20 of my Pharma colleagues a couple of weeks ago about,

21 you know, are there any gaps with regard to  
22 guidelines, I got an answer along the lines of,  
0346

1 well, the good news is that a few couple months ago,  
2 FDA withdrew a number of older guidelines that no  
3 longer represented the thinking of the current, the  
4 current thinking of the agency.

5 The bad news is that we don't have any  
6 guidelines.

7 So, it's a double-edge sword. We do  
8 have ICH Q8, which we talked about this morning for  
9 drug product, but there isn't any guidance yet on  
10 the table to be developed for drug substance. And I  
11 think most of us understand that there are probably  
12 as many or more opportunities for quality by design  
13 for drug substance than drug product.

14 Is there a need for guidance, domestic  
15 guidance on quality by design? I'm not so sure.  
16 You know, I think as we talked about earlier, I  
17 think as you had during the discussion before lunch,  
18 guidance, you know, at a high level might be a  
19 value, but ICH may provide that. I think there's  
20 always the scare that a generation of a regional  
21 guidance will lead to a proliferation of regional

22 guidances from other regions, which could lead to

0347

1 de-harmonization rather than harmonization.

2           So we just have to think those things  
3 through very carefully.

4           And getting back to the guidance  
5 withdrawal, there's now a gap for the traditional  
6 submissions as to communicating what the agency, you  
7 know, is thinking.

8           Resources. There's been a lot of  
9 discussion about that today, as well. You know, the  
10 level of resources that were applied to the pilot --  
11 that are being applied to the pilot programs is  
12 enormous and it's essential that it be that way.

13           Those programs would not be successful  
14 and I think that level of resource is really  
15 demanded to have the interactions that are necessary  
16 to, that have the successful pilot programs.

17           However, you know, let's assume that  
18 quality by design is going to be successful and that  
19 more and more submissions will be coming in that  
20 will be quality by design based. Prioritization of  
21 those resources will be important, until such a time  
22 that the benefits from a, you know, a much reduced

0348

1 number of supplements, you know, is realized.

2           You know, the skills and experience to  
3 review the new information is certainly growing  
4 within the FDA. But again, you know, depending on  
5 when that, you know, the new wave of submission  
6 gets, you know, will we be prepared to handle that  
7 within the agency.

8           And then as pointed out previously, this  
9 is going to be a major culture change for both  
10 industry and regulators.

11           And, you know, the next slide in your  
12 packet, it really has nothing to do with quality by  
13 design, yet it has everything to do with the success  
14 of initiatives such as quality by design. And I  
15 don't want to spend much time on it, but this  
16 addresses changed management.

17           And again, it's important that after the  
18 decision to change is made, you know, that the  
19 vision, you know, the strategy be communicated and  
20 there's just so many opportunities for failure along  
21 the way to various forms of resistance that occur in  
22 any kind of change.

0349

1                   They are there, they are within  
2 industry. They are within agency, they are probably  
3 out there in the general public as well if they knew  
4 about what we're talking about. It happens and we  
5 just have to be prepared and on guard at all time to  
6 watch for them and learn how to deal with them.

7                   That's all I really need to say.

8                   Global considerations. I probably don't  
9 need to remind you that within Pharma we're a global  
10 industry. We supply medicines worldwide and, you  
11 know, we have done a lot within ICH harmonization  
12 efforts on, you know, Q8, Q9, Q10.

13                   We're not totally harmonized yet. I  
14 think everybody's heart is in the right place and  
15 everybody thinks they're on the same page, but there  
16 are a lot of different views on quality by design.

17                   I think in time, and I have every  
18 confidence that they will, you know, converge rather  
19 than diverge, but we have to help that along.

20                   There are a lot of definitions. There  
21 are a lot of terms, I should say, that don't have  
22 definitions that are fully harmonized yet. We need

0350

1 to spend some time, you know, making sure that we



2 have a common understanding of what these terms are  
3 I think before we can do too much more in the way  
4 of, you know, harmonization.

5           And as well as the approaches to, you  
6 know, to change management in terms of post approval  
7 changes. It's very important, you know, to  
8 understand that, you know, as a global industry,  
9 it's, some of the highest volume products in the  
10 world are sourced globally from a single plant to  
11 reach the desired state. Industry and regulators  
12 need, need a global framework for post-approval  
13 changes in order to facilitate improvements and  
14 technical innovation.

15           I think we all need to realize that  
16 without a global, a globally consistent, a globally  
17 aligned changed management system, we're not going  
18 to get there. And as an example, if we have a plant  
19 that's single, is a single source for medicines  
20 worldwide and we get flexibility in one region,  
21 we're really not that much better off than we are  
22 today in terms of we'll have to, you know, make

0351

1 changes and every time we switch manufacturer for a  
2 different region, we'll have to isolate inventory.

3                   It's just not the way we're really  
4 talking about getting to a, you know, a maximally  
5 efficient pharmaceutical manufacturing system.

6                   FDA has always been a very strong  
7 advocate for QBD. They are the ones that  
8 essentially introduced this to ICH as a topic, you  
9 know, with the proposed outcome of regulatory  
10 flexibility and our, you know, hope and assumption  
11 is that the agency will continue their engagement in  
12 international harmonization efforts as stated in the  
13 Food and Drug Modernization Act of 1997.

14                   I'm not going to spend any time on the  
15 ICH trios other than just to point out that the size  
16 and shape of the arrow kind of shows, you know,  
17 where the applicability of the guidances are  
18 greatest.

19                   For example, Q8 is a little bit more in  
20 the pharmaceutical development area and less in the  
21 manufacturing whereas, you know, Q10 for quality  
22 systems has some applicability in the development

0352

1 phases, but is maximally designed for manufacturing.

2                   And again, the opportunity that you  
3 heard earlier, if we can combine the benefits from

4 Q8, Q9 and quality systems Q10, there are some great  
5 outcomes that we can achieve.

6           Moving on to some recommendations. The  
7 first bullet needs a little bit of explaining. We  
8 want the reviewers to be delighted with our  
9 regulatory submissions. That's our goal. However,  
10 we're kind of making a big change in what we submit  
11 if we do quality by design based submissions.

12           We're generating a ton of more  
13 information, so how can we compile that, present  
14 that in a, in a condensed but yet cohesive way that  
15 it's easily understood and reviewed.

16           I'm sure we could just throw it over the  
17 wall and do, and the reviewers would do a good job  
18 sorting it out, understanding it and making review  
19 recommendation, but that's not what we want because  
20 that's not going to help, you know, streamline the  
21 review and approval process.

22           Our thought is that FDA should

0353

1 collaborate with the industry at some point to  
2 digest the earnings from the CMC pilot program and  
3 determine how do we best incorporate that  
4 information in a consolidated manner that has the

5 right information and the right format so the  
6 reviewer can do their job in the most efficient way.

7           We encourage the agency to take some  
8 bold steps in looking to the future. From that,  
9 let's follow the value. And the greatest value to  
10 industry and we think to FDA is the elimination of  
11 most post-approval supplements.

12           As more science and knowledge gets built  
13 into the application, we think the agency should  
14 rely on the applicants internal quality system to  
15 manage post-approval changes which are monitored by  
16 GMP oversight.

17           I want to make it clear, we're not  
18 suggesting any, in any way, shape or form  
19 de-regulation, we're just looking at the, maybe a  
20 change in the way FDA oversight is applied.

21           Public health standards. The standards  
22 setting organizations, you know, with a greater

0354

1 emphasis being placed now on product and process  
2 understanding and process control, we suggest the  
3 FDA take a look and maybe re-evaluate the current  
4 approaches for assuring -- you know, assuring  
5 quality in terms of things like compendial standards

6 and things such as that.

7           Back to guidance. You know, if, you  
8 know, I guess I would say that there's probably a,  
9 you know, a need that, you know, we should evaluate  
10 if there's, you know, a high level guidance, would  
11 there be a value. You know it will be difficult to  
12 do that, to generate the guidance because we'd have  
13 to have something that can cover both the short-term  
14 implementation but be sufficiently, you know,  
15 visionary that it can see out 10 years to guess what  
16 quality by design is going to look like then.

17           And we'd need something that would be,  
18 you know, flexible to allow for different  
19 approaches, you know, within company, you know,  
20 between products, you know, I mean, you know,  
21 different approaches for different companies,  
22 different approaches today, you know, versus

0355

1 tomorrow.

2           And we suggest that the agency work with  
3 an agency such -- with an association such as ISPE,  
4 which is the International Society of Pharmaceutical  
5 Engineers, which is comprised I think of all of the  
6 stakeholders that are involved, that regulate the

7 industry as well as the regulators and, you know,  
8 just brainstorm for a little bit and see what, what  
9 can be worked out.

10 We suggest that FDA continue, you know,  
11 their global leadership role in advocating the  
12 benefits of quality by design. Sometimes they're  
13 not easy discussions reaching consensus. There are  
14 a lot of views that take time to change, but FDA has  
15 been very good at this in the past and I think that,  
16 you know, the global community looks to them to be a  
17 leader in this, in this effort.

18 And training, and I don't want to say  
19 it's training, so much, but maybe it's continued  
20 education about the industry and re-education of  
21 both industry and reviewers on the principles and  
22 benefits of quality by design.

0356

1 You know, things such as more seminars,  
2 more workshops. I know that we've had, you know, a  
3 number of, you know, two- or three-day workshops  
4 over the last couple of years, but maybe it's time  
5 now to think about some very topic focused one-day  
6 sessions where we can get groups together just to  
7 brainstorm, for example, definitions of terms,

8 things like that, so we don't have to go through,  
9 you know, what is the brutal, you know, planning  
10 process, you know, to plan for a two- or three-day  
11 workshop.

12                   And on to the summary. I don't think I  
13 need to go through all of the things here. Again,  
14 this is the, you know, the benefits of quality by  
15 design and it's really for everyone, it's not just  
16 for industry. Most of the things listed on these  
17 were covered already. Certainly things such as that  
18 will be reduced, we're hoping the post-approval  
19 regulatory submissions, you know, recalls,  
20 manufacturing.

21                   The more we know, you know, the less is  
22 going to be the uncertainty in the risk.

0357

1                   Regulatory burden is not something that  
2 I'm talking about. This applies to industry. I  
3 think that was what we used to think, but it's, you  
4 know, both industry as well as the agency. I think  
5 it's in terms of we have to look at the whole  
6 regulatory submission, review and approval as one  
7 system. And I think we can do better, you know,  
8 there.

9                   And conversely, there are a lot on the  
10 other column that will, things that will be, you  
11 know, improved. I'll obviously let you read those  
12 on your own.

13                   This provides a visual of the Pharma  
14 view on quality by design being a systematic science  
15 and risk-based approach to product development and  
16 process understanding. It's driven by understanding  
17 of the clinical performance requirements, it  
18 includes synthesis, you know, as well as formulation  
19 and understanding the material science, then deals  
20 with product design, process design, process  
21 control, process performance, continuous improvement  
22 and you can see how it can fit over the lifecycle of  
0358

1 the product.

2                   And while we certainly, you know, want  
3 to say that, you know, we support and promote the  
4 systematic approach, there are times where we all  
5 can use that occasional miracle.

6                   Thank you, and I'll be happy to respond  
7 if there are any clarifying questions.

8                   DR. GLOFF: Thank you.

9                   Any, any questions?



10                   No, don't appear to be, so we'll go to  
11 our last wrap-up speaker.

12                   MS. WINKLE: I don't want to talk a long  
13 time because I want to give us a chance to address  
14 the questions that we have and we have quite a few  
15 questions on this particular topic and I knew the  
16 committee doesn't want to stay here all evening.

17                   But I think you've heard a lot of the  
18 different ways that we're implementing the concept  
19 of quality by design, all three offices, and the  
20 Office of Pharmaceutical Sciences have talked and I  
21 think that now the committee has a really good feel  
22 for what we're doing as far as implementation is

0359

1 concerned.

2                   And I think you'll all agree from the  
3 last time we talked about this, we have made a lot  
4 of progress, we've done a lot of thinking and we  
5 really have, you know, put a lot of effort into this  
6 and I want to thank all of my three offices while  
7 I'm standing here for all the work they've put in to  
8 doing this.

9                   I also want to thank Bob and Gordon for  
10 sharing the observations and thoughts from the

11 generic and brand industry, the trade associations.  
12 I think that many of the challenges they've talked  
13 about, again, we recognize here, but they've also  
14 introduced some other challenges that I think are  
15 important for us all to think about.

16           As I talk about the progress that we've  
17 made in OPS, I do want to mention that our  
18 colleagues in the Office of Regulatory Affairs and  
19 in CDER's office of compliance have worked very  
20 closely with us in designing some of these  
21 processes, in looking at guidances.

22           We've worked closely with the

0360

1 pharmaceutical inspectorate trying to ensure that  
2 they have a better understanding of what we're  
3 trying to do with the concept of quality by design.  
4 So I, I don't think we'd be where we are today if we  
5 hadn't, in fact, had the opportunity to work with  
6 them.

7           It's apparent as you listen to the  
8 presentations today that there was a great deal of  
9 work that's gone into the development of the various  
10 policies to ensure that we take advantage of science  
11 and regulating quality. And it's also apparent that

12 all three offices are committed to the concept of  
13 quality by design, and that they are taking full  
14 advantage of the opportunities that are out there to  
15 change the paradigm in their review processes.  
16 Again, I really appreciate that.

17                   But there are challenges. Bob has  
18 talked about challenges. Gordon talked about  
19 challenges from the industry side and all four of  
20 the speakers today have talked about the challenges  
21 internally within the organization.

22                   I want to just recap some of those

0361

1 challenges because I think they are important as we  
2 go through the questions to remember what some of  
3 the challenges were.

4                   There's really a difference in  
5 strategies and approaches between the offices. I  
6 think as you listened to each one of the offices you  
7 saw they had a little bit different, though they  
8 looked at quality by design and understand the  
9 concepts of quality by design, they have a little  
10 different way of thinking about it and implementing  
11 it.

12                   And some of this, of course, and as I

13 said earlier as did several others, that this is due  
14 some to the diversity of the drug product. So this  
15 is one of the humps that we have to get over, one of  
16 the hurdles.

17 There's also a difference in regulatory  
18 processes. Bob just talked about the difference  
19 between traditional, conventional, whatever you want  
20 to call it, with the new paradigm, but there's also  
21 a difference within OPS in our regulatory processes.  
22 We regulate BLAs, we regulate NDAs, we regulate

0362

1 ANDAs. We are soon going to regulate follow-ons.  
2 All of these are a little bit different, follow-on  
3 proteins.

4 So, you know, this works into a  
5 challenge that we have.

6 Several people mentioned and I think  
7 it's important to keep in mind that there's a need  
8 for better coordination between review and  
9 inspection. Although we've worked on that, as I  
10 said, both ORA and the Office of Compliance have  
11 worked with us, there's still a lot of issues around  
12 that that have to be resolved.

13 There's a challenge of filling the

14 knowledge gaps. I think we will all agree that we  
15 have large knowledge gaps, that we don't know all  
16 the aspects of manufacturing science that we're  
17 going to be challenged with looking at in the future  
18 and we have to recognize what those gaps are and  
19 then figure out how the best way to fill those.

20 We need to be providing regulatory  
21 flexibility while assuring product quality and  
22 that's not easy. That's a real challenge for us.

0363

1 I think I heard many people say bringing  
2 industry on board, especially Bob and Gordon  
3 mentioned this. This is not easy and we're working  
4 at this a lot. We're having a lot of training, a  
5 lot of sessions with industry, but there's probably  
6 more we could do and we'll talk a little bit more  
7 about that.

8 Workload is a challenge. This, we have  
9 a day job, I mean getting the applications out the  
10 door is the most important thing that we really have  
11 to do every day and so getting this work done on the  
12 side and still getting that done is a big challenge.

13 And many people have mentioned the  
14 change in culture. From the very first time I've

15 talked about these concepts and making the changes,  
16 I've recognized the fact that the cultures are hard  
17 to deal with. There's a culture within the industry  
18 that has to change and the culture within FDA that  
19 has to change. And believe it or not I'm starting  
20 to see some changes in the culture in FDA. I didn't  
21 think a year ago I would, but some of those are  
22 beginning to change.

0364

1           People are really beginning to embrace  
2 the idea of moving in this new direction and I think  
3 that's a good sign, but it's still a challenge. I  
4 mean we, as Bob just said, we're at the very  
5 beginning, we have years and years to go ahead.

6           We're going to have a lot more  
7 challenges, we're going to have those problems on  
8 his slide that have crisis or that big dragon or  
9 whatever it was in the water that's going to eat us  
10 up.

11           So, we've got a lot to go through and  
12 get over those cultural challenges.

13           But the last thing is resources, Lord, I  
14 didn't want to mention this word, but resources is a  
15 challenge. I mean we've talked about writing

16 guidelines. We've talked about training, we've  
17 talked about setting up new organizational  
18 structures.

19                   We're in the midst of trying to  
20 institute quality management systems internally. I  
21 mean there's all kinds of things besides, again, the  
22 doing our core business, which is getting those

0365

1 applications out the door that we've got to do and  
2 we don't have the resources to handle all of these  
3 things, so some of it may take longer.

4                   But I want to assure all of you that we  
5 are dedicated to getting these done and we'll find a  
6 way.

7                   Many of the comments you heard from  
8 others in the industry, the people from the industry  
9 included many of these challenges and at least they,  
10 too, understand these challenges do exist. So I'm  
11 hoping that working together with industry, along  
12 with the help of this committee, we can get past  
13 some of these challenges.

14                   And I think that one thing that's very  
15 positive is despite these challenges, we are moving  
16 ahead. It may be in baby steps, but we are moving

17 ahead. We've at least learned to crawl and we're  
18 moving on.

19 I think, though, that one major  
20 challenge that is really difficult to handle is the  
21 whole concept of communication. I think  
22 communication is especially necessary here as we

0366

1 implement the new paradigm and what I'm talking  
2 about communication, I'm talking about communication  
3 internally within the agency as well as  
4 communication outside.

5 I think especially Bob brought up some  
6 very significant things that probably are slip-ups  
7 in communication as far as guidances and pulling up  
8 some guidances and leaving that gap for the industry  
9 on some of the traditional -- that are still doing  
10 traditional applications.

11 We have had an attempt to educate our  
12 reviewers. We've done a lot of training inside.  
13 We've had two sessions on quality by design  
14 internally, we've had the, we just, in fact, last  
15 week had a training on processing analytical  
16 technologies, but we have a lot more to do inside  
17 and we'd appreciate any insights you may have on



18 some of the ways we could improve or do more of  
19 that.

20                   Also with industry, we've had several  
21 workshops, as has been mentioned. We have several  
22 workshops that are coming up. One that has not been

0367

1 mentioned is we recently with one of our CRADA  
2 partners, Conformia had a very successful pilot  
3 workshop on implementing Q8 and Q9 and again, I want  
4 to emphasize this was a pilot, we only had a small  
5 segment of the industry, but this was really an  
6 excellent workshop in the fact it was cross-cutting,  
7 cross-functional.

8                   We brought in people from the regulatory  
9 part of the industry or the company from the  
10 development manufacturing quality in the IT to talk  
11 about how they were going to implement so that they  
12 all had similar concepts of the direction their  
13 company was going in and how they were going to do  
14 this.

15                   And I think in many cases we don't get  
16 that cross-functional discussion going and I think  
17 that was very important and we really hope to have  
18 more of these workshops in the future.



20 and a lot of different thoughts on that. So I think  
21 we have to come to grasp with this as well and this  
22 is very important.

0369

1 As I said, I want to get to the  
2 questions, but before I do that, the last thing I  
3 want to just mention are some of the next steps  
4 we're taking.

5 I think it's really important that we  
6 continue along with the progress we've made so far  
7 in implementing the concepts of quality by design in  
8 each one of our programs. And this will include  
9 basically looking at regulatory flexibility and  
10 reduction of supplements.

11 This has come up several times during  
12 the conversation today and I think this is really an  
13 important aspect of what we want to accomplish  
14 within the agency, not only from the resource  
15 standpoint, but we feel that supplements really are  
16 probably, you know, not, not the thing of the  
17 future. We could really eliminate a lot of this and  
18 save all of us a lot of problems.

19 In line with that, we're in the process  
20 of trying to revise 314.70, which is a section of

21 the Act that covers manufacturing changes and we are  
22 making, trying to put more flexibility into 314.70  
0370

1 so it's not so restrictive on supplements and  
2 hopefully we'll have something out on that very  
3 shortly.

4 We need to also continue to learn and  
5 refine our processes. I think every day with every  
6 application we review, with every conversation we  
7 have, with every meeting like this, we learn a  
8 little bit more and we have to take this learning  
9 back into our processes and build on that.

10 We need to continue to gather relevant  
11 information from the CMC pilot and from other  
12 applications. I think this is going to be very  
13 beneficial in this learning process. We need to  
14 look at the feasibility of a pilot for biotech  
15 products. This is one of the questions Steve asked  
16 and I think this is something that we really need to  
17 back up and look at and we would expect, we would  
18 appreciate your thoughts on that.

19 We need to of course continue our  
20 training efforts, our communications efforts and we  
21 need to build on those.

22

We need to move forward with the CMC

0371

1 regulatory agreement. Moheb has made a lot of  
2 progress here, he's talked to a lot of people  
3 internally within the agency, but I think this is  
4 one of the things that industry is very interested  
5 in seeing in the future to help with that regulatory  
6 flexibility and to understand more what that's going  
7 to mean to them, so it's something we need to really  
8 focus on.

9 We need to hone in on the definitions  
10 and be able again to communicate those definitions  
11 to the industry and to others involved.

12 We need to recognize internally what our  
13 knowledge gaps are and we do have knowledge gaps,  
14 and we need to work to fill those gaps. And  
15 sometimes that's easy and sometimes that's not, but  
16 it's something that I think is very necessary for us  
17 to do as we move forward into the 21st Century.

18 We need to work toward more consistency.  
19 When I talked about the difference between the  
20 programs and how they are implementing quality by  
21 design, I really need to emphasize the fact that  
22 we're trying to be more consistent internally and

0372

1 that we have to put some efforts internally into  
2 making sure that consistency exists.

3 I think Bob's point on standards  
4 development is very important. The agency is right  
5 now or at least CDER is trying to decide where it  
6 really stands with standards development. I think  
7 most of us here from OPS believe that standards are,  
8 are really necessary for the future. They are  
9 necessary for really ensuring consistency in the  
10 processes and providing guidance.

11 So I think that, you know, we need to  
12 get out and communicate what our expectations are in  
13 the area of standards development.

14 And lastly, I think we need to look at  
15 other aspects of the review process, there's things  
16 like DMS which Gordon mentioned that are out there  
17 that really needs to be looked at in terms of  
18 quality by design and how we're going to handle  
19 these in the future. There's other aspects as well,  
20 we may need to take another look at annual report.

21 There's several things in the entire  
22 process that probably need to be revisited.

0373

1                   So, we have a lot of steps that we've  
2 identified, but I think that the committee can  
3 certainly add to those.

4                   So, I'd like to then go to the questions  
5 and I appreciate all your input on this. Thank you.

6                   DR. GLOFF: Thank you.

7                   Before we go to the questions, I'd just  
8 like to say a couple of things, I think that was a  
9 wonderful summary, Helen, and I really appreciate  
10 it.

11                   And a lot of emphasis has been put on  
12 the fact that, yes, we've just gotten started and  
13 there are many, many, there's a long road to go down  
14 in the future and I would agree with that, however  
15 often the first steps are some of the largest steps  
16 you need to take just to get going.

17                   And I'm very impressed personally with  
18 what I've heard here today, that progress is being  
19 made in many, on many fronts and, yes, there will be  
20 times when it will be two steps forward, one step  
21 back, or a big detour around that big block in the  
22 road, but I'm very personally very impressed with

0374

1                   what I've heard.

2                   And, so, now I'll see who else would  
3 like to say something before we go to the specific  
4 question.

5                   Art.

6                   DR. KIBBE: I always like to say  
7 something, it's part of my -- at 5:10, I think I can  
8 say anything because we're off the record at  
9 5 o'clock according to --

10                   Just two things. Question one talks  
11 about whether we think that we're going to get  
12 better quality product out of the process. And I  
13 think that the process that you've put in place is  
14 exemplary and will get you to a more reliable  
15 product of the quality that you've designed in when  
16 you designed the product attributes.

17                   And the issue then is who designs the  
18 product attributes and what attributes do we really  
19 want. And with new drugs, ones that have never been  
20 approved before that are coming on the market,  
21 that's going to tell us a lot about the quality of  
22 the product we end up with, so that designing in the

0375

1 product attribute will tell you then using this  
2 process that you will get to that attribute more



3 reliably and more consistently.

4           But if you design a product, it's just  
5 like if you design a horse cart, that's what you're  
6 going to get, when you really want a high speed  
7 transportation, you're not going to get it.

8           So one of the things that we have to be  
9 careful about is making sure that when products are  
10 first looked at by the agency, that it has the kind  
11 of attributes that would make it a very useful  
12 product in terms of the overall health of the public  
13 and that's, that is the one thing that's not in here  
14 that you have to keep in the back of your mind.

15           But the process you have in place, the  
16 idea of quality by design, the idea of risk  
17 management, all of those ideas coming together are  
18 going to give you a high quality whatever you've  
19 decided you want, okay.

20           Second, there was a lot of talk about  
21 communication. I think you have to put  
22 communication/participation. In education we talk

0376

1 about active learning as opposed to passive  
2 learning. You come to a meeting, you talk,  
3 everybody talk, everybody listens, everybody leaves.

4 Everybody who leaves today will remember about  
5 5 percent of what we say and not necessarily  
6 important stuff. They might remember the jokes  
7 before they remember the important stuff.

8           But if you get them involved in the  
9 system and get involved in the educational process,  
10 they'll remember it. I would recommend to you that  
11 if you're going to train your reviewers, then you  
12 ought to invite the industry to send the people who  
13 are responsible for putting together the submissions  
14 to the same training session and you ought to bring  
15 reviewers in across the world. Not just FDA  
16 reviewers, but let's bring some people from the UK  
17 or from Germany or from Japan or from wherever these  
18 companies are trying to make a submission and let's  
19 put them in the same room and let them all  
20 understand what FDA reviewers are looking for and  
21 then let them say what they're looking for and then  
22 let the, the industry people who are submitting or

0377

1 putting these submissions together hear all the  
2 reviewers talk about what they're really looking  
3 for.

4           If you want harmonization, then the

5 bottom line is the people who make the decisions,  
6 and, you know, it's the reviewer at the bench and  
7 it's the guy who puts the submission together. And  
8 when they go back and they say look, every one of  
9 the reviewers told me I had to have this and they're  
10 going to tell -- you'll be, you'll see it in those  
11 submissions.

12                   And if you train separately and  
13 independently and then you come to a meeting and you  
14 stand at the podium and you tell everybody what you  
15 want, they're going to walk away with 10 percent, or  
16 they're going to have a videotape of it and they are  
17 going to try to study it and study it and study it.  
18 But in that room when they all are learning it  
19 together, they're going to walk away with a lot.

20                   And the only way to move something like  
21 this, which is a paradigm shift, that graph of  
22 everybody going up and down the hills was a

0378

1 beautiful little study and the difficulty of getting  
2 over the energy of activation is to throw them in  
3 the same pot together. I don't know who pays for it  
4 or who makes it happen, but if you want to move it,  
5 that's how you do it.

6 DR. GLOFF: Anyone else? I guess you  
7 said it all, Art.

8 So, I, let's start with question one and  
9 this does have three parts and we're being asked to  
10 address each part separately.

11 So the first one is, do you agree that  
12 application of quality by design principles should  
13 result in a higher level of assurance in product  
14 quality?

15 Any comments on this before we vote?

16 No comments. Then we'll start with, to  
17 my left with Dr. Karol. Would you wish to vote on  
18 this question?

19 DR. KAROL: Yeah, it should.

20 DR. KIBBE: With the caveat I said that  
21 you have to know what quality you want, this will  
22 get you wherever you decided to go.

0379

1 DR. GLOFF: That was Dr. Kibbe speaking.

2 DR. KIBBE: I apologize.

3 DR. KOCH: Mel Koch, yes.

4 DR. GLOFF: Carol Gloff, yes.

5 DR. SWADENER: Marc Swadener, yes.

6 DR. MEYER: Marvin Meyer, yes.

7 DR. SELASSIE: Cynthia Selassier, yes.

8 DR. VENITZ: Jurgen Venitz, yes.

9 DR. GLOFF: Okay. I think that was  
10 eight yes.

11 Part two, do you agree that application  
12 of quality by design principles should result in  
13 more flexibility for the applicant to make  
14 continuous improvement?

15 Any discussion on this?

16 Yes, Dr. Fackler.

17 DR. FACKLER: I'm, you know, I would add  
18 the phrase in theory to the first part of the  
19 question and I would add to the actual question,  
20 itself, I don't know that the quality by design  
21 principles give the flexibility, I thought it was  
22 what was granted to industry after they apply the

0380

1 principles, so I don't know that the principles,  
2 themselves, offer any flexibility.

3 I think only FDA can offer flexibility.

4 DR. GLOFF: Would FDA like to comment on  
5 that?

6 DR. NASR: Yes, I do. I think the  
7 question is not talking about quality by design, but

8 the application of quality by design and the  
9 application means in development and sharing the  
10 information of the submission. If, if the industry  
11 use the principles internally but they don't share  
12 that in the submission, I don't think that question  
13 will be, will be a relevant one.

14 DR. GLOFF: So are we saying that the  
15 question is then do, does the committee agree that  
16 if the industry applies quality by design principles  
17 based on what they've heard today, that should  
18 theoretically increase, provide -- result in more  
19 flexibility for the applicant to make continuous  
20 improvement?

21 Is that, does that address that  
22 question?

0381

1 DR. MEYER: I don't like theoretical  
2 because that implies that the agency then isn't  
3 going to be prodded to provide more flexibility.  
4 I'd rather have it just as it's stated.

5 DR. GLOFF: Okay.

6 DR. VENITZ: I agree, as long as the  
7 understanding of this application means on the  
8 industry side and acceptance/application on the FDA

9 side.

10 DR. GLOFF: Okay. So I don't know that  
11 I could repeat the question as I worded it, but  
12 we're leaving the word theoretical out, or  
13 theoretically, okay.

14 Are we all set? We'll start with  
15 Dr. Venitz.

16 DR. VENITZ: Jurgen Venitz, yes.

17 DR. SELASSIE: Cynthia Selassier, yes.

18 DR. MEYER: Marvin Meyer, yes.

19 DR. SWADENER: Marc Swadener, yes.

20 DR. GLOFF: Carol Gloff, yes.

21 DR. KOCH: Mel Koch, yes.

22 DR. KIBBE: Art Kibbe, if the agency

0382

1 wants it to be, it will.

2 DR. KAROL: Maryl Karol, yes.

3 DR. GLOFF: All right, but DR. PHAN  
4 needs to categorize your vote as a yes, no or  
5 abstention, so.

6 DR. KIBBE: I'm sorry, I shouldn't do  
7 that, but I agree with Dr. Fackler, it's really,  
8 it's a possibility, from what we do, it's possible  
9 and if the agency doesn't allow it, it won't happen

10 and if they do, it will. So how do you log that in?

11 I don't know.

12 MR. UNIDENTIFIED SPEAKER: Log that in,

13 I mean I don't know.

14 The comment says application of QBD, it

15 doesn't say only by industry, so if the agency also

16 applies QBD, then I think it should be yes, right?

17 DR. KIBBE: Well, if you promise me that

18 they will, I'll say yes.

19 MR. UNIDENTIFIED SPEAKER: All it says

20 is if it was applied.

21 DR. GLOFF: We'll call him a yes.

22 Part three, do you agree that

0383

1 application of quality by design principles should

2 result in less need for FDA regulatory oversight on

3 post-approval changes?

4 Comments?

5 DR. VENITZ: Yeah, I have a comment for

6 the record because this to me almost reads like

7 that's oversight.

8 I think what you mean by that is that

9 the oversight is going to be different, for example,

10 that as opposed to getting prior approval to any



11 changes, it may just be filed with the annual report  
12 or something like that, right? That means you still  
13 continue to provide oversight.

14 DR. NASR: Yes, in principle, but again,  
15 for some will have more of an opportunity to review  
16 ICH Q8, it was stated clearly in the core guidance  
17 that became official in June of this year that if  
18 you provide quality by design information and  
19 provide information about the design space and your  
20 understanding of the manufacturing process, any  
21 changes within such space does not mean a change and  
22 changes could be made under the quality, under the

0384

1 firm, its own quality system.

2 DR. VENITZ: I don't, I understand that,  
3 but I'm saying the wording to me right now almost  
4 implies there is less oversight.

5 MS. UNIDENTIFIED SPEAKER: Yeah.

6 DR. VENITZ: And I want to make sure on  
7 the record that that's not the case.

8 MS. WINKLE: It just changes where the  
9 oversight is. If you have the quality by design  
10 information up front, then that's where the  
11 oversight is actually done and not in the post

12 market changes.

13 MR. UNIDENTIFIED SPEAKER: Can you just  
14 move the less, instead of less oversight, move it  
15 to, I don't have the sentence up there in front of  
16 me, what, need -- pardon me?

17 Okay. I would say maybe move the less  
18 so it would be three, need for less regulatory  
19 oversight, rather than less regulatory oversight. I  
20 don't know if that's a subtle change or not, but to  
21 me it seems to address the issue of wiping out some  
22 oversight period rather than change the kind of

0385

1 oversight. Because if you eliminate the  
2 supplements, that's certainly less oversights, but  
3 you're not eliminating all the oversight.

4 DR. NASR: And not even, we are not  
5 proposing to eliminate all the supplements  
6 altogether.

7 MR. UNIDENTIFIED SPEAKER: Right, okay.

8 MR. UNIDENTIFIED SPEAKER: If you want  
9 to get into wordsmithing, I think we have FDA staff  
10 is on record what they mean by that.

11 DR. GLOFF: Dr. Kibbe.

12 DR. KIBBE: Well, since none of our

13 votes are binding on the agency anyhow, and most of  
14 the time we walk away hoping that they just take the  
15 spirit of where we're going, I think what we're  
16 trying for here is that the oversight will be less  
17 burdensome and less prescriptive and more open to  
18 good scientific bases and when the companies have a  
19 good body of information before the agency, then  
20 they can be comfortable doing things that are not  
21 scientifically unsubstantiated and if they start to  
22 do large variations, they know why they are doing

0386

1 them and why they need to supplement.

2 But less regulation is not comfortable  
3 for the public.

4 DR. GLOFF: Shall we vote?

5 We'll start with Dr. Karol.

6 DR. KAROL: (Inaudible).

7 DR. GLOFF: Would you turn on your  
8 microphone and perhaps repeat that.

9 DR. KAROL: It's hard to say what  
10 exactly we're voting for, but I don't think we want  
11 to say there will be less oversight. I wouldn't  
12 agree with that.

13 DR. NASR: If I may help a little bit or

14 maybe even make it more vague, but I think the  
15 question here, we understand I think Dr. Kibbe put  
16 it fairly well, but we're not talking about less  
17 regulatory oversight, we are talking about less  
18 regulatory oversight for some, maybe add the word  
19 some of post-approval changes, of the post-approval  
20 changes that they fit within the design space and  
21 could be managed under the firm, its own quality  
22 system.

0387

1 MS. WINKLE: Can I, I'm not really  
2 comfortable. I really think that talking about  
3 regulatory oversight is, could probably come out of  
4 this altogether.

5 What we're talking about, if you apply  
6 the principles of QBD, can we then eliminate the  
7 post approval changes is all we're asking here.

8 So I think that's really what the  
9 question should be. I mean it's not a matter of  
10 less or more regulatory oversight, it's just whether  
11 you need to send in post approval change if you have  
12 a lot of information up front which explains your  
13 understanding of the product and process.

14 DR. GLOFF: Okay, so it's to decrease,

15 it should or could decrease the need for  
16 post-approval supplements on post-approval changes,  
17 does that help?

18 MS. UNIDENTIFIED SPEAKER: (Inaudible)  
19 of the whole process, so I don't know why they  
20 are -- I mean it's built into the definition now is  
21 what you're doing.

22 DR. GLOFF: Dr. Kibbe.

0388

1 DR. KIBBE: Since the vote is not  
2 binding, I'll vote yes.

3 DR. GLOFF: All right.

4 DR. KOCH: Mel Koch, yes.

5 DR. GLOFF: Carol Gloff, yes.

6 DR. SWADENER: Marc Swadener, yes.

7 DR. MEYER: Marvin Meyer, yes.

8 DR. SELASSIE: Cynthia Selassier, yes.

9 DR. VENITZ: Jurgen Venitz, yes.

10 DR. GLOFF: We made it through question  
11 one.

12 On to question two. I don't know,  
13 Dr. Karol, how would you like your vote recorded?

14 DR. KAROL: It would have to be a yes  
15 because I don't disagree with it.

16 DR. GLOFF: Okay, Dr. -- DR. PHAN just  
17 needed to know, thank you.

18 Question two, should FDA develop a new  
19 guidance on quality by design to facilitate its  
20 implementation or rely only on ICH guidelines?

21 So this is sort of a more specific  
22 example of the question number three that we

0389

1 discussed this morning.

2 So thoughts on this one?

3 Mr. Migliaccio.

4 MR. MIGLIACCIO: Yeah, I think I just  
5 want to reiterate what Bob Baum said a little while  
6 ago and that is until we have the full postmortem on  
7 the 11 pilots, I'm not sure we can answer this  
8 question because the, after we finish the evaluation  
9 of those pilots, we will know whether there are huge  
10 gaps which need to be filled with guidance or not.

11 DR. GLOFF: Dr. Koch.

12 DR. KOCH: Yeah, I guess one of the  
13 things to add on to that, I'm just wondering in the  
14 development of a guidance, is it possible in an  
15 appendix, for example, to use case studies that  
16 would better define and draw on some of the

17 experience that could come from the pilot?

18 DR. NASR: I think now we are, we are  
19 right now in ICH Q8R, we are doing just that. We  
20 are trying to provide some illustrative examples of  
21 how the ability of establishing design space around  
22 some of the unit operations.

0390

1 But the question comes that we  
2 traditionally at the FDA had some fairly  
3 prescriptive guidelines that are helpful to people  
4 who know -- that have enough knowledge set and also  
5 like more instructional direction.

6 If we rely only on ICH guideline and I  
7 think that's the direction we are moving in to with  
8 ICH Q8, Q9, Q10, I think it was fairly clear in  
9 Dr. Robert Baum presentation that they are raising  
10 the same question about some implementation  
11 guideline.

12 So the question before the committee is  
13 rely only on ICH to provide less direction and more  
14 high level principles, versus more of direction,  
15 especially with some of these new concepts.

16 DR. GLOFF: Anyone else? Since I --

17 DR. NASR: We are not suggesting here at

18 the agency that we should develop more guidelines,  
19 but the question keeps coming to us. You know, we  
20 deal with smaller firms and large firms, et cetera,  
21 so we thought that we put the question before the  
22 committee and we are seeking your input.

0391

1 MS. WINKLE: And I guess my opinion  
2 would be similar to Mr. Migliaccio's, that it may be  
3 premature to, for us to be recommending whether or  
4 not a guidance should be written on to support that.

5 I believe one of the products in the  
6 pilot program has been approved, if I remember  
7 correctly, and there are three others that are in  
8 review and the others haven't even been submitted  
9 yet and we were given a bit of information about the  
10 kinds of, shall we say, issues or limitations that  
11 you're seeing in the applications, but my opinion is  
12 it is premature to be really deciding if a new  
13 guidance would be needed or not.

14 So, I would suggest that this question  
15 be delayed.

16 DR. NASR: I think in end I would like  
17 to summarize that we defer the question until we  
18 have further experience with implementation quality



19 by design is a very good input.

20 DR. GLOFF: Dr. Meyer.

21 DR. MEYER: But my understanding, you're  
22 encouraging firms on generic and the brand side to  
0392

1 introduce QBD into their applications now,  
2 additional beyond the 11, some of which will not  
3 have any clue, particularly as to what the FDA's  
4 expecting. And let's face it, when a guidance comes  
5 out, there's always the big word draft on there, you  
6 could provide some minimal information that's  
7 general and not likely to change, perhaps just to be  
8 of some assistance to those companies that would  
9 like to get involved early on.

10 MS. WINKLE: I actually think that's a  
11 good point, too, Marv, because I worry about the  
12 amount of information that we may get as people sort  
13 of control looking for what is quality by design  
14 information.

15 So maybe we do need to step back and  
16 think about this a little bit. Maybe it's an  
17 internal discussion we need to have as to whether  
18 this makes sense or not.

19 DR. GLOFF: Any other comments on

20 question two?

21 All right, then we'll move to question  
22 three, which is, what are the relevant scientific

0393

1 areas of disagreement among the stakeholders that  
2 the FDA should seek to establish consensus through  
3 additional efforts?

4 I don't think this is a question to vote  
5 on, I think they are looking for feedback. We've  
6 certainly heard some of the scientific areas of  
7 perhaps disagreement from the industry  
8 representatives this afternoon, some different  
9 comments that have been made by our FDA  
10 representatives of the types of things that they are  
11 seeing in submissions.

12 Who has a thought around the table?

13 Dr. Venitz.

14 DR. VENITZ: Well, I'm not sure whether  
15 I heard a lot of disagreement on the science, it was  
16 more on how to implement it. I mean if I had to  
17 pick something, and this is somewhat arbitrary,  
18 let's define what critical means. And I'm not even  
19 sure whether it's a scientific question as much as  
20 it is related to whatever specific attribute you

21 might be looking at.

22 But other than that, I mean my

0394

1 impression is the disagreement is on how to  
2 implement it and how to make sure everybody's on the  
3 same wavelength, not what they actually do.

4 DR. GLOFF: Good point. Dr. Fackler.

5 DR. FACKLER: I agree with that, but  
6 would say that at least for the generic industry,  
7 there's still disagreement about how specifications  
8 should be set and maybe frustration that they don't  
9 appear to be being set following the new paradigm.

10 So, you know, dissolution specs, some  
11 process specifications, we would suggest that that  
12 might be an issue to consider.

13 DR. GLOFF: Other comments? Thoughts?

14 DR. MIGLIACCIO: Well certainly with the  
15 largest difference among the regions in ICH is the  
16 post-approval regulatory processes, where FDA is  
17 going now for post-approval submissions and where  
18 the other regions are.

19 So clearly this has to be a focus area  
20 because as Bob Baum said earlier, you can come up  
21 with a tremendous quality improvement, but you can't

22 implement it because you're supplying product to

0395

1 three regions, you're supplying product globally and  
2 only the U.S. has adopted a more flexible  
3 post-approval change process.

4 So, we need to certainly plead with FDA  
5 to continue as Bob said the leadership in driving  
6 these concepts, particularly in the post-approval  
7 change management arena, because that's where a huge  
8 difference exists right now.

9 DR. GLOFF: Anyone else?

10 Does the FDA require further feedback on  
11 this question at this point in time? I don't seem  
12 to have any more, but we can -- okay, thank you.

13 Question four, are there additional  
14 mechanisms for educating reviewers and industry on  
15 changes being made?

16 Well, certainly Dr. Kibbe has suggested  
17 a possibility of training, of education information  
18 being disseminated to both reviewers and industry  
19 representatives at the same time.

20 Anyone else have a thought?

21 Is there any, I don't know if this is  
22 possible, but I'll throw it out there, I recognize

0396

1 that the FDA Website contains many things on it.

2 Is there any possibility or maybe it's  
3 already there of some kind of a training that  
4 wouldn't really be a guidance, but a training that  
5 somebody could do online?

6 MS. WINKLE: Yeah, I think that's  
7 possible. We do some of that for generics on, just  
8 the whole generic program we have a Website for  
9 training and I think it will be helpful if we can  
10 get, and we're planning on doing this, it's getting  
11 done. It has been slow, is get a Website up that  
12 really tells some of the progress we're making in  
13 some of the lessons learned and different  
14 information we have out there.

15 That, again, isn't guidance, we have to  
16 be very careful that it's not guidance, but I think  
17 there's a lot of information we could put up on a  
18 Website that would be very beneficial to the  
19 industry in applying some of these concepts.

20 DR. GLOFF: And the other thing that  
21 comes to my mind is and I think you're doing this  
22 already, but I'll mention it anyway, is doing

0397

1 workshops or whatever at various professional  
2 meetings that representatives of the industry and  
3 certainly some FDA reviewers would attend.

4 DR. NASR: Yes.

5 DR. GLOFF: And I don't want to mention  
6 specific organizations just because I don't want to  
7 be biased, sound like I'm biased, but there are a  
8 number of them that I can think of. You may already  
9 be doing that. Certainly you gave us a slide in our  
10 information package from DIA. Maybe there could  
11 even be something more formal than that, as more of  
12 almost like a training workshop as a possibility.

13 Dr. Kibbe.

14 DR. KIBBE: It's just a brief follow-up  
15 on my idea of getting -- one of the problems I think  
16 the industry faces, as I've said over and over  
17 again, is that there is not harmonization on  
18 regulatory requirements, even after you try to  
19 harmonize the USP and the rules that they have to  
20 live up to are different.

21 And many, many years ago we tried to get  
22 both the Japanese scientific community and the U.S.

0398

1 scientific community and the European scientific

2 community to all meet at the same meeting. I think  
3 it was Hawaii, I enjoyed it.

4           And I think that if, if there, there  
5 could be anybody who could jointly sponsor from the  
6 three main members of the ICH communities a meeting  
7 of scientists and regulators at the same place and  
8 they could exchange this, we'd go a long way to  
9 moving people in the same direction.

10           I don't know whether you wanted to get  
11 involved in that with your colleagues at the next  
12 ICH, but I might be able to.

13           DR. NASR: If I, if I just may add a  
14 couple of comments.

15           One, I don't like members of advisory  
16 committee to feel that we are expecting a very  
17 specific and voting and input into all of these  
18 issues. I think you all know that these issues were  
19 drafted prior to the discussion we had today and we  
20 already have received some good input and comments  
21 from the advisory committee.

22           Second, about the training and some of

0399

1 our efforts, we can devote an hour or two to discuss  
2 that, but some of the ideas suggested by Dr. Kibbe

3 are very good and we are currently implementing.

4           So in our training for our reviewers, we  
5 are bringing people from industry to tell us about  
6 what they do, we go to industry, manufacturing  
7 facilities, Lawrence mentioned that, I do that,  
8 Steve Kozlowski, as well, and others, we do that.

9           We send our reviewers for training and  
10 visitation to pharmaceutical manufacturing facility  
11 to talk with the people who develop and manufacture  
12 drugs through plant orientation, et cetera, so we do  
13 that.

14           So, some of the things we are doing. I  
15 think through the ICH process there is a great  
16 opportunity for dialogue and I think we could  
17 discuss in Chicago about how can we facilitate the  
18 implementation of ICH guidelines.

19           Is joint training among regulators and  
20 industry, I think that would be the best way to  
21 facilitate the implementation and we all be on the  
22 same wavelength.

0400

1           One other thing that's fairly important,  
2 many of the workshops that were cited in many slides  
3 today, part of the workshop is break-out sessions in



4 the workshop where we have a small group discussion  
5 where we have people who come from the review,  
6 inspection compliance activities in the agency,  
7 people from industry and people from different parts  
8 in the world.

9 I know that the FDA efforts in  
10 leadership in these workshops has been so extensive  
11 that we bring the people from Europe and from Japan  
12 to work with us because that's only way that I think  
13 we can achieve harmonization.

14 MS. WINKLE: Can I add one thing, too,  
15 which Moheb just touched on and I was thinking about  
16 this question refers to mechanisms for education of  
17 reviewers and industry and I did touch on it when I  
18 talked a little bit and Moheb just talked on it.

19 I think another really important aspect  
20 of the education is for the field force and the  
21 inspectors, because I know that this is one of the  
22 concerns that's out there with the industry and I

0401

1 understand that the reviewers may agree on something  
2 in an application and when the inspector comes to do  
3 the inspection, they may have some disagreement or  
4 not understand the true concepts of what we're

5 trying to accomplish.

6           So, I think this is a really important  
7 aspect of the training that we have to do and it has  
8 to be continuous training, too. We can't have one  
9 or two training sessions and expect them to be  
10 knowledgeable and up to date with some of the things  
11 that we're changing.

12           You said it's an evolving process and  
13 we've got to be working with them, too, so I think  
14 that's an important thing to keep in our minds as  
15 well.

16           DR. GLOFF: Anything else?

17           All right, question five, are the ONDQA  
18 plans and efforts adequate to, adequate to implement  
19 quality by design?

20           MS. WINKLE: Hard to say is the message  
21 I'm getting.

22           DR. GLOFF: Could you turn on your mic,

0402

1 DR. KAROL.

2           DR. KAROL: I don't think I have enough  
3 information to really decide on that.

4           MS. WINKLE: It's also early in the  
5 game, I mean you know it's early in the process. It

6 seems like my personal opinion, it seems like good  
7 plans, but it's hard to say if it will be adequate  
8 or not.

9 DR. NASR: Okay, if I may provide  
10 further clarification, I agree we are early in the  
11 process, but I think one thing that was presented  
12 today both by Dr. Chen and also by Dr. Bob Baum is  
13 the CMC pilot program. So we came up with this  
14 program as a way to put our hand around the issues  
15 and see where we are with the quality by design, as  
16 a first step.

17 So I think the question is at this  
18 stage, do we need to do more than that or just  
19 continue with this program at this time.

20 DR. GLOFF: So what you're really  
21 looking for is does this committee have other  
22 suggestions of things that --

0403

1 DR. NASR: At this time?

2 DR. GLOFF: At this time that the FDA  
3 should consider adding to the implementation of  
4 quality by design for ONDQA.

5 With that question, it's 20 of 6, I  
6 think the committee is -- so at this point I think

7 we don't have other suggestions, unless I'm missing  
8 someone.

9                   So, we will -- oh, I'm sorry,  
10 Dr. Swadener.

11                   DR. SWADENER: I'd just like to say that  
12 it's in my experience at the University for  
13 implementing programs, it's very, very, very  
14 important to document what went on in detail, what  
15 the results were, whatever it was, and continue that  
16 throughout the whole process, even after you decide  
17 to go ahead with this.

18                   Keep a very detailed history. That's  
19 very, very important. May be more important than  
20 the actual review itself.

21                   DR. GLOFF: Anyone else? Okay.

22                   Question six. OGD question-based review  
0404

1 initiative is currently limited to generic drug  
2 product. Should it be expanded to include drug  
3 substance?

4                   I guess my view on that would be that it  
5 seems to me like yes, it should be expanded to  
6 include drug substance.

7                   However, we really didn't discuss that

8 today, so there may be some pitfalls or problems  
9 that I'm not thinking of and not aware of that would  
10 change my answer, but my initial response would be  
11 why not.

12                   Anyone else? Yes, Dr. Venitz.

13                   DR. VENITZ: I thought I heard or read  
14 somewhere that there's no Q8 guidance out on drug  
15 substance. Is that correct, or am I confused?

16                   DR. NASR: We don't have a specific  
17 guidance yet on the right stage for drug substance,  
18 but the Q8 guidance discuss the aspects of the drug  
19 substance that impact the performance of the drug  
20 product. So, there are some discussions under Q8  
21 about the role of drug substance and how some of the  
22 characterization efforts and, that are needed in

0405

1 order to develop a dosage form.

2                   So there are something new. I think  
3 there is another part or an issue here and maybe  
4 I'll ask Helen to elaborate and that is some of the  
5 challenges with the implementation of quality by  
6 design through our regulatory process is a drug  
7 master file, the DMF, and that creates another issue  
8 and I don't know if, and Helen mentioned already

9 that this is one of the things that we need to work  
10 on in the future.

11 MS. WINKLE: Actually we were getting  
12 ready to put together a working group with industry  
13 to look at DMFs and where they fit into the whole  
14 concept of quality by design and whether we can  
15 change the process. They're used a little bit  
16 differently across the three offices, so we're  
17 trying to get representatives from all three, you  
18 know, areas to begin to look at this and discuss it  
19 and I'm actually hoping the next time we meet, the  
20 advisory committee, that we can bring some of the  
21 recommendations to the group, but.

22 DR. YU: I guess I need to provide some

0406

1 background. When we implement the QVR is and  
2 almost, almost exclusive, almost all the  
3 applications, the approval is delayed because of  
4 drug substance is inadequate, so we have been asked  
5 by industry in our reviews in actually many, many  
6 month and at this point OGD management answer to  
7 those question is we need to finish QVR for drug  
8 product first, then maybe we tag along for drug  
9 substance.

10                   That's why we impose this discussion to  
11 you to seeking advice and comments. Just for  
12 clarification, thank you.

13                   DR. GLOFF: Dr. Fackler, did you --

14                   DR. FACKLER: I was going to say that it  
15 might be premature to put the question-based review  
16 initiative toward the drug substance, but some kind  
17 of initiative to help the drug substance  
18 manufacturers improve the information in their  
19 particular DMFs is very useful and probably doesn't  
20 need to wait.

21                   But we might wait and see how the  
22 question-based review goes for the drug products

0407

1 before imposing it on drug substance, so I'm saying  
2 yes, let's help the API, but let's maybe not  
3 implement this untested system on them.

4                   DR. GLOFF: Dr. Venitz.

5                   DR. VENITZ: Well, basically I concur on  
6 that based on what you just told me, that you don't  
7 have a Q8 guidance, you have issues with DMFs that  
8 you're trying to address, so to me it sounds like  
9 it's premature.

10                   DR. GLOFF: Anyone else?

11                   Okay, I think the response can be summed  
12 up in general that the concept of doing something at  
13 some point probably makes sense, but I think the  
14 general agreement is it's probably premature based  
15 on the information provided, so.

16                   Question 7. Should FDA develop a pilot  
17 program to explore specific quality by design issues  
18 that are important for biotechnology products?

19                   Dr. Koch.

20                   DR. KOCH: I get the impression that  
21 some of the biotechnology companies are addressing,  
22 you know, that to define what a good example would

0408

1 be and perhaps to begin moving in that direction.

2                   So I think it would be an excellent  
3 opportunity to assist that, that discussion.

4                   DR. GLOFF: Dr. Venitz.

5                   DR. VENITZ: Again, I don't, if you had  
6 to rule on this, I would have to abstain, so, based  
7 on my knowledge base, even after today's.

8                   Now having said that, given that you're  
9 looking at follow-on proteins and other things where  
10 QBD issues may be relevant, yeah, it would be a good  
11 idea for you to look into that.



12                   So I guess I'm positively inclined, but  
13 I wouldn't be able to vote yes or no on it.

14                   DR. GLOFF:  Anyone else with a, the  
15 same -- Dr. Selassier.

16                   DR. SELASSIER:  Yeah, I tend to agree,  
17 especially if you're dealing with the monoclonal  
18 antibodies with similar samples and you can use that  
19 knowledge base I think to go ahead and do a pilot.

20                   MR. KOZLOWSKI:  Aside from yes or no,  
21 which I guess you'll get to, is there any advice on  
22 how you think that pilot program should, should

0409

1 look?  I know, it's 6:00 almost.

2                   DR. GLOFF:  Yeah, I think that I,  
3 speaking for myself, I think that I haven't thought  
4 about it enough to really be able to give you any  
5 substantive advice on what would make sense for that  
6 program.

7                   My instincts are similar to the other  
8 members who have spoken up that the concept seems  
9 like an appropriate concept, but I probably don't  
10 have enough -- I don't have enough information, or  
11 at least I haven't digested the information that I  
12 have to be able to give any substantive feedback.

13 DR. NASR: If I may interject here, just  
14 make sure I understand, or we understand, are you  
15 looking for a specific proposal from the agency of  
16 what a pilot program will focus on and some of the  
17 agents and some of the potential gains from looking  
18 at this, or what the question as put before you  
19 today is sufficient? I'm trying to find out what we  
20 need to do.

21 DR. GLOFF: I'm going to turn to  
22 Dr. Venitz and Dr. Selassier who both commented.

0410

1 DR. VENITZ: What I'm proposing is that  
2 you look into it. I'm not proposing that you come  
3 up with a program, but it's something that obviously  
4 you're thinking about it, so maybe you continue to  
5 think about it and come with a proposal to us if  
6 that's what you want to do.

7 MR. KOZLOWSKI: Okay, if I outlined, and  
8 again, just throwing this out because I think this  
9 needs a fair amount of consensus, but if the program  
10 was focused on supplements that had comparability  
11 protocols and focused on looking at complex  
12 attributes with some extra biological data or extra  
13 data on why those attributes can be in a particular

14 range or not and the potential regulatory benefit  
15 with that comparability protocol, it really had data  
16 on, much more data on the space that the attributes  
17 can occupy might be much broader than it would  
18 otherwise, so a comparability protocol for a change  
19 in fermentation might be limited we're making this  
20 change, but instead it might be if we make this  
21 class of changes and we look at things and we've  
22 defined what attributes matter, that then multiple  
0411

1 changes within those parameters could be, so there's  
2 a regulatory benefit defined, there's a targeted  
3 area which is complex product attributes, which is  
4 not unique to biotech products, but clearly an area  
5 that biotech products has to deal with.

6 DR. KAROL: Yeah, I think you've got the  
7 concept in. I think what we're looking for are what  
8 are those particular issues in the biotech area that  
9 would comprise the pilot program, you know, what are  
10 the concerns that are relevant to biotech that's not  
11 relevant to the other areas, that would clarify it  
12 for me.

13 MR. KOZLOWSKI: Right, so I think,  
14 again, it's never totally unique to biotech, there

15 are very complex APIs that are not biotech, but one  
16 of the shared features of biotech products or many  
17 of them is they have complex post-translational  
18 modification, lots of variants, okay.

19 So, again, even though that might apply  
20 to Heparin and, you know, some other things, but  
21 it's, it's a very common biotech issue and one, so I  
22 think biotech would be a good vehicle to address how

0412

1 to deal with complex APIs.

2 DR. GLOFF: Dr. Kibbe.

3 DR. KIBBE: He's almost got me convinced  
4 to say go ahead and do it, but what I was going to  
5 suggest before you almost convinced me is that you  
6 go back and look at a recent supplement and say if  
7 it had come in under these rules, what would that  
8 have meant for the time it took me to do that.

9 Now that might take you a few weeks to  
10 go through and say, all right, if I had gotten these  
11 bits of information that would have been available  
12 under QBD, you know, would that, what would that  
13 have done for this company and my reviewers.

14 And if it comes out positive, then I  
15 think you should go forward with a pilot. And if it

16 comes out that you would end up being a wash, I  
17 don't know.

18 MR. KOZLOWSKI: Well, we have had  
19 examples which may get presented at some point by  
20 the involved companies where they have created a  
21 very broad, say, space for glycoforms, a wide  
22 variety of them that didn't impact PK or other  
0413

1 parameters.

2 And so the consequences are that or so  
3 they get a broader range and that happens within the  
4 current regulatory process.

5 But the question was we know they can do  
6 that. If they can really make a convincing case  
7 that this broad space gives them the freedom to  
8 potentially change other things, as long as they  
9 remain within it, then I think it is, it's a big  
10 savings to industry to have a comparability protocol  
11 that covers more than one change.

12 DR. GLOFF: Dr. Webber.

13 DR. WEBBER: If I could just propose  
14 perhaps to rephrase the question and say should the  
15 FDA explore development of a pilot program for a  
16 specific quality -- (inaudible) biotech.

17 DR. GLOFF: Dr. Meyer?

18 DR. MEYER: The words I had considered  
19 developing, but that goes along with what you said.  
20 Can't hardly argue with that.

21 DR. GLOFF: Further comment on the  
22 rewording of the question?

0414

1 Do we need a vote on this or is there a,  
2 I think there's -- I'm not seeing anybody shaking  
3 their head no, you shouldn't do it, so I think  
4 there's a consensus that with that re-wording for  
5 the agency to consider it or however, whatever the  
6 wording was, there's a consensus that that would be  
7 appropriate.

8 We have one question left from this  
9 morning. It was question two and it is, should FDA  
10 implement additional quality risk -- excuse me,  
11 quality risk management activities given resource  
12 constraints?

13 No, I will let you go home, but I'm just  
14 doing my job.

15 Yes, Dr. Venitz.

16 DR. VENITZ: Again, I'd say I have to  
17 abstain. That's really a management decision that

18 you have to make internally. I don't think it's up  
19 for us as a committee to look at the resources. You  
20 obviously are very limited, I think you made that  
21 point and I'm convinced you are.

22 But to figure out how to assess

0415

1 priorities within the office, I don't think I'm able  
2 to do that.

3 DR. GLOFF: Dr. Kibbe.

4 DR. KIBBE: I agree with Jurgen, I think  
5 if we were given a list of things that, and you said  
6 okay, we can only do two of these things, then we  
7 might be able to help you decide among a list of six  
8 or seven things, but to just say, ah, you know, I  
9 mean I don't know how we can help.

10 DR. GLOFF: Yeah, I don't know how we  
11 can be, certainly can't be specific. I think that  
12 it may be appropriate for additional quality risk  
13 management activities to be implemented depending on  
14 what they are and the circumstances, so, I, I  
15 certainly think there may be other opportunities for  
16 the agency to implement some of those, but beyond  
17 that, I can't really say.

18 Dr. Koch.

19 DR. KOCH: Another way to look at this  
20 is is there something that the committee can do to  
21 assist this freeing up other resources? You know,  
22 is there some assist in freeing up other resources?  
0416

1 You know, is there, is there some, you  
2 know, we agree that the resources are short, you  
3 know, is there some mechanism to go up the chain or  
4 something like that.

5 DR. NASR: I think we can give you some  
6 applications to review, Mel.

7 MR. UNIDENTIFIED SPEAKER: If you want  
8 the dosing system in place, let us know.

9 DR. GLOFF: Okay, well it's -- anything  
10 further, any other comments?

11 It's now five minutes of 6. I thank the  
12 audience, the observers here for, those of you who  
13 stuck with us until this late hour, but I think it  
14 was worth all the information that we obtained --  
15 were given today and appreciate the discussion.

16 So we'll reconvene tomorrow morning at  
17 8:30 when Dr. Cooney will be here and thank you,  
18 again.

19 (October 5th, 2006, meeting concluded.)



