

0001

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

JOINT MEETING OF THE
ENDOCRINOLOGIC AND METABOLIC DRUGS
ADVISORY COMMITTEE and the
ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCES

OCTOBER 4, 2006
8:00 a.m.
The Hilton Gaithersburg
620 Perry Parkway
Gaithersburg, Maryland

0002

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

P R O C E E D I N G S
DR. WATTS: My name is Nelson Watts and
I want to call to order this joint meeting between
the Endocrinologic and Metabolic Drug Advisory
Committee and the Advisory Committee for
Pharmaceutical Sciences.
I'd like to begin by having the
panelists introduce themselves and we'll start at
Dr. Fackler's end.
DR. FACKLER: I'm Paul Fackler with Teva
Pharmaceuticals representing industry.
DR. RYDER: Steve Ryder with Pfizer
Research and Development. I'm the industry
representative on endocrine and metabolic committee.
DR. TUTTLE: Mike Tuttle,
endocrinologist from Memorial Sloan Kettering in
New York.
DR. HENDERSON: Jessica Henderson,
consumer representative.
DR. McCLUNG: Mike McClung,
endocrinologist from Portland, Oregon.
DR. KOCH: Mel Koch, the University of

0003

1
2
3
4
5
6
7
8
9
10

Washington.
DR. MORRIS: Ken Morris, Purdue
University, Industrial and Physical Pharmaceutical
from the, obviously from ACPS.
DR. WIERMAN: Maggie Wierman, University
of Colorado, endocrinologist.
DR. PROSCHAN: Mike Proschan, a
statistician at NIAID.
DR. TAMBORLANE: Bill Tamborlane,
pediatric endocrinology at Yale.

11 DR. VENITZ: Jurgen Venitz, clinical
12 pharmacologist at Virginia Commonwealth University
13 in Richmond.

14 DR. KIBBE: Art Kibbe, pharmaceutical
15 science formulator, Wilkes University, Pennsylvania.

16 DR. SKARULIS: Monica Skarulis,
17 endocrinologist, NIDDK NIH.

18 DR. BURMAN: Ken Burman, endocrine at
19 Washington Hospital Center in Georgetown.

20 DR. COONEY: Charles Cooney, professor
21 of chemical, biochemical engineering at MIT and
22 chair of the advisory committee on pharmaceutical

0004

1 sciences.

2 MS. FERRETTI: Victoria Ferretti-Aceto,
3 acting designated Federal officer for this meeting.

4 DR. WATTS: I'm Nelson Watts,
5 endocrinologist at the University of Cincinnati and
6 the chair of the endocrine and metabolics drug
7 committee.

8 DR. GLOFF: Carol Gloff, Boston
9 University and independent consultant.

10 DR. ROSEN: Cliff Rosen,
11 endocrinologist, Bangor, Maine.

12 DR. MEYER: Marvin Meyer, emeritus
13 professor, University of Tennessee.

14 DR. CARPENTER: Tom Carpenter, pediatric
15 endocrinology at Yale in New Haven.

16 DR. KAROL: Maryl Karol, University of
17 Pittsburgh for pharmaceutical science.

18 DR. DOBS: Adrian Dobs, endocrinologist
19 at Johns Hopkins.

20 DR. LEVITSKY: Lynne Levitsky, pediatric
21 endocrinology at the Mass General Hospital for
22 Children.

0005

1 DR. SELASSIE: Cynthia Selassie, chemist
2 from Pomona College, California.

3 DR. SCHAMBELAN: I'm Morrie Schambelan,
4 endocrinologist, University of California in
5 San Francisco.

6 DR. WOOLF: Paul Woolf, endocrinologist,
7 Crozer Chester Medical Center.

8 DR. FLEGAL: Katherine Flegal,
9 epidemiologist, Centers for Disease Control and
10 Prevention.

11 DR. SWADENER: Marc Swadener, consumer
12 representative for the pharmaceutical sciences from
13 University of Colorado.

14 DR. DUFFY: Eric Duffy, the FDA.

15 DR. PARKS: Mary Parks, director,
16 division of metabolism endocrinology.

17 DR. AXELRAD: Jane Axelrad, associate
18 director for regulatory policy in the Center for
19 drugs.

20 DR. MEYER: Robert Meyer, I'm the
21 director of the office of drug evaluation II in

22 CDER.

0006

1 DR. JENKINS: John Jenkins, I'm the
2 director of the office of new drug in CDER.

3 MS. FERRETTI: I will now read the
4 conflict of interest statement for the meeting.

5 The following announcement addresses the
6 issue of conflict of interest and has made it a part
7 of the record to preclude even the appearance of
8 such at this meeting based on the submitted agenda
9 and all financial interests reported by the
10 committee participants, it has been determined that
11 all interests in firms regulated by the Center for
12 Drug Evaluation and Research present no potential
13 for an appearance of a conflict of interest with the
14 following exceptions.

15 In accordance with 18 USC 208B3, four
16 waivers have been granted to the following
17 participants. Dr. Michael McClung has been granted
18 a waiver for his membership on an unrelated advisory
19 board for an affected firm. He receives less than
20 10,001 dollar per year.

21 Dr. Charles Cooney has been granted a
22 waiver for his unrelated consulting for an affected

0007

1 firm. He receives between 10,001 and 50,000 dollars
2 per year.

3 Dr. Marvin Meyer has been granted a
4 waiver for his unrelated consulting for an affected
5 firm. He receives between 10,001 dollars and 50,000
6 dollars per year.

7 Dr. Nelson Watts has been granted a
8 waiver for his unrelated consulting for an affected
9 firm. He receives less than 10,001 dollars per
10 year.

11 In addition, Dr. Robert Tuttle, a
12 non-voting consultant, has been granted a waiver for
13 his related consulting and speaking for an affected
14 firm. He receives less than 10,001 dollar per year
15 for each activity.

16 Waiver documents are available at FDA's
17 dockets Web page. Specific instructions as to how
18 to access the Web page are available outside today's
19 meeting room at the FDA information table.

20 In addition, copies of all waivers can
21 be obtained by submitting a written request to the
22 agency's Freedom of Information Office, Room 12A-30

0008

1 of the Parklawn Building.

2 Further, we would also like to note that
3 Dr. Paul Fackler and Dr. Steve Ryder have been
4 invited to participate as non-voting industry
5 representatives acting on behalf of regulated
6 industry. Their role at this meeting is to
7 represent industry interests in general and not any
8 one particular company.

9 Dr. Fackler is employed by Teva

10 Pharmaceuticals and Dr. Ryder is employed by Pfizer.

11 In the event that the discussions
12 involve any other products or firms not already on
13 the agenda for which an FDA participant has a
14 financial interest, the participants are aware of
15 the need to exclude themselves from such involvement
16 and their exclusion will be noted for the record.

17 With respect to all other participants,
18 we ask in the interest of fairness that they address
19 any current or previous financial involvement with
20 any firm whose product they may wish to comment
21 upon.

22 DR. WATTS: I turn the meeting over to

0009

1 Dr. Parks.

2 DR. PARKS: Good morning, can you hear
3 me. Good morning. Can you hear me okay? Okay.

4 Dr. Watts, members of the joint advisory
5 committee, the purpose of this advisory committee
6 meeting is to discuss the stability and potency of
7 FDA approved Levothyroxine sodium products.

8 Over the past 10 years, the FDA has been
9 working with manufacturers of Levothyroxine products
10 to ensure the availability of high-quality products
11 to address the medical needs of millions of patients
12 with thyroid disorders.

13 Through the efforts of the agency,
14 manufacturers and the scientific community, we have
15 available today several products which represent
16 significant improvements in the management of
17 thyroid disorders.

18 Nonetheless, over the past few years
19 manufacturers and clinicians have raised additional
20 concerns that currently-approved products have
21 substantial differences in potency such that
22 switching from one brand to another can result in

0010

1 serious clinical consequences.

2 Indeed, a public meeting jointly
3 sponsored by the FDA and three medical societies was
4 held to discuss concerns regarding interchangeability
5 of Levothyroxine products in May of 2005.

6 The presentations and testimonials given
7 by expert thyroidologists a year and a half ago have
8 prompted the FDA to consider further whether or not
9 it's necessary to improve the quality of these
10 products to ensure their safe and effective use.

11 As part of this process, the agency has
12 requested product stability data from manufacturers
13 of all approved and marketed Levothyroxine products
14 manufactured between July 2003 and June 2005. These
15 data have raised a different matter that is the
16 focus of today's advisory committee meeting and
17 discussion.

18 While variability and potency between
19 products is a concern with respect to substitution
20 of one product for another by pharmacists, the

21 agency believes that it is fundamental to first
22 understand and properly control consistency of

0011

1 dosing within a given product over time from
2 prescription to prescription before considering what
3 actions may be necessary regarding variability
4 between products.

5 At the end of today's meeting, members
6 of this joint advisory committee will be asked to
7 deliberate on this very specific issue, that of
8 within product variability of potency and to respond
9 to questions summarized in your briefing package.

10 On behalf of the FDA, I would like to
11 thank all the members for their time, travel and
12 consideration of the materials provided before them
13 and today's presentation.

14 The agency looks forward to a productive
15 discussion regarding the stability and potency of
16 Levothyroxine sodium products.

17 This morning you will hear the following
18 presentations given by the FDA in the following
19 order.

20 First, Ms. Jane Axelrad, associate
21 director, the Office of Regulatory Policy for the
22 Center of Drug Evaluation and Research will give you

0012

1 the regulatory history of Levothyroxine sodium
2 products.

3 I will then discuss the clinical
4 perspectives on Levothyroxine sodium products and
5 also discuss current clinical issues surrounding
6 approved products.

7 And finally, Dr. Eric Duffy, director of
8 the division of post marketing evaluation in the
9 office of new drug quality assessment will present
10 stability data for Levothyroxine sodium products.

11 So without further delay, I would now
12 like to introduce Ms. Jane Axelrad.

13 DR. AXELRAD: Dr. Watts and members of
14 the joint committee, good morning. My name is Jane
15 Axelrad, I'm the associate director for policy in
16 the Center for Drug Evaluation and Research.

17 I really appreciate your willingness to
18 be here today to discuss the important and
19 challenging scientific regulatory issues associated
20 with this product.

21 As Dr. Parks indicated, the issues that
22 you are addressing today are of vital importance to

0013

1 the 13 million Americans who take thyroid hormone
2 preparations every day and to the physicians who
3 must make prescribing decisions for their patients.

4 In my presentation I'm going to explain
5 a little bit about the tortured regulatory history
6 of Levothyroxine sodium products at the FDA, the
7 regulatory actions that the agency took just over 9
8 years ago, the results of those actions and the

9 issues that remain for consideration today.

10 In the late 1800s, before the FDA
11 existed and long before the products, any products
12 were required to be approved, before they could be
13 marketed, treatments derived from thyroid tissue
14 obtained from animals were used to treat thyroid
15 deficient patients. These animal-derived products
16 were marketed before the Federal Food, Drug and
17 Cosmetic Act passed in 1938 required that
18 applications be submitted that demonstrated the
19 safety of products before they could be marketed.

20 Synthetic Levothyroxine products, or T4,
21 became commercially available in the 1950s, outside
22 of the FDA's regulatory approval process. We don't

0014

1 really know how this occurred, but it may have been
2 because sponsors believed that their products were
3 identical, related or similar to the animal-derived
4 products that were already marketed before the Act
5 was passed, that therefore they were not new drugs
6 requiring an application.

7 What we do know is that by 1997,
8 Levothyroxine sodium products were among the top ten
9 most prescribed prescription drugs in the country
10 and millions of patients were taking these drugs for
11 chronic conditions.

12 At that time in 1997, there were at
13 least 37 manufacturers or re-packers of marketed
14 Levothyroxine products, none of which had been
15 reviewed or approved by FDA.

16 In the late 1980s and the early 1990s,
17 FDA received many reports of adverse drug reactions
18 associated with Levothyroxine products, and this is
19 particularly noteworthy because they weren't
20 approved, they were not subject, to the
21 normal reporting requirements that approved drugs
22 are subject to.

0015

1 And the agency became aware of multiple
2 recalls of the products due to sub potency,
3 stability failures and super potency.

4 We also learned that products were being
5 released with more drug than labeled, or a so-called
6 stability overage to make up for the rapid
7 degradation of the product after manufacture.

8 In other words, products were being
9 released with more than 100 percent of the labeled
10 claim of T4 so that they would remain within
11 allowable limits for potency during their shelf life
12 because of expected rapid degradation.

13 Some of the adverse events that were
14 reported occurred when patients received refills for
15 prescriptions of products on which they had
16 previously been stable, indicating a lack of
17 consistency in stability, potency and
18 bioavailability between different lots of tablets
19 from the same manufacturer.

20 FDA felt that it was imperative that
21 these important and widely-prescribed products be
22 brought within regulatory control so that the

0016

1 manufacturing processes for these products could be
2 examined and so that patients would receive only
3 products with acceptable, consistent quality.

4 So, on August 14th, 1997, FDA announced
5 in the Federal Register that oral drug products
6 containing Levothyroxine sodium were considered to
7 be new drugs and subject to the approval
8 requirements of the Federal Food, Drug and Cosmetic
9 Act.

10 Because FDA recognized that it would not
11 be medically acceptable to precipitously move these
12 necessary products -- or remove these medically
13 necessary products from the market while
14 manufacturers pursued submitting marketing
15 applications and obtaining approval to market the
16 drug, the notice established a deadline of August
17 14th, 2000, three years later, for companies to
18 submit applications and to obtain approval.

19 The Federal Register notice said that
20 manufacturers could rely on the literature
21 supporting the safety and efficacy of Levothyroxine,
22 thereby alleviating the need for them to perform new

0017

1 clinical trials to show that Levothyroxine was safe
2 and effective.

3 However, manufacturers were required to
4 submit for FDA review and approval chemistry,
5 manufacturing and controls information that is very
6 important for ensuring the consistent quality of the
7 product.

8 This regulatory action provided notice
9 to the many manufacturers and re-packers of
10 Levothyroxine products that FDA intended to pursue
11 enforcement action against unapproved marketed
12 products after the deadline.

13 When we first established the deadline,
14 we thought that three years would be enough time for
15 applications to be submitted and approved. But as
16 the deadline approached, we didn't even have one
17 product that we thought was going to be
18 approved by the deadline and we were not sure that
19 there would be a sufficient supply of approved
20 product to meet the demand.

21 We also recognized therefore, that
22 it would be very difficult to switch

0018

1 patients from the unapproved products to the
2 approved products and we started thinking about
3 extending the deadline.

4 On April 26th, 2000, we extended the
5 deadline by one year to August 14th, 2001. One
6 manufacturer, Jerome Stevens, obtained approval for
7 Unithroid just after the original deadline on

8 August 21st, 2000, and a second manufacturer, Jones
9 Pharma, obtained approval from FDA for Levoxyl on
10 May 25th, 2001.

11 Abbott, the manufacturer of Synthroid,
12 the most frequently prescribed product, submitted an
13 application for approval in August 2001 and the
14 application was approved on July 24th, 2002.

15 In July 2001, FDA issued a guidance
16 providing for a scale-down of manufacturing of
17 unapproved products over a two-year period. We did
18 this in part to encourage the submission of
19 additional applications so that more approved
20 products would be available, but also as I said
21 before, recognizing that millions of patients would
22 be required to switch from unapproved to approved

0019

1 products and we wanted to ensure an orderly
2 transition.

3 As we described at the time, we said
4 that we wanted to allow the initial evaluation by a
5 physician regarding the switch to occur within the
6 context of a patient's normal visits to the doctor,
7 as well as to allow time for manufacturers of
8 newly-approved product to scale-up manufacturing to
9 meet demand.

10 As you can see, this regulatory action
11 took place over many years and involved a lot of
12 effort on the part of the agency as well as
13 manufacturers.

14 We've been very pleased with the results
15 of the regulatory actions that we took. FDA has
16 approved under Section 505(b)(2), which is a
17 technical section of the statute that allows you to
18 rely on literature instead of doing new clinical
19 studies, five NDAs for Levothyroxine sodium products
20 that are currently marketed. We have approved two
21 abbreviated new drug applications under
22 Section 505(j) of the Act for products that are

0020

1 currently marketed. These applications relied on
2 the finding of safety and efficacy for a reference
3 product and are generally known as generic products.

4 In addition, several products have
5 demonstrated bioequivalence to another product and
6 received an AB rating to that reference drug, that
7 means that they are considered to be therapeutically
8 equivalent and substitutable.

9 We believe that the products marketed
10 today are of higher quality than those marketed
11 before we took action in 1997. All products have
12 established content uniformity; that is, that the
13 tablets contain a reasonably uniform quantity of T4.

14 All manufacturers have to target
15 100 percent potency at release. This eliminates the
16 risk of a patient obtaining a super potent product.
17 Some products were re-formulated to improve
18 stability profiles and the expiration dates for

19 products are based on them meeting the standard USP
20 potency specification of not less than 90 percent of
21 the labeled amount of T4 during the shelf life of
22 the product.

0021

1 Despite this success, as Dr. Parks said
2 and as much of the discussion will focus on today,
3 some concerns remain both within and outside of the
4 agency. Some clinicians have expressed concerns
5 about the substitution of one product for another in
6 the marketplace.

7 FDA received and subsequently denied two
8 citizens petitions expressing concerns about FDA's
9 bioequivalence methodology for these products and a
10 petition for reconsideration of one of those is
11 still pending.

12 FDA co-sponsored a joint meeting with
13 the American Thyroid Association, the Endocrine
14 Society and the American Association of Clinical
15 Endocrinologists in May 2005 to discuss these and
16 other concerns.

17 Although the focus of the meeting was on
18 the interchangeability of products, bioequivalence
19 methodology and therapeutic equivalence ratings, FDA
20 believes that the significance of within product
21 variability is not well understood, yet is a
22 fundamental issue to consider before we consider any

0022

1 inter-product issues.

2 As a result, earlier this year we sought
3 stability data from the manufacturers of marketed
4 products so that we could get a better idea of the
5 quality of the products that are out there on the
6 marketplace.

7 It is these data and their clinical
8 implications that we'll be discussing with you
9 today. I'm now going to turn the discussion back to
10 Dr. Parks who will discuss the clinical issues.

11 DR. PARKS: As mentioned earlier, I will
12 be providing an overview on the clinical
13 perspectives on Levothyroxine sodium products.

14 I'll first discuss thyroid physiology,
15 pathologic states and the use of Levothyroxine
16 sodium in the management of these disorders.

17 I would then end my presentation by
18 discussing the issues raised by the scientific
19 community and manufacturers regarding currently
20 approved products and how their concerns have led us
21 to this advisory committee meeting today.

22 Levothyroxine sodium is the sodium salt

0023

1 of the Levo isomer of the thyroid hormone Thyroxine.

2 For the rest of this presentation, I
3 will refer to this as LT4. LT4 is a widely
4 prescribed drug, primarily for the treatment of
5 hypothyroidism, however other clinically important
6 uses include treatment of differentiate thyroid

7 cancer and suppression of thyroid nodules. It is
8 estimated that over 13 million patients are treated
9 with LT4 in the United States.

10 Many members on this advisory committee
11 panel need no background on thyroid physiology, but
12 for completeness sake, I will make the following
13 points.

14 The thyroid gland, which is located
15 arterial in the neck secretes thyroid hormone,
16 predominantly as the pro hormone, T4, however some
17 of the active T3 hormone is also secreted, but most
18 of this is derived from peripheral conversion of T4
19 through the sequential removal of iodine atoms.

20 Iodine is essential for the synthesis of
21 thyroid hormone and as illustrated in this slide
22 here, there are four iodine atoms on the T4 molecule

0024

1 and depending on the specific deiodinase enzyme,
2 iodine is removed either from the outer ring -- or
3 the inner ring to form either the active T3 hormone
4 or the inactive reverse T3 hormone.

5 Like many endocrine systems, thyroid
6 hormone activity is regulated via a positive and
7 negative feedback system involving the hypothalamus,
8 pituitary and thyroid gland itself. This is
9 referred to as the hypothalamic pituitary thyroid
10 axis.

11 Positive stimulation for thyroid hormone
12 release is via this pathway here where TRH, or
13 thyroid releasing hormone, is released from the
14 hypothalamus stimulating TSH release, or thyroid
15 stimulating hormone, from the pituitary. TSH then
16 acts on the thyric gland stimulating the synthesis
17 and release of thyroid hormone, as I mentioned
18 earlier, predominantly the pro hormone T4 and some
19 T3.

20 Thyroid hormone then feeds back
21 negatively on the hypothalamus and pituitary, so
22 this is the negative feedback portion, thereby

0025

1 regulating the stimulating hormones that induce
2 their own synthesis and release from the thyroid
3 gland.

4 Now any disruption in this axis here can
5 result in dis-regulation of thyroid hormone release.
6 So, for example, if you have thyroid gland failure,
7 you're going to have decreased levels of T3 and T4
8 circulating and the negative feedback inhibition
9 would go down and one would expect TRH and TSH
10 levels to go up.

11 Conversely, if you have excessive
12 thyroid gland activity, you'll have increased T3,
13 T4, increased negative feedback on the hypothalamus
14 pituitary and one would observe decreases in TRH and
15 TSH levels.

16 And, indeed, well an important point to
17 make here actually is that exautiously (phonetic

18 spelling) administered thyroid hormone, so LT4 given
19 by physicians can also feedback on to the
20 hypothalamus of the pituitary and also can have
21 negative feedback on the hypothalamus and pituitary
22 and indeed that actually is the basis for using LT4

0026

1 in the management of TSH or suppress TSH stimulation
2 in the thyroid gland or thyroid cancer cell. And
3 that point, I will refer back to that point in
4 subsequent slides.

5 Thyroid hormone has diverse effects of
6 the cellular tissue and organ level. It's essential
7 for growth and development, maintaining hemodynamic
8 stability and overall metabolic homeostasis.

9 Summarizing all the affects of thyroid
10 hormone is beyond the scope of this presentation,
11 but to underscore its clinical relevance and
12 magnitude of its effects across multiple body organ
13 systems, this slide highlights just a few of these
14 effects and I just want to point out some of these,
15 particularly the cardiovascular system.

16 Thyroid hormone would affect cardiac
17 contractility, cardiac output and lipid metabolism,
18 other organ systems, neuromuscular, renal, kidney,
19 reproductive system, even in pregnancy thyroid
20 hormone has an impact on the neurologic development
21 of the fetus.

22 Now pathologic states do exist with

0027

1 respect to thyroid hormone activity. One can have
2 insufficient thyroid hormone activity. Most of this
3 is due to primary thyroid gland failure,
4 secondary to an autoimmune destructive process known
5 as Hashimoto's, however I've also listed here other
6 etiologies for hypothyroidism.

7 One can also have excessive thyroid
8 hormone activity, again most commonly due to an
9 autoimmune process known as Graves, but other causes
10 of hypothyroidism are listed on this slide, as well.

11 Patients coming in with hyper or
12 hypothyroidism, their clinical presentations can be
13 variable and at times some of these can be rather
14 non-specific, seemingly benign. However, even mild
15 hypo or hyperthyroidism may result in significant
16 clinical consequences.

17 For example, subclinical hyperthyroidism
18 can increase the risk of osteoporosis and cardiac
19 arrhythmias. Subclinical hypothyroidism can be
20 associated with dyslipidemia and possible diastolic
21 dysfunction.

22 And the clinical consequences of

0028

1 insufficient excess thyroid hormone activity must,
2 therefore, be considered in the management of
3 thyroid disorders with thyroid hormone.

4 We've had a lot of experience with using
5 thyroid hormone for the management of

6 hypothyroidism. It was first reported in 1891 when
7 a myxedematous patient was treated with sheep
8 thyroid extract and clinical improvements were
9 noted.

10 After that, desiccated thyroid extract
11 of animal origin which contained both the pro
12 hormone T4 and the active T3 was used until about
13 the first half of the 20th Century. However,
14 this formulation contained T3 in excess of what is
15 typically secreted by the thyroid gland and many of
16 these patients were at risk of hyperthyroidism.

17 Synthetic thyroid hormone, or LT4,
18 became available in the 1950s, not under FDA
19 approval. You've already heard from Ms. Axelrad the
20 regulatory history of these products and how they've
21 come to be regulated as drugs today.

22 LT4 was an improvement, is an

0029

1 improvement over desiccated thyroid hormone.

2 (End of Track 1 on CD).

3 (Beginning of Track 2 on CD).

4 DR. PARKS: Although it has a T4 to T3
5 conversion just like endogenous thyroid hormone and
6 it retains similar hormone activity, however, it has
7 a lower risk of hyperthyroidism since there isn't as
8 wide a fluctuation in the amount of circulating
9 active T3 levels observed with the desiccated
10 thyroid hormone products.

11 Other improvements include laboratory
12 assays to assess thyroid function and allow for better
13 dose selection, dose titration and even diagnoses.

14 Laboratory tests that are often followed
15 include the free T4 or sometimes free T3 assay
16 levels and TSH. The ultra sensitive TSH
17 assays have allowed for better diagnosis and also
18 management of these patients.

19 Over the years the endocrine societies
20 have published recommendations on the use of thyroid
21 hormone for many of these disorders and I've
22 summarized some of them here.

0030

1 For the treatment of hypothyroidism, the
2 use of thyroid hormone is as replacement therapy.
3 The typical adult dose is but 1.6 micrograms per
4 kilogram per day. However, it should be noted that
5 in certain patients one should consider careful dose
6 titration, dose selection and frequent laboratory
7 monitoring. These patients include the elderly
8 patients, patients with underlying cardiac disease
9 because excess thyroid hormone can exacerbate
10 cardiac ischemia, pregnant patients and the
11 pediatric population.

12 And the target of therapy is to get the
13 TSH within a normal range and the free T4 in the
14 upper range of normal with some adjustments to
15 ensure that the patient's clinical signs and
16 symptoms also improve. I've intentionally placed

17 the word normal in quotes, because as many members
18 on the advisory committee today are aware of the
19 recent debates on what consults normal TSH and
20 there's been some discussion on whether the
21 reference range should be reduced from the upper end
22 of 5.5 down to 2.5. Indeed, I believe there was

0031

1 even an editorial talking about can we have a
2 consensus, even a consensus of what the TSH range
3 should be.

4 And the point here is that we're talking
5 about a range that is becoming more narrow,
6 recommended to become more narrow and this point is
7 certainly relevant when you talk about using thyroid
8 hormone for the treatment of thyroid cancer.

9 In that setting there it's not just
10 replacement because many of these patients have had
11 their thyroid glands removed or ablation, but we're
12 talking about giving higher than physiologic doses
13 for the suppression of TSH. Again, to remind you,
14 the slide that I had presented earlier on the
15 hypothalamic pituitary axis.

16 So the goal is to suppress TSH
17 stimulation of thyroid tissue, of thyroid cancer
18 growth, you need to target a more narrow range and
19 for those patients who are at risk for thyroid
20 cancer recurrence, at high risk for thyroid cancer
21 recurrence, particularly those with certain
22 histopathologic findings, or larger tumors at,

0032

1 during surgery, the goal is TSH at less than .1, but
2 even in the low risk patient population, we're
3 talking about a narrow range of TSH that's targeted.

4 So the consequence of suboptimal dosing
5 in this patient population can be overt
6 hyperthyroidism or possibly even recurrence of
7 thyroid cancer.

8 I won't summarize here recommendations
9 for use of thyroid hormone for thyroid nodule
10 suppression, this is a highly debatable area with
11 respect to whether or not it's effectively used.
12 But the point needs to be made still that these
13 patients, because it is being used, that
14 overtreatment certainly can still occur in that
15 setting.

16 And this slide here is really to
17 emphasize what I've made, the points I've made
18 in the earlier slides.
19 Suboptimal dosing can result in insufficient or
20 excessive thyroid hormone activity. Placing the
21 patient at risk of the clinical consequences, just
22 like the underlying pathologic disorders of the

0033

1 thyroid gland itself.

2 I want to emphasize again that there are
3 patients out there in which you need to have special
4 consideration with respect to initiation of LT4 and

5 also titration of LT4 and frequent monitoring.

6 But one point I haven't made is the last
7 bullet here is the following, given the importance
8 of precise dosing and the need for routine
9 laboratory monitoring, to avoid the clinical
10 consequence of over or undertreatment, LT4 is
11 considered a drug with a narrow therapeutic index by
12 many in the scientific community.

13 And indeed this concern that LT4 is a
14 narrow therapeutic index drug was raised at a
15 May 2005 joint public meeting held by the FDA, the
16 ATA, AACE and Endocrine Society.

17 At that meeting, the concerns regarding
18 bioequivalence testing between LT4 products was
19 discussed; however, there was some assertions made
20 that two products approved by FDA as bioequivalent
21 might differ from one another in potency by as much as
22 12 and a half percent, but one product still might

0034

1 be substituted for another despite this difference
2 in potency.

3 And this slide which was presented
4 by two thyroidologists at that May 2005 meeting
5 makes the point that differences in potency between
6 products of as much as 12 and a half percent may be
7 of clinical relevance to clinical endocrinologists.

8 The slide itself summarizes the
9 different dosage strengths approved by the FDA for
10 LT4 products and for the most part from dose to dose
11 you can see that the difference is less than
12 25 percent. That's what's represented by these
13 boxes here.

14 But as pointed out by the presenter at
15 that meeting, the doses falling within these circles
16 here represent doses that practicing clinicians
17 consider clinically useful and utilize on a regular
18 basis, but however these doses also differ in
19 potency within a range of about 9 to 12 percent.

20 So concern raised here is that switching
21 one product for another by pharmacists when there is
22 this degree of difference in potency might result in

0035

1 giving one patient, for example, 137 micrograms
2 instead of the 150 micrograms that he or she was on
3 previously.

4 The point made in the previous slide by
5 the endocrine societies does raise a very important
6 issue. If there are concerns that differences in
7 potency between products represent clinically
8 important differences precluding interchangeability,
9 should we also ask the question whether there is
10 concern within a product.

11 In other words, does stability for an
12 individual product over its labeled shelf life vary
13 such that there is loss of potency that can result
14 in a patient one day taking 125 micrograms, but over
15 time in that same product the amount of active

16 ingredient is reduced to 112 micrograms.
17 Could there be sufficient variability in
18 stability within an individual product that the
19 amount of active ingredient differs significantly
20 from refill to refill. And this is the issue of
21 focus for today's advisory committee meeting and it
22 needs to be considered as you listen to the next

0036

1 presentation given by Dr. Eric Duffy.

2 So in conclusion, I'd like to make the
3 following points, management of thyroid disorders
4 and the quality of current LT4 products have
5 advanced significantly over the past several
6 decades. But are the current standards for approval
7 adequate to ensure that these products remain safe
8 and effective for use by over 13 million patients?

9 I thank you for your attention. I would
10 now like to introduce Dr. Eric Duffy.

11 DR. DUFFY: Good morning. Dr. Watts and
12 members of the advisory committees, thank you for
13 taking the time to consider this important issue.

14 I would like to discuss the stability of
15 Levothyroxine products this morning and begin with
16 an overview of drug product stability and why and
17 how it is assessed and then discuss Levothyroxine
18 stability testing and present some actual stability
19 data which was provided by the seven manufacturers
20 of the produced Levothyroxine products.

21 The focus of the discussion will be on
22 the potency and how potency changes with time. To

0037

1 begin, let's just understand what the definition of
2 potency is and that is, the strength of a
3 product expressed as the quantity of active
4 ingredient per unit dose.

5 Now potency can be determined by an
6 assay, a laboratory assay, which can be
7 chromatographic, it can be some chemical
8 determination or a bioassay. And the potency can be
9 expressed as a percent of label claim, for example,
10 96 percent or as the amount of active
11 ingredient.

12 Stability of a product is the measure of
13 how a pharmaceutical article maintains its quality
14 attributes over time. A rather straightforward
15 definition. Now stability testing is used to
16 provide evidence of how a product performs over time
17 and whether or not there is evident variability in
18 its quality attributes.

19 Stability testing is used to establish a
20 shelf life or expiry, it is used also to determine
21 what the appropriate storage recommendations are and
22 to also qualify the container closure system in

0038

1 which the product is packaged.

2 Now through its shelf life, a product is
3 expected to conform to specific standards of

4 strength, quality and purity throughout the shelf
5 life. The drug is tested according to a protocol
6 which establishes the testing program and each
7 package, presentation and strength needs to be
8 assessed.

9 The stability protocol consists of
10 firstly a set of specifications--
11 which are a set of tests which are considered to be
12 the necessary quality attributes, analytical methods
13 which need to be validated and associated acceptance
14 criteria, that is, acceptable limits for those
15 quality attributes.

16 The protocol must specify package type,
17 including the composition, size, the materials of
18 construction of the particular package. A testing
19 schedule is established and this has been the
20 discussion of international agreement in the ICH
21 program and typically the testing is performed at an
22 initial zero time point, one month, three months,

0039

1 six months, nine months, one year, a year and a
2 half, and yearly after that, up through
3 the expiry.

4 Storage conditions are also defined
5 through international agreement and typically
6 testing for room temperature is defined as
7 25 degrees, plus or minus 2 degrees, with a humidity
8 of 60 percent. Accelerated testing is also often
9 performed and that would be at 40 degrees with a
10 75 percent relative humidity, more demanding
11 conditions, or intermediate, between those test
12 conditions at 30 degrees, 65 percent relative
13 humidity.

14 Now through the stability testing
15 program based upon the data generated, an expiry is
16 established.

17 Now the stability specification is
18 intended to ensure that the product maintains its
19 quality through the expiry and to ensure that the
20 product remains safe and efficacious. Levothyroxine
21 stability specifications are typical for solid oral
22 tablets, which include identity, assay to measure

0040

1 the potency, dissolution to determine whether the
2 products dissolves within a defined time. Some
3 tablets have been observed to harden over time. And
4 impurities and degradation products need to be, need
5 to be tracked and followed to ensure safety, and
6 other characteristics may also be assessed, such as
7 identity or appearance.

8 Levothyroxine can be labile, or unstable
9 to a number of conditions which the product might
10 see. These include heat, moisture, oxidative
11 conditions and chemical reaction, for example, with
12 certain inactive ingredients within the formulation.
13 The product may be exposed to these conditions
14 during its manufacture, for example, during

15 tableting or during storage.
16 Levothyroxine, as have been
17 discussed, have shown stability problems,
18 particularly when these were unapproved products and
19 potency loss was observed for these over the shelf
20 life of the product.

21 Difference in stability
22 has also been seen for particular --

0041

1 within particular product lines. Okay.
2 I'm not very digital, if people know me.
3 The current Levothyroxine products are
4 required as other drugs to be formulated to
5 100 percent potency, 100 percent of label claim and
6 not as most products used to be formulated with
7 added active ingredient referred to as a stability
8 overage. Products were formulated with stability
9 overages with the intent -- with the knowledge that
10 there would be observed degradation and, therefore
11 would meet the lower limit at a later time point,
12 therefore providing for an extended expiration
13 dating period.

14 Levothyroxine products, have a
15 specification, potency specification of 90 through
16 110 percent. Now this range is established for two
17 reasons, one for analytical variability and also for
18 differences in manufacturing variability. Now the
19 upper limit of 110 percent is not intended to
20 accommodate the stability overage, it is, as I say,
21 to accommodate variability in assay, primarily.

22 Now in order to assess the quality of

0042

1 Levothyroxine products, particularly the potency,
2 all manufacturers of marketed products were asked,
3 as had been indicated, to submit stability data for
4 all products, all available stability data for
5 lots manufactured between
6 the dates of July of 2003 and June of 2005.

7 We received data from all seven
8 manufacturers of Levothyroxine products, quite
9 promptly I might say. There were two manufacturers
10 of ANDA products, the generic products, and five
11 manufacturers of the marketed NDA products.

12 Now the quantity of data varied,
13 primarily we would assume due to marketing volumes.
14 The agency review of these data focused on potency
15 of the products and also focused primarily upon the
16 room temperature data.

17 The data received were for all
18 12 strengths of Levothyroxine and I will present
19 data, actual data submitted, but I certainly will
20 not present all of the data that we had received.
21 That's quite a large volume of data and it would be
22 a bit too painful to run through it all.

0043

1 In fact, in discussions with the group
2 beforehand it was referred to as mind-numbing, but

3 anyway, we selected certain strengths and the
4 100 microgram strength is selected because it is the
5 most widely prescribed. The 25 microgram strength
6 was selected because it is primarily prescribed
7 for more vulnerable patient populations, the
8 geriatric and pediatric populations, and the
9 150 microgram strength was selected as a
10 demonstration of product potency overlap.

11 There was observed potency overlap in
12 the data we received, and I'll present data where
13 the potency of the 150 microgram strength actually
14 fell below 137 micrograms, which is the next lower
15 strength.

16 Now let me just, before we start
17 reviewing the data and I don't think I'm going to
18 spend a lot of time citing the actual numbers. I
19 think it's fairly self-evident looking at the
20 charts, but let me start just by describing what
21 we're looking at here. And that is on the Y axis we
22 have potency expressed in Levothyroxine units,

0044

1 micrograms of Levothyroxine. The X axis represents
2 time and the charts are standardized to --
3 zero through 24 months, even though many products
4 were not tested through that as can be seen for this
5 particular chart.

6 Now, just looking at the data here, you
7 can see what I'm referring to in terms of
8 variability. Now whether or not that's due to
9 variability of assay, variability of the product
10 itself is a bit hard to sort out.

11 But this particular chart represents a
12 relatively stable preparation and let me also point
13 out that the lower black line, solid black line
14 represents the 90 percent label claim. So that is
15 the lower limit for the specification. The dashed
16 black line represents a 95 percent label claim,
17 which may be useful to observe the differences as
18 some products cross that line and others do not.

19 So, I'll proceed with the data and I
20 believe it's self-evident. This product also
21 showing relatively stable stability profile through
22 its 24 month expiry.

0045

1 Each curve just simply represents, the
2 different colored curves simply represents the
3 different colored lots. In this case there were
4 three lots that were tested through their expiration
5 dating period for the time frame which
6 we had requested the data from.

7 Here the boxed purple colored line is
8 discontinuous and this is simply because there were
9 missing data points through this particular lot;
10 however, one can get a good idea of what the
11 stability profile does look like, showing relatively
12 stable potency through the tested period.

13 Here we're starting to see products that

14 are not showing as favorable potency profiles, some
15 dropping below that 95 percent potency line. Here
16 again it's a 25 microgram.

17 Now as you can see from the top of the
18 chart, we have blinded these data so that the
19 companies are not identified, but it does indicate
20 the particular strength and the packaging
21 presentation.

22 We have also not included an indication
0046

1 of what the expiry for each of these particular
2 products are as that might help serve to identify
3 the particular manufacturer.

4 Again, a product which is showing
5 potency loss as it ages, this again room
6 temperature, all room temperature data. And this
7 also serves to illustrate between lot -- or lot to
8 lot variability, which is somewhat problematic.

9 As you can see, we've selected the
10 presentation starting with relatively more stable
11 products and gradually moving toward products that
12 show a potency loss through the expiry. This
13 particular product, again, the 25 microgram product,
14 100 count bottles.

15 By the way, you will see all of the, all
16 of the manufacturers' data for particular strengths.
17 This product approaching the 90 percent lower limit.
18 Again, product approaching, and exceeding the lower
19 limit in some, for some particular lots.

20 The blue line with Xs illustrate that
21 additional data points had been added because of the
22 observed potency loss just to increase monitoring,

0047
1 to get a better understanding of what the stability
2 behavior is for that particular lot.

3 Now we'll move to the 100 microgram
4 product, this Brand B. Again, showing a relatively
5 stable stability profile. Is it mind-numbing yet?

6 Again, relatively stable stability
7 profile for the 100 microgram. Relatively little
8 data, but the small amount of data does show
9 relatively, a relatively stable product.

10 And we're starting to see a little bit
11 of stability -- potency loss for this particular
12 product, this brand as we continue to see increased
13 variability potency loss. This product approaching
14 the 90 percent lower limit.

15 Now, those stability data are actual
16 stability, that is the raw stability data that we
17 had received. We had done no statistical
18 manipulation of the data, simply presented it as
19 submitted.

20 Now, as could be seen, it was, for some
21 products, there was potency loss between 5 and
22 10 percent, and in some cases over a relatively

0048
1 short period of time. It is also evident there's a

2 difference in the rate of potency loss between and
3 more importantly within the products. The
4 assignment of expiry is based upon the product
5 having a potency of greater than or equal to
6 90 percent of label claimed. Of course other
7 stability specifications must remain within their
8 established limits.

9 Now, because of the variability in
10 observed potency, there are various assigned expirys
11 for these products ranging from 8 months, the
12 shortest, through 24 months.

13 Now the lower potency limit of
14 90 percent means that the product can lose
15 10 percent of its potency from the initial
16 100 percent label claim at which it's intended to be
17 released, formulated and released through the
18 expiry.

19 Now as was noted in Dr. Parks'
20 presentation, there are intermediate tablet
21 strengths which are separated by less than
22 10 percent of the Levothyroxine dose.

0049

1 So, theoretically a tablet can undergo
2 potency loss which is within specification and
3 actually have a lower potency than the next lower
4 dosage strength.

5 For example, and this was observed, an
6 aged 150 microgram tablet at or near the end of its
7 expiry can contain less Levothyroxine than a
8 relatively fresh tablet of the 137 microgram
9 strength, the next lower strength. This means that
10 there's a possibility that a patient could be
11 titrated to the 150 microgram Levothyroxine dose and
12 at the end of that prescription, the refill of the
13 same product which may be a freshly manufactured
14 batch at or near its 137 microgram strength, that
15 the dose that the patient would be receiving with
16 the previous refill would be lower than that
17 strength. And I'll show you some data that
18 exemplifies this behavior.

19 The bright green line represents the
20 137 microgram. As you can see, 135 is the 90
21 percent lower limit, that being lower than the 137.
22 So this is an example of dose overlap. Again, a

0050

1 rather steep potency loss, only tested through 15
2 months, or 14 I think if I see it correctly.

3 Again, an example of dose overlap, this
4 one out through 24 months. Not quite as steep a
5 potency loss, but nonetheless there is dose, the
6 potential for dose overlap. The product, all lots
7 shown here remain within the potency's specification
8 lower limit of 90 percent.

9 The data that we have reviewed need to
10 be recognized as ideal data. By that I mean that
11 the storage conditions, that the storage conditions
12 and the protective packaging configurations are

13 different than what the product sees in the hands of
14 the patient.

15 First, the storage conditions are
16 maintained at 25 degrees, plus or minus 2 degrees,
17 with a 60 percent relative humidity. This
18 stability testing is done in stability chambers
19 which are very
20 tightly controlled.

21 Further, the containers are kept closed
22 during the stability testing, that means that the

0051

1 lids are tightly closed, that there's an inner seal
2 to protect the product and often times a desiccant to
3 absorb any moisture that might enter.

4 Now when the stability testing is
5 performed, one reaches a test time point or what is
6 referred to as a test station. A fresh bottle of
7 product is removed from the stability chamber and is
8 tested, a number of tablets are removed, a sample is
9 prepared and the stability -- potency and other
10 attributes are assessed.

11 When you get to the next time point, a
12 fresh bottle is taken from the stability chamber --
13 that first bottle is discarded. When you get to the
14 next test station, another fresh bottle, unopened,
15 is removed.

16 (End of Track 2 on CD).

17 (Beginning of Track 3 on CD).

18 DR. DUFFY: So this is why I refer to it
19 as ideal conditions. Now in real life,
20 Levothyroxine goes through a number of different
21 pathways to reach the patient.

22 The storage conditions are typically not

0052

1 controlled. The product is shipped from the
2 manufacturer to a holding center and thence to a
3 warehouse where it may sit in uncontrolled
4 conditions.

5 The product could be shipped by mail
6 order to the patient, sometimes up to three months
7 supply could sit in a mailbox in Texas or Florida
8 for a period of time, or it's shipped to a pharmacy
9 where it's dispensed, large bottles, 1,000 count
10 bottles may be opened and product slowly dispensed
11 from that. Or it could be dispensed into a Baker
12 cell for more automated dispensing and the product
13 is filled into prescription vials with which we're
14 all familiar which are not, certainly do not have an
15 inner seal, are not terribly tight, tightly closed,
16 and then the product is kept by the patient under
17 very variable conditions. It could be in the glove
18 compartment of a car, it could be in a bathroom
19 medicine chest in often warm and moist environments.

20 So this is why I refer to the stability
21 data which we have seen as ideal. So it can clearly
22 be assumed that these, that the data -- that the

0053

1 product that the patient actually has in hand is
2 certainly not better in terms of its quality
3 attributes than the product which we have reviewed
4 the data from.

5 So in conclusion I'd just like to just
6 like to mention that we have observed clearly
7 inter-product variability in terms of potency and
8 also I believe more importantly intra-product
9 variability in potency and we've seen cases of
10 overlapping dose and the observed potency loss
11 through the expiry.

12 So, with that I'll conclude and simply
13 ask the question is there a potential impact of the
14 potency change to the patient?

15 Thank you very much.

16 DR. WATTS: I thank the presenters and I
17 would open the floor for questions from any of the
18 panelists.

19 DR. MEYER: Marvin Meyer.

20 DR. WATTS: Yes.

21 DR. MEYER: Two questions, one I guess
22 simply for probably Eric is the best one to answer

0054

1 this, the 110 percent, and I think he attributed
2 that largely to an assay variability, it seems to me
3 with modern analytical methodology 10 percent seems
4 rather large and in just quickly looking at the
5 graphs, I didn't see any great jumping up and down
6 that looked like 10 percent.

7 Should we retain that 110 percent or is
8 that just going to be in stone and hard fixed?

9 And then secondly, has the agency
10 analyzed the data that they've received in terms of
11 certainly we don't want to remove all Levothyroxine
12 products from the marketplace trying to be too
13 rigorous, so of the data they received, how many
14 products still met 95 percent potency under this
15 idealized storage condition for at least let's say
16 12 months or 94 percent or 93 or 92 and go on down
17 the ladder?

18 So are we talking about just one company
19 was able to achieve a 95 percent or were there a
20 multitude of companies and if so, then that lends I
21 think more credibility to more rigorous standards.

22 DR. DUFFY: Okay, let me first address

0055

1 the issue of the 110 percent potency. Well
2 certainly not -- any of the specifications are not
3 necessarily written in stone.

4 The 110 percent to 90 percent is really
5 just, it, quite honestly, was adopted to correspond
6 to the USP monograph which has that specification
7 limit. If people are familiar with the USP
8 monographs, that is a very common specification
9 range, although there are some products that have a
10 tighter specifications, both upper limit and lower
11 limit, and also there are a few that actually have a

12 broader limit, particularly to the lower side and
13 that's usually seen with products that have a
14 bioassay and there, for those products there is
15 significant variability in the bioassay.

16 Now, I certainly hear your point about
17 modern analytical techniques having probably better
18 capability of that range of 90 to 110 represent in
19 terms of assay variability, but the question really
20 we're certainly asking you is whether
21 or not that may
22 be appropriate to tighten a range due to clinical

0056

1 concerns.

2 Now the other point that you had raised
3 was whether or not there are a large number of
4 products that meet that 95 percent line. Now what
5 you need to recognize is that an expiration dating
6 period is established based upon where the product
7 intersects that lower limit.

8 So if one wanted to have a product with
9 a 95 percent lower limit, the expiry could simply be
10 adjusted. Now that might bring an expiry to a
11 relatively short period for certain products.

12 Now I'm not sure I know exactly how
13 many, how many products would have a reasonable and
14 what that means we'd have to discuss, a reasonable
15 expiration dating period and remain above the 95 or
16 some other tighter specification. Certainly we
17 could review the data for that.

18 DR. MEYER: Could that; is that
19 possibly --

20 DR. DUFFY: I'm sorry, I'm not hearing.

21 DR. MEYER: Could that possibly be done
22 before the end of today?

0057

1 DR. DUFFY: Could what be done?

2 DR. MEYER: To find out how many of the
3 firms that submitted data can meet 95 percent or 94
4 or 93 percent?

5 It seemed to me just looking at the
6 graphs one could pick off a number of numbers rather
7 quickly, because that would help me make a decision.

8 DR. DUFFY: Well firstly, let me say
9 that there are packaging presentations and strengths
10 that show a different behavior within a particular
11 manufacturer's product line, so it becomes rather
12 complex to give a particular answer to your
13 question. It really depends upon the, upon the
14 strength and packaging configuration.

15 Now, I don't know that we'd be able to
16 get you the specific numbers or which products and
17 which strengths and packaging configurations might
18 be acceptable and which might not be if we were to
19 draw a 95 percent line.

20 But I think we can discuss it in general
21 terms, taking into account the clinical
22 considerations.

0058

1 DR. WATTS: Let me just stop for a
2 moment. I think that's really a critical question
3 that's been brought up, is how many of the products
4 are unacceptable if we say 5, plus or minus
5 5 percent rather than plus or minus 10 percent. It
6 might be easier to answer it that way, not how many
7 came within a 5 percent, but how many came within
8 that 5 to 10 or something like that.

9 Dr. Carpenter.

10 DR. CARPENTER: In wrestling with the
11 clinical significance of the overall issue, I think
12 it was Dr. Axelrad who mentioned that a handful of,
13 or a significant number of adverse events were
14 reported prior to 1997 and I wondered if we could
15 get some sense of the seriousness and the nature of
16 these events and also what the post 1997 data for
17 that would look like after implementation of the new
18 guidelines.

19 DR. WATTS: Dr. Karol.

20 DR. KAROL: This is addressed to
21 Dr. Duffy regarding the stability. You mentioned
22 that under the test conditions these are certainly

0059

1 not real life conditions and the stability is better
2 than what would normally be found, so I wondered if
3 you have any data about real life stability tests
4 and what happens when you use a package that has
5 previously been opened, you know, what is the
6 potency?

7 DR. DUFFY: I can say that we are in the
8 process of assessing that. We are doing some
9 laboratory experimentation to simulate in-patient
10 use to assess the quality. We're not prepared today
11 to talk about the data, however.

12 DR. WATTS: Dr. Dobs.

13 DR. DOBS: Forgive my cold here, I'm
14 sorry.

15 I think the point that Dr. Meyer
16 mentioned about that Synthroid, because of the
17 narrow range of therapeutics, should be held to a
18 higher standard than the usual 10 percent. I assume
19 that other hormones, is that also a 10 percent kind
20 of range or is that a 5 percent range?

21 And the other question I have is a lot
22 of our patients actually get drugs from outside the

0060

1 country, from Canada, although it's probably, I'm
2 sure it's the same manufacturer. Is there -- which
3 gives it a much longer shipping time likely and I
4 wonder if that has been addressed at all.

5 DR. DUFFY: I don't believe we have
6 looked into importation of Levothyroxine in
7 particular.

8 DR. DOBS: What about other hormones, is
9 it the 10 percent rule or the 5 percent rule?

10 DR. DUFFY: I'm sorry, could you repeat

11 that.

12 DR. DOBS: Yeah, I'm sorry. Other
13 hormones, is it a 10 percent or 5 percent rule for
14 variability?

15 DR. DUFFY: Other hormones have, it's a
16 variety. There are many hormones which have
17 bioassays and as I had mentioned, the bioassays tend
18 to have greater variability and so the specification
19 limits are oftentimes relatively wide.

20 Let me, however, mention in terms of
21 non-U.S. marketed product, I might mention that the
22 European -- that the products in Europe do permit,

0061

1 they continue to permit an overage.

2 DR. WATTS: For the panelists on this
3 side of the room, my plan, since everyone seems to
4 have a question, is just to move in rotation, so no
5 need to keep waving. I know you're interested and
6 we'll get around to you.

7 Okay, Dr. Levitsky.

8 DR. LEVITSKY: First a comment and then
9 a question.

10 If packaging configuration is sufficient
11 to improve stability, it would seem to me that we
12 would be causing ourselves some disservice if we
13 request improved longevity -- I'm sorry, if
14 packaging configuration can improve stability, we
15 would be doing our patients a disservice if we were
16 to request improved stability and then this was
17 simply done with manufacturer's original packaging
18 changes. It really has to be stability which is
19 tested under real life conditions. That's my
20 comment.

21 My question is a little bit more basic.

22 I haven't heard anything about the

0062

1 assays that are being used to measure the
2 Levothyroxine under real life conditions. Throxin
3 and anything about inter or intra assay variability,
4 whether the assays are the same at all the companies
5 and whether there's any cross-reactivity of products
6 of degradation in any of the assays and that would
7 be very important to me to be able to understand
8 these data.

9 I have no doubt that there's a
10 tremendous loss of activity, but I can't tell from
11 the data that we've had presented that the companies
12 which don't have loss of activity really don't have
13 loss of activity because I don't know anything about
14 those assays and cross-reactivities.

15 DR. WATTS: I'd like to expand on that
16 before you answer, that was a concern of mine as
17 well. We're seeing single points and I have no idea
18 how many tablets are measured at one point, what is
19 the assay variability, what are the error bars
20 around those measurements.

21 DR. DUFFY: Okay, very good question.

22 The way the assay is actually performed is that a
0063

1 composite is generated from a number of tablets.
2 The tablets are then, the analytical method involves
3 a preparation technique whereby a solution is
4 created from that composite.

5 Now, there is, there are differences in
6 particular in that particular analytical procedure
7 between the different manufacturers. And that's
8 primarily due to the differences in formulation that
9 each of the products have.

10 That analyte is then, as I had
11 indicated, is chromatographically assayed, it's a
12 high-performance liquid chromatography method, all
13 of the manufacturers use that technique. They use
14 an ultraviolet detection, in some cases the
15 wavelength differs, but this is all, these are all
16 validated.

17 Now in terms of the analytical
18 variability, quite honestly the variability is nil
19 in the actual laboratory procedure.

20 DR. LEVITSKY: And the HPLC will
21 separate out any products of degradation, you know
22 that for sure?

0064

1 DR. DUFFY: I'm sorry, could you repeat
2 that.

3 DR. LEVITSKY: The HPLC technique
4 separates out any degradation product which might --

5 DR. DUFFY: Yes, okay, I'm sorry, I
6 didn't address that.

7 Yes, the methods are developed to ensure
8 that there are no overlap of peaks, for example, a
9 degradant peak that could co-elute and sit maybe
10 underneath the Levothyroxine peak and therefore give
11 you a false reading.

12 The procedure for validating the methods
13 takes that particular issue into account and the
14 methods are all required to be, and this is part of
15 the FDA review process, that the methods are all
16 required to be stability indicating, which means
17 that they are capable of assessing change in the
18 product and also to ensure that there's no
19 interference, either from the components in the
20 formulation or from the potential degradants and
21 impurities.

22 DR. WATTS: Just to be sure that I
0065

1 understand what you're saying then, these data
2 points don't represent the content of individual
3 tablets, but rather a batch of tablets.

4 DR. DUFFY: No, these would be, these
5 would be, as I say, a composite is prepared of
6 several tablets and that varies between
7 manufacturers. A composite is prepared and then
8 essentially three composites are, typically three
9 composites are prepared and each of those composite

10 analyte solutions are prepared and assayed. Then
11 the values that are shown here are averages of those
12 replicate assays.

13 DR. WATTS: How many tablets go into the
14 composite?

15 DR. DUFFY: That varies from
16 manufacturer to manufacturer, typically it's six.

17 DR. WATTS: So it's possible that
18 there's variability among those six tablets and what
19 we're seeing is the mean value for the six.

20 So, again, my question is we're not
21 seeing the Throxin content of individual tablets?

22 DR. DUFFY: No, we're not.

0066

1 DR. KAROL: Yeah, I'd just like to
2 re-emphasize an earlier point about the differences
3 between the idealized conditions that were used here
4 and the real life and wondered if when the
5 industrial representatives come and give their
6 presentations, whether they have data in-house that
7 just takes the stability testing one level down,
8 opening a vial at time zero and then going back to
9 the well every three months out of that vial with a
10 desiccant removed, because that has nothing to do
11 with reformulation by a pharmacy or somebody going
12 to their home in Florida where the temperature is
13 higher than 25 degrees.

14 But I think my guess is if moisture is a
15 major factor that we may see a much more rapid loss
16 of potency and I think we're going to be dealing
17 today, talking about whether it's 94 or 95 percent,
18 when in the real world it may be much, much less
19 than that.

20 So if those data are available and they
21 could be shared, that would be really helpful.

22 DR. DUFFY: When the data become

0067

1 available, we will certainly share it.

2 DR. KAROL: Those are data you're
3 generating and I'm wondering whether the
4 manufacturers have looked at this same question. It
5 seemed to me that I would if I were making a product
6 like this.

7 DR. WATTS: We can wait to see.

8 Dr. Woolf.

9 DR. WOOLF: I have sort of a different
10 question and this relates to the expiration date and
11 how the manufacturers respond to that.

12 Is this a historical date that as we
13 know that from previous lots we will, that the
14 manufacturer will, the degradation will have crossed
15 the 90th percentile from previous lots and so that
16 at eight months we know that from past data we're
17 going to pull it or is it, in fact, in real time so
18 that there's variation from lot to lot, if one lot
19 is more stable than another, does a recall notice
20 come out and say we'll pull lot 9753 or is this

21 pre-planned based on historical data?
22 DR. DUFFY: Well typically the way the

0068

1 expiration dating period is established is that,
2 yes, you see where the potency line, or other
3 quality attributes pass the lower limit and
4 typically one takes the most conservative approach,
5 that is, the lot that shows the worst performance
6 would essentially be used to establish that expiry.

7 Now, if a product does pass out of
8 specification before its established expiry, it very
9 well may need to be recalled and we have had
10 instances of Levothyroxine recall.

11 DR. WATTS: Dr. Tuttle.

12 DR. TUTTLE: Yeah, so if I'm
13 understanding right the coefficient of variation of
14 the HPLC part of it is nil, that's, plus or minus
15 1 percent.

16 DR. DUFFY: I'm afraid I don't know the
17 exact number, but it is relatively small, yes.

18 DR. TUTTLE: What's still a little
19 confusing to me is if each of the companies sort of
20 chooses their own way to dissolve the tablets prior
21 to that HPLC, could that affect the potency, because
22 we're basically looking at potency between the

0069

1 various companies, so could that part of the, sort
2 of the preparation affect the potency numbers that
3 we're seeing?

4 DR. DUFFY: It could affect it, but, but
5 the process of validation takes that into account
6 and it's demonstrated that one needs to demonstrate
7 through the process of validation that that
8 technique does, in fact, represent the full amount
9 of active ingredient, so that is certainly taken
10 into account.

11 A point well taken, but that, the
12 methods have to be rigorously validated.

13 DR. SCHAMBELAN: A comment and a
14 question.

15 Comment is about your slide number 33.
16 You said can assume that real life stability profile
17 of the drug product is not better than that observed
18 from stability studies.

19 When it says assume, do I have to assume
20 or have you established it, because if you
21 established it or not depends on how you chose the
22 particular samples to do your study?

0070

1 DR. DUFFY: As I indicated earlier, we
2 are in the process of doing a laboratory study to
3 look at that particular issue. That is taking
4 dispensed product and observing. Clearly we could
5 not, a study design that took into account all ways
6 in which a patient may have the product stored, the
7 type of container, et cetera, would be very
8 difficult to accomplish, but we're, we're doing a

9 laboratory assessment of dispensed product. Yes.

10 DR. SCHAMBELAN: Second comment is your
11 slide 34, your conclusions, I could have made those
12 conclusions without any tests, because that always
13 happens, you're always going to have inter product
14 and intra product variability, no matter what device
15 and what, what units that you're looking at.

16 But the real comment or real question
17 that I want to raise is about everything that you've
18 said hinges heavily on the expiration date of the
19 shelf life and the question was raised how is the
20 shelf life determined.

21 Is the shelf life determined based on a
22 degradation level up to a point or should it be

0071

1 determined based on a cost benefit analysis of the
2 potency of the drug versus the risks that the
3 potency goes down versus the costs to a manufacturer
4 and to a patient of the adverse consequences?

5 So I'm suggesting that a serious look be
6 given into the determination of the shelf life and
7 look into aspects of risk benefit analysis in
8 determining the shelf life, just not the amount of
9 degradation.

10 DR. DUFFY: Well I think one of the
11 objectives of this meeting, why we're here really is
12 to try to better understand the risk component to
13 that.

14 Now I don't know that I'm in a position
15 to comment upon the cost side of the equation, but
16 what we'd like to discuss here is the risk element.

17 DR. PARKS: Dr. Watts, if I can just add
18 to it, I think that's something that the members of
19 the advisory committee need to discuss when we talk
20 about the risk benefit question here, is whether or
21 not this degree of variability in potency does
22 translate, particularly in the clinicians mind, this

0072

1 loss of potency, is this relevant? Because if this
2 degree of loss is relevant, it certainly will impact
3 what would eventually be the expiration date or
4 whether or not these products need to have their
5 potency specifications modified.

6 DR. SCHAMBELAN: Can I just follow up,
7 sir.

8 I think it's a very serious issue
9 because if I was a drug manufacturer and if I had a
10 patient on a certain drug, I would keep the
11 expiration date small so that a lot of it gets
12 thrown and the patients go and buy more.

13 If I was one who are sharing patients
14 who had a, you know, if there are several drug
15 manufacturers making the same type of drug, I would
16 like to make the expiration date long so that my
17 product gets sold more competitively.

18 So I think it's an important issue, how
19 the expiration dates are set.

20 DR. DUFFY: Well I think we'll have an
21 opportunity to hear from industry in the latter part
22 of this morning's session.

0073

1 MR. UNIDENTIFIED SPEAKER: Yeah, I have
2 a question going back to the cause of the
3 instability and in particular when we get into some
4 of the things relative to formulation and
5 processing, you know, how consistent are the
6 formulations and the processing conditions between
7 the different manufacturers and then that kind of
8 gears into a comment that was in the hand-outs that
9 excipients can have a particular affect on the
10 stability and, thus, as you process. And there is
11 batch-to-batch variability within excipients and if
12 excipients have some problems, I think it's
13 something we need to address in addition to the API
14 itself.

15 MR. UNIDENTIFIED SPEAKER: Two things,
16 first, I, I agree, I sort of agree with Nasr,
17 although not on the cost side so much but the idea
18 that we need to know I think from the standpoint of
19 pharmaceutical science whether or not the MDs around
20 the table think that this is a significant issue,
21 whether or not this variation in potency is, if it's
22 putting aside for a moment the issues of assay,

0074

1 whether or not it's really in your opinions,
2 collective opinions or by consensus collectively --
3 or I mean together significant, whether a 12 percent
4 difference is enough to warrant it.

5 If that's the case, then I've got a
6 whole list of things that are possible, starting in
7 part with assays, so you know even though assays are
8 validated, the questions of whether or not you
9 really have mass balance between the remaining
10 active compound and the degradents becomes an issue
11 because the point that one of the MDs made is, was,
12 you know, do you, essentially is your extraction
13 efficiency being maintained.

14 If you take pure crystalline
15 pentahydrate sodium Levothyroxine in an open dish
16 and expose it to high moisture and temperature, sort
17 of similar to the conditions we have, it's perfectly
18 stable. There's literature on that from Cincinnati,
19 in fact, and we have Cincinnati represented here.

20 So the question is what are we doing to
21 it that's causing it to be, become labile and it may
22 be the excipients as Mel is talking about and direct

0075

1 excipient interaction, it may be that in fact that
2 the dehydration of the compound, of the crystalline
3 material is causing it to become disordered and more
4 labile.

5 There's a raft of things that I think we
6 don't know about this compound that I think by this
7 compound that by this time we ought to know.

8 So there's a whole lot more, but I'll
9 pass on to Maggie, so.

10 DR. WIERMAN: I had I'll say two things,
11 as a clinician I think the variability is relevant
12 to taking care of patients for many different types
13 of disorders of thyroidism function, but I had a
14 sort of bigger question to put this in perspective,
15 do we ask and can we ask manufacturers of other
16 types of products for this kind of tight stability,
17 meaning are the anti-hypertensive medications that
18 we currently prescribe to our patients, do they have
19 this tight stability so that they are not getting,
20 if I prescribe a 10 allo 50 milligrams a day, does
21 it deteriorate into 25 milligrams and somebody has a
22 stroke.

0076

1 So I guess I wanted, I think it's
2 important clinically in a prescribing physician but
3 I wanted to have the bigger picture, is, is this,
4 what, the issue that we're discussing to tighten the
5 stability over time a valid one and do we ask all
6 drugs to do this that we prescribe?

7 DR. DUFFY: Well, I can say for most
8 small molecules, this is, I might just use the word
9 atypical seeing this kind of potency loss. Most
10 small molecule drug products do not show that kind
11 of change in potency over time.

12 DR. WIERMAN: How about Dr. Dobs
13 mentioned hormones, if we give Estradiol to my post
14 menopausal women and I measure the Estradiol, is the
15 patch I'm giving or the oral Estradiol changing over
16 time at this kind of significant changes? No.

17 DR. DUFFY: Not this type of change that
18 we've looked at.

19 DR. WIERMAN: Thank you.

20 MR. WATTS: I think another point is
21 that Thyroxine seems to be relatively unique in that
22 minor changes have physiological consequences

0077

1 whereas differences in dosages of a 10 allo within
2 the 10 percent range or other hormones in the
3 10 percent range may not be as important. I'm going
4 to keep going this way.

5 Yes, Dr. Proschan.

6 DR. PROSCHAN: Yes, it seems to me that
7 the lot to lot variability is a lot, so to speak,
8 and I'm wondering, you know, some manufacturers only
9 showed two lots, others had like seven lots.

10 What was the requirement for number of
11 lots, was it just that they must have at least two?

12 And this is an important issue because,
13 you know, if that's a big source of the variability
14 and you're only estimating it with two, you know,
15 two different lots, that's not very precise.

16 DR. DUFFY: It was not made clear in the
17 data packages we received from the manufacturers why
18 X number of batches were representing that

19 particular strength or -- and packaging
20 configuration. Our best guess would be that it
21 represents the volume.

22 (End of Track 3 on CD).

0078

1 (Beginning of Track 4 CD).

2 DR. DUFFY: Our best guess really would
3 be that it represents the volume that that
4 particular manufacturer has, i.e., market share.

5 In terms of the requirement for
6 performing stability studies, the stability
7 protocol, accompanying the stability protocol is a,
8 what we refer to as a stability commitment which
9 simply says that we commit to performing stability
10 testing according to that and we, typically that
11 that commitment requires that a minimum of one batch
12 of each strength be that
13 tested on an annual basis.

14 DR. WATTS: Dr. Tamborlane.

15 DR. TAMBORLANE: This is a little
16 different question.

17 Do we have any data on what the minimal
18 time period, the fastest a pill will get from the
19 manufacturer when the expiration date started to
20 actually the patient? Because it's really the time,
21 what the patient is seeing is, in variability, would
22 be subtracted that period.

0079

1 For example, it takes three months to
2 get to the patient, at a minimum, then some of that
3 initial loss is going to be irrelevant to the
4 patients.

5 Do we have any data as far as that's
6 concerned?

7 DR. DUFFY: I'm not aware of any data
8 that really pins that down and particularly for this
9 product I think depends upon the way in which the
10 product is distributed and dispensed. I think the
11 industry representatives here could probably better
12 answer that question.

13 Some distribution networks are more
14 complicated than others, I would imagine, but it's
15 really not clear to a physician at what point in the
16 expiration period that particular product that
17 they're using for titrating the patient and the
18 product that's going to be refilled, really what age
19 it is and what point, if it's in its potency travels
20 it might be.

21 DR. TAMBORLANE: Right. But I'm just
22 saying if 3 percent of the potency loss is during

0080

1 the first three months, say, out of the 6 percent
2 and no patient ever sees a pill until three months,
3 then they are really only seeing the last period
4 during the shelf life of what, what the variability
5 that's actually getting to the patient. I think
6 that's the point I'm trying to make.

7 DR. DUFFY: That's correct. I think
8 from the charts we could, it was evident that some
9 of the most significant potency losses were in the
10 initial time points, that's correct. But also, but
11 I might just hesitate to say that there were some
12 products that didn't really show much of a potency
13 change through a number of different -- through the
14 expiry, really.

15 DR. MEYER: Right, and I was going to
16 make that point as well. It may be that if the
17 patient were on a particular product and always on
18 that product and got it at relatively the same time
19 that what you're saying is true, they wouldn't see
20 much change.

21 But for one thing, we don't know that
22 they wouldn't at some point get it earlier in the

0081

1 process, but more importantly in the era where drugs
2 are switched between AB rated products, they could
3 get switched to a product that hasn't that same kind
4 of potency loss.

5 DR. TAMBORLANE: But we're still talking
6 about within product, that's the mission of this
7 advisory board, not between product, to within
8 product.

9 DR. MEYER: That is very true and I
10 don't mean to raise the between product except to
11 say that if there's differences, large differences
12 allowed between products, it does make switching
13 very difficult and that's the main reason we're
14 going after this issue first.

15 DR. WATTS: Dr. Venitz, any comments,
16 questions.

17 DR. VENITZ: Just to follow up on a
18 comment that was made earlier on, right now all we
19 are looking at are empiric degradation profiles. Do
20 we have any idea what formulation variables might
21 impact because it appears to me again we are
22 primarily interested within manufacturer

0082

1 variability, but there are a couple of manufacturer,
2 manufacturer products that systematically show
3 degradations and others do not.

4 So what is it about those particular
5 products in terms of the excipients? There are
6 other formulation variables that might lead to that
7 degradation.

8 In other words, get away from just the
9 empiric curse that you presented in a mind-numbing
10 fashion, as you pointed out, trying to understand
11 why, not just what's happening.

12 DR. DUFFY: It's not very evident from
13 the submissions that we did receive what constitutes
14 the attributes of a formulation that confers greater
15 or lesser stability. I think we mentioned earlier
16 that excipient quality varies. There are a number
17 of different issues that could be, that frankly

18 should be looked at to determine which are the
19 critical elements to establish a stable formulation.
20 And this is really a hallmark of what
21 we're trying to do as we move forward with this
22 quality by design initiative that we have been

0083

1 discussing and will discuss at the advisory
2 committee meetings in the next couple of days. That
3 manufacturers in, to develop a really good product
4 which exhibits good stability, a good stability
5 profile, a significant amount of background work
6 needs to be done to identify the critical elements
7 to both the formulation and the manufacturing
8 process, and other elements that could contribute,
9 for example, the packaging and the storage
10 conditions.

11 So there are a number of different
12 issues that need to be looked at to really identify
13 those elements that are critical to ensuring good
14 quality performance.

15 Let me just also mention something, too,
16 about how the expiration dating period is initially
17 established through the review process. And that is
18 that when we receive a submission, whether it be an
19 ANDA or an NDA, stability data are presented from
20 what we refer to as exhibit batches.

21 Now, in many cases these exhibit batches
22 are manufactured at different scale than commercial

0084

1 and quite honestly the data can sometimes be
2 somewhat limited. And in some cases I would say
3 that those batches might be, may be better than one
4 might observe through the commercial process where
5 maybe the personnel might be different or other
6 issues might represent a change and also, for
7 example, we mentioned that excipients may introduce
8 variability. It in many cases might be that the
9 excipients used to manufacture several of the
10 stability exhibit batches may really be from the
11 same, from the same lot themselves and, therefore,
12 potential variability due to issues like changes in
13 excipient quality are not represented in the initial
14 stability assessment for initial expiration dating
15 period, which is assigned during the review process
16 and upon approval.

17 Now, the stability protocol that I
18 mentioned and the stability commitment really are
19 intended to ensure that manufacturers continue to
20 assess and verify that that initial, that that
21 initial assigned expiration dating period is the
22 correct one and that it continues to be the correct

0085

1 one.

2 Now for this particular product, several
3 manufacturers have changed the expiration dating
4 period as additional manufacturing experience has
5 been gained, so the stability program is really

6 essential to continue monitoring the product quality
7 and to ensure that the expiration dating period is
8 the correct one.

9 DR. WATTS: Dr. Kibbe.

10 DR. KIBBE: I have the joy of going near
11 the end which means a lot of the things I thought
12 I'd be asking about people have started asking.

13 First the assay -- the data that we saw
14 didn't have any error bars, standard error of the
15 means. The description of how they get it doesn't
16 tell me how many actual discrete samples they
17 assayed and how variable it is and if those are big
18 standard error bars, then all those lots that look
19 like they are different could be overlapping and who
20 knows.

21 Second, we haven't talked about the
22 quality of the API and it's the quality of the API

0086

1 and how many APIs are out there and who makes those
2 and are 12 or 14 different manufacturers of tablets
3 using three different manufacturers of APIs and can
4 we lump them altogether and are all the ones from
5 the same source of API the one with stability and
6 then we know where we're going. And it's then kind
7 of a hunt, if you will, to answer the issue for the
8 companies that have a stability problem and we don't
9 have to do that, they do. So if we start tightening
10 things up, they're going to start to look at those
11 things.

12 The variability associated with
13 excipients, I'd like to really see the data before I
14 even thought that excipients did a lot because we
15 know the product itself is, the active ingredient is
16 relatively stable, as my colleague said.

17 It boils down to do we think that the
18 packaging contributes to making things more
19 problematic for our patients and if so, do we think
20 that this product, a narrow therapeutic index
21 product ought to be sold in unit of use packaging
22 that can be tested in a stability situation so that

0087

1 every single tablet is taken fresh from its package
2 rather than 100 or 1,000 going through different
3 kinds of environments within the use of it and that
4 might be something we might look at.

5 And then of course the bottom line is if
6 we want to change the criteria for stability or
7 expiration date, that really boils down to how
8 important this type of variation is to the
9 clinicians and has anyone shown a prospective study
10 that shows that changes from different manufacturers
11 or changes in terms of the time when the product was
12 dispensed has led to failures, or is the problem
13 that the clinicians see a problem with the
14 sensitivity of the disease and the tremendous
15 variability of an individual during a 24-hour period
16 or month to month or day to day.

17 DR. WATTS: Let me stop for just a
18 moment. I want to be sure we get quickly around
19 those who haven't had a chance to talk yet. There
20 will be time after the manufacturers' presentation
21 for general discussion, so if the burning questions
22 that you do have don't get raised in this session,

0088

1 they will, there will be time to get them raised.

2 It seems to me that there's no doubt
3 that after a product is out there there will be
4 degradation and it seems to me self-evident that if
5 you say the shelf life is determined by the time
6 that it reaches 10 percent less than the content
7 when it came out, that that's what we're dealing
8 with. And it seems to me then not very important
9 for us to understand at this point why that happens
10 or that it happens sooner for one product than for
11 another product.

12 The question is is that 10 percent line
13 relevant and if not, is it an unreasonable burden if
14 we raise that and how much should it be raised.

15 So if I'm off track in my thinking,
16 please let me know. But it seems to me that the
17 details of the loss of potency really aren't
18 particularly important at this point and if the
19 committee says that it has to be within 2 percent,
20 then someone needs to figure that out.

21 MR. UNIDENTIFIED SPEAKER: Can I caveat
22 that, because there is the issue, this is low dose,

0089

1 I mean for many of -- this is quite a challenging
2 product to manufacture, so one of the questions is,
3 maybe, if the variations are real and are systemic,
4 then I think your analysis is spot on.

5 But I think there is an issue to make
6 sure and I think we'll hear from the manufacturers
7 to some degree about the competence in the
8 reliability of the differences, whether or not those
9 differences are distinctions without real
10 differences or whether it's sampling bias and things
11 like that, so there is that caveat, I would add.

12 DR. WATTS: I just don't want to get too
13 bogged down in the details of specific issues until
14 it's clear that those specific issues are important.

15 Dr. Skarulis.

16 DR. SKARULIS: I was going to say that
17 clinically speaking, I think we don't see a lot of
18 untoward events with this sort of variability, these
19 slopes that essentially are quite steep in the
20 population that is hypothyroid because of the
21 protein bound nature of this drug and the deiodinase
22 system that regulates it so well.

0090

1 However, in the suppressed patients, the
2 patients with, say, high risk thyroid cancer, it's
3 concerning to me to see data like this simply
4 because occasionally I make adjustments of maybe

5 5 percent to keep a person balanced between what I
6 consider adequate suppression of TSH and keep them
7 asymptomatic.

8 So what you're showing me here, these
9 data say that what I'm doing is really rather
10 magical, it's really unfounded and it's not
11 scientific and that disturbs me.

12 DR. WATTS: Dr. Burman.

13 DR. BURMAN: Just one, two comments.

14 One is I think we'll be discussing the bio -- the
15 effect of the mild changes in thyroid hormone a
16 little later and I will mention Dr. Carr's article
17 later where small changes did make a big difference
18 in biochemistry, but that wasn't -- that was for
19 later.

20 But the point I wanted to ask is just a
21 question, really, a very practical question, maybe
22 for Dr. Parks, what does the FDA say, what actually

0091

1 happens when a patient goes to a pharmacist and they
2 get a pill, the 100 pills of 100 microgram tablets,
3 do all of those come from the same lot with the same
4 expiration date?

5 Is the pharmacist able to mix them up so
6 they have varying times of disappearance and maybe
7 even different manufacturers?

8 DR. DUFFY: Well, the practice of
9 pharmacy does permit that product be dispensed from
10 one lot or multiple lots.

11 If, I mentioned that one way of
12 dispensing product is using the Baker Cells, that
13 you dial in the number of tablets that you want. If
14 you get to the end of the fill, near the end of the
15 fill, the pharmacist may add additional product to
16 the bin and that may, certainly may be from a
17 different lot.

18 DR. BURMAN: If I may, how do you know
19 then what the expiration date is for that, those
20 pills in that bottle?

21 DR. DUFFY: The expiration date, okay,
22 this is somewhat confusing, so let me go through it

0092

1 fairly carefully.

2 The expiration date that the patient
3 sees on the dispensed product is not necessarily the
4 same expiration date that the manufacturer's bottle
5 would be labeled with.

6 Now the product, the FDA's regulatory
7 authority is confined to, prior to the product being
8 dispensed. Now typically what pharmacists do, and I
9 might refer to pharmacists that may be present to
10 better describe this, but a relatively short
11 expiration date is usually put on a dispensed
12 script.

13 DR. WATTS: I want to be sure that
14 everybody gets heard once, but quickly, we're five
15 minutes over time, there's 20 minutes allowed for

16 questions from the committee before lunch, so I'm
17 thinking we're going to shorten that time to make up
18 for this time.

19 Dr. Cooney.

20 DR. COONEY: Thank you. I'd like to
21 just re-formulate a set of comments that I heard,
22 that I've heard over the past few minutes and that

0093

1 is around the mechanism of degradation, there does
2 appear to be a loss in potency and moving towards
3 quality by design, one would like to get away from a
4 correlative approach to this discussion to a more
5 mechanistic based and are there -- well, there may
6 be one, there may be multiple modes of degradation
7 and is, how well is that known and how well is that
8 factored into the work in progress around reality
9 versus theory on?

10 DR. DUFFY: I'm not sure I understand
11 your question.

12 DR. COONEY: The question relates to the
13 mechanism of degradation of Thyroxine. If you, so far
14 what I've heard in the discussion is really a
15 correlation of potency with a number of different
16 variables, which doesn't allow me to in a
17 prescriptive way think about how one could solve
18 that problem or even understand the implications of
19 it.

20 Is there a single mechanism that is
21 responsible for loss of potency amongst these
22 products? Are there multiple mechanisms and is

0094

1 there an understanding of how the conditions of
2 storage and transport, the reality that you speak
3 to, how that affects this loss of potency, from a
4 chemical mechanistic perspective?

5 DR. DUFFY: I'm afraid we don't know --
6 those data were not provided to us. Now whether the
7 manufacturers have done work to address that issue
8 is something that I think we can explore.

9 We certainly are, through our quality by
10 design initiatives encouraging, encouraging
11 manufacturers to perform development which would
12 address the issues that you're referring to, i.e.,
13 root cause of change or root cause of variability.

14 But as yet, it's unclear for these
15 products what those root causes are and they may be
16 different from one manufacturer's formulation and
17 manufacturing process to the other.

18 MS. UNIDENTIFIED SPEAKER: Yes, thank
19 you. I'd just like to re-enforce something that
20 Dr. Duffy said a few minutes ago with regard to
21 expiration dating on the bottle that the patient
22 gets.

0095

1 As formerly a practicing pharmacist, not
2 currently, but formerly, typically the expiration
3 dating on the bottle is shorter if not significantly

4 shorter than what is actually on that particular
5 lot. The pharmacy would, if it's something with a
6 long expiration dating, they might put a year on it
7 but it might be less than that. So.

8 Also, they might mix lots. If you're
9 running out of one lot, you need 90 tablets, you
10 only have 45 left, however in my experience you
11 wouldn't mix lots from different manufacturers
12 because they might very well look different and then
13 the patient would end up with a bottle that had two
14 different kinds of tablets in it and think the
15 pharmacy made some huge mistake.

16 My other comment with regard to
17 something that Nasr said with regard to if you had
18 market position where you were the only
19 manufacturer, you would want short expiration
20 dating. I understand that sounds good, but the
21 reality is that wholesalers aren't going to take the
22 product if it doesn't have at least six months of

0096

1 expiration dating left on it and often a year. And
2 in addition, no pharmacist who's paying any
3 attention at all would dispense something to a
4 patient that had an expiration date that wasn't at
5 least as long as that patient was going to need to
6 use it, so.

7 And then companies have to actually take
8 back the expired drug that hasn't been dispensed and
9 give people credit for it.

10 And then my last question I guess is I'd
11 like to hear more from the endocrinologists around
12 the table as to how closely the dose does need to be
13 titrated because I just don't have a knowledge base
14 for that and I'm going to need that information to
15 help me decide how to vote.

16 DR. WATTS: Dr. Rosen.

17 DR. ROSEN: Yeah, thank you, I'll just
18 make it very quick.

19 First of all, I think there are two
20 issues, one is loss of potency, which we've spent
21 most of our time on, but I'd like to re-enforce what
22 a couple of people have said about lot variability.

0097

1 And I'm very impressed about the difference at time
2 zero, as much as 6 or 8 percent lot variability and
3 that really troubles me and I think that has very
4 important clinical implications, not just for
5 suppressed thyroid patients, but also for our
6 clinical patients that are hypothyroid and have
7 their doses adjusted by a great TSH assay which is
8 now available to all practitioners.

9 So they may see me once every 12 months
10 but in between they see their primary care docs and
11 they are re-adjusting their dose based on a TSH.

12 So I think that has major clinical
13 relevance.

14 The question I had for you, Eric, is do

15 the number of pills in a container change the,
16 either the potency or affect at all the assay
17 measurement? In other words, if you get 1,000 pills
18 and you did show some data with 1,000 versus 100,
19 how does that affect potency?

20 DR. DUFFY: I couldn't say that there's
21 a direct correlation one way or the other, I can
22 simply relate what we have observed, and that is

0098

1 that different packaging configurations, i.e.,
2 1,000 count versus a 100 have shown differences from
3 the same tablets, have shown differences. That's
4 why I emphasize the container closure issue in my
5 presentation.

6 But it's not uniform, for example, that
7 all manufacturers 1,000 count might show a parallel
8 sort of potency change. It really depends on a
9 number of factors. And as we pointed out earlier in
10 Dr. Cooney's discussion, the root cause of this
11 variability has not been identified for the various
12 aspects, whether it be the formulation, the
13 manufacturing process or as, you're pointing out,
14 the packaging configuration.

15 DR. MEYER: I just want to re-emphasize
16 what Dr. Duffy just said, I don't think we've found
17 a systematic difference.

18 In other words, he was referring to
19 differences in individual products between the
20 presentations, but how that pattern looks from
21 product X may be different from product Y, so it's
22 not that the 1,000 was always the worst or that a

0099

1 unit of use was always the best.

2 DR. ROSEN: The question I was asking is
3 does lot variability increase when you increase the
4 number of pills in a container?

5 DR. DUFFY: There's no direct
6 correlation across the different manufacturers to
7 conclude that.

8 DR. WATTS: We are 10 minutes over
9 schedule. I know there are lots of other questions,
10 there should be time later to do that. Let's break,
11 re-convene at 10 past 10 and everything else will go
12 10 minutes later than what's published.

13 (Short recess taken)

14 DR. WATTS: We'll start with the
15 industry presentations and I'll note that it's now
16 10:15, so everything else moves forward by that. We
17 don't want to take away from time that's already
18 scheduled.

19 First presentation will be from John
20 Leonard, vice president for Global Pharmaceutical
21 Research and Development of Abbott Laboratories.

22 DR. LEONARD: Good morning. I'm

0100

1 Dr. John Leonard, vice president Global Research and
2 Development at Abbott.

3 Well my role is to oversee the discovery
4 and development of new and existing medicines for
5 patients.

6 Abbott manufacturer Synthroid, the
7 leading Levothyroxine sodium medicine on the market.

8 UNIDENTIFIED SPEAKER: Louder. Is the
9 mic on?

10 DR. LEONARD: Can you hear me? For --
11 how's this, is it okay?

12 MS. UNIDENTIFIED SPEAKER: That's a
13 little better.

14 DR. LEONARD: For nearly 50 years
15 Synthroid has been a brand trusted by physicians and
16 patients. As Jane Axelrad and Dr. Parks have shown
17 us, we've come a long way in treating patients with
18 thyroid disorders. The management of thyroid
19 disease with Levothyroxine therapy is one of the
20 medical successes of the past century and thyroid
21 disease, much like diabetes, medication replaces
22 something the body no longer effectively produces.

0101

1 In 1894, the first treatment for
2 hypothyroid was developed. Desiccated animal
3 thyroid glands were ground into powder. Well this
4 method was certainly significant, it was medically
5 crude, there were no standards for making it and it
6 was less than ideal for patients. Nonetheless it
7 was a medical advance.

8 In 1958, the first Levothyroxine sodium
9 medication entered the market. Synthroid,
10 manufactured at that time by Flint Laboratories.
11 The production of Levothyroxine sodium by direct
12 chemical synthesis gave both doctors and patients a
13 far greater level of assurance that this treatment
14 would better mimic what the body would otherwise
15 produce on its own.

16 Abbott inherited the Synthroid brand
17 through a company acquisition in 2001. In the five
18 short years we've been responsible for Synthroid,
19 we've embraced the responsibility for maintaining
20 the trust physicians and patients have in Synthroid
21 in the management of thyroid disease.

22 As medicine and the regulatory process

0102

1 have evolved, thyroid treatment has also advanced.
2 In 1958 Levothyroxine medicines were not subject to
3 approved new drug applications. In 2001, Abbott
4 filed an NDA which documented the manufacturing
5 process and the science surrounding Synthroid.

6 During the past five years Abbott has
7 also completed clinical and technical work that made
8 an important contribution to the literature on
9 thyroid replacement therapy, while furthering the
10 understanding of how to best manage this disease.

11 Abbott fully appreciates the complexity
12 of trying to replicate exactly the precision of
13 normal thyroid physiology through the administration

14 of an oral tablet. It's a delicate and artful
15 exercise, grounded in science, practiced by
16 physicians, one patient at a time.

17 Levothyroxine is a narrow therapeutic
18 index drug, therefore determining the exact dose in
19 an individual patient is exquisitely sensitive yet
20 critical for patient care. This is why we
21 manufacture 12 different dosage strengths of
22 Synthroid and why physicians go through a process of

0103

1 testing and titrating, re-testing and re-titrating
2 to produce a Uthroid state for an individual
3 patient.

4 The FDA has posed two questions that
5 these committees are being asked to consider. The
6 first question is whether a 10 percent loss in
7 potency in Levothyroxine tablets over the course of
8 their shelf life raises significant clinical
9 concerns.

10 The second question is whether potency
11 specifications for these products should be narrowed
12 to permit no more than a 5 percent loss of potency
13 over shelf life.

14 Well it's the responsibility of the
15 joint committee to answer these questions. We at
16 Abbott believe that stricter specifications
17 resulting in meaningful clinical benefit are a
18 worthy goal that we support.

19 Whether a 5 percent improvement in
20 potency specification in isolation will result in a
21 clinically meaningful benefit for patients, we, we
22 just don't know. We do know, however, that the

0104

1 issues the two questions raise are fundamentally
2 quite complex.

3 One out of every 19 Americans takes
4 Levothyroxine sodium every day. Abbott's experience
5 with tens of millions of patients taking Synthroid
6 over the past 50 years tells us that Levothyroxine
7 is basically safe. This was re-affirmed by FDA just
8 last year.

9 What is under discussion today is
10 whether we can even further improve product
11 performance. More can and should be done. The root
12 question, the complex question is how we as
13 manufacturers, regulators, scientists and physicians
14 should go about addressing potential sources of
15 variability that can affect the delicate balance of
16 treating and maintaining a patient on thyroid
17 medication.

18 As we minimize variability, we all will
19 do a better job of optimizing treatment for
20 patients. I expressed Abbott's appreciation for the
21 delicate balance that needs to occur in the
22 management of a patient's thyroid. Abbott also has

0105

1 a great appreciation for the sources that can

2 disrupt that balance for the patient.

3 Variability in Levothyroxine therapy
4 comes from three general sources, they are sources
5 we can control, there are sources we cannot control
6 and there are sources we must carefully manage.

7 Sources of variability we can control
8 include intra product variability. One component of
9 this is changes in potency over shelf life. The
10 primary topic of today's deliberation. Another
11 factor influencing intra product variability,
12 variability between tablets of the same batch may be
13 at least as significant as potency over shelf life.

14 Beyond the sources of variability we can
15 control, there are sources we cannot control, such
16 as diet or a patient's compliance with treatment.

17 Lastly, there are sources of variability
18 we must carefully manage. Inter --

19 (End of track 4 on CD).

20 (Beginning of Track 5 on CD).

21 DR. LEONARD: -- product variability can
22 be introduced when one brand is substituted for the

0106

1 same dose of another brand if the amount of drug
2 absorbed by the body is not identical.

3 This is the issue the endocrine
4 societies have raised as a concern and Abbott
5 concurs.

6 Any one of these sources of variability
7 may or may not have a greater influence on another
8 and may not in isolation adequately address our goal
9 of faithfully replicating as closely as possible
10 normal thyroid physiology.

11 Let me illustrate the problem. The
12 current standard to detect a difference in inter
13 product variability is not sufficiently discerning
14 to give us this confidence. Data indicate that
15 Levothyroxine products rated as therapeutically
16 equivalent may differ from each other in the amount
17 of drug in the blood by 12 and a half percent or
18 even more.

19 We know that variability is cumulative
20 and that each additional source of variability in
21 Levothyroxine therapy is yet another hurdle that the
22 physician must overcome while attempting to

0107

1 establish and more importantly to maintain the
2 thyroid state for the patient.

3 If the joint committee agrees today with
4 the spirit of the questions being asked that we
5 should all do a better job of minimizing potential
6 sources of variability, we need to ask will
7 minimizing a single standard sufficiently do the
8 job. The focus on variability from just one source,
9 potency over shelf life, while important, clearly
10 has its limitations.

11 In short, all sources of variation are
12 cumulative and all sources of variation that can be

13 better controlled should be controlled and those
14 that can be carefully managed should be managed.

15 We appreciate the opportunity to address
16 the joint committee today. Thank you very much.

17 DR. WATTS: Thank you and thank you for
18 your time, I guess.

19 Next is Bonnie Southorn, who is the
20 director of Core Technical Development and
21 Submissions for GenPharm.

22 DR. SOUTHORN: Good morning. Can

0108

1 everyone hear me?

2 First of all, I'd like to thank FDA for
3 the opportunity to present some information about
4 our product today and hope that I can answer any of
5 your questions if you have any later.

6 I want to tell you a little bit about
7 GenPharm and our product that we have approved here
8 in the U.S. GenPharm is a subsidiary or affiliate
9 of Merck KGAA, which is based in Darmstadt, Germany,
10 and GenPharm itself is located in Toronto, Canada.
11 I should mention that Merck KGAA is not related to
12 Merck and Company here in the U.S., just to avoid
13 that confusion.

14 Merck is a leading supplier of
15 Levothyroxine products worldwide and I wanted to
16 allude to some of the discussion that's happened
17 this morning about excipients. Our product is a
18 unique process and formulation which uses gelatin as
19 an excipient to help stabilize Levothyroxine in the
20 formulation and that's covered by a current
21 U.S. Patent on the process and a divisional
22 application on the actual formulation.

0109

1 As noted in the FDA briefing package,
2 our product was originally submitted with a new drug
3 application with a brand name of Novothyrox which
4 was approved on May 31st, 2002. For commercial
5 reasons, that product was not launched to the
6 market.

7 Subsequently we submitted an abbreviated
8 new drug application which was approved on
9 June 16th, 2005, and that application currently
10 shows an AB rating to both the brand product
11 Synthroid and Levoxyl.

12 A little bit of background which I
13 believe Ms. Axelrad went over this morning, but just
14 bear with me. In the Federal Register notice in
15 '97, there, it stated that there was a lack of
16 stability, inconsistent potency that had potential
17 to cause serious health consequences for patients.
18 And as mentioned, all new products or current
19 products had to be submitted for approval by
20 August 2000, later changed to 2001.

21 There was four areas of concern listed
22 in that Federal Register notice. One was

0110

1 consistency in potency and bioavailability. The
2 potential for those consistency issues to cause
3 patient safety/adverse drug experience problems,
4 potential for formulation changes in lack of control
5 in formulation changes because products were
6 unapproved and also the stability of the products.

7 GenPharm's product initially as I
8 mentioned was submitted in a new drug application,
9 so we followed the FDA guidance for that application
10 and therefore show bioavailability of our product
11 versus an oral solution. And according to our
12 labeling, you'll see that it's, that our result was
13 approximately 99 percent bioavailable relative to
14 that oral solution.

15 We were also required to demonstrate
16 in vivo linearity of availability across strengths
17 and that was demonstrated in the NDA and
18 subsequently we conducted bioequivalence studies
19 again, according to FDA guidance versus both
20 Synthroid and Levoxyl. Those studies were both
21 submitted and approved in the ANDA.

22 The Federal Register notice also stated
0111

1 that tablets of the same dosage strength from the
2 same manufacturer may vary from lot to lot in the
3 amount of active ingredient present and also as
4 mentioned by several people this morning, there may
5 be concerns about potency of individual tablets even
6 within a batch or the content uniformity of the
7 product.

8 So just some data from our product.
9 Again, looking across strengths and looking at the
10 minimum and maximum assay values, so that's the
11 composite tablet assay values observed over many
12 batches of our product and these are reflective of
13 the same data that were submitted to FDA earlier
14 this year that Dr. Duffy has presented. And you'll
15 see that looking across all of the strengths, the
16 lowest assay value at release that we have seen is
17 96.5 percent and the highest assay value seen at
18 release is 104.9 percent.

19 Those values, again, represent the
20 composite assay and I would also like to mention
21 that our method has been fully validated and that
22 what you're seeing here is largely a result of

0112
1 manufacturing variability, with some analytical
2 variability.

3 And also we'd like to point out to the
4 earlier discussions that the FDA does require us if
5 we have our own assay value in any application to
6 prove equivalence of our assay methodology with the
7 USP in terms of results. So I would expect that all
8 of my colleagues from the other companies have been
9 held to that standard as well, so there should be no
10 doubt that our assays are reliable and giving us
11 thorough results.

0115

1 with one of -- with one or two of the others as the
2 longest shelf life of 24 months. I'd also like to
3 mention that in Europe we actually have a 36-month
4 shelf life with a less protective unit dose
5 packaging.

6 And we have demonstrated excellent assay
7 results up to our 24-month expiration period that's
8 approved here in the U.S. These data are based on
9 the U.S. colored formulations and again, this is the
10 lowest observed assay value per strength in all of
11 our stability studies. And again, you'll see that
12 the lowest values we've seen are 95.3 percent in the
13 25 microgram, 95.6 in the 175 microgram. The others
14 are all greater than 97 percent and even for the 25
15 and 175, those were, as I say, the lowest values,
16 but generally speaking all of our product at 24
17 months is greater than 97 percent label claim.

18 And if I look at all of those studies,
19 the mean change in shelf life was, again, less, was
20 3.1 percent.

21 So in conclusion, I would just like to
22 leave you with the message that the GenPharm Merck

0116

1 Levothyroxine sodium product has a correct and
2 consistent potency, it's stable and we feel that
3 it's safe and effective for patients. And we would
4 support further discussion on trying to minimize
5 patient risk by perhaps tightening up some of those
6 stability standards or potency standards.

7 Thank you for your attention.

8 DR. WATTS: Thank you. The next
9 presentation is from Ronald Steinlauf, vice
10 president, Jerome Stevens Pharmaceuticals.

11 MR. STEINLAUF: Good morning. My name
12 is Ronald Steinlauf and I'm vice president of Jerome
13 Stevens Pharmaceuticals in the manufacture of
14 Unithroid.

15 JSP has been manufacturing -- excuse me,
16 has been manufacturing this product since 1991 and
17 has produced over 3 billion tablets without a recall
18 or batch failure. This is the oldest formulation on
19 the market today. Thank you for providing me the
20 opportunity to speak before this committee.

21 As the first FDA approved Levothyroxine
22 drug product and the only sponsor to receive

0117

1 approval within the time frame set forth in the 1997
2 Federal Register, I'm here today to offer our
3 perspective on this matter.

4 The Federal Register of 1997 was issued
5 as a result of decades of FDA observations of
6 Levothyroxine manufacturing problems that resulted
7 in countless batch failures and product recalls.

8 FDA concluded that these issues posed a
9 potential threat to public health. Due to poor
10 stability and potency issues of Levothyroxine, most

11 firms were spiking the drug and making it super
12 potent in an attempt to maintain a two-year shelf
13 life. In spite of the spiking, products were still
14 being recalled and all the while substandard drug
15 product was still being marketed.

16 Immediately following the 1997 Federal
17 Register, three citizens petitions were filed at the
18 agency basically stating that due to the nature of
19 Levothyroxine, it was impossible to make a product
20 according to the stated FDA GMP standards, nor
21 should applications even be required.

22 Following this submission and approval
0118

1 of Unithroid, these firms acquiesced. We must ask
2 ourselves, are we better off today than prior to
3 1997.

4 Since the approval of NDAs, we have seen
5 a firm that even marketed product based upon
6 fraudulent data, firms that played the FDA approval
7 process by knowingly marketing substandard drug that
8 would not receive approval and riding the wave until
9 FDA eventually required that it be removed from the
10 market. And we have seen manufacturers with
11 multiple potency and stability recalls.

12 To obtain approval, FDA required Jerome
13 Stevens to submit the following, data from three
14 batches of each drug strength and complete testing
15 for 33 batches of drug; ICH stability testing
16 encompassing 24 lots of all dosage strengths and
17 package sizes at ambient conditions, at 25 degrees
18 Celcius and 60 percent relative humidity; six-month
19 accelerated conditions at 40 degrees Celcius,
20 75 percent relative humidity for the same 24 batches
21 of drug.

22 And it is my understanding that
0119

1 Unithroid is the only product to have passed the
2 six-month ICH accelerated storage condition. The
3 agency waived this requirement for most other
4 applicants.

5 Also the agency stated to JSP that no
6 overages of any kind would be allowed, however while
7 firms were prohibited from using stability overages,
8 they are now permitted to use a manufacturing
9 overage. This could explain release potencies of
10 105 percent that you have seen from the submitted
11 data. FDA stated that no manufacturer would be
12 approved with less than 18 months dating or with
13 fewer requirements than that of USP. We now know
14 from the record that this was not the case.

15 Subsequent applicants were given great
16 leeway in their respective applications. Other
17 firms were allowed to perform reduced stability
18 schemes requiring the testing of only a few dosage
19 strengths and only of a minimal number of drug
20 batches. While this practice may be allowed for
21 other drug products, was it prudent to minimize the

22 requirements for a narrow therapeutic index drug

0120

1 with a long history of stability and potency
2 problems.

3 After all, as stated by the agency,
4 isn't it critical that patients be dosed precisely.
5 FDA actually told one firm not to perform stability
6 testing on 1,000 count package sizes because they
7 indicated that this was not an area of concern.

8 This firm has since had recalls on 1,000
9 count package sizes. There are firms that received
10 approval from the review division although they
11 submitted according to the agency less than the
12 recommended ICH stability data set. Why is that?

13 Moreover, some firms are allowed to
14 submit stability data from pre-approval batches of
15 drug whose formulas contain overages and differed
16 from the post approved manufactured drug product.
17 Why is that?

18 Had the agency adhered to the original
19 requirements as set forth in the mandate of Jerome
20 Stevens for all manufacturers, this agency and the
21 American consumer could have more confidence that
22 under real world conditions, Levothyroxine products

0121

1 will maintain the required potency. Today we have a
2 variety of products on the market with a variety of
3 product specifications, varying expiration dating as
4 well as a variety of intra product specifications.
5 Products on the market with 9 months expiration
6 dating or 10 months expiration dating, depending on
7 the package size or dosage strength.

8 We see amongst some brands significant
9 potency variation from lot to lot. Again, why did
10 the agency which normally requires consistent
11 standards allow such inconsistency? Why would
12 product with such a long history of GMP issues not
13 be held to the highest standard?

14 Can the agency reference other products
15 where quality standards were minimized?

16 Interestingly many of the companies that
17 were given leeway by the review division are the
18 same companies whose products are continuously being
19 recalled and questioned today.

20 Does not the patient have the right to
21 expect a product manufactured to the highest of
22 standards? The FDA requires that the quality be

0122

1 built into products, not tested in.

2 It is clear by the fact that we are here
3 today that this is not the case for all
4 Levothyroxine products. This issue is not a matter
5 of complicated graphs or charts or outside pressure
6 from various groups or even individuals within
7 contacts within the agency, nor is this an issue of
8 bioequivalence. This is a GMP issue and it always
9 has been.

10 Had the FDA maintained its original
11 strong position and spirit of the 1997 Federal
12 Register, we would not be meeting here today.

13 Changing limits will not necessarily
14 improve product quality. The fact that the agency
15 changed acceptance criteria during the approval
16 process has now led to discussion of changing
17 limits. A product tested under all ICH storage
18 conditions provides a greater assurance that the
19 product will maintain potency through expiration
20 under real world conditions.

21 Speaking from my product, it is clear
22 that Unithroid was held to a higher standard of FDA

0123

1 requirements than its competitors. Why did not the
2 agency demand other applicants meet the same
3 standards?

4 I can only conclude that the agency and
5 the review divisions failed. They have compromised
6 the integrity of the approval process, the integrity
7 of the quality of the product and the health of
8 millions of people who take Levothyroxine.

9 As a result, we strongly urge that
10 before changing limits, that an evaluation of the
11 review process for Levothyroxine products be
12 conducted and that more emphasis be put on raising
13 the bar on product quality rather than lowering it.

14 Finally, I would like to add that the
15 facts that I have presented here were obtained from
16 FDA documents.

17 Thank you.

18 DR. WATTS: The last industry
19 presentation is from David Wargo, senior director,
20 product development, Mylan Pharmaceuticals.

21 DR. WARGO: Good morning. I'm David
22 Wargo, senior director of product development, Mylan

0124

1 Pharmaceuticals. I would like to share with you
2 today a bit of our experience in the development of
3 a potent, uniform and stable Levothyroxine sodium
4 product.

5 As was mentioned today, in 1997
6 Levothyroxine sodium were declared drug -- new drugs
7 as part of the Federal Register notice. It's been
8 highly recognized and stated today that this is a
9 medically necessary NTI with no alternative
10 therapeutic drug substitutes and that a lot of this
11 decree of 1997 was because of purported problems
12 with existing products, adverse events with the same
13 drugs, or after switching brands sub and super
14 potent materials in the market, multiple instances
15 of low potency and stability failures and change,
16 formulation changes being affected without FDA
17 knowledge.

18 Part of the 1997 Register notice
19 indicated that Levothyroxine sodium products should
20 meet two requirements. Number one, that they be

21 potent, that products should target 100 percent of
22 label claim potency, that they should have intra and
0125

1 inter lot to lot consistency, that they should have
2 specifications for content uniformity and these were
3 concerns because of overlapping strengths. And with
4 respect to stability, that stability should be
5 maintained throughout the product shelf life.

6 Thank you. Levothyroxine sodium as
7 stated today in dosage forms is known to degrade
8 quickly on exposure to several factors. Light,
9 moisture, oxygen and carbohydrate excipients can all
10 cause stability problems with commercial
11 Levothyroxine sodium products. This is a concern
12 because this is a low dose microgram based dosage,
13 dosage form and is a narrow therapeutic index drug.

14 With this in mind, we set some
15 development goals for our Levothyroxine sodium
16 product. That was to develop a formulation that was
17 robust with regard to potency and also manufacturing
18 process for content uniformity that, number one,
19 targeted 100 percent label claim for potency, that
20 ensured consistent content uniformity and that
21 demonstrated acceptable stability with respect to
22 potency, purity and water content.

0126

1 With these development goals in mind, we
2 spent a significant amount of development time in
3 developing a stable Levothyroxine sodium product.
4 We evaluated extensive combinations of excipients
5 and different types of manufacturing processes to
6 finally arrive at a final formulation that was
7 awarded three U.S. Patents.

8 The intellectual property in these
9 patents is practiced on a regular basis in the
10 manufacture of our commercial product and this
11 procedure provides us with a storage stable dosage
12 form with very uniform characteristics.

13 In March of 2006 the FDA asked for
14 potency and stability information for commercial
15 manufactured batches produced between July of 2003
16 and June of 2005. For Mylan, this equated to
17 125 production commercial batches manufactured
18 during this time period. Our current portfolio
19 includes 11 strengths ranging from 25 micrograms to
20 300 micrograms, and our average potency of these
21 125 batches was 99.2 percent with an RSD of
22 0.9 percent. Our potency range for those

0127

1 125 batches ranged from 95.8 to 104.6 percent.

2 As a reminder, current USP limits are
3 90 to 110 percent and we propose that initial
4 release specifications for any Levothyroxine sodium
5 product should be held to 95 to 105 percent potency
6 upon initial release.

7 To just give a representation of how
8 these 125 batches break down with respect to potency

9 on initial release, you can see that they are fairly
10 well distributed across our 11 strengths, that our
11 average potency is right around the target of
12 100 percent as indicated in the 1997 guidance and
13 that our extreme ranges for these 125 batches were
14 95.8 to 104.6.

15 Although the agency only required us to
16 present data for two years, I thought it was
17 important to show some data from all of our
18 commercial batches produced to date representing all
19 strengths. You can see that with the exception of
20 one lot, we have, we have first of all produced
21 approximately 360 manufactured commercial batches to
22 date. With the exception of one lot, all of our

0128

1 batches on initial release certainly fall within our
2 proposed limit of 95 to 105 percent potency and well
3 within USP limits.

4 I'd like to make one comment about the
5 batch that does fall outside of this. Although it
6 was produced as a commercial lot, because of
7 internal quality measures, we never released this
8 lot into the marketplace.

9 With respect to content uniformity of
10 the batches produced during the time frame, we had a
11 content uniformity mean of 100.6 percent and a mean
12 rang of RSDs of 1.3 to 1.9 percent.

13 Just as a matter of fact that came up
14 this morning just to reiterate the difference
15 between our potency assay and our content
16 uniformity.

17 Our potency assay is a compilation of
18 numerous tablets titrated together to, and then an
19 aliquot is taken from that or a potency assessment
20 made of that material.

21 With respect to content uniformity,
22 these are assays of individual tablets. Currently

0129

1 FDA limits for content uniformity or USP limits are
2 actually 85 to 115 percent with individual units
3 with RSDs of not more than 6 percent.

4 For these 125 batches, our mean of
5 assays or content uniformity results is certainly in
6 the 100 percent range. A range of means is from
7 96.8 to 104.5 percent and our RSDs average well
8 below the USP limits and certainly below 2 percent.

9 With respect to all of our commercial
10 lots manufactured between June of 2002 and August of
11 2006, some 360 lots, all of our batches with respect
12 to content uniformity fall within the 95 to 105
13 percent range. With respect to uniformity, you can
14 see from this graph that on average our product
15 shows about 2 percent RSD, on average, with respect
16 to individual unit content uniformity.

17 With respect to stability, out of the
18 125 batches produced during the requested time
19 frame, 41 of these batches were placed into

20 long-term stability programs. These were ICH
21 storage conditions at 25 degrees C and 60 percent
22 relative humidity. Currently USP limits are 90 to

0130

1 110 percent. We propose a limit of 93 to
2 107 percent.

3 Earlier this morning the issue of
4 analytical variability came up. It's my
5 understanding that typical instrument variability
6 can be somewhat in the 2 percent range of just
7 replicate injections just due to standard instrument
8 variability.

9 Additionally, we run the USP method and
10 we have internally --

11 (End of Track 5 on CD).

12 (Beginning of Track 6 on CD).

13 DR. WARGO: -- determined that method to
14 have some inherent 2 and a half percent variability
15 with the USP method. Therefore, on initial release
16 we recommend 95 to 105 and we propose a limit of 93
17 to 107, although we would support 95 to 105.

18 Changes in potency at 18 months. Out of
19 the 41 batches in the stability program, 17 of these
20 batches had made it through evaluation at 18 months.
21 This data is presented here. For these batches, on
22 an average, our product degrades between 2 and

0131

1 3 percent over an 18-month period.

2 Our product, just to mention, is
3 approved for 24 months, however, given the anxiety
4 around this dosage form, at the time of approval we
5 have voluntarily limited our commercial product
6 shelf life in the marketplace to 18 months until we
7 felt that we had a sufficient body of data to
8 re-evaluate 24-month expiration dating for our
9 current product.

10 Potency at 18 months of our commercial,
11 of all of our commercial batches to date, this is
12 some 105 batches evaluated, you can see that all of
13 these batches fall within a recommended range of 93
14 to 107 percent and within, certainly within USP
15 limits of 90 to 110.

16 Additionally, we've evaluated
17 approximately 95 of these batches through 24 months
18 and with the exception of one batch, we would meet
19 the 93 to 107 percent recommendation.

20 I'd like to point out, too, that this
21 batch that falls below our 93 recommended range had
22 an initial release potency of somewhere in the

0132

1 59.8 percent range and it degraded approximately
2 3 percent as most of our other batches do, therefore
3 dropping it below our 93 percent lower limit.

4 As an additional measure of quality, our
5 product safety folks took a look at the number
6 and -- of complaints that we received from marketing
7 of June -- of 2002 until June of 2006. During this

8 time frame some 19 million prescriptions for Mylan's
9 product was dispensed. There were a total of
10 130 cases reported with 77 adverse events and
11 48 quality complaints.

12 Certainly with respect to the number of
13 prescriptions dispensed, the total number of cases
14 represents 0.0068 percent complaints.

15 In summary, we feel that we've presented
16 a significant body of data to demonstrate that we
17 produce a product that is potent, that is uniform
18 and is stable and we do this via a controlled
19 manufacturing process. We agree that all
20 Levothyroxine sodium products should be held to high
21 standards for those quality attributes.

22 We also prove that all approve -- we
0133

1 also recommend that all approved Levothyroxine
2 sodium products comply with an initial release
3 potency specification of 95 to 105 percent and 93 to
4 107 percent potency specification during shelf life
5 and as I said before, we could support 95 to
6 105 percent, and that all products in the
7 marketplace should have a minimum of 18-month shelf
8 life.

9 Thank you for the opportunity to present
10 to the committee today.

11 DR. WATTS: I want to thank the industry
12 presenters for giving us useful information and
13 getting us back ahead of schedule.

14 I think it's likely that there are
15 questions or comments. I'd like to start with one
16 question and then sort of move things
17 counter-clockwise going this way.

18 We've talked about the physical presence
19 of Thyroxine in these assays, but no one has mentioned
20 anything about the bioavailability of the Thyroxine
21 and whether there's some change in tablets and
22 bioavailability over time. I wonder if either

0134

1 someone from the agency or from the manufacturers
2 could comment about that.

3 DR. DUFFY: I'd like to refer to one of
4 our colleagues, if I may.

5 DR. WATTS: Certainly.

6 MR. DALE CONNOR: Based on the approval
7 process that we have, we don't really have a lot of
8 data as far as bioavailability or bioequivalence,
9 even, over time. For example, with fresh
10 batches to aged batches that is not
11 something that we generally get as part of the
12 approval process.

13 Unfortunately we're, sometimes on the
14 bioequivalence side we sometimes firms
15 have to get the referenced listed drug from the
16 market, they do it, the best job they can of getting
17 fresh product, but that may have been in a
18 that goes through a wholesaler and so forth,

19 so it may be not one month old product, might be two
20 or three months, but they do the best they can to
21 get very fresh product.

22 Of course their own product they can

0135

1 control how long, how old that is and
2 they certainly know that, but they don't always know
3 exactly, nor do we always know exactly how old it is
4 from manufacturing date and often it's,
5 as long as it's within expiry, it's actually
6 considered an acceptable product because that is
7 what would be dispensed in the marketplace.

8 So the answer is we don't really have
9 good data on that.

10 DR. WATTS: So it's possible that at
11 24 months or date of expiration a product that
12 contains 100 percent of stated potency might be only
13 80 percent bioavailable and conversely, a drug that
14 contains only 80 percent of what's potent might
15 actually be more bioavailable.

16 MR. DALE CONNOR: My name is Dale
17 Connor, I'm director of the division of
18 bioequivalence, just for the record, in OGD.

19 We do have some controls over that,
20 although those of us who really like in vivo studies
21 as the final word, we do, and there was a mention of
22 that, part of the stability testing and part of the

0136

1 testing is dissolution.

2 One of the critical steps as
3 far as a tablet being bioavailable is the tablet
4 containing whatever amount of drug it
5 contains must dissolve in the GI tract and then when
6 the drug is in solution, it becomes bioavailable.
7 That's true for virtually all the solid oral dosage
8 forms.

9 And so we do as part of the, both the
10 release testing and the stability testing
11 dissolution method, dissolution methods are used to
12 test virtually all solid oral dosage forms,
13 including this one, and that's another, that's
14 another standard that they must pass.

15 We've talked about content and
16 reproducibility of the drug inside, but we also have
17 a performance characteristic in this in vitro test
18 and these are based in USP established methods and
19 FDA established methods for testing the individual
20 product.

21 It's individualized for a given type of
22 product and usually it's reasonably good for

0137

1 immediate release products, as far as large,
2 predicting large changes in bioavailability as the
3 product ages. But that's still not the final word
4 and it's not truly in vivo, it's simply a quality
5 control measure to show if the product's dissolution
6 is changing over time.

7 DR. WATTS: Dr. Parks.

8 DR. PARKS: Also I want to add that
9 these products, Levothyroxine sodium are highly
10 soluble products, 100 percent dissolved in solution
11 and I believe as one of the applicants had pointed
12 out, they are bioequivalent, but the tablets are
13 very much bioequivalent, 100 percent, to their oral
14 solution.

15 DR. WATTS: Okay. And before we start
16 the questions, I'd like to give a little bit more
17 explanation for the non-clinicians because there
18 were several people asking me at break how much of
19 the difference is important.

20 And first to point out that how much
21 difference is important depends on the patient, so
22 let's take one scenario where a patient has a

0138

1 failing thyroid but perhaps produces 50 percent of
2 what their body needs every day. If I give them
3 more than 50 percent but less than 100 percent, they
4 will auto regulate back to where they should be and
5 so if I give that patient 75 percent of their daily
6 needs and the potency of that preparation varies by
7 20 percent, plus or minus, they're just fine because
8 they will auto regulate and make less or more of
9 what they need.

10 There are some patients who have been
11 treated for hyperthyroidism and have a functioning
12 remnant that may sometimes function independently of
13 normal regulation and those patients represent a
14 moving target. So there's, no matter how precise
15 you make the product, you wouldn't be able to keep
16 up with that patient.

17 The patient where it really makes a
18 difference is the patient who has no thyroid, the
19 patient who has thyroid cancer surgery, removal of
20 any residual thyroid tissue by radioactive iodine
21 and there the patient is totally dependent on what
22 they're given and what they absorb. So that's the

0139

1 situation where very minor changes, and I honestly
2 don't know how much of a change, is minor can make a
3 difference.

4 Now having considered all these things
5 about the tablets, some patients take their pills
6 with food and some without and that can make as much
7 as a 20 percent difference in absorption. Some take
8 their pills with their multi-vitamin and iron and
9 iron blocks the absorption of Thyroxine, or some take
10 their pills with calcium, which may interfere with
11 absorption. And not everyone is perfect, so someone
12 who misses one pill a week is reducing their dose by
13 14 percent and someone who doubles up on a day by
14 accident is increasing their dose by 14 percent.

15 So, there are lots of variables in this.
16 I think the more variables we can narrow and
17 eliminate the better, but there are still patients

18 for whom there are wide margins of safety in these
19 drugs and there are patients who require frequent
20 monitoring and frequent dosage estimates, regardless
21 of how predictable the product is.

22 So, that's physiology 101. I'll be

0140

1 happy to have others amplify that, but we'll start
2 with Dr. Cooney and move counter-clockwise around.

3 DR. COONEY: Thank you, a question first
4 to Dr. Wargo.

5 In one of your slides you indicated a
6 sensitivity to light, relative humidity, oxygen and
7 carbohydrate excipients on the stability and I
8 wonder if you can tell us to what extent you
9 understand again the mechanistic basis that these
10 parameters have on the stability?

11 And then a broad question to the other
12 industrial participants, can you shed some light on
13 what is understood about the mechanism and how we
14 can relate that to our understanding of this problem
15 of stability?

16 DR. WARGO: Well, I believe that there's
17 three or four primary mechanisms of degradation, one
18 is deiodination, one is deamination, hydrolysis and
19 oxidation.

20 What we've found through our development
21 efforts is that it's very dependent on the types of
22 excipients that you use, its issues with

0141

1 carbohydrate excipients reducing versus non-reducing
2 sugars, oxidizing sugars, et cetera, seem to really
3 affect the stability profile of this compound.

4 The other thing that's important to keep
5 in mind is that it's not just the choice of
6 excipients and the combination of excipients, it's
7 how you handle this product and it's how you
8 manufacture this product that's very essential to
9 maintaining stability over a period of time and also
10 providing a very consistent product.

11 Was --

12 DR. WATTS: Other comments on mechanisms
13 of degradation?

14 Dr. Burman.

15 DR. BURMAN: Sure, Dr. Wargo, just a
16 quick question, as well. Did I understand you to
17 say that the conglomerate potency that was analyzed
18 was lower than the individual uniform content
19 potency of individual tablets and why is that?

20 DR. WARGO: Are you asking if, if when
21 we do a composite of tablets in assay we get a
22 different number than when we test individuals?

0142

1 DR. BURMAN: Right.

2 DR. WARGO: No, I'm not indicating that.

3 DR. WATTS: Dr. Skarulis, do you have
4 questions or comments?

5 Dr. Kibbe?

6 DR. KIBBE: Okay, the issues before us
7 aren't to solve the problems for the people who have
8 poor stability, and I know that it's fun and I'd
9 love to get into that for a couple of days and ask
10 the FDA if they know what the excipients are in each
11 of their products and let me tease out which
12 excipients are creating problems, if there are one
13 and let me make recommendations, but I think we need
14 to get back to the, what for me is a central issue
15 and that is how big a difference can we allow these
16 products to have and give the clinicians confidence
17 that in their difficult cases they are confident of
18 their product and how it works?

19 Mylan suggests 105 to 95 for release,
20 that's a 10 percent variation on the day it comes
21 out. It has nothing to do with stability. That's a
22 10 percent variation on the day it comes out,

0143

1 although I got a kick out of the fact that they
2 expanded that to 107 and I was wondering how their
3 product generated more product while it was out on
4 the shelf.

5 But really the bottom line issue to me
6 is can the clinician see that difference in their
7 patients and when you take into account the patient
8 behavior variability, the nature of the patient's
9 own diurnal and circadian variability, the disease
10 state changes, can you really see it?

11 And then the issue, another issue that
12 seems to be out there is that we have overlap in the
13 products on the market. Can you really see a
14 difference between 137 and 150 in the average
15 patient, if, is that significant and if that is
16 significant, then we need to agree to tighten up the
17 specs and perhaps even separate the products and let
18 the companies who have problems with stability hire
19 some of us to help them solve that issue.

20 MR. UNIDENTIFIED SPEAKER: Yeah, I have
21 a follow-up question for Dr. Steinlauf.

22 I think you mentioned that you'd like to

0144

1 see accelerated stability testing; is that correct?

2 Can you comment then on what the
3 implications would be if the regular -- the room
4 temperature stability testing would be tightened to
5 95 to 105 percent, what would that translate into in
6 accelerated stability testing? Is there any way to
7 project that?

8 Right now the committee is considering
9 whether the limits at room temperature should be
10 tightened up from 110, 90, to 105 and 95 and I'm
11 wondering what that would mean if you were to do
12 accelerated testing, what limits would you put on
13 accelerated testing?

14 DR. WATTS: Yeah, I think we heard 90 to
15 110, but if you have a comment, please try to use a
16 microphone.

17 Other comments about that?

18 Dr. Tamborlane.

19 DR. TAMBORLANE: I mean I think we
20 haven't heard what the accelerated -- what the
21 affects of accelerated or real life conditions. I
22 think that's just sort of a big gorilla that we

0145
1 haven't heard anything about yet.

2 I just want to make a very specific
3 comment about the percent stability. Looking at the
4 graphs, it looks like the, the tablet that has the
5 biggest problem making the current criteria or the
6 more rigorous criteria is the 25 microgram tablet.

7 If I can take an analogy of how we look
8 at glucose meters and accuracy, when you're looking
9 at the lower end, percent of stability may not be
10 the right metric. You might want to have some, you
11 know, the international standard organization
12 looking at accuracy of meters under 70 milligrams
13 per deciliter, for example, has an absolute value,
14 that it has to be within 15 milligrams per
15 deciliter.

16 So I think for the 25 microgram tablet
17 you might say plus or minus 2 micrograms, rather
18 than just a percent, just a small comment.

19 DR. WATTS I had a question sort of along
20 those lines, it's percent, but is it a percent of
21 the previously measured amount or is it a percent of
22 the stated amount? In other words, if you start

0146
1 with something that's labeled as 100 micrograms but
2 it's actually 90 and then you test it again, is it
3 10 percent of 100 micrograms or is it 10 percent of
4 90?

5 DR. MEYER: It's 10 percent of the
6 target.

7 DR. WATTS: Of the target, okay.

8 Dr. Proschan.

9 DR. PROSCHAN: Yeah, several people have
10 brought up, you know, the issue of dissolving
11 several tablets versus a single tablet and since you
12 did such a nice job of explaining the consequences,
13 you know, for the patient of these things, how
14 important would it be, I mean if a patient gets only
15 85 percent today, but when you average over a week
16 they get, you know, 96 percent, I mean how, how,
17 maybe the, maybe the important thing is, you know, a
18 weekly dose and I'm wondering if you, will you
19 address that?

20 DR. WATTS: Yeah, the drug has a half
21 life of about seven to eight days and so we often
22 make dosage changes by saying to the patient just

0147
1 skip one dose per week or alternate doses, so one
2 day you might take a 200 microgram tablet and then
3 the next day you might take 175, so it has a long
4 half life. The average is what's important.

5 DR. WATTS: Dr. Morris.

6 DR. MORRIS: I actually have tried to
7 narrow this down to three comments. The first one
8 really deals with the assay. If you look at
9 25 micrograms in a 100 milligram tablet, you're
10 talking about a 2 percent. If you're talking about
11 a 10 percent degradation, you're talking about a
12 .2 percent.

13 We have a 2 percent variation in the
14 system suitability of the HPLC, so that variability
15 may still be larger as you go to a low dose, right?
16 This is one of the problems. Usually when you have
17 a low dose compound that you have to go to extreme
18 conditions to get uniformity, which all of the
19 manufacturers have to do, you're not fighting the
20 stability issue. So that's one caveat to the assay.

21 The other thing, however, is that the
22 fundamental lack of understanding about the API

0148

1 itself. As I said, usually when you have a problem
2 with stability in dosage forms other than the
3 obvious things like the lactose amine interactions
4 that were discussed, the API has some stability
5 issue as well, this doesn't. So something's going
6 on within the processing and storage and all the
7 handling that's altering the behavior.

8 Considering the structure of the solid
9 that we start with, it seems like you're not going
10 to be able to resolve the real issues and that we'll
11 wait for, this afternoon we'll get the clinical
12 significance of whether or not this is significant
13 or not, particularly with half life information
14 you're saying.

15 But, for instance, setting a stability
16 condition, if you want to do accelerated stability.
17 Well, we said this is a pentahydrate, there are five
18 water molecules for every molecule of the sodium
19 Levothyroxine.

20 If you dehydrate that and disorder
21 the -- there's a ton of literature on the impact of
22 the, the potential impacts of this. You, I don't

0149

1 know how you would set an accelerated stability
2 condition without understanding the physical
3 chemistry of the system much more rigorously.

4 Now whether that matters or not is
5 hopefully what we'll find out this afternoon.

6 To Art's point, I couldn't resist
7 digging a little bit into it, though, because I
8 think it's important that we understand that there's
9 a, that there's a physical chemical component to
10 this that we're essentially leaving out of the
11 discussion and a 45-year-old drug or however old it
12 was, I can't remember, we would hope that, that we
13 would understand these things. Maybe Art's going to
14 do that in his consulting.

15 DR. WATTS: Dr. Koch.

16 DR. KOCH: I guess I have to add again
17 the importance of this quality of the formulation
18 and the processing conditions. It really appears
19 that there's just not enough that's been exposed
20 relative to the instability caused by the
21 processing.

22 DR. WATTS: Dr. McClung.

0150

1 DR. McCLUNG: I'm still concerned that
2 we're focused on the small differences in the
3 up-front component of a very complex issue. You've
4 nicely outlined the numerous variabilities among
5 patients, you've alluded to the fact that all the
6 stability data that we've been shown have been done
7 in the idealized situation and that there may be at
8 least the potential for much more marked differences
9 in the real life situation.

10 We've heard that the agency doesn't have
11 data about the stability in the real life situation
12 and I wonder if any the manufacturers have
13 information that could shed light on this, because
14 the magnitude of that may be so great that it swamps
15 any of the discussions that we're talking about
16 about small differences in stability in the
17 idealized situation.

18 DR. WATTS: Anyone from industry want to
19 comment on real life situations? No one's leaping
20 to the microphone.

21 DR. LEONARD: John Leonard from Abbott,
22 I can say we don't have it, we don't have the real

0151

1 life, I mean if you're talking about taking product
2 from behind the bathroom mirror that's been sitting,
3 we do not have that data.

4 DR. WATTS: Actually we are talking
5 about taking the product to -- product from your
6 company, delivering it to the patient through
7 various steps and then taking it from behind the
8 medicine cabinet mirror in the steamy shower room,
9 does anyone from industry have --

10 MR. UNIDENTIFIED SPEAKER: Can I just
11 make a comment, the steamy shower room may be a
12 perfectly good place for this compound.

13 MR. UNIDENTIFIED SPEAKER: Okay, keep it
14 hydrated. Okay. But the, the back porch in, say,
15 Phoenix or El Paso may not be a good place.

16 Do you have some information?

17 MR. UNIDENTIFIED SPEAKER: Yes, with
18 regard to accelerated conditions, under the ICH
19 accelerated conditions, under six months you are in
20 fact challenging it to a potential real world
21 situation under excessive heat and humidity.

22 MR. UNIDENTIFIED SPEAKER: But I don't

0152

1 think we've seen any data on degradation under
2 excessive conditions. What we've seen are the data
3 for, for standard conditions, then that was the

4 answer.

5 MR. UNIDENTIFIED SPEAKER: Excuse me,
6 sir.

7 No, the accelerated conditions are
8 75 percent relative humidity and yes, it is sealed,
9 but there is, and I'd have to defer to the chemists
10 here, there is permeation of that moisture, so.

11 DR. KIBBE: The test is slightly a
12 misnomer and I hate the test because you do it in a
13 sealed container and some products you have a
14 desiccant and what you're really measuring is
15 whether or not the container is permeable to
16 moisture at any degree at all and you could do that
17 without any product in there.

18 If you want to expose it to what our
19 patients do, they open the cap, it's, they take out
20 the, whatever else is in it, they pour a few out in
21 a tablet box, they put it in their pocket, they ship
22 it all over the place, so the control test isn't

0153

1 exactly what, what's going on in the real world.

2 MR. UNIDENTIFIED SPEAKER: But the ICH
3 condition under accelerated conditions, that is,
4 most firms it's my understanding failed, we did not,
5 but most failed, so it did test something.

6 MR. UNIDENTIFIED SPEAKER: Maybe again
7 more tested the container than a test of the
8 product, but.

9 I think Dr. Singpurwall --

10 DR. DUFFY: Excuse me, could I, before
11 we leave this issue of accelerated data, may I,
12 Dr. Watts? May I be recognized.

13 DR. WATTS: Yeah.

14 DR. DUFFY: Thank you. The data that we
15 did receive under accelerated conditions did show a
16 tendency toward greater potency loss relative to
17 room temperature data, but again, it should be
18 emphasized that these were, as I had described how
19 the stability testing is done, these are in tact
20 container closure systems.

21 MS. SOUTHORN: If I could just make a
22 quick comment about GenPharm's product as well. We

0154

1 did submit accelerated data and they do pass the
2 right requirements.

3 I also want to point out that our
4 product as it's packaged, although our accelerated
5 data is in in tact containers, our containers do not
6 contain induction or foam inner seals, they are
7 just, the container closure system itself is just
8 the HTP bottle with an HTP cap.

9 DR. WATTS: Thank you.

10 Dr. Singpurwall.

11 DR. SINGPURWALL: First is a question I
12 have to some of the presenters, is there a
13 difference between consistency and stability? Those
14 two words seem to be used quite often.

15 And then, then I have a question for
16 Mr. Wargo, Dr. Wargo, you specified a minimum
17 18-month shelf life. What was the basis of that
18 minimum specifications? Sorry to bring the point
19 again, but I think it's important.

20 DR. WARGO: To address the initial --

21 MR. UNIDENTIFIED SPEAKER: Can't hear
22 you.

0155

1 DR. WARGO: Hello. To address the
2 initial concept of I believe you asked stability and
3 consistency, I think it comes down to the assay test
4 as we described. I think, you know, there's a lot
5 of concern around the table today about patients
6 receiving uniform doses. I think that, you know,
7 there is certainly a test for individual content
8 uniformity and that's USP content uniformity tests.
9 And you know right now USP limits are 85 to
10 115 percent on individual limits.

11 With respect to the stability, I don't
12 believe that consistency with respect to individual
13 tablets is a current USP test. These are again
14 composite assays that are done on multitudes of
15 tablets and then aliquots taken from that and then
16 assayed.

17 So I think the issues are, yes,
18 stability is a concern, but one of the items that
19 really isn't part of the request of March of 2006
20 was an analysis of content uniformity of these
21 products, more focus on stability.

22 Your second part of your question I

0156

1 don't recall.

2 DR. SINGPURWALL: Minimum 18-month shelf
3 life, how did you come to that recommendation?

4 DR. WARGO: Well, again, our product is
5 currently approved for 24 months. We voluntarily
6 market for 18 months. We feel that over this period
7 of time it should demonstrate adequate stability,
8 again when patients are receiving three plus months
9 of medication sometimes with respect to a medication
10 like Levothyroxine, mail order, et cetera.

11 And additionally, a lot of, I think it
12 was mentioned earlier, a lot of the wholesalers and
13 pharmacy chains do not want to accept product into
14 their market, well into their stores without at
15 least 12 months of shelf life.

16 And just to indicate, too, our shelf
17 life or our stability dating actually begins the
18 date that we combine our drug with our excipients,
19 it's not --

20 (End of Track 6 on CD).

21 (Beginning of Track 7 on CD).

22 DR. WARGO: -- the final dosage form

0157

1 when we're ready to ship it out the door. Our
2 ex-dating begins the first date that the drug is

3 either manufactured or manipulated in any way or
4 sees any other excipients.

5 MR. UNIDENTIFIED SPEAKER: Can I have a
6 follow-up question, I don't think you addressed the
7 question which is what's the rationale to make the
8 FDA demand an 18-month shelf life?

9 Isn't that the, isn't that the question,
10 because other, if a company can't meet the stability
11 criterion and they don't have an 18-month shelf
12 life, they won't be able to market their drugs
13 potentially. You haven't really answered that
14 question, why should that be part of the criteria.

15 DR. WARGO: It's just a recommendation,
16 we feel that this, with all the anxiety around this
17 product that it should be, it should be some type of
18 measure of quality. If you have a longer expiration
19 dating, it should be some indication of the quality
20 of your product on the market.

21 MR. UNIDENTIFIED SPEAKER: There's
22 really no good rationale.

0158

1 DR. WATTS: Dr. Henderson, questions,
2 comments?

3 Dr. Tuttle?

4 DR. TUTTLE: Comment and then a
5 question. This whole issue regarding the clinical
6 significance that everybody keeps asking us clinical
7 guys, the trouble is it's, we think it's significant
8 when we see doses between 137 and 150, but if you're
9 treating a bunch of people that have some underlying
10 thyroid disease, it's hard to see it in an
11 individual patient.

12 You have to come to my practice where I
13 treat exclusively thyroid cancer. These are thyroid
14 cancer patients that come to Memorial Sloan
15 Kettering, they perceive themselves as very
16 seriously ill and sick.

17 I've got the most motivated patients on
18 the planet. They don't miss their pills, they take
19 it on an empty stomach, they measure their thyroid
20 blood test every six weeks and when they are not
21 calling me, they are calling Dr. Burman.

22 So in this group of patients it's very

0159

1 clear to me that if I make a dose change between 137
2 and 150, we easily see changes in TSH and in fact
3 many of these patients will become symptomatic with
4 rapid heart beats and nervousness and anxiety.

5 So in that group of patients where
6 you've controlled almost all of the variables except
7 bioavailability in dosing, there's no question that
8 these 10 percent changes, in fact in many of my
9 patients they are taking one pill a day and a half a
10 pill on Sunday. Like Monica was saying, we're
11 making very tiny dose adjustments.

12 So in that group of patients, which is
13 the group to study what the end product of

14 bioavailability, there's no question that these
15 10 percent changes makes a difference.

16 The question that I have is whether this
17 decline in potency over time is, in fact,
18 reproducible, because we've got decline in potency
19 data at the time people put their applications in,
20 but if you re-do that experiment a year later with
21 your next batch or two years later, you know,
22 Levothyroxine is not wine, we don't age it for

0160
1 18 months before we put it out.

2 If we think about changing these potency
3 requirements, how do we know that the decline in
4 potency that came with these new applications is the
5 same as what we're going to see a year or two years
6 later if we don't really understand what the change
7 in potency is caused from?

8 Do we know that at all?

9 DR. DUFFY: Well with respect to the
10 last part of your question that is do we know what
11 contributes to the potency loss, I think the answer
12 is probably in many cases no.

13 But I think you were asking in the
14 initial part of the question was whether the
15 observed stability profile of product during the
16 review process is different from that in, of the
17 two, of the marketed product.

18 MR. UNIDENTIFIED SPEAKER: That's
19 correct.

20 DR. DUFFY: And that's precisely why we
21 requested the companies to send the additional data
22 of, stability data from marketed products during the

0161
1 time frames that we had, that two-year time frame
2 that we indicated.

3 DR. TUTTLE: Have you looked at
4 specifically like 100 microgram 1,000 count bottle
5 that was done this year and then was done the next
6 year and done the next year, do those profile curves
7 overlap each other, because you showed just summary
8 data at each time point?

9 DR. DUFFY: No, what we showed were
10 individual lot data, so when we had a listing of, I
11 don't know if you want to put them up, we had, when
12 there were multiple curves on a particular plot,
13 those represented individual lots and they would
14 have been manufactured at different time points.

15 DR. TUTTLE: Okay, so you included time
16 points in those, so those were not just multiple
17 samples in the same lot one time?

18 DR. DUFFY: No, those were individual
19 lots manufactured at different times. Usually these
20 products are manufactured on what's referred to as a
21 campaign basis, so they'll set up to run, I don't
22 know, X, N number of batches during a certain time

0162
1 frame and then a few months later they say okay, we

2 need some more of that strength and that packaging
3 configuration and then they'll run it again.

4 So what we saw was a compilation of
5 those batches manufactured at different time points,
6 but the data were individual lots.

7 DR. TUTTLE: So I guess what I'm missing
8 is what some of the original people were asking for
9 was the standard error bars off of those points to
10 get some feel -- I still don't have a feel, it's
11 hard for me to believe that those potency curves are
12 going to be reliable year after year after year when
13 we don't really know what's causing the change in
14 the potency and if we ask companies to decrease down
15 to 5 percent, do they have to re-do this for us once
16 a year, do they do it every six months? You know,
17 we're going to be constantly 18 months behind
18 looking at sort of how those potency things are
19 changed.

20 DR. DUFFY: Well if you're interested in
21 the standard errors, maybe the industry participants
22 can contribute what they know about their products

0163

1 in terms of variability, but I think it was evident
2 from some of the data that we presented that they
3 are inter lot variability and quite honestly until
4 the proper scientific work is done to address the
5 quality issues to design the product properly, to
6 assure lot to lot consistently, I think we can
7 expect to see continued variability, inter lot
8 variability.

9 But the quality issues need to be
10 addressed, the root cause needs to be addressed
11 through a good development process.

12 DR. WATTS: Dr. Ryder?

13 Dr. Fackler?

14 DR. FACKLER: I have a question to
15 Dr. Tuttle about the patients that are taking their
16 medication very reproducibly and their TSH levels
17 change every six weeks or perhaps change, what would
18 those changes be due to and would you suspect that
19 maybe the change in the TSH that you measure is due
20 to them getting a new lot of sub potent product or
21 if they are on exactly the same potency of product,
22 do their levels change due to other factors in their

0164

1 lives?

2 And is it possible to correlate directly
3 the potency issue to how the patients are reacting?

4 DR. TUTTLE: Yeah, that's, I mean that's
5 a real critical component of taking care of the
6 patients. Every time I come to these meetings I
7 find there's something else I have to worry about in
8 terms of the variability. Most of the time we don't
9 really know, but if they are taking it consistently
10 and if they are staying on the same brand, we see
11 fairly minor differences that clinically are
12 probably not very apparent.

13 With that being said, we still see
14 patients that for no clear reason to me get a
15 change. My guess is it's really a change in their
16 diet, it's a change in their weight, it's a change
17 in their lifestyle or they are buying a product
18 that's, you know, different in some way.
19 So even with all things considered in
20 those thyroid cancer patients, we still see some
21 variability person to person that we can't explain.
22 DR. WATTS: Dr. Swadener. Comments,

0165

1 questions?
2 Dr. Flegal?
3 Dr. Woolf?
4 DR. WOOLF: Yeah, I'd like to expand on
5 Mike Tuttle's comments.
6 My practice is newly exclusively thyroid
7 disease, although not thyroid cancer. It's always
8 difficult to talk about the practices of a group of
9 endocrinologists, but I'll try.

10 And that is I will venture to say that
11 every one of us has had to adjust a dose of thyroid
12 hormone not because we know that the product is
13 degraded over time, but because patients have been
14 shifted for one reason or another to a different
15 brand.

16 Some of these patients come to me
17 because they are concerned that the pill looks
18 different and it is not the pink pill, it's a white
19 pill or whatever and they have symptoms which may or
20 may not be related to the thyroid disease. Other
21 patients have, clearly have symptoms of either
22 deficiency or excess. In any case, it requires an

0166

1 extra visit, at extra cost, an extra inconvenience.
2 In my hospital we get reimbursed roughly
3 40 dollars for a 3T4 and a TSH. I get reimbursed
4 something for my time and whatever savings they are
5 getting from a switch to a generic are more than
6 expended by the extra testing.

7 So the natural history, I mean at least
8 several times a year this happens to me in my
9 practice and I'm not in full time practice, so it
10 happens throughout the community. We have no idea,
11 we have no knowledge that a patient was started on a
12 tablet that's, has 12 months to go in their shelf
13 life and the next time they fill it it only has
14 three months.

15 That experiment has never been done and
16 probably never can be done, but changing in brands
17 is well known and I'm sure we're going to hear more
18 about that later and that does require extra visits,
19 extra expense and changes in well-being, not to
20 mention psyche.

21 DR. WATTS: Dr. Schambelan.

22 DR. SCHAMBELAN: Yeah, I'd just like to

0167

1 re-emphasize what Dr. Tuttle and Woolf said and
2 extend it into a different setting in my case, an
3 inner city safety net hospital with a huge disease
4 of, thyroid disease burden, thyroid cancer, but also
5 cancer who get radioactive iodine patients who get
6 radioactive iodine and then have to be regulated
7 after that.

8 And this is a real severe clinical
9 problem for us, requiring extensive, you know,
10 return visits to a subspecialty clinic as opposed to
11 simply being able to find a dose, get the patient
12 stable and send them back to their primary, never to
13 be seen again. That would be in the optimal
14 situation.

15 But I think working with these patients,
16 dealing with issues that have already been pointed
17 out in terms of co-medications, time of day,
18 et cetera, still this variability is there and I'm
19 really struck by the, under the optimal conditions
20 of 90 percent of the drug is gone within the
21 expiration date per a number but not all of these
22 products.

0168

1 So I have a, I want to make sure that I
2 understand industry's position here.

3 What I'm hearing is that all of these
4 tests have been done in sealed bottles under optimal
5 conditions and that no one has looked independently
6 at what happens if you open up a bottle and then
7 without doing anything else, you don't put it in
8 your bathroom, you just simply let it sit on the
9 shelf and you go in there and you pull it out every
10 three months, you've never done that study to see
11 what the stability is under that condition? I want
12 to make sure that all of the people from industry
13 can say that because I sort of heard that in spots.

14 And then I want to turn around and ask
15 the FDA, why aren't we testing drugs under more real
16 world conditions, particularly when this 90 percent
17 figure may be the best leveracy and it may be
18 70 percent or 50 or who knows. So industry first
19 and then maybe the agency.

20 DR. WATTS: No one from industry has
21 done such a study?

22 Well I think that others have suggested

0169

1 that this is reasonable information that a
2 manufacturer would want to know even if it's not
3 required by the agency, but apparently no one has
4 been curious enough to want to know that.

5 MS. UNIDENTIFIED SPEAKER: Dr. Wargo on
6 his slide says that there is degradation upon
7 exposure to light, moisture, oxygen and
8 carbohydrates, so could you share those data with
9 us?

10 DR. WARGO: Let me address the first
11 question.

12 DR. WATTS: Let's please go in order.
13 So the first question is no one's been
14 curious enough to take a bottle and open it every
15 day for three months and then see what happens at
16 the end?

17 MR. UNIDENTIFIED SPEAKER: I obviously
18 can't speak for the other companies, but our firm
19 passed all the criteria that we were given which I
20 believe was more rigorous than most firms were
21 given.

22 DR. WATTS: I understand that.

0170

1 MR. UNIDENTIFIED SPEAKER: And so I
2 would, but I would say that to, then, those firms
3 with the poor data, perhaps it should be a
4 consideration to make those firms challenge their
5 product under such circumstances.

6 DR. WATTS: I'm not here to debate
7 between --

8 MR. UNIDENTIFIED SPEAKER: Never been a
9 discussion.

10 DR. WATTS: I'm not here to debate
11 between firms, I'm simply asking what Dr. Schambelan
12 has asked to be sure that no firm has been curious
13 enough, regardless of what the FDA requires, to see
14 what happens when you open the bottle every day.

15 MR. UNIDENTIFIED SPEAKER: My firm has
16 not because we passed all the data that -- the
17 testing that we were required.

18 DR. WATTS: You've said that and I
19 understand, okay. So the answer to your question,
20 Maury, is no.

21 Okay. Dr. Wargo was asked a question.

22 DR. WARGO: We have not done that study.

0171

1 To address your second question, the
2 degradation mechanisms of Levothyroxine have been
3 well published throughout the literature for many
4 years now with regard to degradation of these
5 compounds and what happens. The difficulty becomes
6 when you do get into issues of different formulation
7 variables, and again, I'll emphasize it's not just
8 formulation, but manufacturing also, you may have
9 one instance where one certain combination
10 manufactured via certain given conditions produces a
11 very stable product and maybe in another set of
12 manufacturing conditions produces an unstable
13 product.

14 Just a comment with regard to the study
15 since I am a registered pharmacist in Pennsylvania.
16 I think some of the, I think the possibilities of
17 what patients do with their medication when they go
18 home is endless. I think it would be very difficult
19 to assess what's going to happen, you know,
20 generalize with a set of given responsibilities and
21 that there should be some responsibilities of the
22 pharmacists upon dispensing any medication to

0172

1 properly educate their patients in proper storage.

2 DR. WATTS: Dr. Selassie, any comments,
3 questions?

4 DR. SELASSIE: Yeah, I have a couple of
5 questions and ones for the clinicians.

6 Have there been any extensive studies
7 done on correlations between therapeutic efficacy
8 and the potency of the tablets or of the medications
9 that they are taking, like over a period of time?

10 DR. WATTS: Not sure what you mean by
11 that. I mean generally patients, I'm a clinician --

12 DR. SELASSIE: No, you're looking at
13 obviously a biological end point with your patients,
14 and do you all correlate like the blood levels or
15 whatever?

16 DR. WATTS: What is the, for most
17 patients the test that we rely on is their level of
18 thyroid stimulating hormone which is their own
19 body's signal as to whether or not their thyroid
20 hormone level is where it's supposed to be for them
21 and it's an exquisitely sensitive signal, so if
22 someone's thyroid level drops by about two-fold, the

0173

1 thyroid stimulating hormone level in the blood rises
2 by about 50 fold.

3 So a little difference in the blood
4 level of thyroid hormone can, is amplified
5 considerably in the TSH test and for most patients,
6 at least who have primary thyroid disease, that's
7 the test that we monitor and it allows us to target
8 the patient where they were supposed to be in the
9 first place.

10 And so generally if they are on the
11 right dose, unless they are changing their dosing
12 habits or unless they change their weight, which is
13 a determinant of how much they need, generally the
14 dose is predictable from day to day, week to week,
15 month to month, year to year.

16 DR. SELASSIE: But, you know, if they
17 are on the same dose for a considerable period of
18 time, do you see variations even then?

19 DR. WATTS: Yes, but again, it's hard to
20 know and I've listed a number of the variables and
21 it's not a complete list, if they miss a dose or if
22 they take it with food or without or with other

0174

1 medicines that might interfere with absorption, take
2 extra doses.

3 DR. SELASSIE: Okay. And I have one
4 question for the FDA, for Eric, does the FDA have a
5 complaint history of all the currently used LT4
6 products?

7 DR. DUFFY: I would have to refer that
8 to the, my clinical colleagues.

9 Are you referring to quality complaints
10 or?

11 DR. SELASSIE: Like adverse events?

12 DR. DUFFY: Yes, there's a reporting
13 system for that.

14 Dr. Parks.

15 DR. PARKS: The agency has looked at the
16 spontaneous post marketing adverse event reports and
17 we will, I will acknowledge that we have received
18 reports of lost efficacy or symptoms that sound like
19 hypothyroidism, but the point that we, I need to
20 make here is that we can't rely on spontaneous post
21 marketing adverse event reports to really help us
22 resolve these issues or answer the questions

0175

1 presented here today.

2 As we know, not just for LT4 products,
3 adverse event reports for LT4 products, but any
4 other drugs that are reported in the system, there
5 are limitations in the system. Specifically for
6 LT4, a lot of these reports came in and we didn't
7 have labs, they were just clinical reports.

8 Now I recognize that there are some with
9 labs as well, Dr. Tuttle is nodding his head. We
10 also didn't get information regarding on the product
11 name. A lot of them came in just as Levothyroxine
12 sodium, so we don't know what product the patient
13 was on before and what product the patient was
14 switched to. Sometimes we get brand name to
15 generic, generic to brand name.

16 And as you've heard today, you've heard
17 a lot, actually Dr. Watts has mentioned, but all the
18 other factors that influence the loss or the
19 variability in potency of these products, other
20 medications being used, taken with food, that
21 information is not reported with the adverse events
22 system. And so yes, we have looked at this, yes, we

0176

1 have received reports of adverse events.

2 I'd emphasize that the risk can never be
3 determined from this system, but I believe one of
4 the applicants tried to characterize the risks, I
5 believe it was Mylan who actually put up a chart and
6 often what they do is they look at the number of
7 prescriptions dispensed in that period of time and
8 if you call that slide that he put up, it was a
9 very, very small percentage, but that by all means
10 does not equate to risk of this. It's just not a
11 system that we can rely on to help resolve the
12 issues today.

13 DR. WATTS: Dr. Levitsky.

14 DR. LEVITSKY: As a clinician, I'll save
15 my comments about the affects of these changes on
16 neurologic development in newborns for this
17 afternoon, but what I would like to ask is a very
18 specific question of the FDA and of the
19 manufacturers, perhaps of Dr. Wargo particularly.

20 What I heard from the FDA was that in
21 the best of all Panglossian worlds, the assay

22 variability is so small as to not be effective, so
0177

1 if we could have 100 percent product and at the end
2 of two years 100 percent product, the assay
3 variability would not be an issue.

4 What I heard from Dr. Wargo is that
5 using the HPLC USP defined assay, there was maybe a
6 2 percent variability here and a half percent
7 variable there and we add it in and we put in
8 two SDs, I guess, you come up with about a 7 percent
9 variability and that's what they're playing with.

10 So, what is correct? How much can we
11 ask of the manufacturers if that's the variability
12 in the assay? Is that truly the variability or is
13 the variability less. I think we need that
14 information to define what we can ask of the
15 manufacturers.

16 DR. WARGO: With most
17 chromatographic assays, we're talking about just
18 inherent instrument variability of about 2 percent
19 and that's, regardless of probably what you're
20 testing, you are going to have about 2 percent
21 variability on any given day.

22 With respect to our analysis of and use
0178

1 of the specified USP method for Levothyroxine, we
2 see approximately two and a half percent variability
3 with that assay. It's not an additive effect.

4 When we analyze this product, there is
5 inherently about 2 and a half percent total
6 variability via just analytical instrumentation
7 variability. So it's not 7, it's about 2 and a
8 half.

9 DR. WATTS: Dr. Dobs.

10 DR. DOBS: Yeah, the clinical
11 significance --

12 MR. UNIDENTIFIED SPEAKER: Could I get a
13 comment from the FDA?

14 DR. DUFFY: Yes, in terms of assay
15 variability, one tool that is used to try to
16 minimize that individual assay variability, for
17 example, that you inject a sample into an HPLC, you
18 have some inherent variability as has been referred
19 to, replicate injections, replicate assays are done
20 to help to address some of that.

21 DR. LEVITSKY: But I'm trying to address
22 how the manufacturers are being asked to do this and
0179

1 whether this is helping to reduce that variability?

2 I haven't defined that yet.

3 DR. WATTS: So I've had the same
4 question as Dr. Duffy showed us these time points, I
5 don't know how many sample runs are represented by
6 each of those data points and the lack of the error
7 bars is, to a scientist, very distressing. And I
8 still haven't heard and one of the things I would
9 hope for after all of this is that there's more

10 clarity or transparency in what's required for these
11 testings, regardless of what the margins of
12 acceptability are.

13 DR. DOBS: The clinical significance of
14 this does vary by the patient population and we've
15 heard by Dr. Tuttle as an example of in thyroid
16 cancer patients, but most patients who are treated
17 really have hypothyroidism or thyroid insufficiency
18 and in that situation we could debate a great deal
19 about what a significance of a TSH of 1 versus
20 3 versus 4.5.

21 The whole secular trend in endocrinology
22 has been to treat more aggressively endocrine

0180

1 diseases. We now treat subclinical hypothyroidism
2 or subtle complaints much more aggressively than we
3 did 10 or 15 years ago because we have the
4 technology to measure their TSH, but in reality it
5 may not make that much of a difference and we could
6 discuss this in detail as to what is the proper dose
7 and what is the affect of the purity of the compound
8 versus the other variables we've discussed.

9 I do have a question for the FDA and
10 that is is hypertensive drugs held to the same
11 discussion? We keep thinking that thyroid drugs
12 need to be measured in the bathroom, but is that the
13 same for every other drug that we use?

14 And the other thing is, in fact, I've
15 heard very good data from the drug companies saying
16 that they could go 5 percent plus or minus, why is
17 that data different than what we heard earlier this
18 morning when you were talking of a 10 percent?

19 DR. DUFFY: Yes, the, well, in terms of
20 are all drugs tested with the same rigor, stability
21 tested, the answer is essentially yes.

22 Now, this issue about the data that was

0181

1 presented and the variability, I'd like to refer to
2 my colleague, Dr. Lewis, he can describe how, he put
3 these charts together, I'd like to have him describe
4 more clearly exactly what we were looking at.

5 DR. MEYER: I would like to add to that,
6 too, that there are two things that make this
7 situation different from, say, anti-hypertensive
8 drug. One is that this drug has what has been
9 termed to be a narrow therapeutic index. The
10 differences matter more.

11 The other thing is as Dr. Duffy
12 previously has said, this drug behaves less
13 poorly -- or less well over time. While the
14 standards may be the same for many of the drugs as
15 far as the stability testing, many drugs at their
16 expiration dating period don't get anywhere near the
17 90 percent degradation level.

18 DR. LEWIS: This is David Lewis, I'm
19 with ONDQA, and I help put together the charts and
20 we've had some questions about the lack of error

21 bars. Every data point that you saw on every one of
22 the charts represented a single assay result for a
0182

1 single lot at a single time period.

2 An assay was made up from a composite of
3 tablets dissolved in a vehicle to give a target
4 concentration. The method defines a number of
5 replicate injections and the result would be an
6 average of three replicate injections of the same
7 sample. The results are, do not require to have an
8 error bar, but those results represent a single
9 regulatory test result, so on the charts that had
10 eight or nine different lines, that represents eight
11 or nine different lots of product.

12 We did not want to average because that
13 would involve statistical pooling and manipulation
14 of data. We just wanted to present the data that
15 was given to us by the companies without any
16 massaging. The only thing we did is we converted
17 percent label claim to micrograms per tablet.

18 DR. WATTS: With all due respect, if
19 each data point represents three measurements, the
20 average of three measurements, then there is an
21 error around that point and as scientists we want to
22 see what that variability is.

0183

1 DR. LEWIS: Yes, there would be a, the
2 three points would be, they would give you numbers
3 that could be different, but they are three
4 replicate injections of the same sample, so, yes,
5 you would, you would expect those to be small, but
6 if it had been three replicate samples, you would
7 expect it to be bigger.

8 But that happens to be the regulatory
9 analytical method and that's pretty common across
10 all of the companies assays that you do more than
11 one injection of your sample.

12 DR. WATTS: That makes sense, but if the
13 variability of the measure is 2 percent, then the
14 variability around those three measures on average
15 is going to be 2 percent and there's going to be a
16 band of confidence around each of those data points.

17 And that's the sort of thing I would
18 want to see and that would be of interest to
19 machining manufacturers, are there broader
20 confidence bands for some than for others on those
21 three replicate runs. That would talk more about
22 methodology, but also could talk about stability.

0184

1 Dr. Karol.

2 DR. KAROL: Yeah, one of the problems
3 with giving us such a beautiful set of handouts is
4 that you can look at them and ask questions, so I'd
5 like to ask Dr. Wargo just one more question and
6 that is about complaint history and although there
7 is a very small number as far as the percentage of
8 complaint histories, a lot of them deal with quality

9 control and I wonder if you could elaborate a bit
10 more about that type of complaint and does this
11 occur towards the end of the shelf life of a
12 compound and whether you followed through on these
13 complaints?

14 MR. SISCO: My name is Frank Sisco and
15 I'm the head of regulatory at Mylan and I'll address
16 that question.

17 The quality complaints, again, those can
18 be a myriad of complaints in terms of, oh, you know,
19 might be a little bit of discoloration or could be a
20 chipped tablet or something like that. I mean I
21 don't have a line listing of what those complaints
22 are and we would have to go back and look.

0185

1 It, we don't often have data in terms of
2 even what the lot number is on some of those
3 products to be able to go back and look to determine
4 in a matter of time what, you know, what that
5 product is in terms of the time it was manufactured
6 versus the time we got a complaint.

7 We could certainly go back and look at
8 the data that we have in that regard, but that's
9 typically not something that you can garner.

10 DR. WATTS: Dr. Carpenter.

11 Dr. Meyer?

12 DR. MEYER: A couple of comments and
13 then a question.

14 Some people have suggested that the firm
15 should design some real world experiment in which
16 you take into account at least some of the extreme
17 conditions that a tablet might encounter during its
18 life time. I think that's generally impractical
19 because --

20 (End of Track 7 on CD).

21 (Beginning of Track 8 on CD).

22 DR. MEYER: -- because if I did one, I

0186

1 would do it on the beach of Fort Lauderdale, someone
2 else would take it in a snowstorm in Indianapolis
3 and there would be an infinite number of variables.

4 I think if someone comes to the vice
5 president of his or her company and says I would
6 like to design such a study and in fact I did and it
7 shows our product is unstable in the Summer in
8 Bermuda and I'm going to send that to the FDA, that
9 would be one ex-employee that we would have to deal
10 with. So I think that's an impractical thing to
11 ask.

12 Getting back to a comment I made early
13 this morning, we saw data from at least three
14 companies today, GenPharm, Jerome Stevens and Mylan
15 that said they could routinely meet 95 to 105 in
16 stability, in potency, in content uniformity,
17 whatever, I don't know about the other four
18 companies that were tested, but there's three right
19 there, so if we can do it, let's do it. I believe

20 you control what you can control -- what you can
21 control and what you can't control you keep that in
22 the back of your mind while you're treating

0187

1 patients.

2 Finally, my question is if you're doing
3 a stability study and I guess the industry or the
4 FDA could respond and you have reasonably good data
5 at 12 months and your product is still out there in
6 the marketplace and at 18 months, oops, now you're
7 down at 89 percent or whatever the limit might be,
8 what do you do about that?

9 Do you recall everything, do you re-do
10 your stability limits, do you try to explain it
11 away?

12 What about the time between 12 months
13 and 18 months when you weren't doing any stability
14 studies and people were actually getting your
15 product that may have fallen below specs before the
16 time you did your 18 month?

17 So what happens in the real world to a
18 product that falls out of specs somewhere between
19 12 and 18 months?

20 MR. SISCO: From an industry
21 perspective, you'd recall the product. I mean
22 there's, I mean we have product -- established

0188

1 specifications that are approved by the agency, if
2 we have an ex date of 18 or 24 months and that
3 product falls out of spec in that period of time,
4 it's a recall.

5 DR. MEYER: Would a company then, if,
6 let's say the limit was 90 percent and you kind of
7 came in at 91 percent at 12 months, would you do
8 more frequent stability studies or sampling or would
9 you just pray that it's going to stick there at
10 90 percent at 18 months?

11 MR. SISCO: I am a religious person,
12 but, no, we certainly, I mean we, we evaluate our
13 products all through and again as it was indicated,
14 you know, your requirement is to put at least one
15 lot on stability of each strength in every year.

16 If we have something that's certainly
17 trending and looking at its trending downward, we
18 would potentially sample more frequently to take a
19 look at that particular lot. We wouldn't, you know,
20 automatically panic and want to recall something,
21 but certainly if it did get to a point where it was
22 going to or if it exceeded its specification, that

0189

1 would be a recall situation.

2 DR. WATTS: Dr. Rosen.

3 DR. ROSEN: I'll make this very brief.

4 I think I'd like to re-enforce what

5 Dr. McClung and we and others have talked about and
6 that is variability and this is just one component
7 of lot potency and variability over time.

8 So if you take the assay and it might
9 have 2 percent variance, lot variability and that
10 may have 2 percent variance, potency may be as much
11 as 5 to 10 percent loss, diet, weight, hormonal
12 status may affect it by 10 percent, timing of when
13 the pill is ingested by 5 percent, assay for TSH
14 vary as much as 5 percent in non-research
15 laboratories, residual thyroid function compliance,
16 you may get as much as 50 percent variation from
17 time to time in a given subject and every one of us
18 as clinicians sees that all the time.

19 All we're looking for is trying to
20 reduce that variability and I just want to echo what
21 Dr. Meyer said, if we can do it, we should do it.
22 It's one less factor.

0190

1 I mean I'm amazed after coming to this
2 meeting to see that kind of variability and I'd
3 welcome an opportunity to reduce that variability by
4 narrowing the limits.

5 MS. UNIDENTIFIED SPEAKER: I'd just
6 like to thank Dr. Rosen for answering my questions
7 before I asked them.

8 DR. WATTS: I have something to read
9 before lunch, in the spirit of the Federal Advisory
10 Committee Act and its Sunshine Amendment, we ask the
11 committee to limit their conversations on the
12 meeting topic to when we reconvene and not to
13 discuss the topic over lunch.

14 We ask the audience to please respect
15 this by not asking the committee members to engage
16 in such discussions until the meeting has adjourned.

17 In the restaurant there is an area set
18 aside for committee members and a buffet lunch.

19 We'll reconvene at 1 p.m.
20 (End of Track 8 on CD).

21

22

0191

1 October 4th, 2006, afternoon session.

2 (Beginning Track 1 on CD).

3 DR. WATTS: To start the afternoon
4 session, I have to read this.

5 Both the Food and Drug Administration
6 and the public believe in a transparent process for
7 information gathering and decision-making.

8 To ensure such transparency at the open
9 public hearing session of the advisory committee
10 meeting, FDA believes that it is important to
11 understand the context of an individual's
12 presentation.

13 For this reason, the FDA encourages you,
14 the open public hearing speaker, at the beginning of
15 your written or oral statement to advise the
16 committee of any financial relationship that you may
17 have with any company or any group that is likely to
18 be impacted by the topic of this meeting.

19 For example, the financial information
20 may include a company's or a group's payment of your
21 travel, lodging or other expenses in connection with
22 your attendance at the meeting.

0192

1 Likewise, FDA encourages you at the
2 beginning of your statement to advise the committee
3 if you do not have such financial relationships. If
4 you choose not to answer this issue of financial
5 relationships at the beginning of your statement, it
6 will not preclude you from speaking.

7 We have three speakers from this
8 afternoon. The first is representing the Endocrine
9 Society, speaker number 1.

10 DR. WARTOFSKY: While we are getting the
11 slides on in conformance with the instruction, I'm
12 Leonard Wartofsky, president of the Endocrine
13 Society.

14 Although, I have been on the speakers
15 bureau, I think of every company that makes a
16 Levothyroxine preparation, I am currently neither a
17 consultant nor in any way receiving any compensation
18 from any pharmaceutical houses that might have some
19 interest here today.

20 And I thank you for the opportunity to
21 address you on some of the issues that are really
22 very critical to clinicians, members of our three

0193

1 societies and listed here and as you've heard from a
2 number of the members of the panel, the clinician
3 members of the panel.

4 You've heard that Levothyroxine is a
5 narrow therapeutic index range or an NTI drug, in
6 this way is comparable to Coumadin or Warfarin, Dig,
7 Dilantin, or Phenytoin, in that the levels have to
8 be very carefully regulated by our physicians.

9 You've seen this slide from Dr. Parks
10 this morning looking at the differences between the
11 dosage strengths, as little as 9 percent, as much as
12 10, 12, 17 percent differences that make a big
13 difference to we clinicians.

14 The issue comes up when Levothyroxine
15 products are substituted one for the other and I
16 have to remind you of these clinical entities of
17 subclinical thyroid disease, subclinical of hyper or
18 hypothyroidism, illustrating how useless a
19 measurement of serum Thyroxin, T4, may be an
20 emphasizing that TSH is the important measurement in
21 clinical medicine.

22 In these clinical states, the Thyroxin

0194

1 level, as well as the T3 level is normal, but the
2 TSH is either over suppressed or is slightly
3 elevated and both these states are associated with
4 clinical disease, particularly in certain vulnerable
5 populations.

6 Mild thyroid deficiency, subclinical

7 hypothyroidism is associated with elevated lipids,
8 with coronary disease, with an increased incidence
9 of heart attacks, myocardial infarction, slight
10 excesses of thyroid hormone, subclinical
11 hyperthyroidism, normal T4, low TSH, atrial
12 fibrillation and the risk of stroke or rate related
13 congestive heart failure and death.

14 Another population that's vulnerable are
15 those with low bone mineral density increasing the
16 risk of fractures. Our elderly patients have a
17 greater risk of cardiovascular symptoms, again
18 myocardial infarction or atrial fibrillation and
19 really not mentioned too much this morning, although
20 we did talk about children -- where pregnant woman
21 where a mild deficiency of thyroid hormone where
22 they've been on a stable dose of Levothyroxine then

0195

1 become pregnant, their requirement is increased.
2 And if that is not appropriately titrated and
3 adjusted, there is a much greater risk of fetal
4 death, of premature labor and a deleterious affect
5 on the IQ of the offspring.

6 You've heard from Dr. Tuttle this
7 morning about thyroid cancer patients, if we do not
8 titrate their TSH to the appropriate level, there
9 can be progression of tumor and metastatic disease
10 and in children, again briefly mentioned this
11 morning, problems with growth and development.

12 So these little differences between
13 Levothyroxine preparations are very important to us
14 and we titrate the dosage, we measure whether we've
15 achieved an appropriate dose not by measuring T4,
16 the pharmacokinetic parameter for FDA assessment of
17 bioequivalence, but by TSH, an entity that is not
18 recognized by the FDA as important in assessing
19 bioequivalence, not T4, TSH.

20 And, in fact, not total T4. When we
21 measure T4, we measure free Thyroxin, that's the
22 concentration of Thyroxin that is important at the

0196

1 tissue level, not the total T4. And these slight
2 increases or decreases in content are, indeed,
3 associated with adverse outcomes.

4 The problem of switching preparations
5 has an impact on physicians. It leads to more
6 office visits by patients, the need to justify the
7 reimbursement for these visits, as well as for the
8 follow-up TSH measurements and to try to explain,
9 are the patient's symptoms really due to the switch
10 or to some other problem, needless calls to
11 pharmacists to assess what tablet is the patient
12 taking and to correct it as necessary.

13 There's an impact on patients as well.
14 They don't feel quite right, they have to make more
15 office visits, time away from work, a financial
16 burden, as well as the cost of TSH testing, the
17 possible cost of complications, both from too much

18 or too little Levothyroxine.
19 FDA, itself, in 2000, indicated that
20 substitution of one Levothyroxine for another may
21 lead to a suboptimal response and hypothyroidism in
22 some cases. On the other hand, too much toxic

0197

1 manifestation, such as heart pain, palpitations
2 arrhythmia and in patients with underlying coronary
3 artery disease, a risk of myocardial infarction.

4 So what can we conclude? Thyroxin is a
5 narrow therapeutic index drug. We physicians
6 titrate dosage as a result to achieve the
7 appropriate narrow therapeutic range individualized
8 for our patients. We do this by measuring TSH, not
9 by T4, and the fact that Levothyroxine products can
10 differ by as much as 10 or 12 percent leads to
11 problems in titration, in management, the necessity
12 for repeat visits, repeat measurements of TSH, a
13 greater cost burden to the health care system.

14 So that notwithstanding, the greatest
15 risk is of adverse outcomes related to either
16 subclinical hypo or subclinical hyperthyroidism as
17 well as the impact on the patient themselves and the
18 pharmacists.

19 So, we do, indeed, need better methods
20 to assess bioequivalence and the quality of narrow
21 therapeutic index drugs like Thyroxin, so that when
22 pharmacists do switch products, we can still

0198

1 maintain good control of our patient's thyroid
2 status.

3 Current FDA standards are not
4 sufficiently sensitive to detect these differences
5 between products. We can talk about quality and
6 tablet content today and make sure that each
7 pharmaceutical company is making a tablet of stable
8 content and accurate, measurable, precise content,
9 but it still doesn't address the problem between
10 companies, between preparations that have been
11 adjudged to be bioequivalent but are not
12 bioequivalent because they've been inadequately
13 assessed to do that.

14 In fact, our one safeguard that was
15 discussed at the May 2005 meeting that was alluded
16 to this morning was the fact that there was a
17 warning on the label to patients, to pharmacists
18 that if your Levothyroxine preparation is switched,
19 you need to contact your physician, you need to have
20 your TSH re-measured and if out of control, you need
21 to have your dose re-titrated.

22 And the only result that I can see that

0199

1 came out of the May 2005 meeting was a negative
2 result, that the FDA removed this requirement from
3 Pharma to provide this warning for re-titration.

4 So current policy is very frustrating to
5 physicians, is unnecessarily expensive, wasting

6 resources and we believe is not serving the needs of
7 our patients.

8 Thank you for your attention.

9 DR. WATTS: Let me ask you,
10 Dr. Wartofsky, if you'd remain for just a moment. I
11 understand and appreciate your concerns, I would
12 like to also make this remark to the other speakers.

13 You really didn't address the issue
14 before the committee today, which is the issue of
15 stability and I wonder if you could take a moment
16 and let us know if the Endocrine Society has views
17 on that.

18 DR. WARTOFSKY: The Endocrine Society
19 representing 13,000 members, 8,000 clinicians in our
20 organizations is very concerned about quality,
21 content of tablets. Our interest would be for you
22 to have regulations that would provide and require

0200

1 Pharma to present consistent product with absolute,
2 accurate, precise content, but also address the
3 issue of bioavailability, bioequivalence between
4 products, because --

5 DR. WATTS: That's not what we're
6 debating today, so thank you.

7 DR. WARTOFSKY: But we are in favor of
8 more rigorous standards, the more rigorous that can
9 be met, the better as far as the Endocrine Society
10 is concerned.

11 DR. WATTS: Thank you.

12 Speaker number two is representing the
13 American Association of Clinical Endocrinologists.

14 DR. GARBER: Is there a pointer up? Can
15 somebody spare a pointer? Okay, well that may be a
16 good sign or a bad sign. That does the trick.

17 Thank you, I'm Jeffrey Garber and as
18 Dr. Watts told you, I'm representing the American
19 Association of Clinical Endocrinology and I'd like
20 to thank Dr. Watts for asking Dr. Wartofsky a
21 question about the relevance of his presentation as
22 he concluded it because I think up front I'd like to

0201

1 address that because I would be victim to the same
2 kind of question.

3 This presentation does not directly
4 address what you were discussing today.
5 Nonetheless, to not see its relationship is to sort
6 of miss the picture. This august committee is really
7 looking at intra product variability and addressing
8 that by asking for data to look at it. We're
9 looking at an area where the FDA hasn't even skimmed
10 the surface to check variation between products.

11 And the issue behind my talk is really
12 fairly straightforward and I hope to give you in the
13 next few minutes a bit of a primer on what the lay
14 of the land on various Thyroxin preparations are and
15 our state of affairs and that we're I think in a bit
16 of trouble.

17 My financial disclosures are that over
18 the years I have gotten reimbursed in various
19 capacities by King Pharmaceutical, Abbott and Sandoz
20 to the tune of less than 5,001 or perhaps less than
21 4,001 dollars per year. Thank you.

22 So, first, for those of you who aren't
0202

1 aware and I imagine that most people are but could
2 not necessarily recite them, Levothyroxine
3 preparations have AB ratings, an AB1 rating refer to
4 equivalence to Unithroid, 2 to Synthroid and 3 to
5 Levoxyl, and drugs within a therapeutic equivalence
6 rating will likely be interchanged within the same
7 three character products unless the prescriber
8 specifies no substitution, brand name necessary,
9 dispense as written. This varies from State to
10 State and it may even vary as a function of what
11 insurance you have. BX, and it's not a coincidence
12 that we're using red for BX and green for ABs, are
13 not interchangeable.

14 So, the following grid which I don't
15 expect many people to absorb very readily and
16 hopefully I'll make it a little easier for you. In
17 order to read the grid, for reference drugs, that is
18 drugs with proprietary names, you'll see a grid and
19 compare the column designation to the row
20 designation. For generic formulations, look at the
21 row and compare it to the column.

22 Now this is based on data posted on the
0203

1 FDA Website as of September 15th, 2006. I'd like to
2 let Dr. Southorn know and others that LT4 GenPharm
3 does not appear on that posting, so, as of September
4 15th, 2006, it was not there. Nonetheless, the
5 impact of this grid is not substantially changed, it
6 just made it a bit more complex.

7 So, a note, too, for those who are not
8 aware of the complexities behind the interchanges
9 that Levo T and LT4 Mylan are both AB2 to Synthroid
10 and AB 3 to Levoxyl but are not interchangeable,
11 they are BX with one another because they haven't
12 been compared to one another. Yet it stands to
13 reason, many people think if two things are
14 interchangeable with a common object, it should be,
15 and the reason for that is the tail-end phenomenon,
16 the one product may be within 90 percent, the other
17 within 110 percent, so A being equivalent to B and B
18 being equivalent to C doesn't mean that A equals C,
19 but many pharmacists and physicians do not know
20 this.

21 So all that being said, I don't know how
22 well you can read this grid which is 8 by 8 and if
0204

1 we added LT4 GenPharm it would be 9 by 9, the
2 potential combinations, that is if you walked into a
3 pharmacist with one preparation and you were subject
4 to random switching, there are 8 times 7 or 56

5 potential switches. If the grid was 9 by 9 it would
6 be 9 by 8 or 72 potential switches.

7 To clarify this, what are the switches
8 that are deemed equivalent if you were subject to
9 random switching.

10 Well, all the green boxes are allowable,
11 the red boxes are not, the yellow is identity, and
12 this is again as of September 15th, 2006.

13 So what does that mean, when
14 substitution becomes essentially random because
15 either the prescriber fails to specify something to
16 not let it be random or the pharmacist is not
17 completely familiar with the complex grid, the way
18 to calculate the odds of a random switch coming up
19 with something that the FDA approves or considers
20 therapeutical equivalent is 14 over 56 or 18 over 72
21 if we updated it or 25 percent coincidentally.

22 So, if we say eight Levothyroxine

0205

1 preparations or nine are available in the United
2 States according to leading professional societies,
3 AACE, ATA and the Endocrine Society, the FDA has
4 deemed some preparations to be therapeutically
5 equivalent that may not be. That's a separate
6 issue. We aren't discussing that today.

7 In any event, most preparations have not
8 been formally compared with one another, therefore
9 according to all, including the FDA, random
10 substitution of proprietary or generic preparations
11 with one another is not appropriate since most are
12 not therapeutically equivalent to one another or at
13 least we don't know they are.

14 So, the following has happened as a
15 result of this fairly complex grid of potential
16 switches. Patients may not know that their Thyroxin
17 preparations have been changed. Physicians
18 frequently do not know that different Thyroxin
19 preparations have been dispensed to their patients.
20 Many pharmacists and most physicians are not
21 conversant enough with recent modifications to the
22 therapeutic equivalence codes of available

0206

1 formulations to counsel patients properly about
2 their thyroid medication. Case in point was just
3 discussed as a sidebar.

4 So, in summary, simply put, it is too
5 complex. I think this is a public safety issue.
6 It's -- so in conclusion, it's become increasingly
7 unlikely that a patient will be given
8 therapeutically equivalent Thyroxin over time.

9 This constitutes a public safety issue
10 that the FDA has failed to address since May 23rd,
11 2005, when it was brought to its attention during an
12 equivalence of the Levothyroxine sodium products to
13 a public meeting.

14 Today's meeting is a step in the right
15 direction, but it doesn't address the broader issue

16 that we brought up at the time. This was brought up
17 in a circuitous way because we were told we were
18 given an opportunity to bring up things of clinical
19 relevance. We hope we've expanded your purview.

20 Thank you.

21 DR. HENNESSEY: Thank you, my name is
22 James Hennessey and I'm representing the American

0207

1 Thyroid Association, they are covering my expenses
2 today. I've also been a consultant in the past for
3 Abbott Laboratories as well as Novartis, I have some
4 research funding through Novartis.

5 Like the previous two speakers, I'm
6 bringing to the committee not exactly what the topic
7 of discussion is today, but other relevant clinical
8 topics and I was pleased to hear that several
9 questions were asked this morning about outcomes
10 from the current situation with Levothyroxine and
11 the product and my presentation will focus on those
12 outcomes.

13 The American Thyroid Association, along
14 with the American Association of Clinical
15 Endocrinologists and the Endocrine Society, put
16 together a pharmacovigilance attempt to survey our
17 membership, as well as others, to make an assessment
18 of what the current Levothyroxine safety profiles
19 are in the community.

20 In this effort, 12,000 E-mails were sent
21 to the AACE, ATA and Endocrine Society members near
22 the end of 2005 and then early in 2006, 18,000

0208

1 E-mails were sent out to frequent Levothyroxine
2 prescribers, as well as an additional 5,000 E-mails
3 to frequent thyroid extract prescribers.

4 The data that you'll see this afternoon
5 represents the 30,000 E-mails to Levothyroxine
6 prescribers, of which from these E-mails 1,421
7 responses were received, which is about a
8 4.7 percent response rate.

9 Of those 1,400 responses,
10 210 Levothyroxine prescribers completed adverse
11 event surveys which gives us 210 reports of some
12 issue coming up in patients using Levothyroxine for
13 therapy.

14 96 percent of these patients were at --
15 were considered compliant with their therapy. There
16 were a series of questions in these surveys so that
17 for the most part we were talking about patients who
18 were assessed by their reporting physicians to be
19 taking their medications accurately.

20 75 -- 77.5 percent, I'm sorry, did not
21 have confounding medications added during the period
22 of time that covered the adverse event report.

0209

1 These are the TSH by category from prior
2 to the event and post event. As you can see, a
3 16 percent rate of suppressed TSHs was seen before

4 any event. This would encompass thyroid cancer
5 patients, et cetera, and a small portion of those
6 being treated for hypothyroidism that were
7 considered to be over-replaced.

8 After the event, the rate of suppressed
9 TSHs jumped to 27.5 percent, indicating that there
10 had been a change in some respect in bioavailability
11 that was occurring with this particular event.

12 Prior to the event, the vast majority of
13 patients reported had been euthyroid with TSHs between
14 .5 and 1.9 and after the event, a minority of
15 patients were considered to be euthyroid, so a lack or
16 a loss of the euthyroid state was being reported by
17 the majority of these reports.

18 Prior to the event being reported, TSHs
19 were mildly elevated in about 6 percent and went up
20 to 22 percent as a result of this report and poor
21 control of the thyroid condition was reported in a
22 very small minority prior to the event and jumped to

0210

1 13.5 percent as a result of the report that we
2 received.

3 The thyroid hormone dosage had not been
4 changed between the visits in over three-quarters of
5 these patients and when asked whether there was a
6 change of the type of Levothyroxine involved in the
7 patient's treatment, 75 percent of those responding
8 said that the adverse event report was associated
9 with a change in the source of the Levothyroxine.
10 The majority were brand to generic, brand to another
11 brand or generic to generic accounting for that full
12 75 percent.

13 Asking whether that change from one
14 brand to another or brand to generic, et cetera, had
15 been accomplished with the physician's knowledge,
16 unfortunately nearly 85 percent of the respondents
17 said it was a surprise to them, the Levothyroxine
18 had been substituted at the pharmacy without the
19 physician's knowledge.

20 When asked when among these patients had
21 been changed whether there had been a serious
22 adverse event which was defined in the survey as

0211

1 anything resulting in any kind of clinical
2 consideration above and beyond changes in thyroid
3 function tests, the answer was yes. In
4 approximately 30 percent, urgent clinic visits were
5 required, in 14 of these events, hospitalization
6 occurred in 2, missed work in 8 cases, emergency
7 room visit in 1 case and other situations were
8 reported in 23 cases.

9 What follows are a few examples of the
10 types of clinical situations that occurred in this.

11 This is a case from a patient in Georgia
12 who was reported by the reporting physician to have
13 her thyroid cancer reoccur. She experienced
14 hypothyroid symptoms, including dry skin and

15 tiredness, had a change in her serum TSH after the
16 switch from a brand to a generic had been made at
17 the pharmacy without the knowledge of the treating
18 endocrinologist.

19 The patient was considered compliant
20 with the Levothyroxine therapy by both verbal
21 verification and pill counts. Pharmacy records had
22 also been consulted to confirm this.

0212

1 Confounding medications had not been
2 started in the interim which would have disrupted
3 absorption of her Levothyroxine product. The
4 patient was not pregnant. TSH was noted to be
5 between 5 to 10 after the change and was less than
6 .1 when it had been previously checked on the brand
7 name product.

8 Second patient illustration is from
9 Pennsylvania with coronary heart disease, treated
10 with Levothyroxine for hypothyroidism, changed from
11 a name brand to a generic. Subsequent development
12 of thyroid toxicosis and symptoms, the problem
13 abated when they were changed back to the original
14 preparation. Substitution occurred by a mail order
15 treatment pharmacy plan.

16 The adverse event was suspected by the
17 onset of new symptoms which were hyperthyroid in
18 nature with palpitations and weight loss, difficulty
19 sleeping. Compliance was verified by verbal
20 confirmation and no absorption or metabolism
21 altering medications had been noted. After the
22 stimulation, the TSH was essentially undetectible

0213

1 whereas it had been .5 and 2 where on a stable name
2 brand of therapy.

3 The third case is of a compliant
4 hypothyroid U.S. Army aviator living in Kentucky who
5 was grounded from flying duties when the brand name
6 Levothyroxine preparation he had been treated with
7 was switched to a generic at the pharmacy without
8 the treating endocrinologist's knowledge. His TSH
9 rose into the 5 to 10 range following the
10 substitution while it had been stable between .5 and
11 2 previously.

12 His endocrinologist who happened to be
13 his flight surgeon noted that to remain on flying
14 status, the hypothyroidism had to be adequately
15 treated. For example, the TSH needed to be in the
16 goal range and the change to the alternative
17 preparation resulted in his TSH raising into the
18 hypothyroid range. The reporting flight surgeon
19 grounded him from flying duties and made the comment
20 that this was expensive missed work.

21 The fourth illustration here is a
22 thyroid cancer patient who developed atrial

0214

1 fibrillation after a switch to a generic
2 Levothyroxine. The patient was followed in

3 Minnesota to maintain suppression of TSH in order to
4 minimize TSH stimulation of the residual thyroid
5 cancer tissue. Both symptoms such as palpitations
6 and a change in TSH were documented on the generic,
7 the TSH was less than .1, whereas it had been below
8 normal, but certainly detectable on stable brand
9 name treatment. The change to generic occurred at
10 the pharmacy without the knowledge of the treating
11 endocrinologist.

12 So in conclusion, in 1997 the FDA did
13 take action in regards to the NDA process after
14 receiving 58 adverse drug experience reports on the
15 potency of Levothyroxine products as we heard
16 earlier this morning. In 2006 we have received thus
17 far 210 adverse event reports which indicate --

18 (End of Track 1 on CD).

19 (Beginning of Track 2 on CD).

20 DR. HENNESSEY: -- indicate both super
21 and subpotency, 75 percent of these adverse events
22 have been associated with a change in the

0215

1 Levothyroxine source reported by the health care
2 professional and following these switches, I'm
3 sorry, that's a typo, 30 percent of these patients
4 were classified as having a serious adverse event
5 such as missed work, urgent visits, hospitalizations
6 and other events such as cancer reoccurrences.

7 We request of the AACE, ATA and
8 Endocrine Society and the Endocrine Society requests
9 that the FDA CDER reconsider the current methods for
10 the determination of Thyroxin bioequivalence.

11 The societies advocate the incorporation
12 of a pharmacodynamic marker of Thyroxin action such
13 as serum TSH into the process of bioequivalence
14 assessment and in so doing we believe that a greater
15 assurance of true interchangeability of products
16 determined to be therapeutically equivalent can be
17 achieved.

18 Thank you for your attention.

19 DR. WATTS: We are limiting the open
20 public hearing to these three speakers. They were
21 the only ones who had pre-registered by the
22 September 13th deadline.

0216

1 MR. UNIDENTIFIED SPEAKER: Mr. Chairman,
2 over here, is it permitted to ask questions of the
3 public speakers?

4 DR. WATTS: I think we're ahead of
5 schedule, that's fine.

6 MR. UNIDENTIFIED SPEAKER: It's
7 relatively quick on the last presentation.

8 DR. WATTS: Dr. Hennessey.

9 MR. UNIDENTIFIED SPEAKER:

10 Dr. Hennessey.

11 I was just wondering, the 75 percent
12 that were associated with switches we know are sort
13 of outside of the scope, but the 25 percent that

14 weren't, was there any root cause identified for the
15 25 percent that weren't associated with switching
16 from generic to innovator or vice versa, but just
17 within the given product?

18 DR. HENNESSEY: I'd have to look at,
19 this is our first go through of this data, this is
20 the first time it's being reported. I believe that
21 among the 25 percent where there was no change in
22 product assessed, for the most part there was also

0217

1 no particular explanation from the reporting
2 physician coming through. It's a very good
3 question. I think we should look at that 25 percent
4 and go through to see if we can tease out to see if
5 there are those with competing problems with
6 compliance as well as competing medications.

7 It's an excellent question. Thank you.

8 DR. WATTS: Okay, thank you speakers for
9 your remarks.

10 I think we can move ahead.

11 Dr. Parks. Dr. Parks will give us the
12 FDA summary of the issues.

13 DR. PARKS: Good afternoon. I've been
14 given the very difficult task of trying to summarize
15 everything that we've been discussing this morning.

16 As you've heard this morning,
17 Levothyroxine sodium is a widely-prescribed drug for
18 the treatment of a variety of thyroid disorders. It
19 should be evident that the product is medically
20 necessary for many patients and the public health
21 impact of the drug is immense given the extent of
22 its use. You've heard from the FDA presentations

0218

1 more than 13 million prescriptions in the U.S. I
2 believe one of the applicants had mentioned 1 out of
3 19 Americans take Levothyroxine every day.

4 You've also heard this morning that
5 proper dosing to ensure adequate treatment of
6 thyroid disorders while avoiding the clinical
7 consequences of over or undertreatment is essential
8 in the safe and effective use of Levothyroxine
9 sodium.

10 From Dr. Duffy's presentation and the
11 discussions this morning, we know that loss of
12 potency within a product occurs. There's also
13 variability in this loss of potency between dosage
14 strengths and between different package
15 presentations, but all currently approved products
16 have labeled expiry supported by data which meet
17 current USP potency specifications.

18 We've also heard that the
19 bioavailability of these products is impacted by
20 numerous other factors, whether it be other
21 medications, food. While these factors are
22 discussed in product labeling, we know that all

0219

1 conditions of use or storage of the product cannot

2 be controlled by any of us today to ensure very
3 little variability in potency.

4 One thing that we can improve is the
5 quality of the product to optimize pharmaceutical
6 predictability. From the data presented by Dr.
7 Duffy and by some manufacturers, it is clearly
8 possible to manufacture such products and there are
9 available today products which demonstrate a loss of
10 potency within a more narrow window of variability
11 than the 90 to 110 USP spec, specifications.

12 With knowledge that it's possible to
13 produce Levothyroxine products with improved
14 stability, we now ask the advisory committee members
15 to consider what is the clinical relevance of
16 allowing Levothyroxine products to be marketed with
17 potency loss of up to 10 percent. I would emphasize
18 that this is the critical question that will require
19 much input from our experts in the field of
20 endocrinology here today.

21 While it is relevant to ask the
22 questions regarding the cause of potency degradation

0220

1 or what are the consequences to manufacturers if
2 reformulation is necessary, these concerns will
3 necessarily be considered by the agency should the
4 panel vote that the products need to meet a
5 different potency specification.

6 I remind the members that similar to the
7 FDA's process of requiring new drug applications for
8 all marketed Levothyroxine products in 1997, we
9 would make certain that any changes to these
10 products today will not deprive the public of this
11 medically necessary product, nor would these changes
12 occur over an unreasonable period of time to affect
13 the practice of medicine.

14 And then finally, I'd like to make the
15 point that we've emphasized that the focus of
16 today's presentation is on within product potency
17 variability. However, the issues have been raised
18 at the open public hearing by the three speakers
19 remain important to the agency, however it's very,
20 very critical for us to address whether or not these
21 products, themselves, their variability in potency
22 is clinically relevant and whether or not, whether

0221

1 or not that needs to be addressed because if it is
2 of clinical significance that there's loss of
3 potency up to 10 percent for within a product, we
4 need to know how that can be fixed before we ask how
5 can that be compared to another product with a
6 similar degree of loss of potency.

7 Again, thank you for your attention. I
8 look forward to the discussions.

9 DR. WATTS: Thank you.

10 Rather than go around the room, I would
11 like to just take questions as they arise and if
12 you'll help me keep up with who has a hand up,

13 remember our two questions and let's try to keep the
14 discussion on point.

15 Dr. Levitsky.

16 DR. LEVITSKY: I'm sure the other
17 pediatricians will want to comment on this, too, but
18 the one area where this is particularly of concern
19 is in babies who are athyrotic. The risk of getting
20 recurrent thyroid cancer is real, the risk of not
21 feeling so well is real, but the risk of brain
22 damage is perhaps even more real and we know that

0222

1 the data about not having sufficient thyroid hormone
2 in the body for the first two to three years of life
3 are very, very valid.

4 And so I would worry about the baby who
5 we're seeing monthly in the first year and who we
6 raise the dose on because they have an elevated TSH
7 not getting an increased dose because our increase
8 is really only about 10 percent, but it's very
9 important for that child's neurologic development,
10 so I would very much be in favor of narrowing the
11 potency specifications if it can be done.

12 DR. WATTS: Dr. Meyer.

13 DR. MEYER: I think for the first
14 question, Dr. Watts and others have convinced me as
15 a non-clinician that there could be a serious
16 problem in some X number of patients. Small or not,
17 we can't have drugs on the marketplace that only
18 work for some of the patients some of the time. So
19 I think number one in my perspective is a yes.

20 Number two, I've already expressed my
21 support for narrowing the range, but I would like to
22 hear some discussion perhaps from FDA and others,

0223

1 other than manufacturers, perhaps, what's the down
2 side of narrowing the limits?

3 Are we going to in somehow harm the
4 system, harm patients, harm anyone, or if we
5 implement it 95 to 105, we might lose a couple of
6 companies, but everything would just go on as
7 normal?

8 DR. WATTS: Does the agency have a
9 response to that?

10 DR. PARKS: I think we'd actually like
11 to call on the manufacturers to discuss the issue or
12 the impacts to them of this. I've already stated in
13 my summary talk how the agency would approach this
14 if this is what you would recommend to minimize any
15 impact on the public or to practicing physicians.

16 DR. WATTS: Anyone from industry want to
17 speak to that? Narrowing the limits pose problems.

18 MR. O'DONNELL: Just one of the industry
19 representatives, John O'Donnell with Mylan. We
20 support it and we believe we have the capacity to
21 handle whatever challenge is put to us.

22 DR. SOUTHORN: As I stated

0224

1 this morning, we support anything that the agency
2 wishes to do to make sure that we have quality
3 product on the market and as I demonstrated, I
4 believe our data would support the recommendation,
5 so no problem.

6 DR. LEONARD: John Leonard from Abbott.
7 I'll reiterate my comments this morning, we support
8 this type of work and just would bring the committee
9 back to some of the comments that were made earlier
10 about this is multi-factorial and we look forward to
11 addressing the other sources of variability, as
12 well.

13 DR. WATTS: Thank you.

14 Dr. Burman?

15 DR. BURMAN: Thanks, my comments as a
16 clinician are sort of summarized as follows, this is
17 a complex issue and I agree with all the comments
18 that have been said before and I certainly agree in
19 the future looking at bioequivalence, but
20 specifically looking at the potency issues, as far
21 as I can tell there were two articles in the
22 literature and I'll only mention them very briefly,

0225

1 unless somebody wants me to expand. And that is
2 looking at TSH assays by incrementing T4, L
3 exogenous Levothyroxine at small increments and
4 there was a study that's an older study now from
5 1988 that essentially said if you increase the dose
6 by 25 micrograms, which could be let's say
7 12 percent or 25 percent depending on the original
8 dose, it had a significant impact on TSH,
9 sometimes -- if you increased it, about half the
10 patients got an undetectable TSH and if you
11 decreased it by 25 micrograms, which could be 12 to
12 25 percent, about half the patients had a marked
13 increase in TSH.

14 And I think from all the information we
15 know and all the clinical studies, much less the
16 clinical consensus conference published in JAMA a
17 year or two ago, those effects may have significant
18 detrimental clinical effects.

19 And then just to mention a more recent
20 article from the Australian literature where they
21 increased the dose of L Thyroxin by 25 micrograms,
22 the TSH went in these hypothyroid people from 2.7 to

0226

1 1.0 with a standard error of about .3, .4, so a
2 less, somewhat of a less percentage effect
3 overall, but still that's significant for me in
4 terms of TSH numbers and certainly significant if we
5 extrapolate to the number of patients that we see
6 and the comments from our patients.

7 So I'd be in favor as well as narrowing
8 the range.

9 DR. WATTS: Dr. Kibbe.

10 DR. KIBBE: I'd like to second my good
11 colleague, Dr. Meyer's recommendation, and I'd like

12 to add a couple of other points which I mean we had
13 three presentations that were concerned about
14 substitutions.

15 If you tighten the potency levels of all
16 of the products on the market with a drug that's
17 relatively easily dissolved in water, rapid
18 solubility, then you're going to tighten the
19 possibilities of differences between substitutions,
20 you're going to reduce the chance of switching
21 between companies and effect on the outcome.

22 And I think in general the spirit of
0227

1 CGMP is that we try to have current good
2 manufacturing practices, get us what we could
3 conceivably get as the best product, regardless of
4 the external pressures on the system.

5 It makes sense that if companies can
6 make a good, stable and tightly controlled product,
7 that we ought to ask them to do that and if three of
8 them are willing to step forward, I think the others
9 will follow suit. I don't see this as having a
10 major down side.

11 DR. WOOLF: To me these questions are
12 no-brainers, but it really is irrelevant to me
13 whether there's a difference in potency because of
14 shelf life and difference between one preparation
15 and another. The bottom line is patients are
16 getting the inappropriate dose, and so to
17 artificially say we're going to address potency
18 without committing, absolutely committing, firmly
19 committing to re-addressing the potency issue is
20 absolutely wrong. We've got to take care of it.

21 We have -- the 800 pound gorilla is
22 running around this room, we can't put it back in

0228
1 the cage. Let's address it and let's address it
2 now. Attempts were done in, a year ago to do this
3 and obviously failed.

4 I think we have to get the FDA to commit
5 to do this and do this not sequentially, but
6 concurrently, because clearly the method to look at
7 equivalence is flawed. Even using the flawed
8 methodology, and I hesitate to quote somebody else's
9 work who's in the audience, but Dr. Hennessey
10 published a paper last month that demonstrated one
11 using FDA data that there was a 15 percent
12 difference between one brand and another using the
13 FDA flawed methodology. This is clearly inadequate.

14 So we've got to move in both directions,
15 fixing the shelf life, but also addressing the issue
16 of equivalence.

17 DR. WATTS: Dr. Proschan.

18 DR. PROSCHAN: Yeah, I mean I think the,
19 you know, the issue of whether you should narrow the
20 limits can't be separated from the issue of exactly
21 how do you show that you meet these specifications,
22 because, you know, if you, if you only have to have

0229

1 two lots, for example, and you show, you know, that
2 it's within these limits for these two lots, that is
3 not going to tell you all that much.

4 You know, I'm, I'm concerned about the
5 lot to lot variability and so precisely how, you
6 know, what, what would be required to show that, you
7 know, you meet the specifications.

8 To me it's not an issue of should you
9 narrow the limits, but exactly how you should
10 improve the method of showing that you're within the
11 limits.

12 I think you should require a certain
13 number of lots, for example, and, you know, I don't
14 know exactly how it's done, but, you know, I'd like
15 to see that.

16 MR. UNIDENTIFIED SPEAKER: Thank you.
17 Just to follow up, I agree, it's the, the clinical
18 issues I think have been well addressed and you
19 don't need a tablet smasher like me to talk to you
20 about the clinical issues.

21 I think the lot to lot variation on the
22 other hand is the armiger, I guess, of the fact that

0230

1 we don't understand at a fundamental level what's
2 going on, and that's what is taking -- because
3 Levothyroxine is the poster child for compounds that
4 are in control for a long time, for products that
5 are (inaudible) for a long time and then all of a
6 sudden mysteriously there's a bad result, not
7 clinical result, I mean a GMP based result.

8 And this is the quality by design
9 mentality in the Q9 risk assessment mentality -- or
10 initiatives, rather, result or intent I think is
11 that if you understand the fundamental mechanistic
12 and causal reasons for the variation lot to lot,
13 then you can have a lot more confidence on how you
14 would design your experiments to test it, how you
15 have to power it, et cetera. I think this is
16 something that just is underlying all of this.

17 You're not going to be able to tighten
18 the specifications and expect no significant
19 deviation until you understand that variation, the
20 cause of that variation.

21 MS. DOBS: This will save a great deal
22 of health cost if it is tightened in that patients

0231

1 won't have to have their TSH repeated as much, won't
2 have to return to the physician as much, but I hope
3 that this won't increase the cost of the drug a
4 great deal and maybe that should come out now that
5 no guarantees, but will this increase the cost of
6 the drug production a great deal?

7 DR. WATTS: Comment from industry?

8 MR. O'DONNELL: Again, John O'Donnell
9 from Mylan.

10 Maybe to address some of the concerns of

11 the panel about lot to lot, while it has been
12 discussed but not talked about in terms of a limit,
13 if you reduced the coefficient of variance, which is
14 currently allowed in the USP say from 6 percent to
15 4 percent, that would certainly reduce the
16 variability within as well as between the various
17 lots and I think some of the people that presented
18 here have also addressed that as well, but it could
19 be as another issue.

20 DR. WATTS: Dr. Proschan.

21 DR. PROSCHAN: Yeah, I just wanted to
22 add, you know, if you do, you know, what I'm saying,
0232

1 that is going to take care of both problems. That's
2 going to take care of within product variability
3 and -- I mean within manufacture and between
4 manufacturer, so that will, you know, address both
5 of those concerns even though today's focus is on
6 within.

7 DR. WATTS: Dr. Venitz.

8 DR. VENITZ: Again, I agree with
9 Dr. Meyer's assessment earlier in the day, but I
10 want to give it maybe a different perspective.

11 I think what we are trying to do is
12 basically trying to manage risks and in my mind
13 risks has at least two, maybe three components. One
14 is what is the likelihood or the odds that something
15 bad happens, what are the consequences and how
16 certain are we, so let's try to apply that here.

17 It appears to me that we have a
18 treatment that has no alternatives, right, so it's
19 not like we can switch to something else that might
20 alleviate any concerns that we might have about
21 either potency or stability. We have 13 million
22 patients in the United States receiving it, so
0233

1 there's a relatively high degree of likelihood that
2 something bad can happen, either as a consequence of
3 stability or potency issues.

4 We have a significant subset of thyroid
5 cancer patients that might be even more sensitive to
6 small changes in drug exposure. We've heard several
7 presentations, both this morning as well as this
8 afternoon that the consequences of either over or
9 underdosing can at least be pretty severe.

10 In addition to that, we have a certain
11 degree of uncertainty whether the limit that we are
12 talking about in terms of question A, whether it
13 should be 10 percent, 12 and a half percent, some
14 background material talked about 9 percent, so we do
15 have a significant degree of uncertainty. All this,
16 to me, means that we have relatively high odds, we
17 have very serious consequences and we heard at least
18 three companies telling us that they will be able to
19 tighten their specifications, so all this would
20 obviously argue in favor of tightening the stability
21 specifications.

22 However, as one of the previous speakers
0234

1 talked about before, I would like to go on record
2 that to me that's only a part of the problem. The
3 other part is the bioequivalence issue, the
4 comparability not only within lots or within
5 products, but between products. And I would like
6 for FDA to reconvene this August panel and discuss
7 bioequivalence.

8 We started, ACPS, we started about 2003,
9 so three years ago talking about it, I was just made
10 aware today what the outcome of that discussion was
11 and obviously it's an outcome that a lot of the
12 professional organizations don't consider to be
13 satisfactory.

14 So as much as I'm in favor of question 1
15 and question 2, I would point out that there are
16 maybe bigger issues to discuss that might impact on
17 the risks in a much more significant way than what
18 we're talking about today.

19 MS. UNIDENTIFIED SPEAKER: The
20 bioequivalency issues are very important to do, but
21 before one would want to plan a study, you would
22 want to be using agents that you think are truly

0235
1 comparable.

2 And when I look at this data again, the
3 thing that strikes me is there are certain
4 preparations that we are, that I presume are
5 approved that have tremendously steep slopes in
6 their degradation of potency. And the ideal agents
7 in my mind are ones that are giving you the longest
8 duration of the -- or giving you the potency that
9 you expect for the longest duration.

10 So I, if you raise or tighten these
11 intervals requiring people to show potency of
12 95 percent, that's fine. I just want to be clear
13 that it's important that sort of the area under the
14 curve is good as well, that they have that potency
15 for what we would consider to be a normal amount of
16 time.

17 A lot of these graphs show that potency
18 is decreasing by six months and that seems to me to
19 be of grave concern and maybe needs to be factored
20 into the equation. Maybe the slope of the decay is
21 something that's important for us to be looking at
22 as well.

0236
1 DR. WATTS: Dr. Carpenter?

2 Dr. Meyer?

3 DR. MEYER: I'm sorry, I just wanted to
4 make a brief comment with regard to that, because I
5 think the reality is if the recommendation is that
6 these specifications get tightened, the products
7 that you're referring to that have the very steep
8 slopes would, in fact, need to reformulate and,
9 hence, they would be achieving, just because of the

10 practical nature of the drug distribution system and
11 so on and needing to have at least a certain shelf
12 life to be, even be a viable product. They would be
13 achieving formulations that have a much flatter
14 curve.

15 MS. UNIDENTIFIED SPEAKER: That's an
16 assumption I would make, but you'd have to prove
17 that, right?

18 DR. WATTS: Dr. Carpenter.

19 DR. CARPENTER: Just echoing a general
20 sentiment that I think we're hearing that we all
21 feel that it's important to restrict the variability
22 within product, I'd like to ask the FDA about, and I
0237

1 think the problem that we will be faced with if we
2 do agree with that is to what should the nature of
3 these limitations be.

4 And if you look closely at the suggested
5 limitations, some had to stay at this 20 percent
6 spread, some are to reduce to a 10 percent spread,
7 some of the pharmaceuticals have suggested an in
8 between or an intermediate range.

9 And what we're really talking about, as
10 I see it for the upper limit, is, is a different
11 problem than what we're looking at for the lower
12 limit. The upper limit is there, as we heard
13 earlier, to prevent spiking of rapid loss drug,
14 rapid loss of potency drug and it perhaps should
15 have, to my mind, a tighter restriction on it.
16 We've heard that it's held in place because of
17 analytical variability, but we've also been told
18 that the analytical variability is extremely
19 minimal.

20 So I don't see any reason that we could
21 accept anything over 105 percent as the upper limit
22 of this.

0238

1 I think the lower limit is, is really
2 that degradation shelf life issue and I think the
3 discussion there probably will need to take into
4 account a number of other variables, including this
5 rapid decay phenomenon you're raising.

6 But I'm curious to know if we are locked
7 into a symmetrical range around 100 percent and
8 whether it's worth trying to establish something
9 that's tighter on the top end just because of the
10 nature of what the nature of what the problem is at
11 the top end.

12 DR. WATTS: Comments on that from the
13 agency?

14 Go ahead.

15 DR. DUFFY: Well, yes, I think we could
16 take recommendations from the committees about both
17 upper and lower specifications, if there are
18 clinical concerns that the upper limit would be --
19 that a broad upper limit would also be problematic,
20 that's certainly something to take into

21 consideration.

22 Now in terms of the analytical

0239

1 variability, we had some discussion of that earlier
2 and I think it really, I'd like to just be sure that
3 people understand what variability we're really
4 talking about in terms of the laboratory procedures.

5 The -- the averaging of assay values to
6 achieve a single reported value is taken -- those --
7 for, let's say, for example, three data points
8 from -- from replicate analyses are averaged. Those
9 are, those represent not product variability, but
10 rather instrumentation and procedural variability.

11 So, I think there was some concern about
12 error bars and all that earlier, so I just wanted to
13 make sure that that was clear.

14 But with respect to the, but with
15 respect to the upper limit, that's certainly
16 something we could, we would appreciate
17 recommendations on.

18 DR. MEYER: I would like to add to that,
19 too, though, and correct me if I'm wrong on this,
20 Eric, because this is as much your field as mine,
21 certainly, but the, the amount of drug in the, in
22 the tablet is not so much bound by this

0240

1 specification. There's a separate assay and
2 specification for the, achieving the target level of
3 drug and having that target be 100 percent. This is
4 really a bounds set over time.

5 So there's a separate control on making
6 sure that the drug really is released at
7 100 percent.

8 DR. DUFFY: Right, and that's quite
9 right, Bob. This issue of formulating, we required
10 that when the products came in for approval, we did
11 require that they be formulated with the intent of
12 achieving a formulation with 100 percent of label
13 claim. So that's a manufacturing process issue.

14 Now we recognized that on occasion there
15 are processes where there's some modest material
16 lost.

17 (End of Track 2 on CD).

18 (Beginning of Track 3 on CD).

19 DR. DUFFY: So that when the actual, in
20 the manufacturing facility when the formulation is
21 actually put together and drug is introduced into
22 the manufacturing equipment, there may be some very

0241

1 slight excess needed to accommodate for loss so that
2 one achieves 100 percent of label claim of that
3 formulation upon release.

4 DR. WATTS: Dr. Henderson.

5 DR. HENDERSON: As the consumer
6 representative, I have two concerns from the patient
7 perspective and one was this morning when we were
8 talking about the real life conditions and the

9 variability according to real life and we kind of
10 dismissed it as impractical as looking at that, but
11 I think we could at least -- I would feel more
12 comfortable if we could at least have the, test the
13 situation where a patient opens a bottle every day,
14 having this tested and it's only opened once for
15 testing.

16 For example, if you get a three-month
17 mail order supply, that's 90 days. By the time you
18 get to the last pill, you've opened that bottle
19 90 times and so I'm really concerned about that and
20 I think there is a huge variability in patient
21 behavior, but pretty much every patient has to open
22 the bottle to get a pill out.

0242

1 And so I think we could at least do
2 that, because the data looks like that could be
3 important.

4 And the second issue is when
5 Dr. Hennessey gave his adverse events, the
6 majority -- the number one reason was switching from
7 brand to generic. Now as a consumer we are told
8 over and over again, especially by our health
9 insurance, that generic is equivalent to brand, but
10 here I see that going from brand to brand -- going
11 from brand to generic is the number one problem.

12 And so I was wondering, Dr. Duffy, can
13 the FDA, like those charts that you showed us, are
14 all of those brands or are some of them generic and
15 can you, would it be legal for you to, like my
16 suspicion might be that the ones who did the worst
17 were the generic drugs.

18 Can you say that or not? And I think
19 this also, again, I'm the -- I'm the consumer rep,
20 so, I mean patients need to know this. Patients,
21 patients wouldn't even think that it was an issue to
22 tell their doctor that they were switched to generic

0243

1 because we are so trained not to do that. Does that
2 make sense? Everybody's laughing, so I must be
3 wrong.

4 DR. DUFFY: Well this issue of whose
5 data corresponds -- which data corresponds to whose
6 drug was much discussed and as you see the way it
7 was presented, it was blinded. But members of the
8 committee in your background package have this
9 information.

10 The --

11 DR. HENDERSON: Can you tell us if it's
12 brand or generic, can you tell us that much or not?

13 DR. DUFFY: Well let me just say there
14 were seven sets of data -- there were data presented
15 from 7 different manufacturers. We have two
16 approved generic products and 5 NDA products, 5 are
17 the 505(b)(2) products, so those are the data.

18 DR. HENDERSON: Would it be legitimate
19 to put all the brand numbers in one and all -- and

20 both of the generics in one just to compare them?

21 DR. WATTS: Let me make a suggestion
22 that while that is a very important issue, it's not
0244

1 the issue before the committee today and I hope that
2 we will be asked to address that issue, but I don't
3 think that we have really the information presented
4 to us or available to us to adequately address
5 anything other than the questions that have been
6 posed to us.

7 Dr. Fackler.

8 DR. FACKLER: I just have two comments.
9 One, on question number 2, I think the word minimum
10 should be maximum there in both cases. I don't
11 think we're looking for a minimum potency loss
12 before we release products.

13 But the second comment is a little more
14 important. Even if we tighten the stability
15 specification to 105 to 95, you could envision a
16 scenario where a patient is taking a product at the
17 end of its 24-month shelf life and is down at
18 95 percent potency and goes to the pharmacy and gets
19 it refilled, by the same product, same manufacturer,
20 but it's a fresh lot and it happens to be a lot
21 released and it happens to be a lot released at
22 105 percent.

0245

1 The patient, therefore, is getting
2 10 percent more drug than they were the day before,
3 staying on the same product and it all is within the
4 new confines, you know, by today's standards that
5 potency change could be as much as 20 percent, in
6 theory, and then if you want to compound it with the
7 fact that they are opening the bottle 90 times in
8 the steamy shower after they finish, it could be
9 greater than 20 percent.

10 So while I think it's obvious that the
11 manufacturers can comply with the new tightened
12 specs, I don't want anybody to be misled to think
13 that the problem is based solely on the
14 specifications of today. Certainly it will be an
15 improvement. Certainly it will reduce the
16 variability, but it won't eliminate the problem.

17 DR. WATTS: Dr. Schambelan.

18 DR. SCHAMBELAN: Yeah, I think I'm just
19 going to be echoing comments, but I think it's
20 important since we're going to have to come to
21 consensus or at least to a vote.

22 So I think what I'm hearing here is
0246

1 little objection to the proposals that the agency
2 has made and if somebody is going to voice those
3 objections, it would be interesting to hear that,
4 but I don't hear anybody saying that.

5 I completely agree with the point that
6 Ms. Henderson made and that I offered this morning
7 that we really need to be testing these drugs not

8 in, you know, in, you know, some remote part of the
9 world, but in somebody's well-controlled laboratory
10 where at least multiple samples of the same vial,
11 once opened is the standard, not something that
12 should be evaluated in the agency, but it should be
13 asked of the companies. I don't see why that's not
14 at the very least the kind of potency we should be
15 expecting.

16 And I think that I, too, was impressed
17 with the slopes of those curves in some of the
18 products that were dropping within six or eight
19 months to getting close to the point at which they
20 would no longer have been valid.

21 So, I think we need to at least tighten
22 to this point and then I think we need to test in a
0247

1 way that will have much more meaning in terms of
2 what we actually see in the bottle that the patient
3 opens repeatedly for three months.

4 DR. WATTS: Let me see if there's anyone
5 who has, I understand there are other people who
6 want to speak, but we will have to go around this
7 large group and take a vote. And you'll have a
8 chance to speak, those of you who are allowed to
9 vote will have a chance to speak at that point.

10 So I wanted to see if there were any,
11 it's been pointed out that no one seems to be
12 opposed to the narrowing of the limits. I wanted to
13 see if there's anyone who wanted to speak against
14 narrowing the limits?

15 I point out that if we narrow the limits
16 for shelf life, we probably need similar limits for
17 when the drug first comes on the market, so rather
18 than plus or minus 5 percent at the end and plus or
19 minus 10 percent at the beginning, it should
20 probably be plus or minus 5 on both ends.

21 I would like to suggest that we perhaps
22 add a third question based on what Dr. Proschan
0248

1 suggested and that question would be should the
2 method of assessing potency and deterioration be
3 changed.

4 Because I understand, Dr. Duffy, that
5 error bars around these points would be measurement
6 errors rather than between lot measures, but I would
7 like to see both. I mean if you're measuring
8 replicate samples, there is going to be some
9 variation which is method, but it's somewhat helpful
10 to know that the same sample going through is potent
11 but whether three or five or six, I think this is
12 something I would like the agency to determine.

13 Dr. Tamborlane?

14 DR. TAMBORLANE: So I think that's good.

15 Actually, I wanted to, it sort of
16 segways to the issue we talked about before lunch
17 about, you know, real life versus ideal conditions.

18 It seems to me that for most small

19 molecules it's a moot point because they are very
20 stable and there's not an issue of a loss of
21 potency, but when there's a red flag of a molecule
22 that -- or a medication that is showing loss of

0249

1 potency, enough to be clinically significant in,
2 under ideal conditions, then the FDA should set up
3 procedures, not depend on, we talked about the
4 company who is going to get the poor guy fired, the
5 FDA should set up study conditions that would
6 simulate real life use to see if those problems are
7 exaggerated.

8 DR. WATTS: Someone has suggested that
9 what we're doing today is like painting the deck
10 while ignoring the hole in the hull. Those I think
11 are big questions that need to be answered.

12 Dr. Levitsky.

13 DR. LEVITSKY: I don't really want to
14 speak against item 2, but I would like to speak
15 around it. And that is should we decide, as I
16 suspect we will, that we would like to drop the
17 10 percent -- to 5 percent from 10 percent, will
18 that mean that there becomes a supply and demand
19 issue, because the suppliers who are now providing a
20 lot of the thyroid hormone preparations will not be
21 able to meet this guideline immediately?

22 Is there going to be a problem?

0250

1 DR. WATTS: I think Dr. Parks addressed
2 that, but I'll let her talk to that again.

3 MS. UNIDENTIFIED SPEAKER: Well as
4 Dr. Parks indicated, we would definitely, if we were
5 to go ahead and ask the companies to reformulate it,
6 obviously it would not be done immediately.

7 We would obviously set schedules based
8 on feasibility of doing it so that we did not have
9 any problem with regard to the supply of
10 Levothyroxine in the market or adversely affect the
11 practice of medicine in having patients have to go
12 to their doctor for extra visits or anything like
13 that. Just like we did when we tried to bring the
14 products under regulatory control originally.

15 DR. WATTS: Dr. Duffy.

16 DR. DUFFY: Yeah, I just wanted to
17 comment on a few, I didn't get a chance really to
18 fully address the issues that Dr. Henderson had
19 brought up earlier with respect to real life
20 testing.

21 And that, we have, this is a joint
22 advisory committee meeting and we have a lot of very

0251

1 top pharmaceutical scientists around the table and
2 so suggestions as to how that might be achieved
3 would be very much welcomed by the FDA.

4 As I, as I indicated in my earlier
5 remarks, we are doing some testing ourselves and we
6 selected what we thought were reasonable conditions

7 to test and test procedures, but suggestions from
8 the committee would be, would be very welcome.

9 DR. HENDERSON: Let me also just comment
10 on one other point that you had brought up and that
11 is that you, in looking at the data, you were I
12 think, you indicated that you made the assumption
13 that the ones that were performing less well were
14 the generics and --

15 DR. DUFFY: Yeah, well as I said, I'm
16 really not at liberty to say whose data was whose,
17 but I think I'd like to just disabuse you of the
18 notion that it is clearly the generics that are
19 problematic.

20 We heard presentations from several
21 manufacturers and they indicated the status of their
22 products with respect to potency, so you, I would

0252
1 listen to them in terms of what they have to say
2 about their products.

3 DR. WATTS: Dr. Singpurwall, you had
4 your hand up, did your question get asked?

5 DR. SINGPURWALL: Well, from a
6 non-clinical point of view and because this is a
7 committee of two groups, I'd like to say that
8 question number one puts the cart before the horse
9 and question number two is completely ad hoc. And
10 the discussion here is completely ad hoc as to
11 whether to change it from 10 percent to 5 percent or
12 what have you.

13 These are decision-making problems and
14 their uncertainty and proper decisions (inaudible)
15 should be used to address these questions in which
16 clinical considerations as well as statistical
17 considerations as well as economic considerations
18 come into play. Otherwise we are just wasting time
19 discussing whether it should be 10 percent or 5
20 percent or 4 percent or 7 percent and that's
21 completely nonsensical. Thank you.

22 DR. WATTS: Dr. Morris.

0253
1 DR. MORRIS: Yeah, thanks.

2 Let me see if I can couch this in
3 relatively quick terms, but the idea that real life
4 testing, I agree with that. When I was actually in
5 industry, I developed models that mimicked opening
6 and closing bottles for compounds that, for products
7 that absorbed a lot of moisture and suffered
8 deleterious effects from it, but it's not at all
9 clear that the real life testing of this product is
10 going to yield much difference than the normal
11 stress testing that virtually all companies do
12 during the development cycle.

13 So the companies know what the large
14 risks are, but this goes back again to the idea that
15 if you don't understand the mechanisms, which is
16 whistling in the dark because you can, you can
17 simulate what goes on, but then what's the, the

18 combinatorial result of trying to cover all of the
19 possible conditions it may experience and all of the
20 variations in dose and prep because some of these,
21 some of these compounds are wet granulated, you see
22 a lot of moisture and heat during their processing,

0254

1 some are directly compressed.

2 The fundamental understanding of it has
3 got to precede any, I would say precede any real
4 life testing design. Designing in a real life
5 testing scenario is a waste of time until you
6 understand what the limits of the mechanisms really
7 are.

8 This is, again, this is quality by
9 design or instead of quality by accident.

10 DR. WATTS: Dr. Gloff.

11 DR. GLOFF: Thank you, I certainly have
12 been convinced by the clinicians around the table
13 that the 10 percent for question 1 is, is, does
14 raise a significant clinical concern and I think
15 we're still in the process of discussing to what
16 degree these ranges should be narrowed.

17 I did want to make kind of a cautionary
18 comment and that was with regard to several people
19 have made comments about how they're concerned about
20 how the slope of that stability curve in some of the
21 instances seemed to be steeper than in others.

22 And my, I, what I'd like to say about

0255

1 that is that I think we need to be a little careful
2 there, because if we're going to get into a
3 situation where we're going to say well it's okay
4 for it to be at 98 percent at one month, but it's
5 not okay for it to be at 96 percent at one month,
6 then we've got -- then we're getting into a more
7 complex situation and it's not realistic.

8 And so I think -- I think if we're,
9 let's say we're going to say the limit is 95 to
10 105 percent. The 95 percent is okay up to -- at any
11 time point up to the expiration date for that
12 product. That, that's where we need to be on that,
13 so.

14 DR. WATTS: Any other comments before we
15 vote?

16 Are we ready to vote? Dr. Swadener.

17 DR. SWADENER: I just want to make sure
18 that I understand in number two or make sure that
19 the wording is correct.

20 When it says 10 percent or potency loss
21 of 10 percent, does that really mean deviation of
22 10 percent or 5 percent, rather than loss?

0256

1 DR. WATTS: I think what Dr. Fackler
2 said is the maximum loss would be 5 percent rather
3 than 10 percent.

4 DR. SWADENER: But that would be maximum
5 deviation of 5 percent?

6 DR. WATTS: Maximum loss from stated
7 potency. So if the stated potency is
8 100 micrograms, then once it hits 90, drops below
9 95 micrograms, then that would be --

10 DR. SWADENER: Then what is the
11 105 percent, that's not a loss, that's a gain,
12 right?

13 DR. WATTS: It can't, we're not talking
14 about a gain, we're talking about a loss, so what my
15 point was was when the product hits the shelves,
16 it's allowed, currently allowed, it's my understand
17 it could be between plus 10 percent or minus 10
18 percent of the stated dose.

19 DR. SWADENER: Right.

20 DR. WATTS: So my point was if we're
21 going to tighten the limits for when it leaves the
22 shelf, we should also tighten the limits for when it

0257

1 comes to the shelf and Dr. Carpenter was voicing a
2 concern about the upper limit, the overage being
3 more, perhaps more important than the undershooting.

4 DR. SWADENER: But I guess what I'm
5 saying, 105 percent to me would not be a loss, that
6 would be a gain, right?

7 DR. WATTS: In my bank account it would
8 be, too.

9 DR. MEYER: What I'm saying is 105,
10 couldn't you just say change, a maximum 5 percent
11 change?

12 DR. WATTS: So it's a deviation from the
13 target, right?

14 DR. MEYER: Couldn't you just say
15 change, a maximum 5 percent change from the target,
16 right, plus or minus?

17 DR. WATTS: So Dr. Meyer is suggesting a
18 maximum change of 5 percent from target.

19 MR. UNIDENTIFIED SPEAKER: That's more
20 appropriate.

21 DR. WATTS: Dr. Parks.

22 DR. PARKS: I guess first of all we

0258

1 wanted to say that we're going to redact the minimum
2 from the transcripts.

3 DR. WATTS: Okay.

4 DR. PARKS: But if I can offer why don't
5 we change that to state if there are clinically
6 significant concerns, should the potency
7 specifications for Levothyroxine sodium products be
8 narrowed and in parentheses it's from currently 90
9 to 110 percent potency specification to 95 to
10 105 percent potency, so it's narrowing the potency
11 specification, never mind about loss, minimum,
12 maximum, et cetera.

13 MR. UNIDENTIFIED SPEAKER: Yeah, that's
14 okay.

15 DR. WATTS: Okay, Dr. Woolf, does that
16 answer your question?

17 DR. WOOLF: Yeah, I was going to suggest
18 that really there be two parts to question two, one
19 is the loss of potency, actually part A would be
20 what is the acceptable range from the time, at the
21 time it is manufactured and that's really the 95 to
22 105 percent and part B, that the loss over time be

0259

1 no more than 5 percent of the stated value, so it
2 really is two parts, A and B.

3 DR. WATTS: Dr. Gloff?

4 DR. GLOFF: Yeah, the only caveat I have
5 on that is you could have 100 -- you could measure
6 100 percent potency at release and at three months
7 the number that you get could be 102 percent because
8 of variability in your assay and also variability in
9 the particular tablets that you happened to choose
10 to do your assay on at release and the tablets that
11 you happened to pick to measure at three months.

12 There is variability among tablets. They are not
13 all exactly 100 percent, even within the same, 100
14 micrograms, for example, even within the same batch.

15 DR. WOOLF: That's why I had it in two
16 parts, one is whatever the stated potency is at
17 whatever the time, the appropriate time of
18 manufacture, that's plus or minus, stated range plus
19 or minus 5 percent, but after that, that it doesn't
20 matter if it goes from 100 to 102 percent, what
21 matters is that it goes from 100 percent to below 95
22 percent. Because I'm not worried about it going up

0260

1 in, in, at some point down the road.

2 So the specifications should be at the
3 time of manufacture that is already a plus or minus
4 5 percent and a loss of potency of no more than
5 5 percent after it's manufactured.

6 DR. GLOFF: If I could just respond to
7 that, I hear you that we're not likely to be making
8 more, more of the drug in the tablet over the course
9 of the stability testing, however you do want to
10 have an upper limit because if you don't, you could
11 have a value that comes out to be 115 percent, which
12 you would accept, which is illogical, but that means
13 that there's something wrong with your assay.

14 So you do want to have an upper limit on
15 your assay, but I understand the point is we want to
16 look at the degradation primarily.

17 DR. WATTS: Dr. Tamborlane.

18 DR. TAMBORLANE: But that actually
19 complicates the analysis of lot to lot because you
20 can have a lot that comes in at the start at
21 97 percent and then a 5 percent reduction would be,
22 you know, below the limit, so 92.

0261

1 DR. WATTS: No, it's the reduction from
2 stated potency.

3 DR. TAMBORLANE: From stated potency,
4 oh, okay. Well, so it's still plus or minus 5.

5 DR. WATTS: Okay. May I suggest that
6 since I think there's unanimity about the first
7 question, is there anyone who would say no to the
8 first question?
9 MR. UNIDENTIFIED SPEAKER: Yes.
10 DR. WATTS: Okay, you said irrelevant,
11 which --
12 MR. UNIDENTIFIED SPEAKER: I said (not
13 talking in mic).
14 DR. WATTS: So would you abstain then
15 from voting on that one?
16 MR. UNIDENTIFIED SPEAKER: No, I said
17 no.
18 DR. WATTS: You would vote no, okay.
19 Is there anyone else who would vote no?
20 Okay. Do we need to go on the record
21 with the -- okay.

22 MR. UNIDENTIFIED SPEAKER: I do need a
0262
1 count.

2 DR. WATTS: To make the count short,
3 though, let me just if I could add my question to
4 it, which is should the method of assessment for
5 potency and deterioration be changed as question
6 number three.
7 Drs. Fackler, Ryder and Tuttle are not
8 voting, so we'll start with Dr. Henderson. So
9 question one.

10 DR. HENDERSON: Yes.

11 DR. WATTS: Yes.

12 Two?

13 DR. HENDERSON: Yes.

14 DR. WATTS: Yes.

15 Okay, Dr. Singpurwall.

16 DR. SINGPURWALL: Question one, no,
17 question two, no, question three (inaudible) (not
18 speaking in (mic).

19 DR. WATTS: Could you please use the
20 microphones?

21 MR. UNIDENTIFIED SPEAKER: Could I
22 interrupt for a moment, may I?

0263

1 DR. WATTS: Yes.

2 MR. UNIDENTIFIED SPEAKER: I'm confused
3 by question number three.

4 MR. UNIDENTIFIED SPEAKER: We all are.

5 MS. UNIDENTIFIED SPEAKER: What is
6 question number three?

7 MR. UNIDENTIFIED SPEAKER: No, I'm
8 confused as to how it was posed.

9 DR. WATTS: Okay, I will re-read it. I
10 said should the method of assessment for potency and
11 deterioration be changed.

12 MR. UNIDENTIFIED SPEAKER: Now by that
13 do you mean it should be a, quote, real life
14 circumstance assessment?

15 DR. WATTS: No, I just simply mean that

16 from what I've heard, you're measuring 6 pills or
17 however many somebody decides to put in a composite,
18 you're pushing that through the chromatograph three
19 times and you're coming out with a point and I'm not
20 happy with that.

21 I'm not sure exactly how it should be
22 changed, which is why I'm not recommending how to

0264

1 change it, but simply suggesting that the agency
2 re-think that.

3 MR. UNIDENTIFIED SPEAKER: Yeah, okay.

4 DR. WATTS: So, let's say reevaluate it.

5 Okay.

6 MR. UNIDENTIFIED SPEAKER: I don't think
7 we know exactly how it's done right now.

8 For example, we don't know whether
9 there's a minimum number of lots required, you know,
10 whether if it, if it ever drops under, then that
11 means, you know, does every point have to be
12 within -- we don't have enough details to know
13 exactly how it's done now.

14 DR. WATTS: Absolutely. Okay. Well
15 just for clarity then, let me stop my effort to be
16 efficient and we'll do one question at a time and
17 we'll make a full round so everybody can keep up
18 with it.

19 MR. UNIDENTIFIED SPEAKER: Should we
20 make another stab at trying to explain the assay
21 procedures?

22 DR. WATTS: No.

0265

1 (Everyone said no, no.)

2 MR. UNIDENTIFIED SPEAKER: You heard
3 enough.

4 DR. WATTS: No.

5 They just need to be re-evaluated.

6 MR. UNIDENTIFIED SPEAKER: Good, I like
7 that answer.

8 DR. WATTS: Okay, so we made it to,
9 let's record the first two answers for question one.

10 Dr. McClung, question one.

11 DR. McCLUNG: I will answer no, because,
12 again, this, in a broad scope of things, I'm not
13 convinced that this one little piece of the picture
14 is translatable into clinically significant changes.

15 DR. WATTS: Dr. Koch.

16 DR. KOCH: Yes.

17 DR. WATTS: Dr. Morris?

18 DR. MORRIS: Yes.

19 DR. WATTS: Dr. Wierman?

20 DR. WIERMAN: Yes.

21 DR. WATTS: Dr. Proschan?

22 DR. PROSCHAN: I would say yes, although

0266

1 I don't, I don't have the clinical background
2 obviously, so.

3 DR. WATTS: Dr. Tamborlane?

4 DR. TAMBORLANE: I would say yes, but I
5 wouldn't try to get it published from this
6 discussion in any evidence-based journal.
7 DR. WATTS: Dr. Venitz?
8 DR. VENITZ: Yes.
9 DR. WATTS: Dr. Kibbe?
10 DR. KIBBE: Abstain.
11 DR. WATTS: Dr. Skarulis?
12 DR. SKARULIS: Yes.
13 DR. WATTS: Dr. Burman?
14 DR. BURMAN: Yes.
15 DR. WATTS: Dr. Cooney?
16 DR. COONEY: Yes.
17 DR. WATTS: I vote yes at least some of
18 the time.
19 Dr. Gloff?
20 DR. GLOFF: Yes.
21 DR. WATTS: Dr. Rosen?
22 DR. ROSEN: Yes.
0267
1 DR. WATTS: Dr. Meyer?
2 DR. MEYER: Yes.
3 DR. WATTS: Dr. Carpenter?
4 DR. CARPENTER: Yes.
5 DR. WATTS: Dr. Karol?
6 DR. KAROL: Yes.
7 DR. WATTS: Dr. Dobs.
8 DR. DOBS: Yes.
9 DR. WATTS: Dr. Levitsky?
10 DR. LEVITSKY: Yes.
11 DR. WATTS: Dr. Selassie?
12 DR. SELASSIE: Yes.
13 DR. WATTS: Dr. Schambelan?
14 DR. SCHAMBELAN: Yes.
15 DR. WATTS: Dr. Woolf?
16 DR. WOOLF: Yes.
17 DR. WATTS: Dr. Flegal?
18 DR. FLEGAL: Yes.
19 DR. WATTS: Dr. Swadener?
20 DR. SWADENER: Yes.
21 DR. WATTS: Okay, now are we happy with
22 the wording on the second question, does anybody
0268
1 want to fine-tune that anymore?
2 DR. DUFFY: Dr. Watts, could we have a
3 final count on that, please?
4 DR. WATTS: Do you have a final count?
5 We'll get that.
6 Wordsmithing?
7 MS. UNIDENTIFIED SPEAKER: Minimum
8 should be maximum.
9 DR. WATTS: Minimum was going to be
10 maximum.
11 MS. UNIDENTIFIED SPEAKER: In both
12 locations.
13 DR. WATTS: Or we just said 5 percent
14 variance, was that your word Dr. Parks? No.

15 DR. PARKS: Just to narrowed
16 specification from 90 to 110 to 95 to 105.
17 DR. WATTS: Okay.
18 DR. PARKS: That's fine.
19 DR. WATTS: Okay, the vote Dr. Duffy was
20 24 yes, 2 no and 1 abstained.
21 Okay, ready to vote on question 2.
22 MR. UNIDENTIFIED SPEAKER: (Not speaking

0269

1 in mic).
2 DR. WATTS: Narrowed from plus or minus
3 10 percent to plus or minus 5 percent; is that
4 right?
5 MS. DOBS: That's at any time from the
6 shelf to the expiration date?
7 DR. WATTS: That's my understanding.
8 MR. UNIDENTIFIED SPEAKER: I think it
9 would probably be more accurate to say that it would
10 be narrowed to 95 percent, to 105 to 95 percent from
11 110 to 90 percent of labeled claim. The way it was
12 worded previously one might think that it could vary
13 plus or minus 5 percent from the released value,
14 which may not be exactly 100.
15 DR. WATTS: Okay.
16 Does everybody understand that?
17 Okay, ready to vote? Okay. We'll go
18 the other way, so Dr. Swadener?
19 DR. SWADENER: Yes.
20 DR. WATTS: Dr. Flegal?
21 DR. FLEGAL: Yes.
22 DR. WATTS: Dr. Woolf?

0270

1 DR. WOOLF: Yes.
2 DR. WATTS: Dr. Schambelan?
3 DR. SCHAMBELAN: Yes.
4 DR. WATTS: Dr. Selassie?
5 DR. SELASSIE: Yes.
6 DR. WATTS: Dr. Levitsky?
7 DR. LEVITSKY: Yes.
8 DR. WATTS: Dr. Dobs?
9 DR. DOBS: Yes.
10 DR. WATTS: Dr. Karol?
11 DR. KAROL: Yes.
12 DR. WATTS: Dr. Carpenter. Cut yours
13 off, please, Adrian.
14 DR. CARPENTER: I vote for the narrow,
15 but I feel that I don't have the data to confirm any
16 quantification of that range.
17 DR. WATTS: Dr. Meyer?
18 DR. MEYER: Yes.
19 DR. WATTS: Dr. Rosen?
20 DR. ROSEN: Yes.
21 DR. WATTS: Dr. Gloff?
22 DR. GLOFF: Yes.

0271

1 DR. WATTS: I vote yes.
2 Dr. Cooney?

3 DR. COONEY: Yes.
4 DR. WATTS: Dr. Burman?
5 DR. BURMAN: Yes.
6 DR. WATTS: Dr. Skarulis?
7 DR. SKARULIS: Yes.
8 DR. WATTS: Dr. Kibbe?
9 DR. KIBBE: Yes.
10 DR. WATTS: Dr. Venitz?
11 DR. VENITZ: Yes.
12 DR. WATTS: Dr. Tamborlane?
13 DR. TAMBORLANE: Yes.
14 DR. WATTS: Dr. Proschan?
15 DR. PROSCHAN: Yes.
16 DR. WATTS: Dr. Wierman.
17 DR. WIERMAN: Yes.
18 DR. WATTS: Dr. Morris?
19 DR. MORRIS: Yes.
20 DR. WATTS: Dr. Koch?
21 DR. KOCH: Yes.
22 DR. WATTS: Dr. McClung?

0272

1 DR. McCLUNG: Since I voted no the first
2 time, I have to abstain.
3 DR. WATTS: Okay, and I think
4 Dr. Singpurwall probably does, too.
5 DR. SINGPURWALL: Yeah, I think it's
6 ad hoc and, therefore, no.
7 DR. WATTS: Okay. Dr. Henderson?
8 DR. HENDERSON: Yes.
9 DR. WATTS: Okay. Okay, we'll tally
10 those up again.
11 So, question number three was should the
12 method for assessment of potency and deterioration
13 be re-evaluated. Anybody want to modify that?
14 Dr. Morris?
15 DR. MORRIS: Well, actually, yeah, I,
16 the agency of course can't dictate exactly how
17 companies do what they do, nor really shouldn't
18 based on the science. I mean there are reasons to.
19 I will say that the criteria by which
20 the stability is measured and reported is well known
21 within the people who, you know, have developed
22 these guidances, of course.

0273

1 But I think it still misses the point
2 that re-evaluating the methods of determination in
3 the absence of elucidating the mechanism doesn't
4 really make a lot of sense and it's a pretty vague
5 mandate to say re-evaluate these methods, because
6 this is really broad, this is a broad-reaching
7 mandate if you do it.
8 Re-evaluating all the methods by which
9 we do content uniformity and potency and dissolution
10 and all the things that are the ripple effects from
11 this is really quite a large task. I'm not sure
12 that it's within the scope. But that's my personal
13 opinion.

14 DR. TUTTLE: If you said rather than
15 re-evaluate standardize, because part of the trouble
16 I have here is that it seems to me that the
17 different companies are doing it different ways on a
18 different number of --

19 (End of Track 3 on CD).

20 (Beginning of track 4 on CD).

21 DR. TUTTLE: -- the lots, is that
22 correct, or are they all doing the same number of

0274

1 lots, the same number of times or is it variable?

2 DR. DUFFY: No, there is variability
3 between the companies, but we assess the proposals
4 that companies bring to us on their scientific
5 merit. Some companies do more than others, but we
6 certainly have a minimum standard based upon
7 scientific matter.

8 DR. TUTTLE: Got you. And the trouble
9 I'm having is I'm having trouble dis-linking this
10 from comparison between drugs, because, because what
11 I'm -- the next step after we get this taken care
12 of, I'm going to want to be able to compare the
13 various companies with our potencies over time, so
14 some standardization to this process where they're
15 doing it, I mean every place else you guys have
16 standardized every way they dot lines and cross the
17 Ts.

18 This just seems a little lax in terms of
19 what you're requiring them to do.

20 DR. DUFFY: Well what we do require is
21 that methods be validated for their accuracy and
22 precision and a number of other parameters. We at

0275

1 FDA don't dictate to companies how a particular test
2 is to be performed. It just simply needs to be
3 demonstrated.

4 MR. UNIDENTIFIED SPEAKER: Yeah, but in
5 the bioequivalence samples, how many numbers?

6 MR. UNIDENTIFIED SPEAKER: If I may just
7 clarify, I think the agency indirectly dictates
8 because when we label the product, as everybody
9 does, USP, they must follow the USP Pharmacopeia
10 which is very specific as to how many samples, how
11 you prepare it, how many replicate injections, what
12 your coefficient of variances are, and the USP meets
13 periodically to define the criteria. And all
14 companies, if they label the product by USP, when
15 you're inspected by the FDA compliance division,
16 they meticulously check that you're following the
17 USP procedures.

18 So there are criteria, there are
19 standards that are very specific across all the
20 suppliers.

21 MR. UNIDENTIFIED SPEAKER: Yeah,
22 that --

0276

1 MR. UNIDENTIFIED SPEAKER: And one other

2 thing on stability, I know this committee, I've got
3 a few gray hairs now, but there has been a lot of
4 debate historically. Levothyroxine is not the first
5 unstable product. There has been a lot of debate on
6 stability guidelines between the industry with the
7 agency. It's been a large topic of a number of
8 professional pharmaceutical associations as to how
9 to set guidelines. The agencies work with industry
10 and it is an area that's widely discussed by experts
11 in that area that have spent a lot of subcommittee
12 time and I, it's interesting to hear the discussion
13 coming around again that a lot of the people here
14 have not been able to participate via some of those
15 conversations.

16 DR. ROSEN: I just, I'm feeling a little
17 uncomfortable about question three because I don't
18 think we have enough information in front of us to
19 really understand the standards and what you're
20 using, it may be part of translating what you're
21 doing to us as a committee. We're going to have to
22 face this issue, but I think it would be important

0277

1 to have the background data to say what is it that
2 the USP dictates, what is it that you do and how we
3 might think it might change.

4 But just to go blindly and say let's
5 recommend a change or let's recommend it be
6 re-evaluated until we understand what exactly your
7 standards are, it's clear that they may vary, but
8 that may be because of the interface with the
9 companies.

10 DR. WATTS: Yeah, it's sounding to me as
11 though the agency doesn't have everything to do with
12 these standards and so the proposal that I was
13 making may be out of line in terms of directing it
14 to you. I'm, personally the thing that I learned
15 from this process was that, that was disturbing to
16 me was not so much the variability of the shelf
17 life, which I already had a sense of, but what seems
18 to me to be less than the type of science that I'm
19 accustomed to as far as the evaluation is concerned.

20 So I think at some point as you look at
21 comparability between products it's going to be
22 essential for this committee or whoever is sitting

0278

1 around the table to understand the processes, the
2 analytical limitations and the other things that go
3 into this.

4 So perhaps question three is not
5 appropriate for today and I'm happy to withdraw it.

6 DR. MEYER: Dr. Watts, I think it is
7 helpful, though, understanding that you are
8 withdrawing the question, I think it is helpful to
9 get the feedback as to the concerns and more to the
10 point what, what you're specifically concerned
11 about.

12 Because I understand you've expressed

13 concerns, you have concerns about the assay or the
14 method of testing, but I'm not entirely sure what
15 the basis is for the concerns and what, what's
16 bothering you I guess, so.

17 DR. WATTS: Okay.

18 DR. MEYER: So I'd certainly welcome
19 those kind of comments not only from you but from
20 other members of the panel.

21 DR. WATTS: Okay, well my concerns are,
22 number one, I'm sort of told that there's zero

0279

1 variability in the analytical method. I don't know
2 of any analytical method that that's tight, maybe
3 it's 1 percent or 2 percent, but if we're talking
4 about a 5 percent variance in what's out there,
5 that's almost -- that's 40 percent of the variance
6 that we're looking at.

7 I'm concerned that only a small
8 composite sample is being measured. I'm okay with
9 the composite because this is a drug that has a long
10 half life, so if my patient gets 125 micrograms in
11 this pill and 75 micrograms in that pill, in the
12 wash it's 100 micrograms, I'm okay.

13 But to have only one sample measured at
14 a time point or one composite sample measured at a
15 time point, maybe somebody's already done that in
16 duplicate, triplicate, quadruplicate and been able
17 to show that it's so tight we don't need to do it
18 anymore.

19 But I haven't seen that.

20 DR. DUFFY: Well, we certainly have,
21 this issue, this is not the first time we've been
22 discussing this issue and that is it gets to the

0280

1 statistical power of a limited sample set and we
2 certainly are attempting to work with the industry
3 on ways of addressing that, that issue of limited
4 sample size.

5 In terms of the variability, again, it,
6 there is just inherent variability in a laboratory
7 method and I think you said that there's zero
8 variability. No, certainly it's not zero. There is
9 some modest, there is some modest variability,
10 probably not exceeding 2 percent.

11 But of course, as I'm sure most of the
12 people around the table have had their own
13 experience in a laboratory, one analyst to the
14 other, there is just some inherent variability in
15 the way assays would be conducted.

16 DR. WATTS: Okay, I see several issues
17 brewing.

18 Dr. Levitsky.

19 DR. LEVITSKY: Well I think that nobody
20 is doubting the validity of the HPLC assay. You've
21 clarified that. That's fine, that's not the
22 problem.

0281

1 The problem as was pointed out is that
2 you're doing one assay on one sample, you could have
3 three different samples that you do the same assay
4 on and the other big problem, of course, is the
5 issue of these things being under, done under
6 controlled conditions on a pack that has just been
7 opened rather than a pack that is repetitively
8 opened and analyzed repeatedly from the same pack of
9 pills.

10 We just don't understand why you would
11 do it that way except if you wanted to bias in favor
12 of decreased degradation, that's the only reason for
13 doing it that way.

14 DR. WATTS: Okay. Dr. Proschan.

15 DR. PROSCHAN: I still -- oops, thank
16 you. I, it, what is important to the patient is
17 what's the probability that I'm going to, you know,
18 get a suboptimal -- yeah, dose, and so that depends
19 on which lot that patient gets and it depends on
20 other things, as well.

21 Now if you only have one lot, you know,
22 or only two lots, that you cannot tell the

0282

1 lot-to-lot variability with any accuracy at all,
2 therefore, you will not be able to say what is the
3 probability that a patient, a random patient is
4 going to get a suboptimal dose.

5 That's why I say at the minimum you have
6 to have a certain minimum number of lots to have
7 confidence in your results.

8 DR. WATTS: Dr. Rosen.

9 DR. ROSEN: Yeah, so I think you're just
10 talking to a group of endocrinologists who have all
11 had training in the lab and we all know how to do
12 assays and so where we see something where we don't
13 know about lot variability and variability over
14 time, you may know that information, but we don't
15 know it, so we can't make judgments about that until
16 we actually see the information.

17 If -- as he said, there's lots of lot
18 variability and you said that at the beginning of
19 the meeting. That immediately, in an assay like
20 that, we need to know that, as well as how much
21 variation there is around three known standards that
22 are put in the machine at the same time.

0283

1 So I think it's a question of actually
2 providing us with that kind of information so we
3 know where to start from in order to make the
4 interpretation of what really represents true
5 variability in the testing.

6 DR. WATTS: Dr. Meyer.

7 DR. MEYER: Yeah, I just wanted to make
8 a few points in this regard because you know,
9 obviously I came to the FDA as an academic clinician
10 and when I first saw some of the testing for
11 pharmaceutical quality, I had some of these

12 questions myself.

13 And I would note that one of the reasons
14 you don't have that information before you is
15 because we're not posing that specific question to
16 you about this.

17 But you heard earlier and the people on
18 the advisory committee for pharmaceutical sciences
19 has discussed quite in-depth in various settings
20 that what we're really after is quality by design.
21 We pay a lot of attention to the pharmaceutical
22 quality information and this testing is not then

0284

1 meant to assure the quality so much as it's sort of
2 a check to make sure that the quality design that
3 we've seen and that ultimately is the best assurance
4 of quality is, in fact, performing as we thought.

5 So, if, if we were going to use this
6 testing as the way of saying that Levothyroxine was
7 a quality product, we would have to be true doing a
8 lot more testing and a lot more samples and a lot
9 more lots overall to do that, but that's not the
10 intent of this testing. That's the intent of
11 pharmaceutical design and that's the intent of
12 really having a good GMP process that leads to a
13 quality product.

14 So this is sort of a final check on
15 that, more than the front end. It's sort of the
16 last check rather than the way to establish the
17 quality.

18 You heard earlier that we can't test in
19 quality and there's a lot of truth to that. We're
20 talking about destructive testing of the product,
21 for one thing, so whatever is tested is destroyed.
22 If you wanted absolute assurance, you'd have to do

0285

1 100 percent testing, but then you'd be releasing no
2 product whatsoever.

3 So again, I think the clinicians need to
4 understand that there's a lot of very smart people
5 in industry and a lot of very smart people in FDA on
6 the pharmaceutical side who put a lot of time and
7 effort into thinking about is this a quality product
8 irrespective of this testing and then this testing
9 has the role of just being an added assurance that
10 the manufacturing processes are continuing to lead
11 to a quality product.

12 DR. WATTS: Dr. Levitsky.

13 DR. LEVITSKY: I guess I'm a little
14 concerned about that kind of thinking because we
15 have just been presented with data that suggests
16 that most of these are not quality products, so I'm
17 trying to deal with this. I understand that this is
18 a quality control mechanism and it doesn't look as
19 if it is demonstrating quality in some of these
20 products.

21 DR. MEYER: I would say that it, that's not a true
22 statement. They are meeting the current

0286

1 specifications that most pharmaceutical products
2 meet. They are quality by that, those criteria.
3 Because this is a narrow therapeutic index drug
4 where people have raised concerns about the issue of
5 variation and its clinical impact, we're having a
6 discussion about potentially tightening those.

7 But these products have met the
8 specifications expected of them.

9 DR. WATTS: Dr. Morris.

10 MR. UNIDENTIFIED SPEAKER: Charlie was
11 first, go ahead.

12 DR. WATTS: Dr. Cooney.

13 DR. COONEY: Thanks, Ken. First of all,
14 I think taking this third question off the table is
15 the appropriate thing to do and it's very important
16 that it does provide an opportunity for us to give
17 some feedback to the agency for what are the broader
18 and perhaps even more important issues than the ones
19 that we have decided on today.

20 I've heard three important things to me
21 that need deeper thinking, I believe. One is the,
22 the, developing the protocols for testing a narrow

0287

1 therapeutic index drug which exhibits high
2 variability, it's not going to fall under the same
3 methodologies that are applicable to a non-NTI with
4 a very long shelf life and a high degree of
5 stability. It needs greater scrutiny.

6 Second -- and appropriate testing.

7 Second, this should be done I believe in
8 the context of a mechanistic understanding so that
9 you're not just groping at correlations that may
10 lead you in the right direction if you're lucky.
11 But if you know what you're testing and why you're
12 testing it and what it means, then you should be
13 able to do less testing and be much smarter about it
14 and be delivering something to the patient that is
15 going to be exactly what they expect.

16 And this is an ideal opportunity for
17 quality by design, but it, clearly it needs a lot of
18 thought and a lot of work in order to deliver that.

19 Third, in the area of bioequivalency
20 testing, this has been touched upon, referred to,
21 it's created some uncertainty in my mind that the
22 right markers are being used.

0288

1 I don't know the answer, but I would
2 hope that it would be looked at more clearly again
3 in terms of delivery of therapeutic value to the
4 patient.

5 DR. WATTS: Dr. Morris.

6 DR. MORRIS: What he said, actually,
7 with one more comment. The car you drove here in
8 today was not tested before it was left off the
9 line. It was produced in such a manner that they
10 know so much about the process that the real time

11 release of the car off the line was sufficient to
12 guarantee its quality.

13 I don't even want to scare you with how
14 many tablets out of a batch of a million that you
15 actually test.

16 MR. UNIDENTIFIED SPEAKER: About 20.

17 DR. MORRIS: The issue to me is that the
18 information we got on the testing that was done did
19 not convince us that the quality was in it and the
20 testing doesn't put quality in, but it should show
21 us that the quality is there and, and so if we don't
22 see standard error of the means, if we don't see

0289

1 variability, if we don't see some of those other
2 characteristics of a standard test, then we wonder.

3 And then the answer is trust me, I can
4 do it right is not encouraging to people who think
5 they spent their lives being bright about how to do
6 things like that.

7 So, I think that's where you were coming
8 from and I was more than happy to support you on
9 that. I think that what is done sometimes has been
10 done that way because that's the way it was done
11 when my grandfather ran the company and sometimes we
12 need to change that.

13 The agency has gone a long way to
14 pushing the issue of this scientific decision-making
15 and if the committee that you've called together,
16 there's 20 odd people with advanced degrees are
17 concerned about what they see, then maybe we, we
18 ought to at least couch that information a little
19 differently.

20 One other thing, and this is a pet peeve
21 and I'll take the opportunity to throw it out there,
22 when you call a study 45 degrees at 60 percent

0290

1 relative humidity and the tablet is never exposed to
2 the humidity, then why do you call it that? That
3 bothers me?

4 It, because the tablets in a sealed
5 container with a desiccant, where is the humidity in
6 that system and it's not there. I wish they'd
7 called it something else, but.

8 DR. WATTS: Dr. Schambelan.

9 DR. SCHAMBELAN: By the way, did you
10 check the battery in your laptop that was off the
11 product line?

12 I just want to reiterate the comments
13 that were made here. I think we're dealing with an
14 agent that has 12 different dosage strengths.
15 There's got to be a reason that it's been formulated
16 that way. I think we do believe it has a narrow
17 therapeutic index.

18 Those of us who see patients are
19 finetuning doses all the time and I think we do have
20 to ask for a higher set of standards for a drug with
21 such a narrow therapeutic index. We do have a good

22 read-out and the read-out is the TSH assay, we use
0291

1 that. I think that probably more than anything else
2 has alerted us to the issues we're talking about
3 today and that have been brought up by the three
4 societies. If we didn't have that in the days
5 before we had a highly sensitive assay, we weren't
6 as aware of the fact we were either over or
7 underdosing our patients.

8 So I think that on the manufacturing
9 end, it's not unreasonable to ask whether these
10 standards are, in fact, adequate, whether they
11 shouldn't be sharpened a little bit along the lines
12 of what is in that statement three that we probably
13 won't get to vote on.

14 DR. WATTS: Dr. Dobs.

15 DR. DOBS: I think this question is
16 crucial because we heard in the morning that
17 different, the variability was nil and then we hear
18 it's 2 percent, it's hard to just make a vote on
19 5 percent if 2 percent is in the assay.

20 So we really have to going forward, if
21 we're going with the 5 percent which we voted for to
22 make sure that's real because it's really quite

0292
1 unfair if the variability is 4 percent and we are
2 expecting a 5 percent from the manufacturers.

3 DR. WATTS: Well I think the point's
4 been made that there have been concerns about the
5 way to evaluate it and I certainly don't have the
6 expertise to tell anybody how to do it and
7 apparently it's not just up to the FDA to do it.

8 So I think that's out there.

9 Dr. Morris.

10 DR. MORRIS: Yeah, just to this point,
11 it's not a question of whether or not the confidence
12 in what it is you're looking at should be improved
13 or not. Clearly if that's possible, it should.

14 The point is is that unless you
15 understand what's leading to what you're seeing,
16 there's no point in just doing more testing. That's
17 just essentially testing to try to test the quality
18 and that just doesn't work.

19 But I agree that the error, that an
20 error propagation as Nasr had proposed is highly
21 appropriate.

22 DR. WATTS: We answered question number

0293
1 two, the final tally was 24 yes, 1 no and 1 abstain.

2 Having answered the questions, is there
3 any other business? Any other comments?

4 Thank you all for your participation and
5 adjourn the meeting.

6 (Meeting adjourned)

7
8
9

10
11
12
13
14
15
16
17
18
19
20
21