

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

ANTI-INFECTIVE DRUGS ADVISORY  
COMMITTEE MEETING

Gaithersburg, Maryland  
Tuesday, September 12, 2006

## 1 P R O C E E D I N G S

2 8:00 a.m.

3 DR. EDWARDS: Good morning, and welcome to  
4 the September 12, 2006 Anti-Infective Advisory  
5 Committee Meeting. In order to -- we re going to  
6 try to stay on time as much as possible during the  
7 entire meeting today in order to be fair to  
8 everyone involved to have equal time for  
9 presentation, and so without further ado, I m going  
10 to start with the introductions.

11 My name is Jack Edwards. I m the head of  
12 the Infectious Disease Section at the Harbor-UCLA  
13 Medical Center. We re going to begin with the  
14 introductions, and then we ll move on to the  
15 conflict of interest statement.

16 Let me begin at the far end of the table  
17 with Ed.

18 DR. COX: Yes. Good morning, Ed Cox, the  
19 Acting Director for the Office of Antimicrobial  
20 Products.

21 DR. ALBRECHT: Hello. I m Renata Albrecht,  
22 Director of the Division of Special Pathogen and

1 Transplant Products.

2 DR. POWERS: John Powers, Lead Medical  
3 Officer for Antimicrobial Drug Development and  
4 Resistance Initiatives in the Office of Medical  
5 Policy.

6 DR. SACKS: Leonard Sacks, Medical Team  
7 Leader for the Division of Special Pathogens and  
8 Transplant Products.

9 DR. TIERNEY: Maureen Tierney, Medical  
10 Reviewer, Division of Special Pathogens and  
11 Transplant Products.

12 DR. MOSHOLDER: Andrew Mosholder, Medical  
13 Officer, Division of Drug Risk Evaluation, FDA.

14 DR. GARDNER: Jacqueline Gardner,  
15 University of Washington, Department of Pharmacy.

16 DR. GUTIERREZ: Kathleen Gutierrez. I m on  
17 the faculty of Stanford University School of  
18 Medicine, and I do pediatric infectious disease.

19 DR. FROTHINGHAM: Rich Frothingham,  
20 Department of Medicine, Duke University.

21 DR. BRADLEY: John Bradley, pediatric  
22 infectious diseases, Children s Hospital, San

1 Diego.

2 DR. MOSADDEGH: Sohail Mosaddegh. I m the  
3 Designated Federal Officer for this advisory  
4 committee.

5 DR. KAUFFMAN: Carol Kauffman. I do  
6 infectious diseases at the University of Michigan  
7 in the VA Hospital in Ann Arbor.

8 DR. TUNKEL: I m Allan Tunkel. I m Chair  
9 of the Department of Medicine at Monmouth Medical  
10 Center and do adult infectious diseases.

11 DR. TOWNSEND: Greg Townsend, University of  
12 Virginia, infectious disease in the Department of  
13 Medicine.

14 DR. HILTON: Joan Hilton, the University of  
15 California, San Francisco, Division of  
16 Biostatistics.

17 DR. PORETZ: Donald Poretz, infectious  
18 disease in Fairfax, Virginia.

19 DR. BIGBY: Michael Bigby, Department of  
20 Dermatology, Harvard Medical School and Beth Israel  
21 Deaconess Medical Center.

22 DR. WONG-BERINGER: Annie Wong-Beringer,

1 University of Southern California, School of  
2 Pharmacy.

3 DR. GROSS: Peter Gross, Infectious Disease  
4 Specialist, Chair of Medicine, Hackensack  
5 University and Medical Center in New Jersey and a  
6 former Chair of FDA s Drug Safety and Risk  
7 Management Advisory Committee.

8 DR. WIEDERMANN: Bud Wiedermann, pediatric  
9 infectious diseases, Children s National Medical  
10 Center and George Washington University.

11 DR. MALDONADO: Sam Maldonado, District  
12 representative.

13 DR. EDWARDS: Thank you. I will turn the  
14 conversation over now to Sohail Mosaddegh, who will  
15 read the conflict of interest statement.

16 DR. MOSADDEGH: Good morning. The  
17 following announcement addresses the issue of  
18 conflict of interest and is made a part of the  
19 record to preclude even the appearance of such at  
20 this meeting. Based on the submitted agenda, all  
21 financial interests reported by the committee  
22 participants, it has been determined that all

1 interests in firms regulated by the Center for Drug  
2 Evaluation and Research present no potential for an  
3 appearance of a conflict of interest with the  
4 following exceptions.

5 In accordance with 18 U.S.C. 208(b)(3), a  
6 full waiver has been granted to Dr. John Bradley  
7 for unrelated consulting for a competitor, which  
8 his employer receives less than \$10,001.00 per  
9 year.

10 Dr. Peter Gross has been granted full  
11 waivers under 18 U.S.C. 208(b)(3) and 21 U.S.C.  
12 355(n)(4) for unrelated consulting for unrelated  
13 consulting for a competitor, which he receives less  
14 than \$10,001.00 per year, and for stock in a  
15 competitor valued from \$5,001.00 to \$25,000.00.

16 A copy of the waiver statements may be  
17 obtained by submitting a written request to the  
18 Agency's Freedom of Information Office, Room 12A-30  
19 of the Parklawn Building.

20 With respect to FDA's invited industry  
21 representative, we would like to disclose that Dr.  
22 Samuel Maldonado is participating in this meeting

1 as an acting industry representative, acting on  
2 behalf of regulated industry. Dr. Samuel Maldonado  
3 is employed by Wyeth.

4 In the event that the discussions involve  
5 any other products or firms not already on the  
6 agenda for which an FDA participant has a financial  
7 interest, the participants are aware of the need to  
8 exclude themselves from such involvement, and their  
9 exclusion will be noted for the record.

10 With respect to all other participants, we  
11 ask, in the interest of fairness, that they address  
12 any current or previous financial involvement with  
13 any firm whose products they may wish to comment  
14 upon.

15 DR. EDWARDS: Thank you. I d like now to  
16 introduce Dr. Renata Albrecht, who is the Director  
17 of the Division of Special Pathogens and Transplant  
18 Products. Dr. Albrecht will make some opening  
19 remarks, review the quinolone drug development,  
20 with emphasis on post-marketing safety.

21 DR. ALBRECHT: Thank you, Dr. Edwards. I  
22 realized I was saying good morning to everyone this

1 morning and forgot to double-check that I had all  
2 those electronic gadgets I need to advance the  
3 slides, so I ll appreciate any help on that.

4 First of all, I wanted to say good morning  
5 and welcome everyone to today s advisory committee  
6 on the subject of Factive, also know as  
7 gemifloxacin, for the proposed indication of acute  
8 bacterial sinusitis. I d like to also say thank  
9 you to Oscient, the sponsor of this application, as  
10 well as all our colleagues at the FDA for all the  
11 work that they have put into preparing, reviewing,  
12 this application, as well as in preparing for  
13 today s advisory committee.

14 And very importantly, I d like to thank  
15 Dr. Edwards for chairing today s committee, as well  
16 as the other distinguished members and consultants  
17 to the committee that have joined us today in  
18 Gaithersburg to help us with the discussion of this  
19 very important and challenging application.

20 Before I actually start my introductory  
21 remarks, what I wanted to do actually is mention  
22 that two of the committee members will actually be



1 rotating off, and we'd like to thank them very  
2 much, Dr. Poretz and Dr. Bradley. We do have  
3 certificates that will be given to you, but they  
4 haven't quite been brought in today, so they will  
5 be mailed to you. Again, on behalf of myself, as  
6 well as Dr. Sureth of the Division of  
7 Anti-Infective Drug Products and Dr. Cox, our  
8 Acting Office Director, I wanted to express our  
9 great appreciation for your service to the  
10 committee. I also wanted to welcome our two newest  
11 members, Dr. Annie Wong-Beringer and Dr. Bud  
12 Wiedermann, who are joining us for the first time  
13 today, so welcome.

14 Next slide, Sohail. What I'd like to do  
15 this morning is give you a brief overview of the  
16 application and the issues before us, and cover,  
17 basically, the proposed indication, talk a little  
18 bit about the approved indications, the review  
19 that's facing us with this application, mention a  
20 little bit about adequate and well-controlled  
21 studies, and finally, give you the questions that  
22 we would like you to debate and vote on this

1 afternoon. Next slide.

2       So Oscient has submitted an application  
3 for the proposed indication of acute bacterial  
4 sinusitis due to streptococcus pneumonia,  
5 haemophilus influenza, Moraxella catarrhalis, staph  
6 aureus, the methicillin-susceptible strains only,  
7 Klebsiella pneumoniae, and E. coli. The proposed  
8 dosage regimen is 320 milligrams once a day orally  
9 for a total of five days.

10       As you know, Factive is already an  
11 approved fluoroquinolone. It is approved for the  
12 indications of acute bacterial exacerbation of  
13 chronic bronchitis due to the listed organisms, as  
14 well as community-acquired pneumonia of mild and  
15 moderate duration for a number of organisms,  
16 including streptococcus pneumoniae, including  
17 multi-drug resistant strains, as well.

18       In the application under discussion today,  
19 Oscient has submitted results of five clinical  
20 studies on acute bacterial sinusitis, along with  
21 safety data from other clinical studies, as well as  
22 post-marketing safety data.

1           Now, what s unique in this application is  
2   that the first four studies -- and you ll hear more  
3   about the design and results of these from both the  
4   application and the FDA -- but the results of these  
5   first four studies, two studies evaluating  
6   gemifloxacin for seven days, one study evaluating  
7   gemifloxacin for five days compared to gemifloxacin  
8   seven days, and two non-comparative studies of five  
9   days.

10          The first four -- next slide -- have  
11   actually been previously reviewed by the Agency and  
12   received non-approval letters. In 2002, a  
13   non-approval letter was issued for Studies 9 and  
14   10, the seven-day regimen, and in 2002, Studies 186  
15   and 206, for the five-day regimen, were issued a  
16   non-approval letter.

17          In the letter, the FDA actually wrote that  
18   we concluded gemifloxacin is effective in treating  
19   a number of infections, including acute bacterial  
20   sinusitis, or ABS; however, there was significant  
21   concern regarding the cutaneous reactions seen, and  
22   therefore, the conclusion was reached that the

1 benefit did not outweigh the risk for this  
2 indication. Next slide.

3 How efficacy was demonstrated for this  
4 indication will be reviewed by both the application  
5 and the Agency, and it s summarized briefly in this  
6 slide. Next.

7 What were the safety concerns? As I  
8 mentioned, mostly cutaneous reactions, primarily a  
9 rash. Overall, the findings were the following.  
10 Consistently, there were more frequent rashes seen  
11 in the gemifloxacin arms compared to the control  
12 arms. And this was across all indications, not  
13 just sinusitis.

14 In addition, there was an increased  
15 incidence of rash with increasing duration of  
16 therapy. The rash was more common in patients less  
17 than 40 years old than patients over the age of 40,  
18 and the rash was more common in women than men. In  
19 addition, the spectrum ranged from mild to severe  
20 rash. Next slide.

21 Now, this is a figure that s also in your  
22 briefing material, and it very briefly summarizes

1 most of the findings of these rash reactions. Just  
2 to quickly orient you, on the Y axis, we have the  
3 percent of patients reporting rash, and on the  
4 bottom, the left-hand side shows three, five,  
5 seven, 10, and 14 days of gemifloxacin therapy; on  
6 the right side, the same durations of control  
7 therapy.

8       You can see the rate of rash is related to  
9 the duration of therapy. Patients younger than 40  
10 -- these bars -- have a higher incidence than  
11 patients over the age of 40, which are these.  
12 Again, as you can see, the seven-day regimen does  
13 have more rashes than the five-day regimen. Next  
14 slide.

15       So given that that is the safety that we  
16 saw for the product overall, how is it that we came  
17 to different regulatory conclusions for the  
18 different indications? Let me try to summarize our  
19 reasoning. We approved the indications of  
20 community-acquired pneumonia, CAP, and acute  
21 bacterial exacerbation of chronic bronchitis, or  
22 ABCB.

1           These are serious diseases. There s a  
2 high mortality and morbidity associated with CAP.  
3 There s a risk of patient decompensation with ABCB.  
4 In the clinical studies that were submitted, most  
5 of the patients were actually over the age of 40,  
6 and more than half were male, so despite a higher  
7 incidence of rash with gemifloxacin, at the  
8 seven-day regimen for CAP and five-day regimen for  
9 ABCB, the benefit was judged to exceed the risk.

10           Furthermore, we brought the applications  
11 before the advisory committee in 2003. The  
12 committee recommended approval and therefore,  
13 Factive was approved for these two indications in  
14 April of 2003.

15           In contrast, for ABS, we issued  
16 non-approval letters in 2000 and again in 2002.  
17 The potentially seriously morbidity for sinusitis  
18 is not clear. In addition, in clinical trials,  
19 there were predominately female with a mean age of  
20 40, exactly the population that has the highest  
21 incidence of rash.

22           The rash was greater in the seven-day

1 regimen compared to the five-day, and these were  
2 higher than what was seen in the comparators.  
3 Therefore, despite the conclusion that gemifloxacin  
4 was effective, the overall recommendation was that  
5 the indication not be approved because the risk of  
6 adverse events exceeded the benefit for the  
7 treatment of this indication.

8         Nevertheless, Oscient continued to be  
9 interested in this indication. We had additional  
10 dialogue and in February of 2005, we acknowledged  
11 that the Factive applications were submitted before  
12 the 2003 advisory committee on acute bacterial  
13 sinusitis that was brought before this committee.

14         We also agreed that judged by the pre-2003  
15 parameters for evaluating efficacy in this  
16 indication, the drug was considered effective, and  
17 we reiterated our concerns that the rash was more  
18 common with gemifloxacin and requested a large  
19 comparative safety study to demonstrate  
20 convincingly that the incidence of skin reactions,  
21 particularly serious reactions, was clinically  
22 acceptable and no greater than the comparators.

1           During that meeting, we actually also  
2           commented that we did not grant the organism  
3           multi-drug resistant streptococcus pneumonia in the  
4           indication of acute bacterial sinusitis, and  
5           advised the company that if they were interested in  
6           that organism, a placebo controlled trial  
7           demonstrating efficacy in ABS secondary to MDRSP  
8           would be required.

9           Let me now turn to the current application  
10          before us. This is a new supplement. It was  
11          submitted in November of last year, and after some  
12          dialogue between the company and FDA, it was  
13          accepted for review in March of this year. Next,  
14          please.

15          So as part of review of this application,  
16          the FDA has actually been involved in the  
17          examination of information submitted for both  
18          efficacy and safety, and this includes their review  
19          of both new information that has been submitted, as  
20          well as previously reviewed information that s  
21          contained in this application. This includes  
22          information from clinical studies, as well as



1 post-marketing safety data. Next, please.

2       So for the review of safety, the  
3 application contains clinical trial data from about  
4 8,119 patients who received gemifloxacin. This  
5 includes the 6,775 patients whose data was actually  
6 reviewed as part of the original application which  
7 supported the CAP and ABCB approvals. The  
8 application also contains clinical data from 5,242  
9 patients who received comparator drugs, and this is  
10 the same number that was included in the original  
11 NDA application.

12       The safety information will be reviewed by  
13 Dr. Tierney from the FDA and also by the company.  
14 Post-marketing safety information will be reviewed  
15 for FDA by Dr. Andy Mosholder. The company will  
16 also summarize some interim study reports that they  
17 have submitted to the Agency since June 2006.

18       As far as efficacies, I mentioned there  
19 are five clinical studies. Three of these are  
20 comparative and all three comparative ABS studies  
21 use the non-inferiority trial design. Now, since  
22 2002, there have been a number of public meetings

1 and workshops where the Agency has discussed  
2 non-inferiority trial designs and the necessity for  
3 justifying the margin in such studies.

4 In addition, in October of 2003, an  
5 advisory committee took place to discuss the -- it  
6 was, in fact, the Anti-Infective Advisory Committee  
7 -- to discuss the development of drugs for acute  
8 bacterial sinusitis. The committee at the time  
9 recommended that superiority trials be used for ABS  
10 indications because an appropriate non-inferiority  
11 margin couldn't be determined from the available  
12 published placebo controlled studies. Next slide.

13 Now, non-inferiority or similarity trial  
14 designs are used quite frequently in development of  
15 drugs for infectious diseases, and they're really  
16 quite appropriate for diseases whose spontaneous  
17 resolution without antimicrobial therapy is low,  
18 such as, for example, bacterial meningitis or  
19 bacterial pneumonia.

20 So let me walk you through the reasoning  
21 for this. If the clinical success, for example, in  
22 an infection, is reproducibly less than 50%, or

1 even as low as 20%, in a placebo arm, and  
2 reproducibly, let s say, 80% or more on an  
3 antimicrobial arm, then the difference between 50  
4 and 80 is a 30% benefit of antimicrobial therapy  
5 over the spontaneous resolution of the disease, and  
6 then a margin that s less than that 30% for the  
7 lower bounds of the 95% confidence interval  
8 excludes that the effect may due to placebo.

9 So choosing a margin, for example, of less  
10 than 15% or so preserves at least half that  
11 benefit. However, if we have a disease with high  
12 spontaneous resolution and the clinical success is,  
13 let s say, greater than 70% in placebo, and again,  
14 80% for the drug, the benefit is actually less than  
15 10% and a smaller margin may need to be selected.

16 So how does this relate to acute bacterial  
17 sinusitis? What is the benefit of antimicrobials  
18 over placebos in that condition? Well, we ve  
19 actually reviewed published studies of placebo  
20 controlled trials in acute bacterial sinusitis.  
21 Seventeen were identified, eight of these have been  
22 published since 2000, and Dr. John Powers will

1 actually present the summary of that review.

2       Why is this important? Two reasons. The  
3 first is we need to do this to determine the  
4 benefit of the antimicrobial over placebo, and  
5 second, we need to do this because our regulations  
6 require that we do that. Next slide.

7       Let me actually present a couple of slides  
8 on the regulations that we need to follow in  
9 reviewing and approving products. This is a  
10 regulation that defines adequate and  
11 well-controlled studies and acute treatment  
12 concurrent controlled trials are one type of  
13 adequate and well-controlled study.

14       Now, if the intent of an active treatment  
15 concurrent controlled trial is to show similarity  
16 of the test and the control drug, then the report  
17 of this study should assess the ability of this  
18 study to have detected a difference between  
19 treatments, because if similarity is shown, that  
20 can mean either that both drugs were effective, or  
21 that neither was. So one way to do this is that  
22 the drugs which should be considered effective in

1 this study may be judged so by reference to results  
2 in previous placebo controlled studies of the  
3 active control drug.

4       What about adequate and well-controlled  
5 studies? Well, evidence consisting of adequate and  
6 well-controlled investigations, including clinical  
7 investigations by experts on the basis of which it  
8 could fairly and responsibly be concluded that the  
9 drug will have the effect it purports to or is  
10 represented to have, is the definition of  
11 substantial evidence, and for approval of the  
12 product, we need to have substantial evidence  
13 within the application.

14       Therefore, as we review today s  
15 application for acute bacterial sinusitis, we need  
16 to look at both efficacy and safety. So although  
17 Factive was determined to be effective in acute  
18 bacterial sinusitis in 2000 and 2002, the  
19 indication was turned down due to concerns about  
20 the safety profile. So as we examine efficacy in  
21 2006, we need to keep in mind the developments  
22 since 2002.

1           As far as safety, there were concerns  
2 regarding the adverse event profile in 2000 and  
3 2002. This led to a non-approval of the  
4 indication. So as we examine the safety in 2006,  
5 we need to consider all the safety information,  
6 both from clinical studies and post-marketing.

7           So here s a synopsis of this morning s  
8 agenda. First, we ll hear presentations from the  
9 company, followed by three presentations by FDA.  
10 Dr. Powers will discuss sinusitis placebo  
11 controlled studies and drug development, Dr.  
12 Tierney will summarize the safety and efficacy of  
13 Factive for sinusitis, and Dr. Mosholder will  
14 review the post-marketing reports.

15           Actually, then, we will have lunch, and  
16 after that, an open public hearing, and then the  
17 committee will be asked to debate the following  
18 question. So as you listen to the presentations,  
19 please keep this question in mind. We ll ask not  
20 only for a discussion of it, but also a vote at the  
21 end of the day.

22           The question is: do the safety and

1 effectiveness data presented demonstrate an  
2 acceptable risk-benefit profile for the use of  
3 Factive as treatment for patients with acute  
4 bacterial sinusitis? If you determine that the  
5 risk-benefit supports the use of the product in  
6 ABS, then we ll ask if there are special caveats,  
7 warnings, or limitations that should be included in  
8 the product labeling, and also, we ll ask if there  
9 are any specific risk management recommendations  
10 that you would like to make for Factive  
11 post-approval.

12 If, on the other hand, you determine that  
13 the risk-benefit does not warrant the use of  
14 Factive in this indication, then we ll ask if there  
15 are other studies or other information that could  
16 demonstrate that the benefit of the product  
17 outweighs the risk.

18 With that, I ll turn it back to you, Dr.  
19 Edwards, and I just listed a lot of the folks that  
20 have helped to make this possible.

21 DR. EDWARDS: Thank you very much. We re  
22 going to move now to the application presentation

1 by Oscient, and we re actually just a little bit  
2 early. The sponsor has asked that because of the  
3 amount of information they want to present, that we  
4 hold questions until the end of the presentation.  
5 So welcome.

6 DR. ALBRECHT: Dr. Edwards?

7 DR. EDWARDS: Yes?

8 DR. ALBRECHT: May I ask that we recognize  
9 two other colleagues who ve joined us? Could you  
10 ask them to introduce themselves? Drs. Temple and  
11 O Neill have joined us.

12 DR. EDWARDS: Thank you.

13 DR. TEMPLE: I m Bob Temple. I m Director  
14 of the Office of Medical Policy in Cedar.

15 DR. O NEILL: I m Bob O Neill. I m the  
16 Director of the Office of Biostatistics in Cedar.

17 DR. EDWARDS: Thank you very much.  
18 Welcome. Please.

19 DR. PATOU: Good morning, members of the  
20 advisory committee and the FDA. I m Gary Patou. I  
21 led the development of gemifloxacin during its  
22 Phase I through III clinical trials, and I ll lead



1 you through today s presentations on gemifloxacin  
2 for the treatment of acute bacterial sinusitis, or  
3 ABS.

4 We ll discuss two primary issues with you  
5 today. First, we ll explain why, with controversy  
6 over the role of antibiotics in this condition, and  
7 multiple FDA-approved agents, why we need  
8 gemifloxacin for the treatment of ABS.

9 We ll show data that demonstrate  
10 gemifloxacin would be an important therapeutic  
11 option for the treatment of ABS, particularly where  
12 the risk of infection by resistant organisms is of  
13 concern.

14 The data demonstrate that gemifloxacin has  
15 a highly favorable PK/PD profile and was very  
16 effective in clinical trials in only five days of  
17 therapy. We ll also explain why a short course of  
18 therapy is important.

19 The second issue we ll cover is  
20 gemifloxacin associated rash. We ll discuss the  
21 safety profile of the drug, particularly in terms  
22 of rash, and we ll compare gemifloxacin s adverse

1 effects to those of other currently marketed  
2 antibiotics for ABS.

3 The consistency of the safety experience  
4 with gemifloxacin, through multiple studies and  
5 multiple databases, will be a constant theme in our  
6 presentations today. We believe that when the  
7 efficacy and safety of gemifloxacin for this  
8 indication are placed into context, a favorable  
9 risk-benefit profile emerges.

10 To briefly review gemifloxacin's recent  
11 regulatory history, three years ago, this advisory  
12 committee recommended FDA approval of gemifloxacin  
13 for acute bacterial exacerbations of chronic  
14 bronchitis, ABCB, and mild to moderate community  
15 acquired pneumonia, or CAP. The FDA approved the  
16 drug for those indications one month later.

17 In November, 2005, the sponsor filed a  
18 supplemental NDA for five days of therapy for CAP  
19 and five days for ABS. We're here today to discuss  
20 ABS. We come to you with many times the patient  
21 exposures that we had in 2003, and two years  
22 experience marketing gemifloxacin.

1           The ABS clinical trial program was  
2     conducted in state-of-the-art trials, which meet  
3     all current FDA guidelines for the development of  
4     drugs for the treatment of acute bacterial  
5     sinusitis. The FDA has not issued any new  
6     guidelines to date, and recently, there have been  
7     four ABS approvals based upon programs conducted  
8     according to the existing guidelines, mainly  
9     studies of non-inferiority design.

10          The gemifloxacin clinical trials met all  
11     of their primary and secondary end points, and the  
12     FDA has communicated on previous occasions that  
13     gemifloxacin is efficacious in the treatment of  
14     ABS. We will present data from the entire  
15     gemifloxacin clinical development program, and I ll  
16     describe that program for you now.

17          First, the clinical trial database from  
18     the 2002 NDA of 6,775 patients and from Study 344,  
19     an intense examination of rash in more than a  
20     thousand healthy women. Second, three further  
21     clinical trials, including an additional five-day  
22     ABS study.

1           These three additional studies were  
2 submitted to the FDA in the 2005 sNDA.  
3 Importantly, they increased the five-day ABS  
4 database by 40%, bringing the total number of  
5 patients in gemifloxacin clinical trials up to  
6 8,119.

7           We will also show data from the Phase IV  
8 FORCE study, which focuses on drug safety and the  
9 Prescribing Use study. Both of these were  
10 post-marketing promises made and kept to the FDA.  
11 Scheduled interim annual reports from these studies  
12 were submitted to the FDA in June of 2005 and 2006.

13           Finally, we will discuss our U.S. post-  
14 marketing database of 760,000 patient exposures.  
15 When you include 200,000 ex-U.S. post-marketing  
16 patient exposures, we have nearly a million patient  
17 exposures to gemifloxacin. The FDA s briefing book  
18 limits its analysis to the 2002 NDA population of  
19 6,775 and the post-marketing surveillance reports.

20           While we understand that the FDA has not  
21 fully reviewed all of the data that you see on the  
22 screen, the sponsor has analyzed the data and

1 believes these data can help the committee address  
2 the FDA s concerns about gemifloxacin.

3       Before I review the agenda for the rest of  
4 our presentation, I d like to emphasize that acute  
5 bacterial sinusitis can cause significant  
6 morbidity. To quote ABS expert Dr. Jack Wortney  
7 (phonetic), despite the fact that true acute  
8 bacterial sinusitis may occur in only a small  
9 percentage of cases of viral sinusitis, it remains  
10 a serious health issue.

11       Respiratory tract infections are the  
12 number-one reason patients visit doctors offices,  
13 and sinusitis is the most common respiratory tract  
14 infection in adults. In fact, there are  
15 800,000,000 cases of rhinosinusitis per year, and  
16 of these, between five and 20 million cases are  
17 believed to be bacterial; that is, ABS.

18       These patients deserve the best possible  
19 treatment, and with any infectious disease, the  
20 implications of treatment go far beyond the  
21 individual patient. We should not only seek to  
22 better define the subset of ABS patients that

1 benefit from antibiotics, we should also use agents  
2 that are least likely to cause resistance, to  
3 minimize the burden on public health.

4 Now, here is our agenda for the rest of  
5 today s presentations. First, Dr. Donald Low,  
6 Chief of Microbiology at the Mt. Sinai Hospital in  
7 Toronto, Professor of Medicine at the University of  
8 Toronto, and Medical Director at the Ontario Public  
9 Health Laboratory, will talk to us about the  
10 problems of emerging antimicrobial resistance in  
11 streptococcus pneumonia and possible solutions.

12 Dr. Low is widely published on  
13 streptococcus pneumonia drug resistance, and is on  
14 the CLSI committee that determines antibiotic break  
15 points for North America.

16 Dr. B.J. Ferguson is Director of the  
17 Division of Sino Nasal Disorders and Allergy in the  
18 Department of Otolaryngology, Head and Neck Surgery  
19 at the University of Pittsburgh School of Medicine.  
20 She will describe the efficacy data from the ABS  
21 clinical trial program.

22 Dr. Neil Shear, Professor of Dermatology

1 at the University of Toronto, will review the  
2 cutaneous data. Dr. Shear runs a clinic for  
3 patients with cutaneous drug reactions and is at  
4 the forefront of research into the cutaneous  
5 effects of drugs.

6 Dr. Paul Waymack, former burn and  
7 transplant surgeon at Shriners Burns Institute in  
8 Galveston, Texas and former FDA Medical Officer,  
9 will present the safety data on gemifloxacin, and I  
10 will then discuss risk-benefit and our risk  
11 minimization program.

12 As you see on the screen, we have five  
13 additional experts with us today to answer any  
14 additional questions that you may have. Now, I'll  
15 turn the podium over to Dr. Low. Thank you.

16 DR. LOW: Thanks very much, Gary. My  
17 interests have been in the epidemiology and  
18 mechanisms of antimicrobial resistance and how it  
19 impacts patient care and clinical outcomes. In  
20 fact, I was here in 2003, presented the committee  
21 the importance of choosing the right antibiotic for  
22 the treatment of acute exacerbations of chronic

1 bronchitis and community acquired pneumonia in  
2 order to minimize the development and dissemination  
3 of resistance.

4       So today, I m going to argue that, in  
5 fact, it s just as important that if we re using an  
6 antibiotic to treat acute bacterial sinusitis, that  
7 we use the right antibiotic, even if it s for a  
8 much less severe infection, like acute bacterial  
9 sinusitis.

10       Now, I m going to focus on the  
11 pneumococcus, because it s clearly the most  
12 important bacterial cause of respiratory tract  
13 infections, the one associated with the greatest  
14 morbidity and mortality. What I d like to show you  
15 to start off with is a schematic which describes  
16 how resistance emerges in respiratory pathogens,  
17 particularly in the nasopharynx.

18       It s important to recognize that acute  
19 bacterial respiratory tract infections, whether  
20 it s otitis media, sinusitis, community acquired  
21 pneumonia, that the bacteria originate in the  
22 nasopharynx. The nasopharynx is a reservoir for



1 bacteria, including pneumococci, even in healthy  
2 individuals.

3       When somebody develops a viral sinus  
4 infection, this creates an environment conducive to  
5 colonization with bacteria, including pneumococci,  
6 and sometimes resulting in bacterial infection,  
7 acute bacterial sinusitis.

8       Now, if an antibiotic is decided to be  
9 used, the goal of therapy is to eradicate that  
10 bacteria without creating antibiotic resistance.  
11 However, using a long-acting drug or a marginally  
12 effective drug, that can create an environment that  
13 selects for resistance. In some case, the same  
14 resistant bacteria can, once again, cause acute  
15 bacterial sinusitis, they can cause community  
16 acquired pneumonia, and probably even more  
17 importantly is they can be transferred from person  
18 to person; that is, a spread of resistance.

19       During the 1990s, as you re aware, we saw  
20 the rapid emergence of betalactam resistance to the  
21 pneumococcus from less than 2% in the 1980s to  
22 greater than 15% by 2001. During the same time

1 period, we saw the emergence of macrolide  
2 resistance in the pneumococci.

3 In Dorn's recent U.S. surveillance study  
4 publishes last year in Clinical Infectious Disease,  
5 he noted a similar, although not as dramatic,  
6 increase in Fluoroquinolone resistance to  
7 pneumococci. He also noted a quite marked dramatic  
8 increase in the number of isolets with first step  
9 mutations. This is a marker for impending  
10 resistance, and when you see such a dramatic  
11 increase, you have to be concerned that resistance  
12 may soon follow.

13 Dorn also showed in that study a  
14 disturbing number; that is, the number of  
15 multi-drug resistant streptococcus pneumonia at  
16 25%. This emphasizes the need for agents such as  
17 gemifloxacin that are approved for the treatment of  
18 patients infected with such isolets.

19 Now, in Canada, we've had in place a  
20 prospective surveillance program since the early  
21 1990s, not only to monitor resistance rates, but to  
22 look at the factors that are actually driving

1 resistance. This network collects isolets from 65  
2 different laboratories across Canada, and what  
3 we've found is that long-acting drugs, and those  
4 with marginal activity, alter resistance rates.

5 Here, we see the progressive increase in  
6 the prevalence of macrolide resistance in Canada in  
7 association with a decrease in the use of  
8 short-acting macrolides, like erythromycin, and an  
9 increase in the use of long-acting macrolides,  
10 azithromycin and clarithromycin.

11 Now, these same observations have been  
12 made by a number of investigators in different  
13 countries. The explanation, I think, appears to be  
14 related to the fact that long-acting macrolides  
15 were remaining in the mucosa at sub-optimal  
16 concentrations, therefore creating an environment  
17 that selects for macrolide resistance.

18 These Canadian data show Fluoroquinolone  
19 resistance in pneumococci increasing rapidly during  
20 the 1990s and at the turn of the century, but then,  
21 since 2002, an actual decrease in resistance rates.

22 So why the decrease? We believe the

1 decrease was caused by the introduction and  
2 widespread use of floroquinolones with optimal  
3 activity against pneumococci; that is, gadifloxacin  
4 and moxifloxacin.

5       The green line shows you the decreasing  
6 use of Ciprofloxacin, a floroquinolone with less  
7 than optimal activity against the pneumococci; the  
8 blue line shows you levafloxacin use, a  
9 floroquinolone with marginal pneumococcal activity;  
10 and the orange line shows you the combined use of  
11 gadifloxacin and moxifloxacin, two floroquinolones  
12 with optimal pneumococcal activity.

13       Unfortunately, gadifloxacin is no longer  
14 available, leaving only moxifloxacin as a  
15 floroquinolone with optimal pneumococcal activity.  
16 Clearly, we need more choices within this class.  
17 Specifically, we need an antibiotic that is highly  
18 active against pneumococci, such as gemifloxacin.

19       PK/PD parameters support the observations  
20 that more active quinolones can minimize the  
21 emergence of resistance. Two important parameters.  
22 One, the AUC/MIC ratio; that is, the area under the

1 concentration curve divided by the MIC, and the  
2 peak plasma, or CMAX/MIC ratio.

3 Now, the AUC/MIC ratio that has been  
4 associated with bacterial eradication in clinical  
5 cures has been one greater than 30, and currently,  
6 the respiratory fluoroquinolones achieve this ratio.

7 In an important paper published in JAMA in  
8 1998 by Preston and Drusano, they found that  
9 favorable clinical and microbiological outcomes  
10 were most likely to occur if a CMAX/MIC ratio of 12  
11 was achieved. As shown here on the right-hand  
12 side, gemifloxacin is the only fluoroquinolone that  
13 meets these criteria.

14 Gemifloxacin has a unique ability to bind  
15 equally and effectively to both of the targets that  
16 are essential for DNA replication in bacteria, at  
17 concentrations that are achievable in the pt. This  
18 is reflected in its ability to kill  
19 quinolone-resistant pneumococci. On this slide are  
20 the results of time kill studies using  
21 concentrations equivalent to free unbound drug that  
22 would be found in a patient. Only gemifloxacin,

1 represented by the yellow line with circles, was  
2 bactericidal.

3       Based on its excellent PK/PD parameters  
4 and its dual targeting ability, we believe that  
5 gemifloxacin can limit the development and  
6 dissemination of pneumococcal resistance to the  
7 fluoroquinolone class.

8       Low potential for resistance induction is  
9 one of the six key criteria outlined by Sandy  
10 (phonetic) and Gwaltney for the treatment of acute  
11 bacterial sinusitis. The other criteria include  
12 the ability to penetrate tissue rapidly, to be of  
13 the appropriate spectrum of activity, to be rapidly  
14 bactericidal, to have a half life appropriate for  
15 once a day therapy, and short-term dosing.

16       So how does gemifloxacin stack up against  
17 these recommendations? Gemifloxacin meets all the  
18 criteria for the ideal drug for ABS. As a class of  
19 fluoroquinolones, they all rapidly penetrate tissue,  
20 they are rapidly bactericidal, and they have an  
21 excellent activity against the pathogens causing  
22 ABS.

1           In addition, gemifloxacin has a half life  
2 of eight hours, which allows for convenient  
3 once-a-day dosing; its five-day course of therapy  
4 minimizes the exposure of the pathogen to the  
5 antibiotics; and finally, we have shown here using  
6 the most active floroquinolone reduces the  
7 potential for resistance induction.

8           Thank you for your attention, and I ll  
9 turn the podium over to Dr. B.J. Ferguson, who will  
10 present the efficacy data for gemifloxacin in ABS.

11           DR. FERGUSON: Thank you, Dr. Low. Good  
12 morning. I m B.J. Ferguson. I ve been on the  
13 faculty of the Department of Otolaryngology at the  
14 University of Pittsburgh Medical Center for almost  
15 14 years. I treat predominately patients who have  
16 sino nasal problems, and I do believe we need more  
17 effective antibiotics for the treatment of acute  
18 bacterial sinusitis. We need antibiotics that are  
19 easy for our patients to comply with, antibiotics  
20 that work when other antibiotics have failed,  
21 antibiotics that work when patients are truly ill.  
22 We need antibiotics that are responsible to the

1 community and can reduce the spread of resistance.

2 When patients have sinusitis, they suffer.

3 They suffer from facial pain and pressure, purulent

4 drainage, nasal blockage, impaired sleep, fatigue,

5 impaired quality of life, and impaired

6 productivity, and sometimes, they suffer from rare

7 complications of intracranial infection, orbital

8 abscess, meningitis, and sometimes, they suffer

9 from persistent sinus disease.

10 It is up to the treating physician to

11 accurately diagnose and treat the truly ill patient

12 with an effective antibiotic. Today, I ll present

13 data that will show you why gemifloxacin belongs in

14 that group of oral antibiotics most effective for

15 ABS.

16 While there are many antibiotics that are

17 indicated for ABS, the emergence of resistant

18 bacteria has rendered many of these considerably

19 less effective. In the case of strep pneumoniae,

20 the resistance is now to multiple classes of

21 antibiotics, multiple drug resistant strep

22 pneumoniae, MDRSP, and a number of such organisms



1 were isolated from patients in the gemifloxacin  
2 program.

3 This is a table modified from Dr. Jack  
4 Gwaltney s chapter on sinusitis in the 2005  
5 Mandell s Infectious textbook. I have added  
6 telithromycin and the five-day indication for  
7 levafloxacin. This reflects the most effective  
8 antibiotics currently for acute bacterial  
9 sinusitis.

10 When we are dealing with highly resistant  
11 strep pneumoniae, which you heard from Dr. Low s  
12 presentation is increasingly common, there are only  
13 three classes of antibiotics that remain effective:  
14 high-dose amoxicillin, telithromycin, and the  
15 fluoroquinolone class.

16 Today, I ll share data with you that  
17 demonstrates gemifloxacin s efficacy with a  
18 five-day course of therapy. The gemifloxacin  
19 clinical development program started off as a  
20 seven-day program, comparing two effective  
21 antibiotics approved for the indication.

22 During this period of time, shorter

1 courses of therapy were being studied and some were  
2 subsequently approved. A shorter course is easier  
3 for the patient to comply with fully and there is  
4 less pressure for the development of resistance.  
5 So the sponsor moved the gemifloxacin clinical  
6 program from seven days to five days, and as you ll  
7 note in your briefing book, there was a lower  
8 incidence of rash.

9 The gemifloxacin program was extensive,  
10 consisting of five trials of more than 1,800  
11 patients, and as we ll see, all of the studies not  
12 only met, but exceeded the preset non-inferiority  
13 boundaries. The studies also employed the gold  
14 standard for evaluating bacteriology in sinusitis,  
15 maxillary sinus taps.

16 Sinus tap data was collected on more than  
17 1,200 patients. Of those, nearly 900 patients were  
18 on gemifloxacin. (inaudible), and these criteria  
19 were more rigorous than those developed by the Task  
20 Force on Rhinosinusitis sponsored by the American  
21 Academy of Otolaryngology Head and Neck Surgery for  
22 diagnosing rhinosinusitis a decade ago.

1 Patients in the comparator trials had to  
2 have at least seven days, and not more than 28 days  
3 of symptoms. They all had nasal purulence by exam.  
4 They all had radiographic evidence of acute  
5 bacterial sinusitis by either total opacification,  
6 or an air-fluid level.

7 Note, patients with only mucosal  
8 thickening were excluded. This is a lesser  
9 criteria. They all had to have major and minor  
10 criteria established by the American Academy of  
11 Otolaryngology. Patients were excluded in all the  
12 studies if they had been on antibiotics in the last  
13 seven to 14 days, had nasal polyps distal to the  
14 middle turbinate, or had had sinus surgery within  
15 the last six months.

16 In the next two slides, you ll see that  
17 these are patients who had a constellation of  
18 symptoms. 95% of the patients with symptoms for at  
19 least three days, but less than seven, had two or  
20 more major criteria, and a multitude of minor  
21 criteria. You see here that the patients who had  
22 seven days of symptoms or more had a similar

1 pattern.

2       The first two studies were randomized,  
3 double blind comparator studies, seven days of  
4 gemifloxacin against 10 days of two approved  
5 comparators for ABS, cefuroxime or trovafloxacin.  
6 At the time of these studies, trovafloxacin was  
7 considered to be one of the most effective  
8 antibiotics for respiratory pathogens.

9       In these studies, gemifloxacin was  
10 non-inferior to its comparators. In addition, a  
11 third bridging study was conducted comparing seven  
12 days of gemifloxacin to five days of gemifloxacin.  
13 I was an investigator and the primary author on  
14 this study, which was published in 2002. Finally,  
15 two open-label maxillary sinus tap studies were  
16 done, using five days of gemifloxacin.

17       Here, we see a summary of the clinical  
18 success rate in the controlled trials. We see the  
19 point estimates range between 87 and 91%, and are  
20 similar with gemi and the comparators. These were  
21 large studies with more than 150 subjects per study  
22 arm enrolled in each of these trials.

1           As you can see graphically, all of these  
2 controlled studies achieved a non-inferiority  
3 boundary of less than 10% based on the primary  
4 analysis population, even though the studies were  
5 designed to meet the minus 15% non-inferiority  
6 guidelines at the time. The results for the  
7 intent-to-treat population were similar, except for  
8 a minus 10.6% boundary in the ITT population of  
9 Study 009.

10           Now, let s look at the open-label studies  
11 in which gemifloxacin was given for five days.  
12 What you see is a similar success rate of gemi of  
13 87 to 90% in the per-protocol population at  
14 test-of-cure, and this is in line with the clinical  
15 success rate we ve seen in the controlled studies.

16           So now that we ve established the clinical  
17 efficacy of gemifloxacin, what I really think is  
18 important is to look at the bacteriological  
19 efficacy of the drug, because it s in the  
20 bacteriologically evaluable patients that we can  
21 truly expect to best determine in antibiotics  
22 efficacy.

1           Here, we see that the bacteriologically  
2 positive patients in Study 009, in which they had  
3 seven days of gemifloxacin, are compared to those  
4 who received cefuroxime for 10 days. What we see  
5 is that about half of the patients who were tapped  
6 had a bacterial pathogen, and this is standard for  
7 these studies.

8           The overall presumed bacterial eradication  
9 for gemifloxacin for seven days was 92.57%,  
10 compared to 94% for cefuroxime, but shown on this  
11 slide are the clinical and presumed bacteriological  
12 success for the specific bacterial isolets, and  
13 gemifloxacin achieved 100% clinical and presumed  
14 bacteriological success in the 14 patients infected  
15 with MDRSP.

16           Now, this is noteworthy because when you  
17 look at the cefuroxime arm, cefuroxime was only 80%  
18 effective, and the three failures were organisms  
19 which were resistant to cefuroxime. I think this  
20 is a tie between the bacteriological efficacy of  
21 the drug and the clinical efficacy of the drug, and  
22 it shows that it s important to have a drug that

1 provides coverage for multi-resistant organisms.

2       Let s move on to comparing the  
3 bacteriology from the seven-day gemi trial to the  
4 two open-label five-day studies. You can see that  
5 there s a similar distribution of the major  
6 pathogens and a similar clinical and  
7 bacteriological success rate. In the two  
8 open-label studies, we had 24 multi-drug resistant  
9 strep pneumoniaes. Gemifloxacin achieved 100%  
10 clinical and presumed bacteriological efficacy in  
11 this group.

12       Now, these open-label studies were not  
13 placebo controlled, they were maxillary sinus tap  
14 data, and this is the gold standard for determining  
15 bacteriology in ABS. What we see is gemifloxacin  
16 is effective when patients have a bacterial  
17 disease, and it s very effective when they have  
18 pathogens that we worry quite a bit about, the  
19 multi-drug resistant strep pneumoniae.

20       On a final note, in 2004, the Sinus and  
21 Allergy Health Partnership ranked floroquinolones  
22 as the most effective antibiotics for acute

1 bacterial sinusitis.

2       Gemifloxacin is not on this list because  
3 it does not have an FDA approval for sinusitis, but  
4 its in vitro PK/PD characteristics demonstrate that  
5 it is the most effective of the floroquinolones for  
6 strep pneumoniae. If gemifloxacin is approved for  
7 ABS, it would belong at the top of this list.

8       Now, I d like to turn the presentation  
9 over to Dr. Neil Shear, who will discuss  
10 gemifloxacin s cutaneous safety profile.

11       DR. SHEAR: Good morning. Thank you very  
12 much. I m Neil Shear and I m here to talk about  
13 the cutaneous effects of gemifloxacin. My practice  
14 is in dermatology and clinical pharmacology, and as  
15 Gary mentioned, in drug safety, for over 20 years.  
16 I ve been working on the pathophysiology and the  
17 clinical management of drug reactions, in the skin  
18 and systemically.

19       In March, 2003, I shared with this  
20 committee data on gemifloxacin rash, including a  
21 study conducted by this sponsor at the FDA s  
22 request to specifically characterize the rash, and



1 this is called Study 344, and we ll come back to it  
2 several times, and it s in your notes, as well.

3 Today, we have a great deal of additional  
4 data, however, which I have analyzed so we can  
5 continue to understand this rash. This is what I m  
6 going to look at in the presentation. I really  
7 want to try and understand what is meant when we  
8 just say the rash ; what are we talking about?  
9 And there s many different components. So we need  
10 to look at the epidemiology: what types of rash are  
11 we seeing, how many, and how severe are these  
12 rashes?

13 We also want to go back to Study 344 to  
14 understand the pathophysiology. We want to assess  
15 risk, so we re going to look at covariants and what  
16 factors should be considered when trying to  
17 understand risk. We ll look at the potential for  
18 cross and subclinical sensitization, an issue that  
19 had been raised.

20 In other words, if a person had had a rash  
21 while on gemifloxacin, would they develop a rash  
22 from another quinolone subsequently, and in a

1 subclinical sensitization setting, if they did not  
2 have a rash on gemifloxacin, but were re-exposed to  
3 that same drug, would they get a rash?

4 The most important consideration was the  
5 potential for cutaneous conditions, like  
6 Stevens-Johnson and toxic epidermal necrolysis.

7 I'll cover the issues outlined in this  
8 slide, and I'll be pulling data from the following  
9 studies and populations: the clinical studies of  
10 gemifloxacin versus comparators, including the ABS  
11 five-day trials; Study 344, the study to look at  
12 the rash; two Phase IV studies, FORCE and  
13 Prescribing Use; and particularly, from the  
14 post-marketing adverse event reports from the AERS  
15 database.

16 I will present data from all of these  
17 studies and populations and analyze them according  
18 to the information needs that I summarized at the  
19 opening to try and understand what is the rash,  
20 what does this mean? I'll begin with the  
21 covariants of rash incidents and data from the  
22 clinical trials.

1           Duration of therapy was one of the most  
2 important covariants of rash discovered in the  
3 clinical trials. Here, we can compare the  
4 incidents of rash in the five-day ABS population  
5 with the seven-day ABS group, the full safety  
6 population and comparators.

7           You can see the effect of the shorter  
8 duration of therapy and incidents of rash, and in  
9 serious adverse events, in rash leading to  
10 withdrawals. We can see here that duration of  
11 therapy really does make a difference; the shorter  
12 the duration, especially at five days, the less  
13 rash we see.

14          Here, we re looking at the impact of age  
15 on the incidents of rash. Age is a covariant, but  
16 when we look at duration of therapy in association  
17 with age, a shorter duration of therapy produces a  
18 lower incidence of rash, no matter the age of the  
19 patient. In the shorter duration, five days, we  
20 see the rates of rash in both the over 40 and under  
21 40 groups are actually quite similar.

22          The other important covariant identified

1 in our analysis of the clinical trial data was  
2 gender. You see a difference between the genders,  
3 particularly in the longer duration of therapy in  
4 women under 40, but in the five-day population, we  
5 see that the difference narrows considerably.

6 Supporting this effect of duration of  
7 therapy on rash frequency is data from one of the  
8 Phase IV studies, the FORCE study, an ongoing trial  
9 designed to study the safety of gemifloxacin. As  
10 we see in a five-day duration of therapy for  
11 bronchitis, the rash prevalence was 1.3%. There  
12 were no SAEs of rash in any of the groups, and rash  
13 leading to withdrawal was also low, at less than 1%  
14 in all groups.

15 When you consider age and gender, we see  
16 the same impact of length of therapy on the rate of  
17 rash, albeit with a smaller number of women under  
18 40 in the group. The rate of rash in five days of  
19 therapy is 1.3% for all patients, 1.8% for all  
20 women, and 2.8% for women under 40.

21 So we've established that both gender and  
22 age influence the rate of rash, but become less

1 important as predictors of rash when the duration  
2 of therapy is down to five days. The most  
3 important covariant of rash prevalence is the  
4 duration of therapy.

5 Now, let s go to Study 344 to answer some  
6 other questions, especially on pathophysiology.  
7 Study 344 was a unique study. It was conducted to  
8 help us understand the clinical nature of the rash,  
9 not to determine rash prevalence. I was involved  
10 in that trial and it was the most comprehensive  
11 study of a simple exanthem that I ve ever seen, I m  
12 sure that has ever existed and may well ever be.

13 We purposely recruited a population that  
14 we knew from the clinical studies would have a  
15 higher incidence of rash in a longer duration of  
16 therapy, so it was young women who were being  
17 treated for 10 days to ensure an adequate sample so  
18 as to study the rash. Our goals were three fold:  
19 one, to characterize the rash both clinically and  
20 pathologically; two, to see if there would be  
21 cross-sensitization; and three, to determine the  
22 potential for a subclinical sensitization. In

1 essence, we really wanted to find out if the rash  
2 was just a rash, a typical drug-induced exanthem,  
3 or was it anything more than that.

4 I'm showing this slide as an overview of  
5 the flow of Study 344. Healthy subjects in a Phase  
6 I setting were randomized at 10 days of  
7 gemifloxacin or ciprofloxacin. This allowed us to  
8 find rashes and characterize them clinically and  
9 pathologically. Then, after a washout (phonetic)  
10 period, subjects were exposed in order to  
11 investigate the sensitization questions in the Part  
12 B of the study. Each arm of Part B was placebo  
13 controlled.

14 Now, let's look at the morphology of the  
15 rash, an important characteristic. Study 344 is  
16 extremely valuable because we were able to have  
17 dermatologists look at every patient who had a  
18 rash, they were seen every day, and we have  
19 photographs of all the rashes.

20 Now, on the left is an example of the  
21 typical rash seen with gemifloxacin, and I reviewed  
22 lots and lots of rashes. Mostly what you see is

1 this kind of rash. You can't use this for  
2 teaching. It doesn't show much.

3 On the right is with the worst rash. This  
4 is a typical drug exanthem. I know I've certainly  
5 seen worse with many of the drugs we used, like  
6 betalactams, and this was the worst-looking one  
7 that we have seen, but I'll show you some others.

8 Here are some of the rashes that  
9 investigators called severe. Now, the definition  
10 of severe in this study was that it impacted on  
11 their daily activities and would not allow them to  
12 conduct them. As in other clinical studies, this  
13 was not a measure of seriousness.

14 As you can see from these photos, there  
15 are no morphological features indicating anything  
16 more than a mild to moderate exanthem. This was a  
17 typical, non-serious, self-limited drug rash. And  
18 we looked for vasculitis clinically and  
19 pathologically, (inaudible) regions for pustular  
20 changes, for mucosal lesions -- especially, the  
21 hemorrhagic crusting that is characteristic of  
22 Stevens-Johnson Syndrome, and we did not see any of

1 these morphological features in this study.

2 In addition to our ability to take photos  
3 of all patients with rash, we were also able to  
4 perform biopsies on the patients. Biopsies were  
5 taken from both affected -- so the rash itself --  
6 and unaffected, or clinically normal-looking skin.  
7 Now, these biopsies generated 10,000 slides for  
8 routine histology, immunofluorescence, and  
9 immunophenotyping of any cellular infiltrate.

10 In unaffected skin of subjects who had a  
11 rash, so in the normal-looking skin, we saw nothing  
12 other than normal skin. In the skin where there  
13 was a rash, there was a very mild infiltrate. In  
14 the immunohistochemistry, we saw that the few cells  
15 that were there, we could see they were round blood  
16 vessels, and that they were T-cells, and they were  
17 CD4 positive.

18 Now, that s actually a reassuring sign,  
19 because in blistering rashes, you tend to see an  
20 increase and more predominance of the CD8 positive  
21 cells. So what we were seeing was a very benign  
22 and typical exanthem picture. There is no hint of



1 the features of the blistering diseases, nor  
2 vasculitis, at any of the levels of the  
3 immunofluorescence, the immunophenotyping, or  
4 frankly, just the histology. We found nothing  
5 whatsoever, other than the typical picture of a  
6 drug rash.

7         Now that we've established the benign  
8 clinical and pathological nature of the rash, we'll  
9 turn our attention to the potential for  
10 gemifloxacin to cause cross and/or subclinical  
11 sensitization. Of the women who had a rash on  
12 gemifloxacin, and then received ciprofloxacin in  
13 Part B of the study, about 6% developed a rash. Of  
14 those who had a rash on the gemifloxacin arm and  
15 then received placebo, so the comparator group to  
16 this, 3.5% developed a rash.

17         So we determined that cross-sensitization,  
18 if it exists, was at a low rate, and it was  
19 interesting to note that in the patients who  
20 developed a rash in Part B on ciprofloxacin, this  
21 was very characteristic of the ciprofloxacin rash.  
22 It came earlier on, was quite mild, and was the

1 type of rash that we saw in the right-hand part  
2 where people just got ciprofloxacin the beginning.

3       So the gemi rash was the gemi rash, and in  
4 the excess, the 2% of the people who got a rash  
5 were seeing a cipro rash. We saw no subclinical  
6 sensitization. The people who did not get a rash  
7 on gemifloxacin and were reexposed to gemifloxacin  
8 about a month later had a rash similar to placebo.

9       Now, we also looked at cross and  
10 subclinical sensitization in the Phase IV  
11 Prescribing Use study. This study uses an HMO  
12 database to analyze prescribing patterns in the use  
13 of gemifloxacin in the United States. Here, we see  
14 consistency with Study 344, first looking at  
15 cross-sensitization. Of the 147 patients who  
16 developed a rash on gemifloxacin, 21 of them were  
17 then exposed to another quinolone, and only one  
18 developed a rash.

19       Notably, four of the 147 patients with an  
20 initial rash were retreated with gemifloxacin, and  
21 none of these four had an identified rash.

22       Next, we ll look at subclinical

1 sensitization. Of 4,766 patients who did not  
2 develop a rash on gemifloxacin, 244 received  
3 another course of gemifloxacin and only one was  
4 reported to have a rash. Of additional interest,  
5 738 of the total patients without an additional  
6 rash were then exposed to another quinolone.  
7 Seven, or just under 1%, developed a rash.

8       So again, we see that the risk of  
9 cross-sensitization is low, and there appears to be  
10 no risk of subclinical sensitization.

11       Now, to study the nature of the observed  
12 rash, here are the well-established characteristics  
13 that we look for when trying to distinguish a  
14 serious from a non-serious rash or exanthem.  
15 First, we examine all of the serious adverse  
16 events, then we review the descriptions of the rash  
17 morphologies, analyze the data for important  
18 features, like oral mucosa involvement or skin  
19 detachment.

20       We look for evidence of concomitant fever  
21 and other organ involvement, including joint,  
22 liver, or kidney related signs or symptoms.

1 Because the presence of these factors may indicate  
2 a more serious consequence, with systemic  
3 drug-induced illnesses, like serum sickness like  
4 reaction, hypersensitivity syndrome, which has  
5 fever, rash, and hepatitis or nephritis,  
6 Stevens-Johnson or toxic epidermal necrolysis,  
7 where there s extensive blistering of the skin and  
8 the mucosa.

9 Most of the rashes in the clinical trials  
10 in the gemifloxacin group were judged to be of mild  
11 to moderate intensity. Reports of severe rash in  
12 the five-day ABS group was comparable to comparator  
13 at only 0.2%. We made this same observation in 344  
14 and in the FORCE trial. Most of the rashes were of  
15 mild to moderate intensity.

16 Okay. I got mixed up on slides. Here we  
17 go. Okay. You know what? Go back a slide. I  
18 should ve looked, and I missed a slide. I m sorry.  
19 Serious adverse events of rash were rare in all of  
20 the trials. In the clinical trials, they occurred  
21 in 0.1% of patients or less, and in morphology,  
22 they were all simple.

1           There was one case with mycoplasma  
2 pneumonia and (inaudible), but it was considered  
3 not to be due to the drug. There was no systemic  
4 symptoms, no other organ involvement, and no  
5 serious adverse events. Most of the rashes in the  
6 clinical trials in the gemifloxacin group were  
7 judged to be mild to moderate intensity, as you  
8 heard before.

9           Reports of severe rash in the five-day ABS  
10 group was compared to the comparator at only 0.2%.  
11 We made the same observation in 344 and in the  
12 FORCE trial, and most of the rashes were of mild to  
13 moderate intensity.

14           Rash does not appear to be associated with  
15 the signs and symptoms of systemic involvement. In  
16 the clinical trials, systemic signs were looked at  
17 in terms of laboratory values and assessments using  
18 eosinophilia, abnormal liver function tests.  
19 Thirty- nine, or 0.48% of patients met the systemic  
20 criteria of concern, and only two of these had  
21 developed a rash. Neither of these, though, had a  
22 serious systemic illness.

1           In 344, when we were looking for rash, we  
2 saw no association of rash with the eosinophilia,  
3 or liver involvement. There were six cases of  
4 fever. One was associated with lymphadenopathy.  
5 But these six cases had no associated systemic  
6 symptoms or lab abnormalities, and these were  
7 looked for intensely, so none were a true  
8 hypersensitivity syndrome.

9           There were no cases in 344 or FORCE of  
10 hypersensitivity syndrome reaction or  
11 Stevens-Johnson Syndrome, but there was one case of  
12 angiodema, which actually came on early on in the  
13 study, quickly cleared, and the patient actually  
14 continued and finished their course of  
15 gemifloxacin.

16           We now turn to the postmarketing adverse  
17 event reports from the AERS database. We have  
18 reviewed all 706 MedWatch reports related to the  
19 skin to look for life-threatening cutaneous  
20 reactions. Whenever possible, we've applied the  
21 same algorithm used to analyze the other databases.

22           Importantly, our methodology was as

1 rigorous as that employed in the other analyses of  
2 gemifloxacin data. We searched the database for  
3 serious adverse events, rash morphology, fever,  
4 other organ involvement, and the eosinophilian  
5 association with rash.

6 Now, the FDA has raised the issue of other  
7 features that could be seen in the serious adverse  
8 event reports, and I'll discuss these also. In the  
9 briefing book, I want to make the point, there are  
10 good definitions of erythema multiforme and  
11 Stevens-Johnson, but I do not agree that erythema  
12 multiforme is a precursor, or will become  
13 Stevens-Johnson Syndrome.

14 This is now well understood in the  
15 literature that these are two different diseases.  
16 Clinically, they share some pathological features,  
17 but clinically, they are two different diseases  
18 with different sets of causes and different  
19 outcomes. I think the analysis of the reports here  
20 will actually support that.

21 Also, skin exfoliation is not necessarily  
22 a serious sign. This is the sloughing or peeling

1 of the superficial layer of the epidermis. It can  
2 look dramatic after an inflammatory reaction, and  
3 in fact, it s a classical post-measles sign, but it  
4 is not necessarily medically important. Again,  
5 we ll review the case summaries.

6       There were 706 reports of rash and 31  
7 SAEs, which may be slightly higher than the FDA  
8 total, but this is the number that I reviewed.  
9 This did include all the ones that the FDA had  
10 mentioned, and it includes three possible cases of  
11 Stevens-Johnson Syndrome, so it will be important  
12 to look at those.

13       There were also cases of potential concern  
14 involving the skin, and I reviewed those in detail.  
15 There were 31 SAEs to review and 14 were simple  
16 exanthems, many of which were considered mild to  
17 moderate, and one actually was extensive. Of six  
18 reports of fever and rash in AERS, none had the  
19 usual criteria for hypersensitivity syndrome. They  
20 just had fever and rash, but no systemic  
21 involvement. There was another case reported with  
22 photosensitivity. It was on the face. It was not



1 severe.

2       In three cases, Stevens-Johnson was  
3 reported to MedWatch, and again, we ll talk about  
4 that in a minute. The case of vasculitis was  
5 complex, and it wasn t biopsied to prove the  
6 diagnosis. I don t know what else to say about  
7 that case. It didn t sound Clinically like a  
8 vasculitis, but the patient was a very complex  
9 patient. There was a death from hemophagocytic  
10 syndrome, which was considered by all to not be  
11 associated with the drug.

12       Now, I wanted to be comprehensive in my  
13 review of the MedWatch reports, so we looked for  
14 cases that might indicate a signal for cutaneous  
15 reactions with a systemic component, and here s  
16 what we found. Seven other cases had fever  
17 associated with rash, and yet again, none of these  
18 were hypersensitivity syndrome.

19       Six had rash and joint symptoms, but none  
20 of these fit a serum sickness like reaction,  
21 because none of these had fever, just rash and  
22 joint involvement. I don t want to undervalue the

1 data. This is what it showed. We did not get a  
2 clear sign of any cases of a systemic disease.

3       The next cases of raised liver enzymes and  
4 the eosinophilia were single cases that actually  
5 had lab values that were not much different than  
6 normal variation. Other than the three cases that  
7 were reported SJS, the skin exfoliation reports  
8 were nothing more than peeling of superficial skin,  
9 not epidermal detachment, as one would see with a  
10 more severe blistering reaction.

11       Mucosal findings were also quite minimal,  
12 and the mucosal findings of Stevens-Johnson are not  
13 trivial. These are people who had small, perhaps a  
14 single blister in their mouth. Finally, there were  
15 three cases of erythema multiforme. Now, two of  
16 these had what sounded like the typical acral  
17 target lesions that were not systemically ill, and  
18 another one had what sounded to be an annular  
19 urticaria as it formed confluent plaques, which is  
20 quite characteristic of types of (inaudible)  
21 urticaria, and have nothing to do with erythema  
22 multiforme.

1           Now, let s talk about the potential  
2 Stevens-Johnson cases. There were three cases  
3 identified as possible Stevens-Johnson Syndrome in  
4 MedWatch. The first was a 67-year-old woman who  
5 developed a rash after being on levafloxacin for  
6 four days for sinusitis, and then took three to  
7 four days of gemifloxacin.

8           After therapy, the patient developed a  
9 diffuse rash with what were called mucosal and  
10 vaginal lesions. She was admitted to the hospital  
11 and put on corticosteroids. The emergency room  
12 physician reported the rash as consistent with SJS.  
13 The patient was discharged from the hospital after  
14 two to three days.

15           Normally, patients with true SJS or TEN  
16 are hospitalized for more than that, perhaps a week  
17 or more, and the rapid onset after therapy and the  
18 resolution that was so rapid of the mucosal lesions  
19 is really not convincing or really consistent with  
20 SJS. I respect the report, but it s hard to call  
21 this Stevens-Johnson.

22           The second case involved an 18-year-old

1 female who was prescribed gemifloxacin for strep  
2 throat. Itching started the day after taking the  
3 first dose of gemifloxacin. She then developed  
4 what were called hives and was admitted to the  
5 hospital, treated with steroids, and continued on  
6 Benadryl. Her uncle reported a possible SJS, but  
7 this was not medically confirmed as far as he was  
8 aware, and these were the terms he used.

9       The patient was hospitalized for seven  
10 days. There were no reports of skin blistering  
11 there, and the report is indirect and lacks medical  
12 support, but it s a possible case of SJS by virtue  
13 of it being a report.

14       The third case involves a patient who  
15 developed what was called a severe rash, and after  
16 starting therapy with gemifloxacin. No information  
17 was provided on dose, date, duration, condition  
18 being treated, patient s gender, the age, medical  
19 history, and concomitant medications.

20       The reporting physician described the rash  
21 as Not macular papular, not benign, and like  
22 Stevens-Johnson Syndrome. Patient was admitted to

1 the hospital, treated with epinephrine and other  
2 medications -- epinephrine not being a drug we  
3 would use for Stevens-Johnson. I m not sure what  
4 that was.

5 So again, we have a case that really isn t  
6 complete, but we do respect it as a case that was  
7 reported. We have perhaps two, maybe three cases  
8 of potential Stevens-Johnson Syndrome, none, of  
9 course, that are considered definite, and I don t  
10 think we disagree on that.

11 This is a graph now from the  
12 post-marketing data, because I want to go back and  
13 talk about the exanthem and the importance of  
14 really going back to look at the data in detail.  
15 This is from the post-marketing numbers, and this  
16 shows the time of onset of the rash, the typical  
17 gemi rash, in relation to first day of drug. So  
18 you start the drug and for however long you take  
19 it, we take a look to see when you start getting  
20 the rash.

21 Now, you can see there s two clusters.  
22 There s an early rise in rash rate in the first

1 three days of treatment and a later one starting  
2 around days six and seven. Now, I've added the  
3 results from the clinical trials. The  
4 post-marketing pattern is strikingly similar to  
5 what we saw in our clinical trials. You see that  
6 early rise, and then you see the second rise of two  
7 rashes.

8 Now, if you lump the timing together, it  
9 can result in an average that isn't meaningful. So  
10 if you look at a one-day and a seven-day, you can  
11 come up with a four-day median, but that really  
12 doesn't make sense. We're seeing two different  
13 rashes here at two different times.

14 So in summary, I've looked at the original  
15 clinical trial database for gemifloxacin, and Study  
16 344, an intense trial to characterize rash with  
17 gemifloxacin, and the Phase IV studies and  
18 additional post-marketing data. I've looked at  
19 predictors for serious cutaneous reactions,  
20 specifically Stevens-Johnson and toxic epidermal  
21 necrolysis, and all cutaneous findings are  
22 consistent with my original conclusions in 2003,

1 but we have much more data.

2 The rash that occurs with gemifloxacin as  
3 used as a five-day course for ABS occurs in  
4 approximately 2.6% of all patients. The rash is a  
5 benign, exanthematous rash. Now, there were some  
6 cases of attention (phonetic), and I don't want to  
7 minimize those. There were cases of rash and  
8 fever, but they had no systemic disease.

9 There were cases of joint swelling or pain  
10 with rash, but no fever, so no cases of serum  
11 sickness like reaction. There may be two, maybe  
12 three, possible cases of SJS, but no reports of  
13 toxic epidermal necrolysis, and certainly, no  
14 reports of definite Stevens-Johnson Syndrome.

15 I see evidence also of low cross  
16 reactivity and low subclinical sensitivity in all  
17 of the studies and the data that we have, and it's  
18 substantial. So we have much more data now, three  
19 years later, and I continue to be reassured about  
20 the nature of this rash and the cutaneous safety of  
21 this drug.

22 I will now turn the podium over to Paul

1 Waymack, who will discuss the overall safety of  
2 gemifloxacin.

3 DR. WAYMACK: Thank you, and good morning.  
4 Gemifloxacin has side effects, as do all other  
5 drugs, and thus, as is generally the case, the  
6 critical questions become how does its side effects  
7 profile compare to its efficacy and how does its  
8 side effects profile compare to the profile of  
9 other drugs approved for the same indication?

10 You've already heard described  
11 gemifloxacin's efficacy. I would now like to  
12 describe its safety profile and compare it first to  
13 the safety profile of the active comparators used  
14 in the clinical trials.

15 As Dr. Patou mentioned, the sponsor's  
16 experience has grown a great deal since the approval  
17 for the CAP and the ABCB indications. I'm going to  
18 describe and walk through the multiple new sets of  
19 safety data. Let me say that the bulk of the ABS  
20 data was contained in the database that was  
21 presented in 2003 and reviewed by you at that time.  
22 However, we now have an additional 1,344 patients,



1 bringing the total population to 8,119.

2       As I go through my presentation, I will  
3 first describe the entire database, and then I will  
4 break out the five-day ABS sub-population and  
5 compare it to the total population to show you how  
6 similar the results are. I m also going to show  
7 you data from the ongoing Phase IV study, I will  
8 show you data from the AERS database, and I will  
9 compare gemi s safety profile with that for  
10 antibiotics recently approved for an ABS  
11 indication.

12       Shown here are the most frequently  
13 occurring adverse events in gemifloxacin treated  
14 patients. The only adverse event occurring more  
15 frequently on gemifloxacin than comparators is  
16 rash, 3.5% versus 1.1%, and as Dr. Shear has  
17 explained, these rashes are generally mild to  
18 moderate, benign, and self-limiting.

19       Otherwise, gemifloxacin has a safety  
20 profile as good as that of comparators. The  
21 adverse events seen with gemifloxacin are generally  
22 mild to moderate in severity.

1           The next slide, this slide compares the  
2 overall clinical trial database with the five-day  
3 ABS sub-population, and you can see that the  
4 results are consistent, although there is a  
5 slightly lower rate with the five-day ABS  
6 sub-population. As you can see on this slide, when  
7 we look at serious adverse event rates, withdrawals  
8 due to adverse, and death rates, gemifloxacin s  
9 rates are at least as good as those seen with  
10 comparators. As for the seven serious adverse  
11 event rashes, Dr. Shear has already described the  
12 nature of those.

13           Here, you see the same serious adverse  
14 event data, withdrawals due to adverse events, and  
15 death rates comparing the entire gemifloxacin  
16 clinical trial database with the five-day ABS  
17 sub-population, and again, you see that the results  
18 are similar, although trending lower with the five  
19 days of therapy.

20           So to summarize, the safety data from  
21 these head-to-head studies show that although  
22 gemifloxacin has a greater rash rate than the

1 comparators, its overall side effects profile is at  
2 least as good as that seen with the comparators.

3       So we've now reviewed the gemifloxacin  
4 results from the studies. I would now like to  
5 discuss the gemifloxacin side effects profile with  
6 the class effect of quinolones. First,  
7 gemifloxacin is not metabolized by any of the  
8 cytochrome P450 isozymes. It has no interaction  
9 with the system. It does not induce any of these  
10 enzymes. It does not inhibit any of these enzymes.

11       Gemifloxacin has a low phototoxicity  
12 potential comparable to that seen with  
13 ciprofloxacin. Gemifloxacin causes no significant  
14 glucose homeostasis dysfunction, as is true for  
15 some of the quinolones, such as gadifloxacin.

16       Next, I would like to move on to QTc  
17 effects. The clinical trials in patients have  
18 shown that gemifloxacin, on average, prolonged QTc  
19 by 2.6 milliseconds in clinical patients. I should  
20 point out that in the normal volunteers in the  
21 clinical pharmacology studies, among the 1,400  
22 randomized to gemifloxacin compared to the 400

1 randomized to placebo, QTc prolongation was greater  
2 with placebo than with gemifloxacin.

3 No patients in the clinical trials were  
4 diagnosed with torsades. Finally, I should note  
5 that although it s always a concern with drugs that  
6 can prolong QTc interval as well as interact with  
7 the P450 system by inhibiting it or competing with  
8 it, gemifloxacin does not interact with this enzyme  
9 system and thus, this type of drug-drug interaction  
10 is not a concern with gemifloxacin.

11 Next, I d like to present the hepatic  
12 safety data with gemifloxacin. I will focus on  
13 alanine aminotransferase, since this is recognized  
14 as the most sensitive marker for hepatocellular  
15 injury. Shown on this slide is the incidence of  
16 ALT elevations in patients with normal ALT levels  
17 at baseline, and what you see is that the rate of  
18 elevation is low and that the rates of elevation  
19 are comparable between gemifloxacin treated  
20 patients and the patients treated with the  
21 comparators.

22 Importantly, no patients had ALT

1 elevations to greater than six times the upper  
2 limit of normal, no patients met Hy Zimmerman s  
3 rule for hepatic injury, no patients had  
4 concomitant ALT elevation with eosinophilia, which  
5 would indicate an immune-mediated hepatic  
6 dysfunction. Thus, we cannot find with these data  
7 any significant hepatic safety signal.

8       Next, I would like to discuss our Phase IV  
9 study. The FORCE study is a prospective randomized  
10 open-label study in patients with CAP and ABCB.  
11 This study was designed in collaboration with the  
12 FDA and is intended to reflect a real world setting  
13 where patients are either treated with gemifloxacin  
14 or commonly used antibiotics.

15       The study is intended to randomize 5,000  
16 patients to gemifloxacin and 2,500 to active  
17 comparators. To date, over 5,200 patients have  
18 been enrolled in this study. In addition, 300 of  
19 the CAP patients are to undergo EKG monitoring at  
20 baseline and at the end of therapy to check for QTc  
21 effects.

22       Shown here are the most frequently

1 occurring adverse events on gemifloxacin and the  
2 pooled comparators, and as is true of the total  
3 clinical trial database, you can see that the rates  
4 of adverse events are at least as low as, or lower,  
5 with gemifloxacin compared to the comparators.

6         These adverse events are generally of mild  
7 to moderate severity, and as in the past, rashes  
8 generally the only adverse event occurring more  
9 frequently with gemifloxacin. So these results are  
10 entirely consistent with the overall clinical trial  
11 database.

12         In the FORCE study, if we look at serious  
13 adverse event reports, withdrawals due to adverse  
14 events, and deaths, what we see is that the rates  
15 are as low as, or lower, with gemifloxacin compared  
16 to the pooled comparators. Again, these results  
17 are entirely consistent with the larger clinical  
18 trial database.

19         The FORCE study data on QTc interval are  
20 shown here using both a Bazett's and a Fridericia's  
21 correction, and you can see that gemifloxacin did  
22 not significantly alter QTc interval. I should

1 also note that among all of the gemifloxacin  
2 treated patients EKGs, the maximum QTc ever seen  
3 using Bazett s correction was only 483  
4 milliseconds, and using Fridericia s was only 464  
5 milliseconds.

6 So we have now reviewed the clinical trial  
7 database of 8,119 patients treated with  
8 gemifloxacin, comparing it to the 5,248  
9 comparators, and we have reviewed the 1,122  
10 patients in the five-day ABS sub-population. These  
11 results have consistently shown a safety profile  
12 that is at least as good as that of comparators.  
13 With gemifloxacin, there is a greater rash rate.  
14 With comparators, there is a greater rate of  
15 adverse events involving other organ systems.

16 I would next like to turn to the post-  
17 marketing data, the AERS data. The FDA has looked  
18 at the crude reporting rates for gemi and other  
19 drugs, and for the other drugs, the period of  
20 review has ranged from two and a half to four  
21 years. This has resulted in up to a 20-fold  
22 difference in the sales volume.

1           I should note that although gemifloxacin  
2   was approved on the date shown, it was not launched  
3   until a year later, and thus, with gemifloxacin, we  
4   only have 1.7 years of data. I should point out,  
5   and in contrast to that, the other agents have been  
6   on the market for up to four years and thus, some  
7   of them are well past the maximal Weber effect;  
8   that is, the maximal reporting rate of spontaneous  
9   reports seen in the first two years following  
10  launch.

11           I should also mention that the FDA data  
12  does not show the overall serious adverse event  
13  reporting rates and the overall death reporting  
14  rates. Because of the Weber effect, we have chosen  
15  to analyze the post-marketing data using a cutoff  
16  of when approximately 350,000 sales were achieved.  
17  We chose that number, since that is where  
18  gemifloxacin is now.       When you use these numbers,  
19  you see that gemifloxacin has an AE reporting rate  
20  that is greater than the other antibiotics, and  
21  this is due to non-serious rashes, which is not  
22  surprising in that rash is very apparent to the



1 patient, who can make it known to the doctor or the  
2 company, the FDA.

3 Rash is emphasized in both the physician s  
4 drug label and the patient information brochure,  
5 and in addition, these data are from both five and  
6 seven-day courses of gemifloxacin therapy, and as  
7 is mentioned in the briefing book and the labeling,  
8 rash is more common with seven days of therapy.

9 In contrast to the overall serious adverse  
10 event reporting rate, you will note that the  
11 cutaneous serious adverse event reporting rate is  
12 greater with gemifloxacin, but as Dr. Shear has  
13 indicated, these were not life-threatening rashes.  
14 More importantly, it should be noted that the  
15 overall serious adverse event reporting rate, the  
16 overall death reporting rates with gemifloxacin,  
17 are at least comparable to that seen with the other  
18 antibiotics.

19 Now, the AERS database is designed to help  
20 identify signals, safety signals, and it has  
21 identified a safety signal for benign rash with  
22 gemifloxacin. Dr. Shear has discussed in detail

1 the fact that these are not life-threatening  
2 rashes.

3 Because the AERS database relies in  
4 post-marketing -- that is, spontaneous passive  
5 reporting, another potentially more reliable source  
6 of data would be from the NDAs of antibiotics  
7 approved for an ABS indication.

8 Obviously, it s not ideal to compare data  
9 across NDAs. This type of analysis can introduce  
10 potential biases, just as using AERS data can.  
11 However, at least with this type data, we are  
12 confident in the numerator -- that is, the number  
13 of adverse events reports. We are confident in the  
14 denominator, the number of patients exposed. And  
15 we lack such confidence in the AERS database.

16 Shown here are the safety data taken from  
17 the summary basis of approvals of four antibiotics  
18 recently approved for an ABS indication. As you  
19 can see, although gemifloxacin may have a greater  
20 rate of benign rashes, the overall total adverse  
21 event rates, the serious adverse event rates, and  
22 the withdrawal due to adverse events rates with

1 gemifloxacin appear to be at least as good as that  
2 seen with the comparators.

3 With gemifloxacin, the adverse events are  
4 primarily related to the cutaneous system. With  
5 the other drugs, the adverse events are related to  
6 other organ systems.

7 So in summary, we have found that  
8 gemifloxacin has an acceptable safety profile, an  
9 overall safety profile, including adverse events,  
10 serious adverse events, withdrawals due to adverse  
11 events, that are at least as good as that seen with  
12 comparators.

13 Gemifloxacin adverse events affect  
14 primarily the skin. The comparators affect other  
15 organ systems. These results have been consistent  
16 across the total gemifloxacin clinical trial  
17 database, the five-day ABS sub-population, the  
18 ongoing Phase IV safety study, and the AERS  
19 database.

20 I would now like to turn the podium over  
21 to Dr. Patou to close.

22 DR. PATOU: Thank you, Dr. Waymack. I will

1 now summarize the main points of our presentation  
2 and describe our risk management program going  
3 forward.

4 It s important to understand that the  
5 paradigm for ABS treatment is changing. Physicians  
6 used to prescribe only the least active agents,  
7 holding the more active agents in reserve, but as  
8 Dr. Low has shown, less active antibiotics cause  
9 resistance. This can make entire classes of drugs  
10 ineffective in the face of serious diseases, such  
11 as pneumonia.

12 Thus, the changing paradigm supports the  
13 use of the most active agents in the class to  
14 benefit both the patient and public health. Today,  
15 many experts agree that patients who need  
16 antibiotic treatment for ABS need a drug that will  
17 cure their disease and minimize resistance.

18 Gemifloxacin does both. It has high in  
19 vitro activity against respiratory pathogens,  
20 favorable pharmacokinetics, activity against  
21 streptococcus pneumoniae, as well as multi-drug  
22 resistant streptococcus pneumoniae, and is the

1 floroquinolone least likely to cause resistance to  
2 streptococcus pneumoniae.

3 I d now like to address the controversy  
4 over the efficacy of antibiotics in ABS. As you  
5 heard from Dr. Ferguson, efficacy of gemifloxacin  
6 was shown in a large clinical program, which was  
7 conducted according to the published FDA guidelines  
8 for ABS trials.

9 These met all of their primary and  
10 secondary end points, and while there is discussion  
11 about the magnitude of the antibiotic treatment  
12 effect in ABS, there can be no doubt that  
13 gemifloxacin, with an 87 to 90% clinical cure rate,  
14 both in the overall, as well as in the large,  
15 bacteriologically evaluable population, is  
16 effective in ABS.

17 Additionally, gemifloxacin has been shown  
18 to be comparable to at least two other agents  
19 approved for this indication. We ve seen that  
20 gemifloxacin also meets the criteria of Drs. Sandy  
21 and Gwaltney s criteria for an ideal drug for ABS,  
22 and Dr. Low showed us that drugs that we consider

1 household names and standards of care in this  
2 condition fall well short of this ideal.

3 While there are approved drugs for ABS,  
4 only seven are considered really active, and only  
5 two of the seven are for short courses of therapy  
6 shown to be important in minimizing drug  
7 resistance, and one of these two drugs, Ketek,  
8 recently had a bolded warning on hepatic toxicity  
9 added to its label.

10 So clearly, physicians are losing choices  
11 in this indication. We need more drugs like  
12 gemifloxacin that meet the Sandy Gwaltney criteria.  
13 Now, we recognize that there is a signal for a  
14 higher rate of rash in the post-marketing  
15 surveillance reports. This is entirely consistent  
16 with the findings of the clinical trial program.

17 However, it is important to remember the  
18 following key points. We are asking for a five-day  
19 ABS indication. The rate of rash in our five-day  
20 trials was 2.5%, and as seen in the clinical trial  
21 program and confirmed by the post-marketing  
22 experience, the rash continues to be self-limiting

1 and clinically manageable, not life-threatening.  
2 It does not become SJS or TENS. There s a low  
3 cross- sensitization potential, and no subclinical  
4 sensitization.

5 In summary, the proportionately higher  
6 rate of rash with gemifloxacin does not equal a  
7 higher rate of potentially life-threatening  
8 cutaneous disease.

9 Now, I will turn to safety indicators  
10 other than rash in order to assess the overall  
11 safety profile of gemifloxacin. Gemifloxacin has  
12 demonstrated a favorable safety profile in multiple  
13 clinical trials of more than 8,000 patients and in  
14 the U.S. post-marketing database of 760,000  
15 patients, both on its own merits and when compared  
16 to other drugs currently approved.

17 Perhaps of more importance are the lower  
18 rates of serious adverse effects, such as hepatic  
19 and cardiac events. We have seen no confirmed  
20 cases of torsades de pointes in the clinical trials  
21 and no glucose regulation problems. We ve also  
22 seen a very low rate of discontinuation and no

1 significant drug-drug interactions.

2 We fully recognize the morbidity  
3 associated with the reports of more severe  
4 cutaneous reactions, as there is with all serious  
5 adverse events reported with all drugs. However,  
6 gemifloxacin s safety should be judged based upon  
7 its overall safety.

8 As Dr. Waymack has shown, the overall  
9 safety of gemifloxacin is comparable to other  
10 antibiotics used in the treatment of ABS and  
11 respiratory infections. This has been demonstrated  
12 in the randomized clinical trials, in the  
13 post-marketing experience, and in the comparison to  
14 the SBAs, the summary basis approval, of other  
15 antibiotics.

16 Oscient is committed to ongoing safety  
17 monitoring and risk minimization for gemifloxacin.  
18 We have a proven track record of keeping our  
19 post-marketing promises and being vigilant in  
20 ensuring our programs are working. We minimize  
21 risk through our fixed-dose pack program, which we  
22 monitor through our Prescribing Use study. This



1 study, which was designed in conjunction with the  
2 FDA, monitors the prescribing patterns to measure  
3 compliance with the intended duration of dosing.

4 We use a database provided by United  
5 Healthcare, an HMO of 13 million patients. With  
6 nearly 5,000 patients enrolled so far, we've seen  
7 that the fixed-dose pack program is working. 92.8%  
8 of patients receive a single fixed-dose course of  
9 gemifloxacin, and only 3.1% of these courses were  
10 refilled.

11 We are committed to continuing this  
12 successful program. In addition, we plan to  
13 migrate our entire franchise to a five-day fixed  
14 course of therapy. The FDA is currently reviewing  
15 our five-day sNDA for CAP. We will continue our  
16 ongoing communication with physicians on the  
17 potential of rash with longer durations of therapy,  
18 and we will continue to publish results on drug  
19 safety, as we have for the last several years.

20 In conclusion, the data show a need for  
21 additional treatment choices in ABS. With an 87 to  
22 90% clinical cure rate, gemifloxacin has

1 demonstrated efficacy and a favorable risk-benefit  
2 profile, confirmed in two years of post-marketing  
3 experience. Particularly today, with physicians  
4 seeking to tailor medications to individual  
5 patients, they need an additional ABS medication  
6 that meets published criteria. By every measure,  
7 gemifloxacin is that medication. Thank you.

8 DR. EDWARDS: Thank you. Thank you very  
9 much. I m sure all of us realize the amount of  
10 effort and time that has gone into this very clear  
11 and well-presented discussion. We are -- and I  
12 also thank you very much for being on time. We now  
13 have time for questions from the panel. Let me  
14 start with Dr. Poretz.

15 DR. PORETZ: I think it s clear that the  
16 drug has significant antibacterial activity and  
17 there s no question about that, but I have some  
18 questions about other rashes. I was surprised with  
19 the relatively low incidence of rashes secondary to  
20 betalactams in the comparator group; for example,  
21 augmentin, amoxicillin, clavulanate. I mean, the  
22 rash incidence seemed lower than I m used to seeing

1 in my type of practice.

2 One of my questions is also that many  
3 times, antibiotics are over-prescribed for viral  
4 infections, and with augmentin, for example, we ll  
5 see a disproportionate number of rashes, for  
6 example, in acute Epstein-Barr virus infection, and  
7 perhaps other viral infections.

8 Has your experience been that in viral  
9 processes or other entities, there s going to be  
10 greater incidence of rash, or is it mostly some  
11 hormonally-dependent process in women, whether  
12 they re taking birth control pills or estrogens  
13 that predisposes to the rash? Are there other  
14 variables?

15 DR. PATOU: So let me first, if I may,  
16 comment on the FORCE study and the observation of  
17 the perhaps lower-than-anticipated rate of rash in  
18 the co-amoxiclav comparator arm. That was -- that  
19 Phase IV study is an open-label study, so what we  
20 don t know is if physicians, knowing they re giving  
21 a drug where they have familiarity with the side  
22 effect profile, are modulating in some way their

1 reporting of their adverse events.

2 But certainly, I was involved in the  
3 development of augmentin, as well, and I would  
4 agree with you that we do tend to see a higher rate  
5 of rash. The rash rate described on the augmentin  
6 label is 3%, not so dissimilar to the overall rash  
7 rate we see with gemifloxacin.

8 We have looked at the effect of a number  
9 of parameters on the potential for the gemifloxacin  
10 rash, and what we did see was that there was some  
11 interaction with hormone replacement therapy in  
12 women over the age of 40.

13 But we were not able to directly -- we  
14 also did look, at one point, directly at whether  
15 the estrogen status of the patient did also affect  
16 the rash, and we looked at the points that patients  
17 were in different parts of their menstrual cycle in  
18 Study 344, and we could not discern any effect of  
19 estrogen level, except in that over-40 population  
20 with HRT.

21 DR. PORETZ: Birth control pills?

22 DR. PATOU: We did look at whether there

1 was an interaction with oral contraceptives, and we  
2 could not discern an association there. There was  
3 not an association.

4 DR. PORETZ: Could I ask one more question,  
5 Jack? You also had, like in any study, in the  
6 placebo group, a small percentage of rashes.

7 DR. PATOU: Right.

8 DR. PORETZ: Just for my own interest, were  
9 those placebo rashes biopsied, and what did they  
10 look like?

11 DR. PATOU: I'll ask Dr. Shear to comment  
12 on that.

13 DR. SHEAR: Yes, the study was blinded, so  
14 although rashes were biopsied, and the placebo  
15 rashes just showed the same sort of thing, a very  
16 mild perivascular infiltrate of a few lymphocytes,  
17 it was actually hard in this study because there  
18 were such little infiltrates. You'd probably see  
19 more with a sunburn. I mean, it was just really  
20 hard to see much, and the placebo ones were very  
21 much the same as what we saw with the gemifloxacin  
22 rash.

1 DR. EDWARDS: Dr. Gross?

2 DR. GROSS: I m interested in the incidence  
3 of hospitalization in the gemi group versus the  
4 comparator group for those that had serious adverse  
5 events. I m also interested in the -- on Page 45,  
6 for the FORCE study, where we talk about  
7 gemifloxacin low rate of adverse events, the higher  
8 incidence of diarrhea, as we all know, C. dif is an  
9 incredibly huge problem these days.

10 Was there a higher incidence of C. dif in  
11 the comparators versus gemifloxacin, or was that  
12 not looked at?

13 DR. PATOU: Let me understand your  
14 questions correctly. The first comment you made  
15 was about the hospitalization in the cases of  
16 serious adverse events.

17 DR. GROSS: Correct.

18 DR. PATOU: I presume you re talking about  
19 in the post-marketing experience?

20 DR. GROSS: Well, let s take the FORCE  
21 study.

22 DR. PATOU: I --

1 DR. GROSS: Do you have any data on  
2 hospitalization --

3 DR. EDWARDS: Peter, can you push your  
4 speak button there?

5 FEMALE SPEAKER: Yes, because I didn't get  
6 any of that.

7 DR. GROSS: Yes. Do you have any data on  
8 hospitalization rates in the gemi versus comparator  
9 groups?

10 DR. PATOU: In the FORCE study?

11 DR. GROSS: In the FORCE study or any other  
12 large studies.

13 DR. PATOU: In the -- let me ask Dr.  
14 Waymack to comment on that. Excuse me.

15 DR. WAYMACK: We don't right now have  
16 serious broken down into hospitalizations versus  
17 medically significant or other ones. We can try to  
18 do that during the lunch break. It's just the  
19 serious, as is, is all of the death,  
20 life-threatening, requires prolonged  
21 hospitalization. We don't have anything further  
22 beyond that.

1           The question about diarrhea, in almost all  
2 of the studies, diarrhea was greater with the  
3 comparator drugs. As far as the C. difficile  
4 question, I first should preface by saying that in  
5 this medra (phonetic) world, whether -- if you put  
6 in C. difficile, you get C. difficile, colitis. If  
7 you put in pseudomembranous colitis, you get out C.  
8 difficile colitis.

9           In the entire clinical trial database,  
10 there is a single case of pseudomembranous colitis,  
11 which becomes C. difficile. In the post-marketing  
12 spontaneous reports database, there are 12 cases of  
13 C. difficile, half of which were reported as  
14 pseudomembranous colitis and then coded as C.  
15 difficile. The other half were reported as C.  
16 difficile, and that s -- the preferred term remains  
17 C. difficile.

18           DR. PATOU: We do have -- and we could  
19 bring up the precise data this afternoon, but in  
20 the post-marketing experience, we do have the  
21 duration of hospitalization for about half of the  
22 reported cases of hospitalization in the



1 post-marketing data. The majority of those were  
2 one to two days of hospitalization. In two cases,  
3 they were around eight days.

4 DR. EDWARDS: Yes, Dr. Bradley?

5 DR. BRADLEY: I had the opportunity to hear  
6 the presentation in March of 2003 with the  
7 increased incidence of rash in women under 40, and  
8 one of the questions then had to do with the  
9 mechanism and whether it was actually something  
10 related to estrogen, some sort of binding of the  
11 drug and then tissue binding in skin or something  
12 that correlates with estrogen.

13 I was just wondering if there s any work  
14 that you ve done on the underlying mechanism of  
15 rash in these women. Second question, of those who  
16 have rash, not in the clinical studies, but  
17 post-marketing, what percent of those actually feel  
18 a need to go back to their doctors and engender an  
19 additional medical visit because of the rash, and  
20 in the -- and how -- what percentage of those  
21 actually end up getting prescribed another medicine  
22 for the rash?

1           Because I noticed in the clinical trial  
2 reports, there were a number of patients that got  
3 dropped out of the gemt trials because they were  
4 prescribed steroids for the rash, which raises the  
5 question, how many of these people with rash will  
6 actually get steroids, which can represent another  
7 toxicity concern?

8           And then lastly, on Page 42 of your  
9 briefing document, the duration of illness in  
10 sinusitis with treatment versus placebo documents  
11 that there s actually no improvement in the  
12 clinical condition for the first five days of  
13 treatment. It seems to me that if there s no  
14 improvement in five days, the patients will  
15 certainly be wanting to go back to their doctors  
16 and get more antibiotic.

17           This is in contrast to your 3.1% of  
18 prescriptions being refilled in post-marketing, and  
19 that seems to be very, very low compared to what I  
20 would have expected based on your graph on Page 42.

21           DR. PATOU: You ve raised a number of  
22 points. The first -- maybe I could tackle your

1 last point first. I mean, I think I'd like to say  
2 that in addition to looking at placebo controlled  
3 trials, I mean, there are other sources of evidence  
4 for a treatment effect of antibiotics in this  
5 disease, and I'd also refer you to Page 41 and  
6 Table 18, where we look at different dose levels of  
7 antibiotics given in clinical trials, showing  
8 differences in effectiveness and whether the  
9 organism is susceptible or resistant, all of which  
10 we think provide good evidence for a treatment  
11 effect.

12 In terms of the likelihood that  
13 individuals receiving a five-day treatment of  
14 gemifloxacin for sinusitis, and then requiring a  
15 refill, we were able to look at this in our drug  
16 use study, because there were patients, even though  
17 the company -- and just to emphasize this -- does  
18 not promote the drug off-label, there are  
19 physicians who have used the drug in the setting of  
20 sinusitis, and were able to look at the refill rate  
21 in those individuals, and it isn't different from  
22 the patients with ABCB and CAP.

1           So I think we have a reassurance that of  
2 those treated, and there were a fair number of  
3 them, that actually, the refill patent is not  
4 likely to look very different.

5           In terms of mechanism of rash, we don't  
6 have any new data since 2003. What I think we can  
7 say is this is a remarkably well-studied rash. We  
8 understand all of the key variables here. But, in  
9 fact, we don't really have that mechanistic  
10 information for other common drug rashes, either.

11           DR. BRADLEY: And the rate of steroid  
12 prescription and doctor visits in patients with  
13 rash?

14           DR. PATOU: We would -- we have that  
15 information from the clinical trial database and we  
16 can go back and look at that and come to you with  
17 that. Actually, we have that information in Study  
18 344. Slide on, please.

19           DR. BRADLEY: If I may.

20           DR. PATOU: Sorry, go ahead.

21           DR. BRADLEY: The clinical trial database  
22 actually wouldn't be as reflective of what goes on