

0001

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE  
CLINICAL PHARMACOLOGY SUBCOMMITTEE

October 18-19, 2006  
CDER Advisory Committee Conference Room  
Room 1066  
5630 Fishers Lane  
Rockville, MD

0002

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21

A T T E N D E E S :

Wednesday, October 18, 2006  
Members- voting

Meryl H. Karol, Ph.D.  
Jurgen Venitz, M.D., Ph.D.

Special Government Employees (SGE)-non voting

Jeffrey Barrett, Ph.D. FCP  
Edmond V. Capparelli, Pharm.D  
David Z. D'Argenio, Ph.D  
Marie Davidian, Ph.D  
Kathleen Giacomini, Ph.D.

William J. Jusko, Ph.D

Howard L. McLeod, Pharm. D.  
Joanne Mortimer, M.D.

Mary V. Relling, Pharm.D.

Paul Watkins, MD

FDA (CDER) Participants- non voting

Shiew-Mei Huang, Ph.D.  
Lawrence Lesko, Ph.D.  
Richard Pazdur, M.D.

Atiqur Rahman, Ph.D.  
Sally Yasuda, Pharm.D.

Guest Speakers- non voting  
Matthew Goetz, M.D.  
David Greenblatt, M.D.  
Mitchell Taub, Ph.D.

22  
0003

1  
2 A G E N D A  
3 WEDNESDAY, OCTOBER 18, 2006

PAGE

4  
5 MORNING SESSION  
6 Call to Order  
7 Jurgen Venitz, M.D., Ph.D  
8 Acting Chair CPSC of ACPS  
9 Conflict of Interest Statement  
10 Mimi Phan, Pharm.D., R.Ph.  
11 Designated Federal Officer, ACPS  
12 Update on Previous CSPC Meeting Recommendations  
13 Introduction to the Meeting Topics  
14 Lawrence Lesko, Ph.D.  
15 Director, Office of Clinical Pharmacology and  
16 Biopharmaceutics (OCPB), CDER, FDA  
17 Topic 1: Scientific and Clinical Evidence Related to  
18 CYP2D6 Polymorphism and Response to Tamoxifen Therapy  
19  
20 Importance of Pharmacogenetics in Oncology  
21 Richard Pazdur, M.D.  
22 Director, Office of Oncology Drug Products

0004

1  
2 Tamoxifen Pharmacogenetics: An FDA Perspective  
3 Atiqur Rahman, Ph.D.  
4 Director, Division of Clinical Pharmacology V  
5  
6 Tamoxifen, Endoxifen, and CYP2D6 Polymorphism  
7 Sally Yasuda, Pharm.D.  
8 OCP, CDER, FDA  
9  
10 Tamoxifen Pharmacogenetics and Prediction  
11 of Breast Cancer Relapse After Administration  
12 of Tamoxifen  
13 Matthew Goetz, M.D.  
14 Assistant Professor in Oncology  
15 College of Medicine, Mayo Clinic  
16 Open Public Hearing  
17 Committee Discussion and Questions  
18  
19  
20  
21  
22

18  
19  
20  
21  
22  
0005

1  
2  
3  
4  
5  
6

7 WEDNESDAY, OCTOBER 18, 2006

PAGE

8

9 AFTERNOON SESSION

10

11 Topic 2: Evaluation of transporter-based drug  
12 interactions

13

Key Issues in the Evaluation of Drug Interactions

14

Shiew-Mei Huang, Ph.D.

15

Deputy Director for Science, OCP

16

Boehringer Ingelheim Experience/Opinion:

17

Transporter-based Drug Interactions

18

Mitch Taub, Ph.D.

19

Boehringer Ingelheim Pharmaceuticals

20

21 Clinical Significant Transporter-Based

22

Interactions

0006

1

David Greenblatt, M.D.

2

Tufts University

3

4

Clinical Significant Interactions of

5

OATP1B1 and Their Transporter-Based

6

Interactions

7

Kathleen Giacomini, Ph.D

8

University of California, San Francisco

9

10 Committee Discussion and Questions

11

12

Adjournment

13

14

P R O C E E D I N G S

15

October 18, 2006

16

17

CALL TO ORDER

18

CHAIRMAN VENITZ: Can everybody take their seats,

19

please?

20

Good morning, everyone, and welcome to the Clinical

21

Pharmacology Subcommittee Meeting. My name is Jurgen

22

Venitz, and I'm chairing this committee for the next day and

0007

1

a half.

2

I'd like to begin our proceedings by going around the

3

table and have everyone, including the invited guests and

4

the FDA staff, to introduce themselves. And maybe we start

5

with Atigur Rahman.

6

DR. RAHMAN: I am Atigur Rahman, Director of the

7

Division of Clinical Pharmacology V in the Office of

8

Chemical Pharmacology.

9

DR. HUANG: Shiew-Mei Huang, Deputy Director for

10

Science, Office of Clinical Pharmacology.

11 DR. LESKO: I'm Larry Lesko, Director of the Office of  
12 Clinical Pharmacology.

13 DR. PAZDUR: I am Richard Pazdur, Office Director,  
14 Office of Oncology Drug Products.

15 DR. YASUDA: I'm Sally Yasuda, Senior Reviewer in the  
16 Office of Clinical Pharmacology.

17 DR. JUSKO: I'm William Jusko, a committee member from  
18 the University at Buffalo.

19 DR. CAPPARELLI: Edmund Capparelli from the University  
20 of California, San Diego.

21 DR. DAVIDIAN: Marie Davidian from the North Carolina  
22 State University.

0008

1 CHAIRMAN VENITZ: Jurgen Venitz, Clinical  
2 Pharmacologist, Virginia Commonwealth University.

3 DR. PHAN: Mimi Phan, designated federal officer.

4 DR. KAROL: Meryl Karol, Professor Emeritus from the  
5 University of Pittsburgh.

6 DR. BARRETT: Jeff Barrett, the University of  
7 Pennsylvania and the Children's Hospital, Philadelphia.

8 DR. GIACOMINI: I'm Kathy Giacomini, the University of  
9 California at San Francisco.

10 DR. MCLEOD: Howard McLeod, University of North  
11 Carolina at Chapel Hill.

12 DR. MORTIMER: Joanne Mortimer, University of  
13 California, San Diego.

14 DR. D'ARGENIO: David D'Argenio, University of  
15 Southern California.

16 DR. RELLING: Mary Relling, St. Jude Children's  
17 Research Hospital, Memphis.

18 DR. WATKINS: Paul Watkins, University of North  
19 Carolina at Chapel Hill.

20 CONFLICT OF INTEREST STATEMENT

21 CHAIRMAN VENITZ: Thank you, everyone. As you can  
22 tell by looking at the agenda, we've got a pretty long

0009

1 morning ahead of us, so let's get started by reading the  
2 conflict of interest statement. Mimi.

3 DR. PHAN: Good morning. The Conflict of Interest for  
4 Meeting of Clinical Pharmacology Subcommittee Meeting of the  
5 Advisory Committee for Pharmaceutical Science.

6 Today is October 18, 2006. This is the conflict of  
7 interest for the Clinical Pharmacology subcommittee update and  
8 introduction.

9 The following announcement addresses the issue of  
10 conflict of interest and is made part of the record to  
11 preclude even the appearance of such at this meeting.

12 This meeting is being held by the Center for Drug  
13 Evaluation and Research. The Clinical Pharmacology  
14 Subcommittee Meeting of the Advisory Committee for  
15 Pharmaceutical Science will hear an update on previous  
16 Clinical Pharmacology Subcommittee Meeting recommendations  
17 and will receive an introduction to the three new topics of  
18 this meeting.

19 Unlike issues before a committee, in which a  
20 particular product is discussed, the issue of broader  
21 applicability, such as the topic of today's meeting involves

22 many industrial sponsor and academic institutions.

0010

1 The Subcommittee members have been screened for their  
2 financial interests as they may apply to the general topic  
3 at hand.

4 Because general topics impact on so many institutions,  
5 it is not practical to recite all potential conflicts of  
6 interest as they might apply to each member.

7 In accordance with 18 USC 208.B3, full waivers have  
8 been granted for the following participants: Drs. Jurgen  
9 Venitz, Jeffrey Barrett, Edmund Capparelli, Marie Davidian,  
10 Kathy Giacomini, William Jusko, Jack Mandema, and Paul  
11 Watkins.

12 Waivers documents are available at the FDA document  
13 Web site. Specific instructions as to how to access the Web  
14 page are available outside today's meeting room at the FDA  
15 Information table.

16 In addition, a copy of all waivers can be obtained by  
17 submitting a written request to the agency's Freedom of  
18 Information Office, Room 12A-30, at the Parklawn Building.

19 FDA acknowledges that there may be potential conflicts  
20 of interest, but because of the general nature of the  
21 discussion before the Committee, these potential conflicts  
22 are mitigated.

0011

1 In the event that the discussion involves any other  
2 product or a firm that is not already on the agenda for  
3 which FDA participants have a financial interest, the  
4 participants' involvement and their exclusion will be noted  
5 for the record.

6 With respect to all other participants, we ask in the  
7 interests of fairness that they address any current or  
8 previous financial involvement with any firm whose products  
9 they may wish to comment upon.

10 CHAIRMAN VENITZ: Thank you, Mimi.

11 UPDATE ON PREVIOUS CPSC MEETING RECOMMENDATIONS

12 INTRODUCTION TO THE MEETING TOPICS

13 Our first speaker is going to be Dr. Larry Lesko, who  
14 is going to summarize the results from the previous meeting  
15 and give us an introduction of the three topics that we're  
16 going to discuss for the next day and a half. Larry.

17 DR. LESKO: Moving that mouse is like ice skates.

18 Good morning, and thanks, Jurgen.

19 I'm probably going to do more introduction of the  
20 topic today than reviewing some of our past meetings, mainly  
21 because I notice that I cut myself to 15 minutes instead of  
22 the usual 30 minutes on the agenda.

0012

1 But that's okay, because I think today and tomorrow,  
2 we have some very important topics to discuss, and I was  
3 sort of reflecting on actually the last four years of this  
4 Committee, and we met for the first time to talk about  
5 pharmacogenetics in 2003. And we had a meeting in 2004,  
6 2005 and 2006, all of which dealt with pharmacogenetics on  
7 one hand or another.

8 And I felt compelled to compliment and congratulate  
9 the committee on the work and the deliberations that they've

10 done over the last three or four years, because we've heard  
11 a lot about personalized medicine from Secretary Leavitt,  
12 from our Acting Commissioner, Dr. Von Eschenbach, from Dr.  
13 Woodcock, and if you Google any one of those three, you'll  
14 find that many of their recent presentations have  
15 highlighted the importance of personalized medicine as an  
16 FDA priority.

17 This morning I was getting coffee over in the Parklawn  
18 Cafeteria, and I noticed the guy next to me getting coffee,  
19 and I said, oh, that's the Acting Commissioner, and I said,  
20 Andy, by the way, we're talking about Tamoxifen today and  
21 2D6 and its influence on outcome, and he says, great; go for  
22 it.

0013

1 Not that that should influence your decision and  
2 discussions today.

3 But some of the things you don't know about our  
4 committee meetings, and it's what happens behind the scenes  
5 a bit, and I was also thinking about last year's meeting  
6 where we discussed Warfarin and 2C9B4C1 and its influence on  
7 dosing.

8 And it was amazing over the past year what that  
9 meeting has stimulated. It's stimulated studies to be done  
10 in terms of clinical outcome. It's stimulated tests to be  
11 developed by diagnostic companies. It's stimulated  
12 databases to be formed, and hopefully it's stimulated the  
13 utilization of tests in clinical practice, for, ultimately,  
14 the reason we're discussing all these topics is for the  
15 benefit of patients.

16 Today, we're going to start off with a discussion of  
17 the efficacy and pharmacogenetics of Tamoxifen.

18 Tamoxifen is an old drug, as everyone knows. It's a  
19 drug of high importance for patients with breast cancer, in  
20 particular patients with post-menopausal breast cancer where  
21 choices of treatments are available to them.

22 I want to emphasize that we're talking about efficacy

0014

1 pharmacogenetics. This is the first time in the four years  
2 we've been talking about pharmacogenetics that we focused on  
3 efficacy.

4 We have, in the past, focused on safety for  
5 6-mercaptopurine, irinotecan, and Warfarin, and we have a  
6 drug that's well known. We've discovered a lot more about  
7 it recently, as you'll hear today.

8 We have a gene, 2D6, which is probably the most  
9 studied and the most well understood gene of all the  
10 cytochrome enzymes.

11 And finally, we do have at least one approved test for  
12 2D6 and possibly more.

13 Now, one of the ways we've tried to frame our  
14 discussion of pharmacogenetics and it gets around to  
15 one-size-fits-all, and I selected an article that's a little  
16 bit dated now from Lazereaux [ph.] where they pointed out  
17 that drugs are effective, ineffective, or, in some cases,  
18 cause serious adverse events, as I've shown on that pie  
19 chart.

20 If I was a little quicker in getting my slides

21 together, I might have picked the article that appeared in  
22 JAMA this week that basically illustrated the same thing,  
0015

1 with the old work horses, as they said, causing most of the  
2 adverse events in the country, including Warfarin that we  
3 discussed at the last meeting.

4 But I think this kind of data has come out repeatedly  
5 over the last 10 years, and it's somewhat stimulated I think  
6 industry, regulatory agencies around the world, physicians,  
7 and patients to want to have a better understanding of which  
8 patients should receive which drugs; and then once that  
9 decision is made clinically, to understand what patient  
10 should receive which dose.

11 In the past we talked about primarily dosing. We  
12 talked about 6MP, reducing the dose; Irinotecan reducing the  
13 dose; and Warfarin, reducing the dose in the appropriate  
14 individuals with gene variance.

15 Today, we're going to be talking about something a  
16 little bit different. It's whether to give the drug or not,  
17 and it's not a dosing question, and you'll hear a lot more  
18 about that.

19 Critical to understanding pharmacogenetics in the  
20 context we've been discussing it is the concept of exposure.

21 And this is really the first principle of clinical  
22 pharmacology and underpins the selection of both drugs and  
0016 doses.

1 We know from history that dose is a poor predictor of  
2 response, and mainly because there's a huge variability in  
3 dose exposure. For Warfarin, it was at least 30-fold; for  
4 6-mercaptopurine, it was a hundred-fold.

5 So what we try to do about that is individualize dose  
6 based on age, sex, body weight, drug interactions, renal  
7 function, liver function, and we all know those are rather  
8 crude estimates of changes in exposure based on  
9 pharmacogenetics.  
10

11 Nevertheless, they're the key to labeling. In many of  
12 our labels if you look at dosing adjustments, the initial  
13 choice of a drug and the dosing regimen is determined by  
14 estimating the exposure and PK properties of the drug,  
15 usually from special population studies that companies do.

16 So changes in exposure drive dosing.  
17

18 In the case of Tamoxifen, changes in exposure in  
19 certain subsets of the population drives exposure in the  
20 sense that the exposure isn't there, so that individuals  
21 like this may want to go through some other choices.

22 If the situation leads to higher or lower exposures,  
0017

1 product labels recommend dose reductions. And the  
2 interesting thing to me over the past three or four years is  
3 that we found genetic variance in cytochrome enzymes can  
4 result in anywhere from a ten- to a hundred-fold difference  
5 in exposure compared to non-genetic factors, and yet we  
6 worried about, to a large degree non-genetic factors much  
7 more than we worry about genetic factors, but perhaps that's

8 changing with technology and education.

9 Now, this being the fourth time we've come before the  
10 committee in terms of pharmacogenetics, I thought it would  
11 be good to remind about the framework that we've used to  
12 decide which drugs we've talked about and how we think about  
13 re-labeling.

14 These are sort of the criteria or framework. We need  
15 to have a clear definition of phenotype. When we talked  
16 about irinotecan, it was very clear we were talking about  
17 grade four neutropenia [ph.]. That was the phenotype that  
18 we were trying to reduce the risk of.

19 The phenotype is serious and relatively common, and in  
20 each of the drugs, we had relatively common issues, even  
21 with 6MP, where one in 300, which is fairly high, had  
22 problems with phenotype.

0018

1 We looked for a strong genotype-phenotype  
2 relationship. We looked for studies with sufficient sample  
3 size to identify the relevant variance, although not all of  
4 them.

5 And one of the most important things we've tried to do  
6 is look at plausible mechanistic or biological hypotheses to  
7 explain the genotype and phenotype relationship.

8 And the reason we looked for that mechanism is because  
9 the evidence of the association is usually not from  
10 prospective randomized control trials.

11 So, by and large, in pharmacogenetics, we had to rely  
12 upon -- and I'm not saying this is bad because good  
13 observational studies are good evidence in my opinion, but  
14 we've relied upon retrospective observational,  
15 case-controlled studies. The advantage of these is they do  
16 reflect data from real world practice, usually from studies  
17 that involve a standard of care.

18 We do look at the analytical validation of the  
19 genotype, and we try to identify potential bias introduced  
20 by unmeasured factors, which is characteristic of  
21 observational studies.

22 But most importantly, in all the drugs we've brought

0019

1 before the Committee, we tried to look for consistency in a  
2 direction of change across studies and across demographics.

3 And we've seen that with 6-mercaptopurine. We've seen  
4 it with irinotecan. We've seen it with Warfarin, where  
5 studies come worldwide, all pointing in the same direction.  
6 And we've seen it now and are beginning to see it with  
7 Tamoxifen as well. So this is how we decide that it's the  
8 right time to talk about pharmacogenetics and a drug.

9 You've seen this slide before. Almost every time I  
10 speak before the Committee, I present it to remind that we  
11 have a regulatory statute, 21 CFR 21.7. Some of that  
12 indicates that labeling with the tests is entirely  
13 appropriate based on the evidence at hand.

14 This is a summary of our prior meetings, where I've  
15 listed the drug, the polymorphic enzyme, the dates of the  
16 Committee, and action taken, and the consequence of the  
17 genotype in terms of toxicity, and again reminding that  
18 today we're going to be talking about risk and lack of

19 efficacy.

20 Now, I want to update a little bit on the drug we  
21 talked about last year, because, as you can see, I've  
22 indicated the label as being updated.

0020

1 Well, the label has been updated. If you remember  
2 last year, the Committee voted almost unanimously to  
3 recommend updating the Warfarin label with the 2C9 and B4  
4 information.

5 And on October 6th, 2006, we did update the label for  
6 Warfarin, and it's a black box warning to bring to the  
7 attention of prescribers and patients the serious bleeding  
8 risks that occur with Warfarin.

9 You'll also note that in the black box, there's no  
10 pharmacogenetic information, and you can read the  
11 announcement of the black box warning on the Web sites I've  
12 listed below.

13 And this was an action that was in progress at the  
14 time. We talked about adding pharmacogenetics, and this  
15 came out at a time when we are still negotiating the label  
16 language with the sponsor and with the medical division.

17 So where we are at with the 2C9B4 critical information  
18 is we are at the final stage of negotiating label language  
19 to include the genetic information on the label, as  
20 recommended by this Committee a year ago.

21 Our timetable to do that is hopefully within the next  
22 three months. There's obviously factors that influence that

0021

1 timetable, so that's why I say we hope.

2 As I mentioned, numerous clinical trials have been  
3 planned or launched to validate dosing algorithms and study  
4 clinical outcomes.

5 I noted in my copy of Clinical Pharmacology and  
6 Therapeutics that came in the mail this week, there was  
7 another study pointing out that these two enzymes -- or  
8 excuse me the 2C9 and B-COR -- along with some demographic  
9 factors -- account for 60 percent of the variability of dose  
10 response in an Asian population.

11 So I think that validates in many ways what we  
12 believed to be true a year ago.

13 Several diagnostic companies have launched 2C9 and B4  
14 tests. They are not all FDA-approved. I'm not sure we have  
15 any FDA-approved tests for this combination, but certainly  
16 there's a lot of activity going on.

17 The other thing that's occurred in the case of  
18 Warfarin and basically all the drugs we've talked about in  
19 pharmacogenetics is to try to think about how we can design  
20 an effective risk communications strategy for  
21 pharmacogenetics.

22 We all know it's relatively new. We all know the

0022

1 challenge of changing clinical practice.

2 We're trying to find a way internally by discussions  
3 to figure out what's the most effective way for  
4 communicating issue-specific risks to patients and health  
5 care providers, whether the issue-specific thing is a drug  
6 or a genetic factor.

7           So we've been working with various offices within FDA  
8 to explore focused risk communication strategies, and one of  
9 the examples of these strategies, which is not a done deal  
10 by any means, is to think about the possibility of  
11 information sheets for health care providers that contain  
12 information on pharmacogenetics that are useful to both the  
13 provider and the patient.

14           This is a work in progress. I'm anticipating that we  
15 may want to bring this in front of the Committee to discuss  
16 one of these risk communications strategies, given the  
17 background that you have in pharmacogenetics, and we'll put  
18 that in the parking lot for a possible future topic.

19           So that's topic number one.

20           If you look at your agenda, you'll see that we're  
21 going to really provide you the background in terms of the  
22 mechanistic aspects of Tamoxifen pharmacogenetics and

0023

1 clinical outcome data, and then hopefully we'll move onto to  
2 our discussion.

3           The second topic for today will be this afternoon.

4 That's drug transporter interactions.

5           And this is sort of motivated by the fact that really  
6 over the last 10 years, we've developed the ability to  
7 predict drug-drug interactions at the CYP [ph.] level as a  
8 risk management strategy. And, of course, that has a huge  
9 impact on labeling.

10           This has facilitated drug development and regulatory  
11 decision making. We've had guidances out for the industry  
12 for quite a long time.

13           In the footnote, I've indicated a new version of our  
14 drug interaction guidance that was just published about a  
15 month ago, and the Web site.

16           And one of the open questions that we wanted to  
17 discuss within the draft guidance and within the Committee  
18 discussion this afternoon is looking at the paradigm for  
19 enzymes.

20           NME is an inhibitor of substrate for CYP enzymes. We  
21 know that. In the old days, with CYP enzymes, we decided  
22 that an in vivo study is needed enough for labeling

0024

1 purposes, and if a study is necessary what substrate should  
2 be given.

3           So this is what we've all worked out with the  
4 cytochrome enzymes, and that's contained within the guidance  
5 as an update.

6           Transporters is a different story, and that's why  
7 we're bringing it to the Committee. Knowledge of our  
8 transporters in major organs and tissues and their role has  
9 dramatically increased.

10           It presents challenges for doing molecular entity  
11 development, and the questions are similar to where we were  
12 with enzymes years ago: which transporters are important  
13 and should be studied? Do transporter and enzyme  
14 interactions coexist? Can drugs interact through multiple  
15 transporters? Can in vitro studies obviate the need for in  
16 vivo studies as we currently do with the cytochrome enzymes  
17 and reduce some in vivo studies? What are the best

18 transporter substrate inhibitors?

19 What's interesting in the context of this topic is  
20 that several previously unexplained clinically important  
21 drug-drug interactions, which were surprises, if you think  
22 mechanistically on the CYP enzyme basis, can now be

0025

1 explained by transporter mechanisms. And I think the  
2 context is do we wait to be surprised and can we predict  
3 those better with in vitro methodologies and in vivo  
4 studies.

5 Finally, topic number three is one that's been before  
6 the Committee in the past. It's drug disease placebo  
7 models.

8 And as a little background here, you may not remember  
9 this, but back in 2003, we released a concept paper that we  
10 called the end of phase 2A, and we published that on October  
11 16, 2003.

12 Interestingly, the concept paper is still on our Web  
13 site that I've indicated there. There was a concept paper  
14 and we're anticipating developing that as a full guidance in  
15 the upcoming 12 months.

16 Anyway, back in 2003, we discussed a two-year pilot  
17 project with up to 24 end of phase 2A meetings between  
18 sponsors and FDA. We asked the Committee what they thought  
19 about the value of these meetings, and the kind of things  
20 that would be discussed.

21 And in the concept paper and before the Committee, we  
22 talked about what a data package and analysis would look

0026

1 like for an end of phase 2A meeting. And we talked about  
2 exposure response analysis for efficacy and safety, putting  
3 that into the package insert.

4 We talked about modeling and simulation of clinical  
5 trials as a way to improve the productivity of drug  
6 development. And we finally talked about the analysis of  
7 disease progression as opposed to symptomatic treatment of  
8 disease.

9 Now, we've had since then about 10 or 12 end of phase  
10 2A meetings. We could have had a lot more had it not been  
11 for resource constraints. We actually have slowed down on  
12 our 2A meeting requests until we get more resources.

13 But what they did do while we were having them up  
14 until probably July of this year is motivated for us and  
15 companies the development of drug disease models, and here  
16 some different diseases.

17 These models are not complete. They're in the process  
18 of development. Some have been used in 2A meetings but  
19 they've proved to be extremely valuable.

20 So what you're going to hear is a session of our  
21 meeting on disease progression models. As everyone knows,  
22 many of our traditional drug approvals are based on either

0027

1 partial or full relief of disease symptoms. But we're  
2 beginning to find as we have a better understanding of  
3 disease pathophysiology that both new drugs and old drugs  
4 can modify disease processes that cause the clinical  
5 phenotypes.

6           Sometimes we don't know the mechanisms at all.  
7 Sometimes we have a semi-understanding of the mechanisms.  
8           But the question that will be on the table is can we  
9 look at slowing and halting disease progression using  
10 disease models.

11           So what you'll hear is a section that deals with the  
12 question how can disease models be built and data analyzed  
13 to document evidence of effect on disease progression.

14           It will be a huge breakthrough for many chronic  
15 diseases, such as Alzheimer's and Parkinson's and others, if  
16 we can, in fact, show that and use that as an evidence basis  
17 for improvement.

18           To do that is complex. We need placebo-response data.  
19 We need different time points of measuring clinical outcome  
20 than we're used to. We need different mathematical and  
21 statistical approaches to analyze longitudinal changes in  
22 biomarkers of clinical outcomes, but you're going to see the

0028  
1 progress that we're making and some of the questions that  
2 the group will have tomorrow.

3           And we'll be using a prototype for the purposes of  
4 discussion. We're going to be presenting several models,  
5 but in particular the Parkinson's disease model that we've  
6 been working on, which has been a joint project of pharm and  
7 biostatistics, and the question that will be on the table as  
8 you hear that model tomorrow is how can one detect and  
9 analyze changes in the typical, clinical efficacy outcome,  
10 which is the NPDRS telesmart [ph.], as evidence of slowing  
11 or halting disease progression.

12           The current way of analyzing that kind of data doesn't  
13 do the job. What you'll hear tomorrow is the new ways of  
14 analysis that may do the job, and we anticipate that this  
15 topic, as it's discussed tomorrow, will be a preview of  
16 another advisory committee that we'll be having next spring  
17 with the medical group that deals with Parkinson drugs and  
18 neuropharmacology, so this will be a good dry run, an Off  
19 Broadway show, if you will.

20           That's my introduction, but before I leave the podium,  
21 I want to mention one other thing that's new and I don't  
22 want you to be confused by it.

0029  
1           It has nothing to do with the topics we're going to be  
2 discussing today, but it does have to do with the voting  
3 that we conduct at this meeting.

4           It has come to our attention that this being the  
5 Subcommittee, it's called Clinical Pharmacology Subcommittee  
6 of the Advisory Committee for Pharmaceutical Sciences. It  
7 is not officially, if I'm using the right term, authorized  
8 to vote. In other words, the vote that is taken -- am I  
9 correct, Mimi -- is not an official vote.

10           We learned this after our last advisory committee, and  
11 we put in a request to take this committee to the full  
12 advisory committee status, and that request is under  
13 deliberation for today's meeting. Unfortunately, it didn't  
14 happen.

15           On the other hand, we will be asking you to vote I  
16 think from Dr. Venitz, when he gets to the topic. And I

17 think what's important here is not whether the vote is  
18 official or not. What's important is the input we get from  
19 the committee, and I hope that -- you keep that in mind as  
20 we go around the table and signal what your interest is, and  
21 the questions that we're going to pose to you.

22 Thanks.

0030

1 CHAIRMAN VENITZ: Thank you, Larry. Any quick  
2 questions by the Committee?

3 As Larry indicated, I was just informed half an hour  
4 ago that we cannot officially vote, but we will vote. It  
5 just won't be recorded in the minutes.

6 Okay. Any questions for Larry? Thank you, Larry.

7 Then before we start with our first topic, Mimi is  
8 going to give us another COI update.

9 DR. PHAN: And official votes. This is the conflict  
10 of interest for the first topic, the Tamoxifen, which is  
11 Scientific and Clinical Evidence Related to Cytochrome P2D6  
12 Polymorphisms and Response to Tamoxifen Therapy.

13 The following announcement addresses the issue of  
14 conflicts of interest and is made part of the record to  
15 preclude either the appearance of such at this meeting.

16 This meeting is being held by the Center for Drug  
17 Evaluation and Research. The Clinical Pharmacology  
18 Subcommittee Meeting of the Advisory Committee for  
19 Pharmaceutical Science will discuss and provide comments on  
20 the first new topic, the scope and strength of evidence to  
21 support the inclusion of pharmacogenetic information at  
22 Cytochrome P2D6 Polymorphism in the revision of the label

0031

1 for Tamoxifen to improve the benefits/risks of the drug.

2 In accordance with 18 USC 208 B.3, full waivers have  
3 been granted for the following participants: Dr. Edmund  
4 Capparelli for unrelated data and safety monitoring for  
5 activities for a competitor which he received less than  
6 \$10,001 per year; Dr. Kathleen Giacomini for her spouse as  
7 unrelated speaker bureau activity for a competitor in which  
8 they received less than \$10,001 per year; Dr. Paul Watkins  
9 for unrelated consulting for a competitor which he has not  
10 consulted or received any fees in the last 12 months.

11 Waivers documents are available at FDA's docket Web  
12 site. Specific instruction as to how to access the Web page  
13 are available outside today's meeting room at the FDA  
14 information table.

15 In addition, a copy of all waivers can be obtained by  
16 submitting a written request to our agency Freedom of  
17 Information Office, Room 12A-30, of the Parklawn Building.

18 In the event that the discussion will involve any  
19 other products or a firm not already on the agenda for which  
20 FDA participants have a financial interest, the  
21 participants' involvement and their exclusion will be noted  
22 for the record.

0032

1 With respect to our other participants, we ask in the  
2 interest of fairness that they address any current or  
3 previous financial involvement with any firms who they may  
4 wish to comment upon.

5 CHAIRMAN VENITZ: Thank you, Mimi. And that gets us  
6 into our first topic, the Scientific and Clinical Evidence  
7 Related to CYP2D6 Polymorphism and Response to Tamoxifen  
8 Therapy.

9 Our first speaker is Dr. Pazdur. He is the Director  
10 of the Office of Oncology Drug Products, and he's going to  
11 review the importance of pharmacogenetics in oncology.

12 SCIENTIFIC AND CLINICAL EVIDENCE RELATED TO CYP2D6  
13 POLYMORPHISM AND RESPONSE TO TAMOXIFEN THERAPY

14 DR. PAZDUR: Thank you very much for the introduction.

15  
16 It's kind of interesting that we're talking about  
17 Tamoxifen. This was probably one of the first drugs I used  
18 as a medical oncologist, and to show my age, this was an  
19 experimental drug, an investigational drug, when I first met  
20 it as a beginning medical oncologist in the 1970s.

21 And at that time, there was a great deal of debate as  
22 far as what should be the dose of Tamoxifen that should be

0033

1 used. Should it be 10 milligrams bid? 20 milligrams bid?  
2 30 milligrams bid?

3 And it's interesting now, you know, 40 years later  
4 almost, we're beginning to understand really kind of the  
5 scientific principles that govern or make up or, i.e. dose  
6 selection of the drug.

7 I wanted to begin some of the topics that I'm going to  
8 discuss, and some of this will be a duplication of what  
9 Larry has introduced, and I'll try to minimize when there is  
10 some duplication of Larry's previously presented material.

11 But integrating pharmacogenetics into therapeutics is  
12 really an agency-wide initiative. It's part of the critical  
13 pathway program that many of you have heard about. In  
14 addition to that, each in the divisions I think is committed  
15 to really look at the available information, both on  
16 existing drugs that have been approved and also on new  
17 molecular entities that can come -- that do come into the  
18 various offices to try to really better define populations  
19 that are more likely to benefit or be it more likely be  
20 exposed to certain toxicities.

21 And Janet Woodcock, who is the Deputy Commissioner, or  
22 one of the Deputy Commissioners, mentioned this in one of

0034

1 our publications. For the first time, physicians will have  
2 a chance to treat people as individuals, not as members of a  
3 "population."

4 We will also be able to treat patients based on the  
5 actual biology of the disease, not just according to their  
6 symptoms.

7 I think in oncology this is particularly a very  
8 important area, because it's clear to us that what we call a  
9 certain disease, such as breast cancer or colon cancer or  
10 lung cancer, probably are many, many diseases. Breast  
11 cancer probably has many manifestations. Some of these  
12 manifestations on the genetic level may be related to other  
13 tumors; and, hence, our adherence just to looking at a  
14 histological diagnosis may be somewhat outdated and will  
15 probably, with time, need to be revised and how we study

16 patients' oncology will also be -- have to undergo certain  
17 scrutiny.

18 I think it's important that we keep an open mind or  
19 that the disease itself and our definition of disease may  
20 change, but those that the agency interacts with also may  
21 have to basically change. We have traditionally had our  
22 major interactions with the pharmaceutical industry.

0035

1 However, the pharmaceutical industry obviously is not geared  
2 toward disease redefinition. They're more into drug  
3 development.

4 And I think one of the areas that this whole  
5 pharmacogenomics area is bringing up, especially in  
6 oncology, is how to we better redefine disease, and this  
7 will really cause a more -- an interaction between multiple  
8 stakeholders, not only the FDA and industry and regulated  
9 industry, but also with academics and other stakeholders,  
10 such as patient groups and basic scientists.

11 Larry had already mentioned and shown this slide. I  
12 just want to reiterate the importance of product labeling.

13 Product labeling is something different to many  
14 people, and has many, many implications here. It is one of  
15 our chief ways of communicating with the outside world, the  
16 FDA's way of communicating to our stakeholders.

17 Secondly, it's a patient information guide.

18 Thirdly, it's a physicians' information guide.

19 And one of the areas that we in the FDA take quite of  
20 a course is that it is a licensing agreement between the  
21 Federal Government and the holder of the license for a  
22 particular indication.

0036

1 It is where many of the advertising claims are  
2 derived; and, hence, the review staff takes a look at the  
3 product label with a great deal of scrutiny, looking at  
4 exactly what claims are being made here; are comparative  
5 claims being made to another drug which would require a  
6 different level of evidence, for example.

7 So it's a very complicated area, because I think  
8 product labeling means something different to different  
9 stakeholders here.

10 Nevertheless, we want to make sure that there is a  
11 scientific basis and a strong scientific basis for what goes  
12 into product labeling. And that may change, okay, depending  
13 on what type of information we're talking about.

14 Generally, we have been used to, as far as the review  
15 staff, of looking at submissions from pharmaceutical  
16 companies where we do randomized trials these are  
17 prospective trials -- done as supplements or for new  
18 indications of the drug or for the initial new indication of  
19 the drug.

20 But here, especially in the past examples that we have  
21 made in oncology, we're looking at older drugs; for example,  
22 the drug today, Tamoxifen; irinotecan that we discussed at

0037

1 previous Committee meetings; and also 6MP. So there  
2 probably has to be an acceptance of probably a different  
3 level of -- or I should say a different type of information

4 that review staff will have to look at and have comfort with  
5 in really looking at product labels.

6 Our eventual goal is, is the information going to be  
7 of benefit to the treating physician and the patient that  
8 eventually receives that medication.

9 Today, I'd just like to touch on really four, briefly  
10 four, areas: Why do we need to optimize benefit and risk in  
11 cancer therapy? How can pharmacogenomics or  
12 pharmacogenetics help to optimize the benefit-risk in  
13 oncology? What have we done so far in oncology? And how  
14 can we promote individualized benefit in oncology treatment?  
15

16 I think it's obvious for most people in the room that  
17 our medical oncologists or have a familiarity with oncology  
18 is that there is really -- if any subspecialty had the need  
19 to optimize the risk-benefit relationship, it is in the area  
20 of oncology.

21 We have marginal efficacy, and serious and life  
22 threatening toxicities.

0038

1 For the most part, the reasons why oncology drugs do  
2 not get approved in the United States or by other regulatory  
3 authorities is the efficacy question. It's efficacy,  
4 efficacy, efficacy.

5 The toxicities, which are serious and life  
6 threatening, as a discipline, we have generally accepted a  
7 high degree of toxicity, and we have framed this in the  
8 context that well, this is a serious life threatening  
9 disease, so patients should or may experience greater  
10 toxicities.

11 I'd like to question that, though; okay? And I think  
12 we should question it always, because I'm not quite sure if  
13 we have an ethical mandate to say that people with life  
14 threatening diseases should experience life threatening  
15 toxicities or have "the right to experience" these life  
16 threatening toxicities.

17 And I think if you really take a look at the field of  
18 oncology, and here I'm going back to the 1960s, the reason  
19 why we've accepted life threatening toxicities and a higher  
20 degree of toxicities is an historical reason. If you take a  
21 look at the older drugs, such as the nitrosureas or  
22 nitrogen mustard, we felt that basically we didn't know how  
0039

1 to give these drugs. We didn't know what the correct dose  
2 is, and perhaps more is better, so we really didn't have a  
3 good idea of what the dose of the drug is.

4 But here again, I want to question and leave in your  
5 mind that really serious toxicities, although we in oncology  
6 have accepted serious toxicities and our risk-benefit  
7 relationship is obviously different from other therapeutic  
8 areas, that is always open to some debate, and we really as  
9 a discipline need to take a closer look at trying to  
10 minimize the toxicities to these patients.

11 Well, how can pharmacogenomics or genetics help to  
12 optimize this risk-benefit in oncology?

13 Well, various reasons, and I don't probably list them  
14 all, but there are four here. We could have candidate drug

15 selection based on genetic biomarkers and have a more  
16 thorough understanding perhaps of how our drugs work.

17 We can use PG relationships to develop dose  
18 concentration response relationships more accurately, and  
19 Larry already commented on the importance of this critical  
20 hallmark of the genomics of the dose response relationship.

21 Most -- well, I think one of the areas that are most  
22 important is patient selection in clinical trials, and I

0040

1 mean rational selection of patients in clinical trials.

2 As you all are aware, over the past five years,  
3 there's been a great ballyhoo of targeted therapies in  
4 medical oncology. And I assert to you unless we can  
5 clinically identify with appropriate tests which patients  
6 are more likely to benefit from a particular therapy, this  
7 whole concept of targeted therapy is not a reality. It is  
8 merely a myth of unless one is able to to really suggest and  
9 utilize this in a really I should say suggest -- but  
10 utilize a marker to identify the population either more  
11 likely to respond to a particular therapy or at greater risk  
12 for a toxicity.

13 And as we've seen before in our earlier discussions  
14 with this Committee, we can use PG relationships to  
15 basically select doses for various sub-populations to try to  
16 modify the toxicity or, in the case of Tamoxifen, perhaps to  
17 enhance its efficacy.

18 The other question I wanted to pose to you is how can  
19 pharmacogenomics help to optimize the benefit-risk in  
20 oncology?

21 Obviously, we can provide evidence for effectiveness  
22 and safety in the drug label, if you tailor drugs for a

0041

1 specific population, as I mentioned before, and recommend  
2 monitoring for safety in a particular sub-population

3 What have we done so far in the field of oncology?  
4 This is a list of drugs and biologics that have been  
5 approved in oncology for specific indications. Please note  
6 that the sub-populations have been identified during the  
7 development of their drug and may include, for example, the  
8 Philadelphia Chromosome Positive Population Gleevec, the  
9 unique receptor for Herceptin, the epithelial growth factor  
10 for both Erbitux and Panitumumab that was recently approved,  
11 and Rituxan in a specific population

12 Of interest all of these specific populations were  
13 pre-specified in the entry criteria for the populations that  
14 were to be studied at the very introduction of the drug into  
15 the clinical trial, and I think that this has ramifications  
16 not only for its clinical usage, but also for reimbursement  
17 purposes.

18 Many of these have, although they have been included  
19 in how we use the drugs, many people are questioning at that  
20 time because some of these drugs have not been adequately  
21 studied in marker negative populations; specifically, does  
22 Erbitux only work in EGFR receptor positive patients or is

0042

1 there activity in EGFR negative populations.

2 Unfortunately, when the drug was studied, the drug was

3 only utilized in patients for only really entered that had  
4 EGFR positive tumors.

5 What have we done in oncology so far? Well, let's  
6 take a look at where we looked at dose modification for  
7 several genotypes. And these are drugs that were also  
8 discussed by this Committee, one a pediatric drug for the  
9 treatment of ALL, 6-mercaptopurine, and the other drug  
10 irinotecan for the treatment of colorectal carcinoma, again  
11 looking at pharmacogenomic and pharmacogenetic issues with  
12 dose modifications of these drugs.

13 It's interesting with both of these drugs and I kind  
14 of question my colleagues that are out on the field,  
15 although we put this information in the product label, how  
16 much of it is utilized by the practicing physician, and it's  
17 quite variable; okay?

18 And I think one of our goals really for medical  
19 oncology as a discipline is willing to try after the drug is  
20 approved to change clinical practice and that is primarily  
21 done I think by implementing these testing procedures in  
22 prospective clinical trials that are done either

0043

1 commercially or in conjunction with the NCI.

2 The product label can only do so much. And here,  
3 again, because people have a great deal of familiarity,  
4 physicians have a great deal of familiarity with the two  
5 drugs and how to use them in sub-populations, I think there  
6 has been some reluctance in the universal adoption of these.

7  
8 Here again, these are old drugs. These are not new  
9 drugs and to change and to teach kind of an old dog new  
10 tricks is sometimes very difficult.

11 Well, what do we need to do for personalized medicine  
12 in oncology treatment?

13 Listed here are just some of the areas that I think  
14 are somewhat obvious -- develop potential targets and  
15 biomarkers. We have a relationship with our sister center,  
16 the Center for Devices and Radiology for co-development of  
17 drugs and tests and an upcoming guidance on that as well as  
18 several working groups, and meeting with them when it comes  
19 to a specific application.

20 We could take a look at personalized medicine in the  
21 diagnosis of staging to help us identify patients that are  
22 more likely to respond and really to communicate to the

0044

1 practicing physician and patientd and other stakeholders the  
2 pharmacogenomic and genetic information in package inserts.

3 Well, lastly, I'd like to end with a comment that Dr.  
4 Von Eschenbach mentioned that we are discovering so much  
5 about disease, such as cancer, at the molecular level. And  
6 this was in response of how the FDA would help quickly and  
7 identify targeted therapies to sub-populations.

8 I think this is a great deal of interest to the review  
9 division, but here, again, the information and the  
10 discussion here should center on what is actually known  
11 about these sub-populations and the testing procedures that  
12 are done, and then how would this impact the practicing  
13 physician and the patients that are ultimately being

14 treated. Thank you.

15 CHAIRMAN VENITZ: Thank you very much.

16 Any questions by any of the Committee members?

17 Let me ask you a follow-up question just to make sure  
18 that I get the gist of what you were trying to discuss.

19 You mentioned that the labeling itself doesn't change  
20 practice?

21 DR. PADZUR: Well, no, I can't comment. It may change  
22 practice; okay? But in many cases, you know, it's hard to

0045

1 make universal suggestions.

2 In areas where the drug has been out for a long time,  
3 such as 6MP, such as irinotecan that's been out for more  
4 than a decade, yes, we can change the label. We can  
5 communicate that, but many people have ingrained treatment  
6 -- I mean ingrained practice as to how they treat patients.

7

8 So it's really I think one of the motives that we  
9 would have and really to change practice is really to work  
10 with the NCI and try to promote the incorporation of these  
11 tests in prospective ongoing trials, because that's how most  
12 people change their practice: they see that the clinical  
13 trials are using a certain test and then would adapt them  
14 into a new clinical site.

15 CHAIRMAN VENITZ: That was my point, so you --

16 DR. PAZDUR: Okay.

17 CHAIRMAN VENITZ: -- so you think that the prospective  
18 clinical trial is really would change the --

19 DR. PAZDUR: Well, I think the fact that they are  
20 going to be used -- you know, I'm not saying that that would  
21 be mandated necessarily to change the labeling, however.  
22 But there's a difference between what's changing the

0046

1 labeling and what changes actual clinical practice. I  
2 think, you know, anybody that practices medicine, I can't see  
3 that people when we change the 6MP label that, you know, the  
4 whole treating community is going to just rush out to read  
5 that product label. You know, so there has to be  
6 alternative ways of communicating this, and we have tried to  
7 do that when we changed the product label by sending e-mails  
8 out to professional organizations, by publishing in cancer  
9 journals different changes in product label, et cetera.

10 CHAIRMAN VENITZ: And I agree with you. I just wanted  
11 to point out to the Committee our recommendation is  
12 obviously regarding the labeling language?

13 DR. PAZDUR: Correct.

14 CHAIRMAN VENITZ: The practices that might or might  
15 not change is subject to other things, such as prospective  
16 clinical trials, reimbursement rules and what have you.

17 DR. PAZDUR: Correct.

18 CHAIRMAN VENITZ: Any other questions?

19 DR. BARRETT: Along the same topic, you mentioned  
20 about the reluctance regarding some of the historical agents  
21 in which the pharmacogenetics have been part of the label,  
22 and you didn't mention utilization.

0047

1 I was wondering do you have access to quantitative

2 data that specifically addresses utilization. I know  
3 particularly with some of those agents you -- many hospitals  
4 are putting those kinds of things in place or at least on  
5 the in-patient side, 'cause some of the data may be  
6 available.

7 DR. PAZDUR: No, I don't have that information.

8 CHAIRMAN VENITZ: Any further questions? Thank you,  
9 again, Dr. Pazdur.

10 Then our next speaker and the person that is I think  
11 going to give us the framework for our discussion later on  
12 today is Dr. Atiqur Rahman. He's the Director of the  
13 Division of Clinical Pharmacology V, and he's going to talk  
14 about Tamoxifen Pharmacogenetics: The FDA Perspective.

15 Atiqur.

16 TAMOXIFEN PHARMACOGENETICS: THE FDA PERSPECTIVE.

17 DR. RAHMAN: Good morning. I'm Atiqur Rahman,  
18 Director of Clinical Pharmacology V.

19 My objective today is to present the FDA perspective  
20 on the pharmacogenetics of Tamoxifen.

21 I will give you an overview of the scientific and the  
22 clinical evidence that relates to CYP2D6 Polymorphism with

0048

1 the clinical outcome for Tamoxifen therapy in the adjuvant  
2 setting for breast cancer treatment.

3 This year in the United States approximately 250,000  
4 women and men will be detected with breast cancer, and  
5 approximately 41,000 will die from this disease. This  
6 estimate does not include 62,000 patients will be diagnosed  
7 with Ductal Carcinoma in situ of the breast.

8 Breast cancer is the highest form of cancer in the  
9 female population.

10 Although the lifetime probability of developing cancer  
11 is higher for men, because of the relatively early age of  
12 onset of breast cancer, women have a slightly higher  
13 probability of developing cancer before the age of 60.

14 There is a notable improvement over time in the  
15 relative five-year survival rate for breast cancer. In the  
16 '70s, 75 percent of the breast cancer patients were expected  
17 to live through the fifth year after diagnosis and initial  
18 treatment of their cancer.

19 In the year 2001, the five-year survival rate was  
20 improved to 88 percent, with effective therapies that are  
21 currently available.

22 Although the overall survival rates are lower in the

0049

1 African American population, recent findings suggest that  
2 African Americans who receive similar treatments and medical  
3 care as Caucasians experience similar outcomes.

4 So we are here today dealing with the cancer that  
5 provides hope for a reasonable lifespan after initial  
6 diagnosis and the selection of initial treatment makes  
7 significant impact in the overall clinical outcome. Next  
8 slide.

9 Tamoxifen is a non-steroidal hormonal agent first  
10 approved in 1977 for the treatment of metastatic breast  
11 cancer in post-menopausal women.

12 Subsequently, Tamoxifen received approval for all

13 metastatic breast cancer and also for the adjuvant treatment  
14 for lymph node positive and negative breast cancers.

15 Recent approvals include two important indications:  
16 first is the reduction in the breast cancer incidence in the  
17 high-risk women; and, second is the treatment of Ductal  
18 Carcinoma in situ of the breast.

19 Therefore, a breast cancer patient is likely to be  
20 treated with Tamoxifen in the early or late stages of their  
21 disease.

22 Tamoxifen is an anti-estrogenic agent which, by  
0050

1 binding to the estrogen receptors, prevents cell  
2 proliferation.

3 Aromatase Inhibitors are agents that block the enzyme  
4 Aromatase and prevents the production of estrogen, thereby,  
5 inhibiting tumor cell proliferation.

6 Currently, three Aromatase Inhibitors -- Letrozole,  
7 Anastrozole, and Exemestane -- are available for the  
8 adjuvant treatment of breast cancer. However, Tamoxifen is  
9 still the only agent approved for breast cancer risk  
10 reduction in high-risk women.

11 Tamoxifen is metabolized by a number of cytochrome  
12 P450 enzymes. 4-hydroxy Tamoxifen is formed [ph.] via  
13 CYP2B6, CYP2C9, CYP2C19, CYP2D6, and CYP3A, and it was  
14 considered the active metabolite responsible for the major  
15 pharmacologic effect of Tamoxifen.

16 4-hydroxy Tamoxifen is 3,200 times more potent than  
17 Tamoxifen or N-desmethyl Tamoxifen.

18 Recently, 4-hydroxy Tamoxifen and desmethyl Tamoxifen,  
19 or Endoxifen, is considered a major entity responsible for  
20 Tamoxifen's anti-cancer activity.

21 Endoxifen has a similar potency as 4-hydroxy  
22 Tamoxifen; however, the circulating earmark of Endoxifen is  
0051

1 five- to 10-fold higher than 4-hydroxy Tamoxifen.

2 Endoxifen is formed predominantly by CYP2D6 from  
3 N-desmethyl Tamoxifen. Therefore, CYP2D6 is an important  
4 enzyme that controls the level of Endoxifen in vivo.

5 CYP2D6 is a polymorphic gene located in Chromosome 22.

6 There are four distinct phenotypes. Ultra rapid  
7 metabolizers have overactive enzyme activity due to gene  
8 duplication. Extensive metabolizers carry two alleles with  
9 normal enzyme activity. Intermediate metabolizers carry at  
10 least one allele with reduced enzyme activity, and the poor  
11 metabolizers carry two alleles with no enzyme activity.

12 Five to 10 percent of the Caucasian populations are  
13 poor metabolizers and 10 to 15 percent are intermediate  
14 metabolizers.

15 Notable that patients who are extensive or  
16 intermediate metabolizer genotype, but are on moderate to  
17 potent inhibitors of CYP2D6 may exhibit poor metabolizer  
18 phenotype.

19 This slide shows the distribution of alleles with  
20 reduced or null activity in various ethnic groups. The star  
21 four allele is the predominant variant allele in the  
22 Caucasian population; whereas, star 17 is the predominant  
0052

1 variant allele in the African American population, and the  
2 star 10 is in the Japanese population.

3 Both star 10 and star 17 alleles are alleles with  
4 reduced enzyme activity; whereas star four, star five, and  
5 star six are alleles with no enzyme activity.

6 There are a number of publications that extensively  
7 investigated -- there are a number of publications that  
8 extensively investigated the metabolic pathways of  
9 Tamoxifen. Lien et. al. first reported Endoxifen as a human  
10 metabolite of Tamoxifen in 1989. Subsequently, others  
11 reported similar findings. The publication assessed the  
12 binding affinity of Tamoxifen, 4-hydroxy Tamoxifen, and  
13 Endoxifen in estrogen receptors, assess the suppression of  
14 estrogens [ph.] stimulated cell proliferation, and assessed  
15 gene expression of 4-hydroxy Tamoxifen and Endoxifen.

16 These publications also investigated the  
17 pharmacogenetics of Tamoxifen and N-desmethyl Tamoxifen and  
18 determined exposure to various metabolites after  
19 administration of Tamoxifen in cancer patients.

20 Recent publications have demonstrated that patients  
21 who carry genetic variance with low or null CYP2D6 activity  
22 or who receive potent CYP2D6 inhibitors while on Tamoxifen

0053

1 have significantly lower exposure to Endoxifen.

2 In the next presentation, Dr. Sally Yasuda will  
3 present the scientific evidence relating the impact of  
4 CYP2D6 polymorphism on Tamoxifen metabolism and variation in  
5 Endoxifen exposure in detail.

6 There are a number of clinical studies that  
7 investigated Tamoxifen pharmacogenetics and clinical  
8 outcome. I will present an overview of the major studies in  
9 my next few slides.

10 The Swedish Breast Cancer Group had access to frozen  
11 tumor tissues from 226 patients treated with adjuvant chemo  
12 or radiotherapy with or without Tamoxifen.

13 The investigators determined the genotype of two  
14 polymorphic enzymes -- CYP2D6 and Sulfatransferase 1A1 in  
15 112 Tamoxifen-treated patients. The distance  
16 recurrence-free survival was the clinical endpoint measured  
17 in the study.

18 Patients with at least one CYP2D6 star four allele had  
19 a relatively lower risk of recurrence when treated with  
20 Tamoxifen compared with patients not treated with Tamoxifen.

21

22 Similarly, patients with wild type Sulfatranferase 1A1

0054

1 gene had a better clinical outcome when treated with  
2 Tamoxifen.

3 The authors concluded that their results contradict  
4 the prior hypothesis that patients with variant alleles of  
5 CYP2D6 have a poorer clinical outcome in terms of recurrence  
6 rate and also concluded that these findings need to be  
7 conformed with a larger cohort.

8 From this study, we know that 40 milligrams of  
9 Tamoxifen was given for two years. Tamoxifen activity was  
10 tested against chemo and radiotherapy. Limited number of ER  
11 positive patients were enrolled in this trial, and the

12 number of ER positive and CYP2D6 star four homozygous  
13 patients in the Tamoxifen-treated and untreated arms were  
14 only four.

15 What we don't know from this study is the impact of  
16 five years of Tamoxifen treatment in ER positive patients  
17 without concurrent chemo or radiotherapy in the adjuvant  
18 setting.

19 We don't know the clinical outcome of patients with  
20 poor metabolizer phenotype based on variant alleles and use  
21 of CYP2D6 inhibitors who received Tamoxifen.

22 We also don't know the impact of concomitant

0055

1 medications, such as CYP2D6 inhibitors on the overall  
2 clinical outcome.

3 We don't have a reasonable mechanistic explanation of  
4 why patients with Sulfatranferase 1A1 normal alleles, which  
5 are likely to lower the levels of 4-hydroxy Tamoxifen and  
6 Endoxifen, have a better clinical outcome compared to  
7 patients with variant alleles.

8 Paraffin-embedded tissue samples from 165 patients who  
9 were treated with Tamoxifen in the adjuvant setting and 172  
10 patients treated with chemo-radiation or a combination of  
11 chemo and radiotherapy at the Arkansas Cancer Research  
12 Center were used as a source of DNA for genotyping.

13 The genetic status of one phase 1 enzyme, Cytochrome  
14 B4502D6, and two phase two enzymes, Sulfatransferase 1A1 and  
15 UGT -- uridine-diphosphoglucuronosyl transferase 2D15, were  
16 determined in the patient population. Clinical outcome  
17 measures included overall survival and progression-free  
18 survival.

19 CYP2D6 genotype, which included any patient with at  
20 least one star four allele, showed no association between  
21 genotype and overall survival, whether treated with  
22 Tamoxifen or with chemoradiation.

0056

1 Also, CYP2D6 genotype had no association with  
2 progression re-survival.

3 On the other hand, patients with highly active UGT  
4 2B15 alleles in normally Sulfatransferase 1A1 alleles had a  
5 poorer clinical outcome when treated with Tamoxifen.

6 What we know from this study results that the genetic  
7 variation of Sulfatransferase 1A1 and UGT2B15 may play a  
8 role in Tamoxifen clearance and clinical outcome.

9 However, this study lacks in evaluating the effect of  
10 Tamoxifen in poor metabolizer phenotypes as defined by  
11 patients who are homozygous for star four alleles and  
12 patients taking a strong CYP2D6 inhibitor for a reasonable  
13 length of time.

14 Again, we don't know the impact of chemo and radiation  
15 on the overall clinical outcome. The clinical outcome of  
16 patients who are homozygous for CYP2D6 allele and treated  
17 with Tamoxifen is not known from this study.

18 The study by Dr. Matthew Goetz and his colleagues,  
19 which will be presented later today, included 256  
20 surgically-treated estrogen receptor positive breast cancer  
21 patients who were treated for five years with Tamoxifen in  
22 the adjuvant setting.

0057

1 No one received adjuvant chemotherapy. The genetic  
2 variations in CYP2D6 gene were assessed in 190 patients.  
3 In a multi-variant analysis, women with CYP2D6 star  
4 four, star four genotype had worse relapse-free time and  
5 disease-free survival.  
6 The exposure to Tamoxifen is affected by Cytochrome  
7 B4502D6 polymorphism and by concomitant use of drugs that  
8 are inhibitors of CYP2D6.  
9 An updated analysis of the trial data showed that  
10 women with either variant allele of CYP2D6 or on moderate to  
11 potent inhibitors of CYP2D6 or a combination had  
12 significantly worse clinical outcome. This data will be  
13 presented by Dr. Goetz.  
14 A recent report of the Italian Chemoprevention Trial  
15 in the Journal of Clinical Oncology supported the findings  
16 of a study by Dr. Goetz and his colleagues at the Mayo  
17 Clinic.  
18 This study evaluated the frequency of Cytochrome  
19 B4502D6 star four, star four genotype in 46 patients who  
20 developed breast cancer and 136 control patients who did not  
21 develop breast cancer after treatment with Tamoxifen for  
22 five years.

0058

1 The frequency of CYP2D6 star four genotype was 8.7  
2 percent in women with breast cancer versus 0.7 percent in  
3 women who are free of cancer. This difference was  
4 statistically significant.  
5 I'd like to emphasize at this point the desire of the  
6 agency to bring forward any pharmacogenetic, pharmacogenomic  
7 data that is available in the public domain. That may help  
8 to tailor a dose for a specific population and move forward  
9 to the era of personalized medicine.  
10 I will shift gears and touch upon the issue of the  
11 availability of a test to detect variant genes of CYP2D6.  
12 The AmpliChip CYP450 test is the first micro-array based  
13 genetic test that is approved by the FDA for detection of  
14 the variant alleles of two important Cytochrome B450 genes  
15 -- CYP2D6 and CYP2C19.  
16 The test detects almost all of the important  
17 non-alleles of CYP2D6 known at this time and two variant  
18 alleles resulting in reduced enzyme activity of CYP2C19.  
19 The assay is robust, with 99.9 percent correct call  
20 rate for seven CYP2D6 gene panel tested and 100 percent  
21 precision with test amplification and detection reagents.  
22 The system failure rate for this AmpliChip or the

0059

1 micro-array is only one percent.  
2 This slide lists a number of national laboratories,  
3 research centers, and other laboratory facilities that  
4 provide CYP2D6 genotype tests. These laboratories are CLIO  
5 [ph.] certified and regulated by the Center for Medicare and  
6 Medicaid Services.  
7 Patients or physicians interested to find out the  
8 CYP2D6 genotype for any treatment purposes have access to  
9 this test.  
10 For many years, in drug labels, empirical evidence has

11 supported dose adjustment based on age, renal and liver  
12 function, cardiac conditions, performance status, food  
13 intake, and use of concomitant medications.

14 These factors have somewhat balanced the benefit-risk  
15 of a therapy and individualized treatments, especially for  
16 narrow therapeutic agents.

17 In many situations, the evidence gained came from a  
18 small clinical study using blood levels of the active moiety  
19 as a surrogate for effectiveness and safety.

20 The studies that we will discuss today have  
21 mechanistic approach using Endoxifen levels as surrogate to  
22 relate to CYP2D6 polymorphism, along with direct

0060

1 relationship between CYP2D6 phenotype and clinical outcome.

2

3 The sample size of these studies are adequate enough  
4 to be larger than some of the effectiveness and safety  
5 trials conducted for cancer drug approvals.

6 So our objective today is to discuss the scientific  
7 and the clinical evidence that relates CYP2D6 polymorphism  
8 with Tamoxifen metabolism and demonstrates the impact of  
9 CYP2D6 polymorphisms on clinical outcomes in patients  
10 treated with Tamoxifen in the adjuvant setting.

11 We have four issues on which we would like to get the  
12 Committee's recommendation.

13 The first discussion issue is the scientific evidence  
14 on the metabolism of Tamoxifen demonstrates that CYP2D6 is  
15 an important pathway in the formation of Endoxifen.

16 The second discussion point is the pharmacologic and  
17 clinical evidence that are sufficient to demonstrate that  
18 Endoxifen significantly contributes to the pharmacologic,  
19 anti-estrogenic, effect of Tamoxifen.

20 We'd like to ask the Committee to give their  
21 unofficial vote on two questions. The first voting question  
22 is, does the clinical evidence demonstrate that

0061

1 post-menopausal women with ER positive breast cancer who are  
2 CYP2D6 poor metabolizers are at increased risk for breast  
3 cancer recurrence.

4 If the Committee's recommendation is yes, then we'd  
5 like the Committee to address, should the Tamoxifen label  
6 include information about increased risk for breast cancer  
7 recurrence in CYP2D6 poor metabolizers prescribed Tamoxifen.  
8

9 If the recommendation to the question number three is  
10 no, then we'd like the Committee to address what additional  
11 types of clinical evidence will demonstrate that  
12 post-menopausal women with ER positive breast cancer who are  
13 CYP2D6 poor metabolizers may be at increased risk of breast  
14 cancer recurrence.

15 Based on the answer to the previous question, we'd  
16 like to ask the Committee to give their unofficial vote on  
17 this question: Is there scientific and clinical evidence to  
18 support revisions of the Tamoxifen label that recommends  
19 CYP2D6 genotype testing for post-menopausal patients before  
20 they are prescribed Tamoxifen for adjuvant treatments.

21 I appreciate that the Committee members keep these

22 issues and questions in mind as you hear the next two  
0062

1 presentations. Thank you.

2 CHAIRMAN VENITZ: Thank you, Atiqur. Any questions  
3 for Dr. Rahman? Any clarification questions?

4 DR. RELING: In the question number three, I guess  
5 I'm surprised at the wording. It seems like we should be  
6 asking, if not, what additional types of clinical evidence  
7 will demonstrate whether post-menopausal women with ER  
8 positive breast cancer who are 2D6 poor metabolizers are at  
9 increased risk for recurrence.

10 The question seems to presuppose that there is, in  
11 fact, this relationship and I assume based on the evidence  
12 that you just presented that it's quite possible that's an  
13 open question, so we should not presuppose what the outcome  
14 is.

15 And I guess the second part, which maybe is implied in  
16 question four, is whether there are -- the risk for 2D6 poor  
17 metabolizers of recurrence is still lower than it would be  
18 without Tamoxifen? Right?

19 I mean if the question would be whether to decide that  
20 2D6 genotyping should be recommended before a decision is  
21 made about whether to prescribe Tamoxifen, the question has  
22 to be whether Tamoxifen is still better than nothing in

0063  
1 CYP2D6 poor metabolizers, which was somewhat addressed by  
2 the first study that you presented, but I don't believe it  
3 was addressed by the other studies.

4 DR. RAHMAN: Yes, you're correct. And we can modify  
5 the language of the questions as we discuss. So based on  
6 how we proceed with our discussion, if we need to change the  
7 language of the questions, we can do that.

8 And you're right that we not should presuppose some of  
9 the assumptions that I'm presenting and the other presenters  
10 will present today.

11 DR. KAROL: I note that the Wegman paper used  
12 post-menopausal women as the study subject. Could you tell  
13 us about the Nowel study? What was the age of that  
14 population?

15 DR. RAHMAN: Those were a population that ranged --  
16 they were post-menopausal also in the adjuvant setting, so I  
17 believe that the age group will be 50 and above or so. I'm  
18 not sure whether they included -- they might have also  
19 included pre-menopausal women.

20 So in that case, the age group will range from 50 to  
21 60 and beyond.

22 DR. MCLEOD: I realize we can only make specific

0064  
1 recommendations about the box of the package insert, but in  
2 terms of your review of the data, was there any data of  
3 CYP2D6 in the context Aromatase inhibitors, being that a  
4 likely decision that we made in practice will not be  
5 Tamoxifen versus nothing, but Tamoxifen versus an Aromatase  
6 inhibitor?

7 DR. RAHMAN: I think Dr. Matthew Goetz will be  
8 addressing some of those issues as he presents the clinical  
9 evidence that relates to all those issues that you just

10 mentioned.

11 I just kind of gave an overall summary of what you'll  
12 be hearing from the subsequent two presenters.

13 DR. MCLEOD: And do you have any data or maybe Matthew  
14 will present this on CYP2D6 genotype in breast cancer  
15 patients that received no therapy at all?

16 DR. RAHMAN: The Noel paper has an arm whether  
17 patients received chemo and radiation therapy, but no  
18 Tamoxifen, and they have not shown any association between  
19 2D6 or SULT1A1 gene with clinical outcome.

20 But if you're saying no Tamoxifen, I don't think -- I  
21 at least am not aware of any data.

22 DR. MCLEOD: I don't have a hypothesis whereby CYP2D6  
0065

1 will influence breast cancer biology, but there's a lot of  
2 reasons that biology's influence per scan, so it would be  
3 nice to see that data just to put it to rest.

4 DR. RAHMAN: I completely agree.

5 CHAIRMAN VENITZ: Any other questions? Okay. Thank  
6 you again.

7 Our next speaker is Dr. Yasuda. She's going to talk  
8 about Tamoxifen, Endoxifen, and CYP2D6 Polymorphism.

9 TAMOXIFEN, ENDOXIFEN, AND CYP2D6 POLYMORPHISM

10 DR. YASUDA: Good morning. I'm Sally Yasuda from the  
11 Office of Clinical Pharmacology. And I am going to talk  
12 about Tamoxifen and Endoxifen and our characterization in  
13 terms of pharmacology as well as in vitro and in vivo drug  
14 metabolism.

15 And before I get started, I just want to say this  
16 story is kind of a clinical pharmacologist's dream story,  
17 and it starts with a single observation at the bed side and  
18 goes back to the bench and back to the bed side again. And  
19 I'll tell the first part of it, and Matthew Goetz will tell  
20 the rest.

21 So I'm going to start by talking about the single case  
22 observation, followed by evaluation of exposure to Tamoxifen  
0066

1 and its metabolites after administration of Tamoxifen in  
2 women with breast cancer.

3 Then we'll talk about the pharmacology of Tamoxifen,  
4 Endoxifen, and other metabolites. And then we'll switch and  
5 talk about CYP2D6 mediated metabolism of Tamoxifen and  
6 formation of Endoxifen in vitro, and then talk about the  
7 role of CYP2D6 in the formation of Endoxifen in vivo,  
8 focusing on patients with variant CYP2D6 genotype as well as  
9 patients taking strong inhibitors of CYP2D6.

10 And this begins with a case report that was  
11 communicated by David Flockhart, and this was a 45-year-old  
12 female who presented with intense intolerable hot flashes  
13 after being prescribed 20 milligrams of Tamoxifen per day  
14 for one week.

15 She was placed on 10 milligrams per day of Paroxetine  
16 for depression and this is a strong CYP2D6 inhibitor.

17 She had resolution of hot flashes within one week, and  
18 her hot flashes resumed when she was taken off of the strong  
19 CYP2D6 inhibitor.

20 At the time that this case was observed, the classic

21 understanding of Tamoxifen pharmacology relied on the active  
22 metabolite 4-hydroxy Tamoxifen, and it was known that this  
0067

1 was formed from Tamoxifen by CYP2D6.

2 And 4-hydroxy Tamoxifen binds to the estrogen receptor  
3 in competition with estradiol and then prevents the binding  
4 of the estrogen receptor to the estrogen response element on  
5 DNA, thereby causing it antagonist effect as an  
6 anti-estrogen in the breast.

7 So based on the single case exposure and the knowledge  
8 of Tamoxifen pharmacology at the time, Dr. Flockhart and his  
9 colleagues proposed a hypothesis that CYP2D6 inhibition  
10 interferes with formation of 4-hydroxy Tamoxifen.

11 So in a pilot study, they looked at 12 women with a  
12 history of breast cancer receiving Tamoxifen 20 milligrams  
13 per day as adjuvant treatment for at least four weeks before  
14 starting the study.

15 The women had a history of troublesome hot flashes for  
16 which treatment with a non-hormonal agent was considered to  
17 be appropriate, and blood samples were collected before and  
18 after four weeks of co-administration of Tamoxifen with 10  
19 milligrams per day of Paroxitene.

20 And in contrast to the investigators' hypothesis that  
21 the concentrations of 4-hydroxy Tamoxifen would change, they  
22 didn't see a change in 4-hydroxy, but what they did see was  
0068

1 a peak that had been characterized or reported in the  
2 literature previously that they then characterized as  
3 4-hydroxy N-desmethyl Tamoxifen, and called it Endoxifen.  
4 And if you look at the figure before administration of  
5 Paroxitene, you can see the peak of Endoxifen is about  
6 10-fold higher than the peak of 4-hydroxy Tamoxifen.

7 And after exposure to Paroxitene, there was  
8 significantly less exposure to the Endoxifen peak.

9 And other things I wanted to point out on this slide  
10 were that the most prominent metabolite is the N-desmethyl  
11 Tamoxifen. It's about twice as high as exposure to  
12 Tamoxifen, and it's the most prevalent metabolite.

13 Endoxifen concentrations are about 10 times higher  
14 than the other active metabolite, 4-hydroxy Tamoxifen and  
15 about eight times less than the exposure to N-desmethyl  
16 Tamoxifen.

17 So in that study, it was noted that Paroxitene has no  
18 effect on plasma concentrations of Tamoxifen, N-desmethyl,  
19 or 4-hydroxy Tamoxifen. And just for an example, the levels  
20 of 4-hydroxy Tamoxifen are shown on the left-hand side, and  
21 you can see no change in the mean 4-hydroxy Tamoxifen  
22 concentrations before or after exposure to Paroxitene which is a  
0069

1 strong 2D6 inhibitor.

2 But there was a significant effect on exposure to  
3 Endoxifen, and that's shown on the right-hand side of the  
4 slide. There was a significant decrease in Endoxifen levels  
5 after exposure to the strong CYP2D6 inhibitor Paroxitene,  
6 reporting the role of CYP2D6 in the formation of Endoxifen.

7 Also in this figure, the solid symbols represent  
8 patients who had two wild-type alleles for CYP2D6, so

9 they're extensive metabolizers for CYP2D6, and that's where  
10 you see the change in Endoxifen exposure in the presence of  
11 the CYP2D6 inhibitor.

12 The open red circles represent patients who had one  
13 variance allele for CYP2D6, so there was no effect on these  
14 patients after exposure to Paroxitene.

15 Next, the investigators looked at the relative  
16 pharmacologic activity of Endoxifen and Tamoxifen and its  
17 other metabolites. And it has been known and published in  
18 the literature for many years that Tamoxifen and N-desmethyl  
19 Tamoxifen have similar pharmacologic activity.

20 It's also been published previously that 4-hydroxy  
21 Tamoxifen is 30 to 100 times more potent as an anti-estrogen  
22 than Tamoxifen.

0070

1 And these investigators recently reported that  
2 Endoxifen is equipotent to 4-hydroxy Tamoxifen and remember  
3 it has five- to 10-fold higher plasma concentrations.

4 They have looked at the pharmacologic activity and  
5 Endoxifen in several different types of assays, but I'll  
6 describe two of them for you.

7 This figure shows decrease in polarization, which  
8 reflects displacement of a synthetic fluorescent estrogen  
9 probe from a recombinant estrogen receptor in the presence  
10 of increasing concentrations of Tamoxifen, which is shown in  
11 the triangles, compared to 4-hydroxy Tamoxifen and  
12 Endoxifen. And you can see that 4-hydroxy as well as  
13 Endoxifen are more potent than Tamoxifen in displacing this  
14 binding from the estrogen receptor. And also you can see  
15 that 4-hydroxy and Endoxifen have relatively the same  
16 potency at binding to the estrogen receptor.

17 The next type of study they did was more of a  
18 functional assay, looking at estrogen stimulated cell  
19 proliferation in MCS7 cells, and these are a breast cancer  
20 cell line.

21 If you look at the Y-axis on both of these graphs,  
22 absorbance reflects the number of cells. It's proportional

0071

1 to the number of cells in the cell proliferation assays.

2 So if you first look at the top graph, you can see  
3 cell proliferation at day four, seven, and 10. And the very  
4 top curve reflects estrogen-stimulated cell proliferation.  
5 And the very bottom of the curve in one of the open circles  
6 represents control, which is in the absence of estrogen, so  
7 there's no cell proliferation in that case.

8 The solid triangle represents Tamoxifen, and you can  
9 see some decrease in estrogen-stimulated cell proliferation  
10 in the presence of Tamoxifen. But what is really noticeable  
11 is Endoxifen and 4-hydroxy Tamoxifen, which have overlapping  
12 curves and overlap with the controls, so they completely  
13 inhibit the estrogen-stimulated cell proliferation at day  
14 seven and day 10.

15 The bottom figure just shows the dose response curve  
16 for Endoxifen and 4-hydroxy Tamoxifen in the cell  
17 proliferation assay, and you can see they're overlapping and  
18 equally potent as anti-estrogens.

19 Next, the investigators turned to in vitro studies to

20 characterize the formation of Endoxifen from Tamoxifen, and  
21 this work was published in 2004 by Dest et. al.

22 This figure shows the primary metabolism of Tamoxifen  
0072

1 forms many, many metabolites and many isoforms of P450 are  
2 involved.

3 But the primary route of metabolism of Tamoxifen is  
4 via CYP3A to N-desmethyl Tamoxifen, and this is reflected in  
5 the exposures that you see in the plasma of patients taking  
6 Tamoxifen.

7 A minor pathway, as we also saw in the exposure, is to  
8 4-hydroxy Tamoxifen and that is primarily mediated by  
9 CYP2D6, although you can see there are other P450s involved  
10 as well.

11 The next thing the investigators did was to take the  
12 4-hydroxy Tamoxifen and the N-desmethyl Tamoxifen and use  
13 them as substrates in in vitro assays to look at formation  
14 of Endoxifen as well as other metabolites. And I'm just  
15 focusing here on the formation of Endoxifen, and this figure  
16 looks at the formation of Endoxifen from the N-desmethyl  
17 Tamoxifen.

18 So if you look at the upper right-hand figure, you can  
19 see across a panel of human liver microsomes with differing  
20 CYP2D6 activity and the Y-axis shows the rate of formation  
21 of Endoxifen. And you can see a very nice correlation  
22 between the rate of Endoxifen formation and CYP2D6 activity.  
0073

1  
2 At the bottom right-hand part of the screen shows the  
3 results of three human liver microsomes that express  
4 different amounts of CYP2D6, and CYP2D6 activity is shown on  
5 the right. So the HG23 has the highest amount of CYP2D6  
6 activity, and HG06 has practically no CYP2D6 activity.

7 And you can see here the correlation with intrinsic  
8 clearance for the formation of Endoxifen, which agrees with  
9 the amount of CYP2D6 activity present in these individual  
10 human liver microsomes.

11 They also looked at the CYP3A activity in these  
12 microsomes and found no correlation.

13 So this supports the role of CYP2D6 in the in vitro  
14 formation of Endoxifen from N-desmethyl Tamoxifen.

15 If you look at a figure on the upper left-hand part of  
16 the screen that's labeled "B," you see the results from  
17 recombinant expressed human P450s. And you can see that  
18 most of the activity for the formation of Endoxifen is  
19 accounted for by CYP2D6.

20 And then finally, at the lower left-hand part of the  
21 screen, you see results of specific chemical inhibitions on  
22 human liver microsomes. And almost all of the activity for  
0074

1 formation of Endoxifen is inhibited the presence of  
2 Quinidine, which is a strong CYP2D6 inhibitor.

3 So all of these results support the important role of  
4 CYP2D6 in the formation of Endoxifen from N-desmethyl  
5 Tamoxifen.

6 The authors also looked at the formation of Endoxifen  
7 from 4-hydroxy Tamoxifen and in general most of those

8 results point towards CYP3A as the important pathway for the  
9 formation of Endoxifen from 4-hydroxy Tamoxifen.

10 So just to summarize those in vitro findings and this  
11 is a very simplistic view because you remember the primary  
12 metabolism of Tamoxifen formed many metabolites. But it's  
13 primarily metabolized via CYP3A to N-desmethyl Tamoxifen,  
14 and primarily that is metabolized to Endoxifen, although  
15 both 4-hydroxy and N-desmethyl Tamoxifen have several other  
16 metabolites.

17 I also want to point out that both 4-hydroxy Tamoxifen  
18 and Endoxifen undergo phase II conjugation with  
19 Sulfotransferases for glucuronidation, and you'll see that  
20 reflected in some of the clinical studies as well.

21 Now, I want to switch gears and start talking about  
22 the pharmacogenetics of CYP2D6 and the role of CYP2D6 in

0075

1 Endoxifen formation in vivo.

2 And just to remind you and to reflect on what Dr.  
3 Rahman mentioned previously, the pharmacogenetics of CYP2D6  
4 is quite variable and in this figure it's reflected in a  
5 metabolic ratio, which shows the ratio of debrisoquine and  
6 for -- to its hydroxylated metabolite, and we have quite a  
7 range of activity with the poor metabolizers with the  
8 highest ratio and intermediate and extensive metabolizers  
9 showing quite a bit of variability, and ultra rapid  
10 metabolizers at this end.

11 And we know that the CYP2D6 genotype reflects the  
12 CYP2D phenotype.

13 So based on the pilot study in 12 subjects, the  
14 investigators next looked at CYP2D6 genotype, CYP2D6  
15 inhibitors, and Tamoxifen exposure in 80 pre- and  
16 post-menopausal women with newly diagnose breast cancer,  
17 starting Tamoxifen 20 milligrams per day as adjuvant  
18 therapy.

19 And they collected blood samples for determination of  
20 Tamoxifen and its metabolites in plasma.

21 And it's important here to note that the half-life of  
22 Tamoxifen and the N-desmethyl metabolite are very long. The

0076

1 half-life of Tamoxifen is one week and N-desmethyl is even  
2 longer.

3 So it takes at least four weeks for Tamoxifen itself  
4 to get to steady state, and so the investigators looked at  
5 sampling at one month and also four months, and saw that the  
6 exposure had gone up at four months.

7 So the data that I'm presenting here and it's  
8 presented in their paper is from the four-month data.

9 They also looked at genotype of functional and variant  
10 alleles of CYP3A5, CYP2D6, CYP2C9, and SULT1A1, because  
11 that's -- we have previously. These are involved, to some  
12 extent, in the metabolism of Tamoxifen.

13 And they found no statistically significant  
14 associations of candidate genotypes with Tamoxifen or  
15 metabolite exposure except for CYP2D6.

16 This figure is from their results from that paper, and  
17 it shows mean plasma Endoxifen concentrations according to  
18 genotype. So we have wild-type, wild-type, wild-type with

19 one variant allele, or the four metabolizers that have two  
20 variant alleles.

21 And you can see a gene dose effect with a significant  
22 decrease in mean Endoxifen plasma concentrations in the

0077

1 patients who were poor metabolizers and had no functional  
2 alleles at the 2D6.

3 But you can see here also that there is substantial  
4 variability, even when you can separate it by genotype for  
5 CYP2D6. And so next the investigators looked at what could  
6 account for some of that variability, and they looked at  
7 patients who were taking CYP2D6 inhibitors versus patients  
8 who were not.

9 And so this is the data from the same subjects. The  
10 solid bars show the patients who were not taking CYP2D6  
11 inhibitors, and the open bar shows patients who were taking  
12 CYP2D6 inhibitors.

13 And the authors put all of the CYP2D6 inhibitors  
14 together as one class, but I would just like to point out  
15 they included strong CYP2D6 inhibitors that were Paroxetine  
16 and Fluoxetine; weak inhibitors Amiodarone, Sertralene, and  
17 Citalopram, and also Metaclopramide, which is shown to be an  
18 inhibitor in vitro, but it hasn't been evaluated in vivo  
19 yet.

20 So here you can see a significant difference even  
21 among the wild-type patients between an exposure to  
22 Endoxifen in patients who were not taking CYP2D6 inhibitors

0078

1 and patients who were taking CYP2D6 inhibitors, and this is  
2 a clinically significant -- I mean a statistically  
3 significant increase in Endoxifen exposure in the presence  
4 of CYP2D6.

5 Similarly, in the intermediate type patients, you see  
6 a decrease in Endoxifen exposure in the patients who were  
7 taking CYP2D6 inhibitors, although this didn't reach  
8 statistical significance, but you also have to remember that  
9 this included all types of CYP2D6 inhibitors, weak or  
10 strong.

11 So these data support an association between CYP2D6  
12 genotype and Endoxifen exposure, and the role of strong  
13 CYP2D6 inhibitors also supports the role of CYP2D6 in the  
14 formation of Endoxifen.

15 The authors also looked in that study at commonly used  
16 anti-depressants, and the patients were allowed to take  
17 SSRIs when they were enrolled in the study. So this figure  
18 looks in that same group of patients according to genotype  
19 and SSRI that was taken versus serum Endoxifen  
20 concentrations.

21 And once again, you can see the highest exposure in  
22 either wild-type patients or wild-type who were taking drugs

0079

1 that don't significantly inhibit the 2D6. But the patients  
2 who were taking -- the wild-type patients taking the strong  
3 CYP2D6 inhibitor, Paroxetine, had substantially reduced  
4 Endoxifen exposure, which brings it down almost to the level  
5 of patients who were poor metabolizers.

6 So once again, there's a very important role for

7 CYP2D6 in Endoxifen exposure.  
8 Next, the authors looked at a larger cohort of  
9 patients in the same study and looked more extensively at  
10 different CYP2D6 genotypes. And in this figure, you can see  
11 on the left-hand side, they looked at the ratio of Endoxifen  
12 to N-desmethyl Tamoxifen plasma concentrations, which  
13 decreased the variability in the measurement quite a bit  
14 more than looking at the Endoxifen plasma concentrations,  
15 which are shown on the right.

16 But what you see here is basically three groups of  
17 patients, and in the bottom third of the figure, the  
18 patients who are denoted by the solid diamond are the  
19 patients who are ultra rapid metabolizers and extensive  
20 metabolizers.

21 And this is all patients, even those who might have  
22 been taking CYP2D6 inhibitors.

0080

1 Here you can see quite a range again of exposure to  
2 Endoxifen, whether it's measured by Endoxifen alone or the  
3 ratio of Endoxifen to the N-desmethyl.

4 The second, or middle, group of patients, who are  
5 mostly denoted by the circles, are patients who had at least  
6 one functional allele of CYP2D6. And you can see in those  
7 patients it looked like they have a little bit lower  
8 exposure to Endoxifen to the N-desmethyl ratio.

9 And finally, in the upper third of the figure, where  
10 you see the patients in the triangle, those are patients who  
11 had no functional alleles, and so -- or no fully functional  
12 alleles, and that included patients with a partially  
13 functional allele or absent function. And these people had  
14 very little exposure to Endoxifen, as we had seen in the  
15 previous study.

16 Also, in this study, the investigators looked again at  
17 patients who were taking strong CYP2D6 inhibitors and again  
18 found that that reduced some of the variability in exposure  
19 in the wild-type patients.

20 So, once again, this supports an important role for  
21 CYP2D6 in the formation of Endoxifen.

22 Recently, there's been an abstract published from a

0081

1 Norwegian group that also looked at CYP2D6 genotype and  
2 Endoxifen exposure, and they found very similar results;  
3 that poor metabolizers have much lower exposure to  
4 Endoxifen.

5 So, in conclusion, Endoxifen is an active metabolite  
6 of Tamoxifen, and it's present in patients at five- to  
7 10-times greater concentrations than the other active  
8 metabolite, 4-hydroxy Tamoxifen.

9 In vitro studies demonstrate the primary role of  
10 CYP2D6 in the formation of Endoxifen, and potent inhibitors  
11 of CYP2D6 reduce Endoxifen concentrations in patients taking  
12 Tamoxifen.

13 Finally, CYP2D6 genotype correlates with Endoxifen  
14 concentrations in patients taking Tamoxifen, and all of this  
15 supports the important role for CYP2D6 in the formation of  
16 Endoxifen.

17 And Matthew Goetz will follow up showing the clinical

18 relevance of this.

19 And I want to acknowledge the very helpful discussions  
20 I've had with people inside of the FDA as well as Todd Skar,  
21 Dave Flockhart, and Dr. Desta. Thank you.

22 CHAIRMAN VENITZ: Thank you, Sally. Any questions for  
0082

1 Dr. Yasuda?

2 DR. MORTIMER: So what are the negative clinical  
3 trials is the Scandinavian trial, and the if hydroxy  
4 Tamoxifen's anti-estrogen effect is equivalent to Endoxifen,  
5 and we know -- I mean there are studies that look at 10  
6 milligrams versus 20 milligrams of Tamoxifen being  
7 equivalent. The Scandinavian trial used 40 milligrams a  
8 day. So would that account for the difference? My thought  
9 process consisted here that if you double the dose, you  
10 increase the dose of hydroxy Tamoxifen and that would take  
11 away the effect of 2D6 and Endoxifen production and make a  
12 negative trial.

13 DR. YASUDA: Well, first that study I believe was the  
14 study that only had four poor metabolizers in it, so it  
15 would be very difficult to make a general conclusion about  
16 that. But it's probably unlikely that in patients who are  
17 -- it's unlikely that patients who are deficient in CYP2D6  
18 or have no functional CYP2D6 could form Endoxifen by the  
19 N-desmethyl Tamoxifen route, and so it's hard to imagine  
20 that in patients who have one functional allele may be able  
21 to do it if you increase the dose, but that would have to be  
22 studied and I would think you would need an even higher dose  
0083

1 than that.

2 DR. MORTIMER: But the tumor effect with hydroxy  
3 Tamoxifen is still there, and so you wouldn't be able to see  
4 it I guess is my question.

5 DR. YASUDA: I think what this really comes down to is  
6 we don't know what happened to the rest of the metabolic  
7 pathways when patients are missing CYP2D6, and it's probably  
8 likely due to a composite of activity of all of these active  
9 metabolites. And at this point, that hasn't been  
10 determined.

11 CHAIRMAN VENITZ: Dr. Capparelli?

12 DR. CAPPARELLI: Yes, in trying to assess the complex  
13 interaction between the two metabolites, is there any  
14 information on relative protein binding?

15 DR. YASUDA: I am not aware of any data on the protein  
16 binding.

17 DR. RELLY: I just want to follow up on the first  
18 question, 'cause that was mine also. So there are no data  
19 on whether increasing the dose in individuals who have at  
20 least one defective copy of 2D6 increases the Endoxifen  
21 concentration, that you know?

22 DR. YASUDA: I am not aware of that data. I've seen  
0084

1 another study that I believe looked at genotype of lower  
2 doses, but I'm not aware of any.

3 DR. GIACOMINI: Yes, I'm just looking at the variation  
4 in the Endoxifen levels with CYP2D6 genotypes, and then you  
5 gave or somebody's study gave the inhibitors.

6 But I'm also wondering what are the other pathways  
7 Endoxifen -- how is it being eliminated? How is it being  
8 eliminated -- you know, how because the inhibitors could be  
9 affecting other, you know, pathways.

10 DR. YASUDA: So really beyond the level of Endoxifen  
11 in terms of any data on metabolism, I'm not aware of  
12 any studies looking at that. It is conjugated by

13 Sulfotransferase and glucuronidases as well. And --

14 DR. GIACOMINI: I think people are just starting to  
15 look at that, and how it goes with transporters. Some of  
16 these compounds are transporters.

17 DR. YASUDA: I think Dr. Greenblatt looked at  
18 Tamoxifen and several metabolites. I don't recall if  
19 Endoxifen was included, but they were considered to be  
20 inhibitors of PGP, but not substrate.

21 CHAIRMAN VENITZ: Any other questions?

22 DR. LESKO: Just a clarifying question because it

0085

1 follows on two of the questions that were asked.

2 It was the question about overcoming the poor  
3 metabolizing by 2D6 with a higher dose. I don't think we've  
4 had any data to look at that question, but on the figure, it  
5 illustrates -- at least one of the later figures I guess  
6 from '03 -- that there was a connection between the  
7 4-hydroxy and Endoxifen being a 3A4.

8 So in answering that question, does that mean that  
9 that can't happen with a higher dose, because converting a  
10 4-hydroxy has many different enzymes. So even if 2D6 was a  
11 poor metabolizer, you could still be forming it from the  
12 other first order pathways there.

13 So the question would be why can't you form more  
14 Endoxifen from higher doses of Tamoxifen via that 3A4  
15 pathway?

16 DR. YASUDA: I don't think we know that you can, and  
17 that hasn't been validated yet. I don't think that we know  
18 what the composite activity of all this is or what would  
19 happen.

20 We don't see an increase in the formation of 4-hydroxy  
21 Tamoxifen. I think we don't know.

22 DR. LESKO: Yeah, so there's no data on the -- so you

0086

1 showed us the Endoxifen and N-desmethyl ratios to sort of  
2 explain the shutdown of that process in genotypes, but  
3 there's no similar relationship between Endoxifen and  
4 4-hydroxy Tamoxifen in different genotypes?

5 DR. YASUDA: I believe that's -- we will look at that  
6 information. The only other thing I can add is that in  
7 their 80-patient study, there were five patients on CYP3A  
8 inhibitors, and the only change was an increased response.

9 DR. LESKO: Now, I was just thinking about protein  
10 binding, because you haven't seen -- and that's probably  
11 out, because I haven't seen what that is, but at least on  
12 the hydroxylated or polar metabolite, you would expect  
13 relatively small protein binding.

14 CHAIRMAN VENITZ: Any other questions? Thank you  
15 again, Sally.

16 It looks like we're moving along quite rapidly. So

17 let's take our break now, and let's reconvene at 10:30 a.m.,  
18 and we'll move everything up by 15 minutes.  
19 TAMOXIFEN PHARMACOGENETICS AND PREDICTION OF BREAST CANCER  
20 RELAPSE AFTER ADMINISTRATION OF TAMOXIFEN

21 CHAIRMAN VENITZ: Our next speaker is Dr. Goetz. Dr.  
22 Goetz is Assistant Professor in Oncology at the Mayo Clinic,  
0087

1 and he's going to talk about Tamoxifen Pharmacogenetics and  
2 Prediction of Breast Cancer Relapse After Administration of  
3 Tamoxifen. Dr. Goetz.

4 DR. GOETZ: All right. Thank you very much. My name  
5 is Matthew Goetz. I'm presenting from -- on behalf of our  
6 group at Mayo Clinic as well as in collaboration with David  
7 Flockhart's group, which is the Consortium of Breast Cancer  
8 Pharmacogenomics in the Pharmacogenomics Research Network.

9 I'm just going to spend a few moments again reviewing  
10 the clinical importance of Tamoxifen for estrogen receptor  
11 positive breast cancer.

12 This is already been reviewed by Dr. Rahman. Invasive  
13 breast cancer in the United States in 2006 is estimated  
14 there will be about 212,000 new cases. Ductal Carcinoma in  
15 situ, approximately 62,000 cases. And two-thirds of these  
16 are estrogen positive. So that means that they are  
17 candidates for hormonal therapy.

18 Tamoxifen arguably is the most important drug  
19 worldwide for hormone receptor positive breast cancer, and  
20 it's been approved by the FDA for the treatment of high-risk  
21 patients, DCIS pre- and post-menopausal breast cancer and  
22 metastatic disease as already been alluded to.

0088

1 It's also the most commonly used hormonal therapy in  
2 early and advanced breast cancer.

3 This particular timeline here gives you the  
4 indications ranging from 1977 post-menopausal metastatic to  
5 the adjuvant setting in 1986; pre-menopausal patients as  
6 well as in node negative. And you can see high-risk  
7 patients in Ductal Carcinoma in situ more recently.

8 So what do we know about Tamoxifen? Well, Tamoxifen  
9 is probably one of the most studied drugs in all of  
10 oncology, and we know that from a meta-analysis, and this is  
11 continually updated about every year or two, that Tamoxifen  
12 reduces the risk of recurrence significantly when women take  
13 Tamoxifen for five years.

14 And note that this particular timeline that the  
15 separation that occurs actually continues to happen, and  
16 despite the fact that Tamoxifen is stopped after five years,  
17 such that about 15 percent for patients who receive control  
18 there is about a 45 percent risk of recurrence versus those  
19 who receive five years of Tamoxifen, and approximately 33  
20 percent.

21 Well, Tamoxifen is not the only kid on the block  
22 anymore, and that really is the issue that we need to

0089

1 discuss today, because we're not talking about here a drug  
2 where we only -- excuse me -- a disease where we only have  
3 one drug. In fact, the real issue here is that we have  
4 other choices.

5           And these trials that I'm going to show you here  
6 really bring this out.  
7           The first trial, which is on top, was the MA17 trial  
8 -- or it's listed as extended adjuvant therapy. And this is  
9 where women who had received five years of Tamoxifen and who  
10 actually were not -- and had not had a recurrence were  
11 randomized to placebo or an Aromatase inhibitor; in this  
12 case Letrozole.

13           And in this case, Letrozole reduced the risk of  
14 recurrence by almost 40 percent, and also led to a survival  
15 advantage as well.

16           Now, the next set of trials that were done were what  
17 we call switching trials where women took two to three years  
18 of Tamoxifen, and in these trials they had to have gotten  
19 through those first couple years.

20           So, in other words, people were -- most of these  
21 trials they were not randomized up front. They were  
22 randomized after they had been on Tamoxifen for two to three  
0090 years.

1           And then the randomization was either to continue  
2 Tamoxifen so to complete what was the standard of care, five  
3 years of Tamoxifen, or an Aromatase inhibitor.

4           And you can see that in those trials, again, in  
5 probably over 20,000 women enrolled in these trials, in  
6 these switching trials, switching to an Aromatase inhibitor  
7 resulted in a significant reduction in the risk of a disease  
8 event.  
9

10           So finally, more recently, we have the up-front  
11 studies where the -- and you see this for initial adjuvant  
12 therapy where women were randomized to either an Aromatase  
13 inhibitor or Tamoxifen. And in this case, the Aromatase  
14 inhibitors reduced the risk of event, although not as  
15 significantly -- and this was still statistically  
16 significant, around a 17 percent reduction.

17           So what I'm trying to show you here today is that we  
18 don't just have one drug available. We have multiple drugs  
19 that, in fact, what's happening out in clinical practice is  
20 that most people are actually using Aromatase inhibitors as  
21 first-line therapy.  
22

          There are some people that still use Tamoxifen for  
0091 several years and then switch, and so this slide here  
2 indicates this. For pre-menopausal patients, we really only  
3 have Tamoxifen for five years. There are a number of  
4 studies that are looking at additional therapies, but those  
5 have not been completed.

6           But for post-menopausal women, our options and what's  
7 used most often at this point is Aromatase inhibitors for  
8 five years or to use Tamoxifen for two to three years,  
9 followed by an Aromatase inhibitor.

10           Now, notice here that I don't have Tamoxifen for five  
11 years, and it's really because no one uses Tamoxifen for  
12 five years, and that's because when women who have been on  
13 Tamoxifen for two years have been compared to completing  
14 five years or switching to an Aromatase inhibitor, there is  
15 definite superiority for switching to an Aromatase

16 inhibitor. So these are really the -- when I see a woman in  
17 the clinic today for breast cancer, these are the options  
18 that we discussed.

19 So this point here really brings up clinically what we  
20 actually -- the problem that we face when we see women in  
21 the clinic, and that is there is a difference at the two- to  
22 three-year mark, and this is at the 30-month mark, of about

0092

1 1.6 percent. So here you see that when Anastrozole was  
2 compared to Tamoxifen in the ATAC trial, and this has been  
3 published, and this over 6,000 women that the women who  
4 received Anastrozole had a significant reduction in the risk  
5 of recurrence, and that risk reduction was even at 30  
6 months.

7 Now, the absolute difference is small. It's about 1.6  
8 percent, so this is why many physicians out in the community  
9 will actually say I will not put a woman on Tamoxifen within  
10 those first two years because I am concerned about the risk  
11 of recurrence.

12 So really the clinical question that we were asking  
13 and have been asking for some time -- and other people are  
14 asking as well -- is there a better way to identify patients  
15 for whom Tamoxifen or Anastrozole would be the preferred  
16 drug for additional adjuvant therapy. And in this point  
17 today, we're focusing on the variability in Tamoxifen.

18 So when one looks at the Tamoxifen metabolic pathway  
19 -- and I'll be brief here, because we've discussed this -- I  
20 was taught during my training that 4-hydroxy Tamoxifen was  
21 the most important Tamoxifen metabolite. It has been  
22 alluded the reasons to that are obvious: because it is

0093

1 about a hundred-fold more potent in terms of its effect on  
2 MCF7 breast cancer cells. It's more potent in terms of its  
3 binding.

4 The problem, as Dr. Flockhart's group has shown and  
5 Dr. Desta, is that most Tamoxifen, over 90 percent of it, 95  
6 percent, is immediately converted in N-desmethyl Tam. So if  
7 4-hydroxy Tamoxifen is the most important metabolite, in  
8 reality, there's very little of it, and N-desmethyl  
9 Tamoxifen is the most abundant Tamoxifen metabolite.

10 So recently, as you've been told, there has been a  
11 number of studies that have been shown -- have shown that  
12 N-desmethyl Tamoxifen is converted to Endoxifen and this is  
13 under genetic control via the CYP2D6.

14 And just a review again, when you look at comparing  
15 Tamoxifen, the parent drug, with the metabolites 4-hydroxy  
16 Tamoxifen and Endoxifen, you can see that there are  
17 significant differences in terms of their effect as  
18 inhibitors of estrogen-stimulated cell proliferation, such  
19 that Tamoxifen is a weak anti-estrogen and its metabolites,  
20 4-hydroxy Tamoxifen and Endoxifen, are potent  
21 anti-estrogens.

22 So this kind of summarizes this here. Endoxifen and

0094

1 4-hydroxy Tamoxifen, their potency in ER binding is the  
2 same. Their suppression of estrogen-dependent MCF7  
3 proliferation is the same. The same in terms of global ER

4 response of gene expression.

5 Where they're different is that Endoxifen  
6 concentrations are up to 10-fold higher than 4-hydroxy  
7 Tamoxifen.

8 So what we have then is Tamoxifen, a weak  
9 anti-estrogen, and we have the metabolites, 4-hydroxy  
10 Tamoxifen and Endoxifen, which are potent anti-estrogens.

11 So, as it has been alluded to, Dr. Flockhart's group  
12 has already shown that patients -- that the metabolism of  
13 Tamoxifen to Endoxifen is under genetic control; that  
14 patients who have at least one reduced functional allele or  
15 two reduced functional alleles, such as the star four, star  
16 four, have significantly lower plasma Endoxifen  
17 concentrations than patients who are wild-type.

18 So this really brought up the clinical question that  
19 we initially asked, and that is, do patients who have, who  
20 are CYP2D6 poor metabolizers have a worse clinical outcome  
21 than patients with normal or perhaps increased CYP2D6  
22 metabolism.

0095

1 And I would just make a point, and, as one of my  
2 mentors, Dick Wincherbaugh [ph.] once told me, he said the  
3 translation of pharmacogenomics or in this case any  
4 biomarker into the clinical setting is probably the most  
5 difficult step, and it's the most difficult step for a  
6 number of different reasons.

7 First of all, you have to have obviously a robust  
8 patient population. Secondly, you have to make sure that  
9 you're studying the right patient population. Thirdly,  
10 you're going to have to have good follow-up, and obviously,  
11 fourthly, you have to have DNA available to answer to  
12 question. So this has been really the issue with the  
13 translation of Tamoxifen pharmacogenomics and it's really,  
14 as I would point out, one of the difficulties with the  
15 studies that have been done. Literally up until the last  
16 five to 10 years, people have still been giving Tamoxifen  
17 for ER negative breast cancer.

18 So we know that Tamoxifen is ineffective in ER  
19 negative breast cancer.

20 So, for example, when you look at the studies that  
21 have been done today that have been alluded by Dr. Rahman,  
22 you have a patient population that has been composed of ER

0096

1 negative and ER positive breast cancer. So that's one  
2 issue.

3 The second issue is the endpoint. So, for example, we  
4 know that Tamoxifen not only reduces the risk of distant  
5 relapse, which is the endpoint that was studied in the  
6 Wegman paper, the Noel paper, but it also reduces the risk  
7 of local relapse and also contra-level breast cancer.

8 And notice that the FDA endpoint for adjuvant clinical  
9 trials is not distant relapse-free survival, it is what we  
10 call disease-free survival, which encompasses distant  
11 relapse, local relapse and also contra-level breast cancer.

12 So with that in mind, there are -- those to me really  
13 are the biggest issues with the studies that have been done  
14 to date.

15           So what we attempted to do was to look at the  
16 importance of CYP2D6 pharmacogenomics in this patient  
17 population. And this was a prospective clinical trial. It  
18 was a cooperative group trial. Jim Engle [ph.] was the  
19 principal investigator, and post-menopausal women and were  
20 randomized to either five years of Tamoxifen or five years  
21 of Tamoxifen plus esterone [ph.].

22           Important here is that all women were required to have  
0097

1       estrogen receptor positive breast cancer.

2           The second thing of import was that the follow-up on  
3 these patients was the sort of follow-up that you would  
4 expect from a cooperative group trial.

5           So who are these patients? Well, they're surgically  
6 resected stage one through three breast cancer patients.  
7 All tumors were estrogen receptor positive, and in order to  
8 get on the trial, you were required to have a greater than  
9 10 fenti-mole per milligram cytozole protein by a charcoal  
10 binding assay or you were required to be positive by an  
11 immunohistochemical [ph.] assay.

12           No adjuvant chemotherapy was allowed. The median  
13 follow-up in this trial was 11 years. Accrual completed in  
14 April of 1995. And the primary endpoint of the trial, which  
15 was looking at the difference in those two arms; there was  
16 no difference in relapse-free survival or overall survival.

17           So we looked at the Tamoxifen monotherapy arm. Again,  
18 there was 256 patients that were enrolled in this. Formalin  
19 fixed paraffin embedded tumor blocks were available in 223  
20 patients. And genotyping for CYP2D6 star four and also star  
21 six was performed. I am not including star six here,  
22 because there were -- no variants were seen.

0098

1           And you can see here that the frequency of the poor  
2 metabolizer phenotype was about what was to be expected in  
3 this predominantly Caucasian population, of around seven  
4 percent.

5           And here were the findings.

6           So this here endpoint that we looked at is  
7 relapse-free time. Now, the endpoint here is simply local,  
8 regional, or distant relapse or the development of  
9 contra-level breast cancer.

10           And you can see that patients who are CYP2D6 poor  
11 metabolizers had a significantly worse or time to -- shorter  
12 time to relapse than patients who were intermediate  
13 metabolizers or wild-type.

14           This is relapse-free survival, so this endpoint here  
15 looks at the endpoints of relapse, but it also looks at  
16 death as well. So relapse or death, and you can see here  
17 that CYP2D6 poor metabolizers had a significantly worse  
18 relapse-free survival compared to intermediate metabolizers  
19 or wild-type -- or otherwise extensive metabolizers.

20           The other thing that we looked at was we actually had  
21 information about hot flashes. Now, hot flashes are  
22 probably the most common side effect of women who take

0099

1       Tamoxifen receive. And what we noted was that patients who  
2 were CYP2D6 poor metabolizers by virtue of the star four

3 genotype that the incidence of moderate or severe hot  
4 flashes was zero percent.

5 Now, hot flashes are graded on this trial. This is a  
6 1989 trial. In fact, at this time, there was no -- at the  
7 time this trial was developed, the grading of hot flashes  
8 was relatively I would say early in its development. Hot  
9 flashes were simply graded at zero or one, which is mild.  
10 Two is considered moderate or troublesome, and three is  
11 severe.

12 So when we looked at patients who had moderate or  
13 severe hot flashes, patients who were poor metabolizers did  
14 not develop that versus the incidence was approximately 20  
15 percent in patients who were intermediate or extensive  
16 metabolizers.

17 So the final -- I would say the final point here is  
18 that we did a multivariate analysis, and this is, you know,  
19 very important to do. When you look at the effect of a  
20 particular genotype on outcome, you need to adjust for the  
21 most important factors that influence outcome, namely nodal  
22 is tumor size, tumor grade -- these sort of things.