

SECRETARY'S ADVISORY COMMITTEE
ON GENETIC TESTING

Twelfth Meeting

Thursday,
February 14, 2002

Congressional Ballroom Salons II and III
Bethesda Marriott Hotel
5151 Pooks Hill Road
Bethesda, Maryland

IN ATTENDANCE:

Chair

EDWARD R.B. McCABE, M.D., Ph.D.
Professor and Executive Chair
Department of Pediatrics
University of California, Los Angeles
Physician-in-Chief
Mattel Children's Hospital
10833 Le Conte Avenue, 22-412 MDCC
Los Angeles, CA 90095

Members

KATE C. BEARDSLEY, J.D.
Partner
Buc & Beardsley
919 18th Street, N.W.
Washington, D.C. 20006

ANN HAPP BOLDT, M.S.
Certified Genetic Counselor
Department of Medical and Molecular Genetics
Indiana University School of Medicine
975 West Walnut Street
Indianapolis, IN 46202

JOANN BOUGHMAN, Ph.D.
Executive Vice President
American Society of Human Genetics
9650 Rockville Pike
Bethesda, MD 20814

WYLIE BURKE, M.D., Ph.D.
Chair
Department of Medical History and Ethics
University of Washington - Box 357120
1959 N.E. Pacific, Room A204
Seattle, WA 98195

PATRICIA CHARACHE, M.D.
Professor, Pathology, Medicine, and Oncology
Program Director
Quality Assurance and Outcomes Assessment
Department of Pathology
Johns Hopkins Medical Institutions
600 North Wolfe Street, Carnegie 469
Baltimore, MD 21287

IN ATTENDANCE:

ELLIOTT D. HILLBACK, JR.
Senior Vice President
Corporate Affairs
Genzyme Corporation
One Kendall Square
Cambridge, MA 02139

BARBARA A. KOENIG, Ph.D.
Executive Director
Stanford Center for Biomedical Ethics
Stanford University
701A Welch Road, Suite 1105
Palo Alto, CA 94304

JUDITH A. LEWIS, Ph.D., R.N.
Associate Professor
Maternal Child Nursing
Director of Information Technology
School of Nursing
Virginia Commonwealth University
1220 East Broad Street
Richmond, VA 23298

VICTOR B. PENCHASZADEH, M.D., M.S.PH.
Professor of Pediatrics
Albert Einstein College of Medicine
Chief, Division of Medical Genetics
Department of Pediatrics
Beth Israel Medical Center
First Avenue at 16th Street
New York, NY 10003

REED V. TUCKSON, M.D.
Senior Vice President
Consumer Health and Medical Care Advancement
UnitedHealth Group
MN 008-T910
9900 Bren Road East
Minnetonka, MN 55343

IN ATTENDANCE:

Ex Officio Members

Agency for Healthcare Research and Quality

DAVID LANIER, M.D.
Deputy Director
Center for Primary Care Research

Centers for Disease Control and Prevention

MUIN KHOURY, M.D., Ph.D.
Director
Office of Genetics and Disease Prevention

Food and Drug Administration

STEVEN GUTMAN, M.D., M.B.A.
Director
Division of Clinical Laboratory Devices
Center for Devices and Radiological Health

Health Resources and Services Administration

MICHELE LLOYD-PURYEAR, M.D., Ph.D.
Chief
Genetic Services Branch
Maternal and Child Health Bureau

National Institutes of Health

KATHY HUDSON, Ph.D.
Director, Office of Policy and Public Affairs
National Human Genome Research Institute

Executive Secretary

SARAH CARR
Office of Recombinant DNA Activities
Office of Science Policy
National Institutes of Health
6705 Rockledge Drive, Suite 750
Bethesda, MD 20892

C O N T E N T S

PAGE

Call to Order

Edward R.B. McCabe, M.D., Ph.D. 7

Projects of Draft Report from the Informed Consent
and IRB Work Group: "Issues in Decisionmaking and
Informed for Clinical and Public Health Genetic Tests"Barbara A. Koenig, Ph.D., and Benjamin Wilfond, M.D.
Work Group Co-Chairs 8

Discussion 22

Exploring the Collection, Use, and Analysis of
Data on Race and Ethnicity in Genetic Research
and Genetic TestingIntroduction
Wylie Burke, M.D., Ph.D. 46Claudette E. Bennett, Ph.D.
Chief, Racial Statistics Branch
U.S. Census Bureau 48Olivia Carter-Pokras, Ph.D.
Director, Division of Policy and Data
Office of Minority Health, DHHS 55Robert J. Desnick, M.D.
Chairman, Department of Human Genetics
Mount Sinai School of Medicine 64Steven J. Mack, Ph.D.
Visiting Scientist
Roche Molecular Systems 72Charles N. Rotimi, Ph.D.
Director, Genetic Epidemiology
National Human Genome Center
Howard University 84

C O N T E N T S

	PAGE
Exploring the Collection, Use, and Analysis of Data on Race and Ethnicity in Genetic Research and Genetic Testing (continued)	
Joseph L. Graves, Jr., Ph.D. Professor of Evolutionary Biology Arizona State University West	92
Lisa D. Brooks, Ph.D. Program Director, Genetic Variation and Genome Informatics Programs National Human Genome Research Institute, NIH	100
Jean E. McEwen, J.D., Ph.D. Program Director, Ethical, Legal, and Social Implications Program National Human Genome Research Institute, NIH	107
Roundtable Discussion on the Collection, Use, and Analysis of Data on Race and Ethnicity in Genetic Research and Genetic Testing	113
Public Comment	
Maria de Carvalho, R.N., M.S.N., A.O.C.N. Oncology Nursing Society	129
Katherine A. Schneider, M.P.H., C.G.C. National Society of Genetic Counselors	133
Discussion of FDA's Progress in the Development of Premarket Review of Genetic Tests	136
Update on Congressional Activity	
Kathy Hudson, Ph.D.	137
Discussion of May Meeting Agenda	138

P R O C E E D I N G S

(8:38 a.m.)

1
2
3 DR. McCABE: We're going to go ahead and get started while we're getting Elliott connected.
4 I want to wish everyone a good morning and a Happy Valentine's Day. It was brought to my
5 attention by Dr. Max Rubinowitz that in the February 14th, today's, New England Journal of
6 Medicine, there is a whole series on direct-to-consumer marketing. There's a special article,
7 three editorials and a sounding board piece, and Sarah will get hold of that and get it out to the
8 Committee electronically, but anyone else who's interested in the audience ought to be aware of
9 that. It looks like a very fine series.

10
11 We have a very full day ahead of us, including an extremely impressive panel of experts to help
12 us explore issues in the collection, use and analysis of data on race and ethnicity in genetic
13 research and genetic testing. The first item on our agenda is the presentation of the draft report
14 on informed consent issues and clinical and public health genetic tests that has been produced
15 by the Informed Consent/IRB Work Group. Dr. Koenig and her co-chair, Dr. Ben Wilfond,
16 will be presenting the main elements of the report and then carefully walking us through the
17 proposed recommendations that the group would like SACGT to consider adopting.

18
19 Before they begin, let me say a few words of introduction about Dr. Wilfond. Dr. Wilfond has
20 a dual appointment at NIH. He is both head of the Bioethics Research Section of the Medical
21 Genetics Branch at the National Human Genome Research Institute and head of the Section on
22 Genetics in the Department of Clinical Bioethics at the NIH Clinical Center. Prior to joining
23 the NIH, Dr. Wilfond was on the faculty of the University of Arizona, where he was co-director
24 of the Tucson Cystic Fibrosis Center and the director of the Apnea/Bronchopulmonary
25 Dysplasia Program. Dr. Wilfond's scholarship has focused on the relationship between
26 empirical data and policy decisions, how information is communicated to subjects and patients
27 and unique considerations of genetic testing in children. His current research projects are in

1 the areas of informed consent for gene transfer research and the use of stored biological
2 specimens for research. He is also vice chair of the institute's IRB and Ben told me he has to
3 leave early today because he is seeing patients Friday. Thursday afternoon is his clinic day
4 over at Johns Hopkins. So he's still active in patient care.

5
6 Dr. Koenig and Dr. Wilfond, before you begin, I want to commend you for being ready to
7 present the draft report at this meeting. Since I'm a member of your work group, I'm aware of
8 the extraordinary efforts you have made to get the report ready for the full Committee's
9 consideration. It took superb leadership on your part, and I want to commend you again for it.
10 I also know that Kathi Hanna, who has been the contract writer for this project, deserves much
11 of the credit as well. Thank you all, and please proceed with the presentation.

12
13 DR. KOENIG: Good morning. Ben and I are going to do this presentation together. So at
14 some point, you may want to come a little closer together. So today, we're going to present the
15 results of, as Dr. McCabe indicated, a very quick – we've really pushed to get this report to
16 you, and I think it still needs some additional tweaking and tuning and one of the things you'll
17 notice, I think, first off, is that even the title has changed and that reflects a change in emphasis
18 in some of the comments from the Committee which I think will become clear in that we are
19 trying to frame the issues in the broader sense of decision making, rather than simply in the
20 more narrow sense of informed consent.

21
22 You have these slides. This is just a review of the members, a very, very wonderful group,
23 including many of the leading researchers on informed consent in genetics in the country who
24 have informed this process.

25
26 So let me review quickly where we are with our overall progress. We finished the information
27 brochure for the general public which is now under review. Today, we're going to talk about

1 our report on the issue of defining the levels of consent needed for different types of tests, then
2 the issues which are also very important, such as returning research results, the transition of
3 research to clinical use, social risks, multiplex testing and direct-to-consumer marketing and
4 multi-site protocols which is another research issue, we'll turn to next.

5
6 Let me just tell you the goals of today's discussion. We have an hour and a half. We've
7 specifically done this first thing in the morning at the request of Dr. Khoury so that we can
8 really focus on it because it's very complicated. So today, what we're going to do is review the
9 draft of the report and attempt to reach consensus on the general tenor, not necessarily any
10 specific sentences or content. We're also going to try and reach some consensus on the
11 proposed recommendations. That's going to be the biggest part of our discussion and then
12 hopefully agree to our next steps, including whether or not we need to solicit public comment
13 on the informed consent report in general.

14
15 Why is this report necessary? Well, we really think that some form of national standards may
16 be too strong but guidelines are needed in the area of clinical genetic informed consent for
17 genetic testing because consent practices are highly variable, and if we can improve this whole
18 process, the enhancement of informed decision making can help ensure appropriate test use,
19 and you'll see that that ties in to our broader goal of oversight, and then patient participation in
20 the testing process will be enhanced if this all works. Why is this necessary? It also is to
21 follow through with one of the main overarching principles set forth in our original report,
22 which is that documentation of informed consent must be obtained for tests requiring high
23 scrutiny, the extent to which written informed consent should be obtained for all other genetic
24 test requires further deliberations. We are now proposing a framework for that for this whole
25 process.

26
27 In November, we presented some of our preliminary thinking on this and had some very useful

1 and helpful feedback from the full Committee and that feedback included the following points,
2 and I'm going to go through it to remind you of it, and then hopefully it will become apparent
3 how we have incorporated that feedback into our revisions about informed consent. One. In
4 general, people felt that guidance for informed consent for clinical and public health genetic
5 tests is needed and would make a significant contribution to the field. So there was general
6 agreement about that, and the Committee also agreed that our efforts to identify the features of
7 genetic tests that are important was a useful contribution and that those test features are very
8 relevant to informed consent. However, there was some concern that the models we had
9 developed were too complex and the Committee felt that a guide to decision making about
10 level of consent should be simple and straightforward or more simple and straightforward than
11 what we had presented in November. And finally, this was a very important point, that the
12 guidance must be flexible and tailored to the individual patient's needs. So we've really tried to
13 address these points.

14
15 One of the other points of concern. We had originally presented four consent models that were
16 fairly rigid and involved putting consents into particular boxes and that was seen by the
17 Committee as being a little perhaps too difficult to implement and so we have pulled back from
18 that as you'll see, and then another important suggestion made by the full Committee was that
19 genetic education and counseling should be an explicit component of at least the most
20 comprehensive model of consent. So you'll see how we've integrated that. And then, finally,
21 we have made some suggestions about FDA, and we have reconsidered and, I think, refined
22 this issue of what the FDA's role should be in consent practices as a part of premarket review.

23
24 So I'm going to quickly do an overview of the report, then I'm going to talk about the details of
25 the test features that we outlined as well as the informed consent process, and then I will come
26 back to the podium and help us walk through the specific recommendations. So you'll see
27 we've responded to your comments in November. Then we'll review the test characteristics,

1 suggest a continuum of approaches to informed consent that range from, and we've used this
2 language, modest to robust as well as the many levels that fall between that without specifying
3 a particular rigid set of guidelines and then the report recommends that a flexible framework be
4 used in deciding what level of informed consent is needed in a given case. But most important,
5 we also suggest that there is a threshold of consent that, if crossed, would require certain
6 actions by FDA to assure that an intensive informed consent process is used when the test is
7 offered, and as a way of doing that, we actually offered nine recommendations to the Secretary
8 involving FDA, CDC and the Center for Medicare & Medicaid Services or CMS, as well as
9 five recommendations targeted to professional societies, healthcare providers, disease and
10 patient advocacy groups, patients, consumers, insurers and employers.

11
12 So why is informed consent so important in genetic testing? Just a very, very quick review.
13 You all know this. The familial and ethical implications, the multiple applications with
14 different implications, meaning that these tests can be used in so many different contexts. The
15 results can be ambiguous or complex. Oftentimes they're merely predictive and the
16 comprehension of the risk information conveyed can be very difficult and complex and of
17 course, also, the potential for the misuse of genetic information. In addition, there's the very
18 blurred line between experimental and clinical use of tests. Tests may move to the market prior
19 to full evaluation of clinical validity. In fact, we actually expect that that will often be the case
20 because of our general oversight recommendation that tests should be released, and then that
21 there be a rigorous postmarket data collection process. So that just means the informed consent
22 process becomes even more important and we're asking the informed consent process to do a
23 great deal of work. Again, also, more tests are used all the time, more multiplex panels, all of
24 those things we know.

25
26 Let me say a little word about the language used in this presentation, and again, this is why we
27 changed the title. We're really thinking about informed consent as not literally being just the

1 process of the patient consenting, but in a much more broad way, that really we're talking about
2 an informed decision-making process followed by the decision of the patient. So you can see
3 that we've made this a bit broader and that was again at the recommendation of the full
4 Committee.

5
6 To sort of conceptualize the whole process, what we're thinking of in terms of our guidance on
7 informed consent for genetic tests is that a new test comes into being, is proposed, and then
8 there is a formal process, a consideration of relevant genetic test characteristics which takes
9 place, that sort of black box which we're going to flesh out, and then ultimately, as a result of
10 this consideration, a level of consent for the new genetic test is proposed, and you'll see how
11 that works out in the course of the presentation.

12

13 DR. McCABE: Elliott, are you with us now?

14

15 MR. HILLBACK: Yes, I'm with you now. Good morning, everyone. Sorry I couldn't be there.

16

17 DR. McCABE: Good morning, and we're just switching speakers right now, and the slide that
18 we're on is we're moving from guidance on informed consent for genetic tests to patient/test
19 characteristics that influence informed consent.

20

21 MR. HILLBACK: Okay. These aren't numbered, so it's hard to --

22

23 DR. McCABE: It's on page 5.

24

25 MR. HILLBACK: Thank you.

26

27 DR. WILFOND: So what I'm going to try to do in the next five to seven minutes is to talk a

1 little bit more about what's in this box here, what are the relevant test characteristics, also talk
2 about what the various levels of consent would be and to try to make some suggestions how we
3 get from here to here.

4

5 So after a lot of discussion, we came up with essentially five major patient or test
6 characteristics that we think would have some influence on informed consent, and these
7 include the ones I have listed here: the purpose of the test, certain characteristics of the disease
8 the test is for, clinical validity, clinical utility, as well as specifically psychological and social
9 implications of the test.

10

11 There can be a range of purposes of testing and some may pose more specific challenges than
12 others for informed consent. So for example, there's perhaps less concern often when it
13 involves the diagnosis of the disease than it might be for screening healthy populations for
14 disease or providing for reproductive and prenatal information where the issues are much more
15 complex and based upon people's personal values requiring a more detailed explanation and
16 discussion.

17

18 Additionally, the characteristics of the disease itself might have some bearing on how complex
19 the consent process ought to be and these considerations would include the severity of the
20 disease, what degree of disability is associated with the disease, whether there's any particular
21 stigmatizing characteristics. So if we're talking about behavioral disorders, alcoholism, there
22 would obviously be much more concerns that would need to be addressed in the consent
23 process along those lines.

24

25 Clinical validity would be important, also, with regards to the need for additional explanation
26 to explain the probabilistic features of tests when those occur. And fourthly, clinical utility
27 plays an important role in this because different types of tests might have different sorts of

1 clinical utility. In some circumstances, when interventions are available, the approach to
2 consent may be more simple than when the value of the information is going to be based upon
3 individual's own personal preferences of what's going to be important for them. So using the
4 example of Huntington's disease, where the clinical utility of the test is to allow people to make
5 life-planning decisions, that might require a more involved consent process than, for example,
6 newborn screening for PKU, where the clinical utility is involved in preventing mental
7 retardation and the assumption is that this is a value that most people share and there's not a
8 need for a lot of discussion about the importance of that.

9
10 Additionally, some tests have specific psychological and social implications which, as I
11 suggested from my previous comments, might vary with the particular test, but the point is that
12 when a particular test has special psychological or social implications, that that might require a
13 more involved consent process, so the person can weigh those considerations in making their
14 decision, and again part of the point of this is that not only tests share each of these features.

15
16 So to try to put all this together, and this is again the idea of moving away from specific
17 descriptions of each feature, is to note that the features together, each of them independently,
18 sometimes can suggest a more complex process or more straightforward process of informed
19 consent, and our suggestion is that we look at these five characteristics for any particular set of
20 circumstances or any particular patient and ask ourselves whether or not in a particular
21 circumstance a particular patient would need a more complex approach to consent or a more
22 straightforward or simple approach to consent.

23
24 What I'd like to do now is to talk a little bit about what would be involved in those different
25 approaches to consent, and this slide is more for background, just to remind us of the various
26 components of consent, and we're not going to be talking much about decision-making
27 capacity. We're going to assume that the people we're talking about have that capacity.

1 Obviously that's a very important issue as we're talking about children, but we're not going to
2 be discussing that today. We're mainly going to be focusing on issues of disclosure of
3 information, assessment of comprehension and voluntariness. Through our discussions, we've
4 identified four key components of the consent process that could be modified based upon our
5 interest in having a more straightforward or a more complex approach to consent, and this has
6 to do again with information disclosure itself, how we assess comprehension, what sort of input
7 the provider engages in in helping to make the decision and documentation. These first three
8 are often what we think about as the decision-making process, the encounter that goes on
9 between the provider and the patient to make a decision. Part of why we have documentation
10 as a separate thing is again to make the important point that, you know, consent is not
11 synonymous with documentation but is really one more feature of a consent process that may
12 have some utility in some circumstances.

13

14 So again, for information disclosure, depending upon where a test falls in this continuum that
15 we showed you before, that we could have a very basic to a very comprehensive approach to
16 information disclosure that would depend upon what the relevant features of the test are for
17 that person, and the elements would include the purpose of the test, if there are any specific
18 personal, familial or social implications, the risks and benefits of having the test, availability of
19 alternatives and that these would be the things that would need to be described, and you can
20 imagine that this can be described in some cases in 30 seconds and in other cases, information
21 disclosure might take a much longer period of time, might involve multimedia information,
22 whether it's through CD-ROM or the Internet or pamphlets. Other times, it can be a very
23 simple conversation.

24

25 The assessment of comprehension is also important in this with regards to particularly when
26 information is complex, when there's very important decisions to be made based upon people's
27 personal values, to have some opportunity to actually engage in a more back and forth sharing

1 between the provider and the patient to make sure that the information is understood, and again
2 you can imagine again this continuum going from sort of an assumed to -- which might be do
3 you have any questions versus a much more detailed discussion with the patient.

4
5 Another aspect of this has to do with the stance that the provider takes in engaging in this
6 conversation, engaging in the information disclosure and the assessment of comprehension
7 with how involved they are and what the decision is, and you can imagine in some
8 circumstances being much more directive with regards to what ought to be done. Again, you
9 know, newborn screening for PKU would be a wonderful example of somebody saying we
10 really think this is an important thing to do, whereas in other circumstances, particularly as it
11 relates to reproduction, we may want to take a much more hands-off approach in terms of what
12 we recommend for the patient but still be actively engaged in helping the person make a
13 decision that is consistent with their values.

14
15 Finally, documentation also might vary. In many circumstances, there may be no
16 documentation whatsoever. I know what's missing from here on this slide is making a notation
17 in the medical record which may be important in some circumstances. We discussed the issue
18 of when it's important for the laboratory to be aware that an informed consent process has
19 occurred and having some sort of a checkoff box as well as having a signed consent form.

20
21 So, again, similar to the slide that I showed you before with the continuum of the test features,
22 we also can think of the informed consent components that I described before, disclosure,
23 comprehension, provider input and documentation, and we can envision circumstances where
24 all four of these are done in a much more robust way versus circumstances where these are
25 done more in a minimal way. So we can see that when tests fall towards that straightforward
26 side, that a minimal consent process is probably sufficient. Those that are more on the
27 complex side, a more robust approach would be needed, and there might be a variety of

1 approaches that we might choose to use for those tests that fall in between, and so the one big
2 difference between what you heard in November versus now is trying to sort of be a little less
3 precise about what we think would happen in this middle field and sort of just point out that
4 this could vary and leave a lot of variation to people involved in making the decisions.

5 However, we also realize that what's most critical are to identify those circumstances when a
6 more robust approach to consent is needed and the fact that FDA might have some role in
7 helping to make that judgment about that. So at this point, I'm going to turn the presentation
8 back over to Barbara, and she's going to talk about the FDA component and our
9 recommendations.

10

11 DR. KOENIG: So now, we're going to show a couple slides which are meant to represent
12 graphically what we've just talked about and in a way, these next two slides will summarize the
13 recommendations. So we'll first go through this graphically, and I'm hoping Ben will help me
14 because this is somewhat complex. But if you look at this, what we're trying to do now, you've
15 seen each of these two parts separately before. The genetic test characteristics, for example,
16 the purpose of the test, and then the components of informed consent, and what we're basically
17 suggesting is a process whereby as a first step, you consider or FDA or a clinician or anyone
18 consider those test characteristics, go through a deliberative process and make an assessment
19 about where on this informed consent dimension that test should -- which features of it you
20 would need to do, whether it needs to be robust or whether it needs to be minimal and that
21 would be something that would happen for all tests.

22

23 Now, however, we're also proposing that there will exist a threshold of test complexity which
24 we have not specified completely but which, in the report itself, there are many indications of
25 what would be included in that which would basically trigger a process of mandatory robust
26 consent. Okay. So basically what we're proposing is, and this is just to summarize the
27 recommendation, that as a new test is presented to FDA, the test developer would make a

1 recommendation about what kind of informed consent should be recommended when that test
2 is used clinically, and then as a second step, FDA would have an independent review of that
3 recommendation, and in some cases, the recommendation when the test crosses this threshold
4 of complexity, that certain tests that FDA would require that they only be administered with
5 this most robust level of informed consent. Ben, do you have any comments?

6

7 DR. WILFOND: I think you said it quite well.

8

9 DR. KOENIG: Any questions at this point before we do the specific recommendations?
10 Everybody's with me? Okay. So what we're going to do now is I'm going to summarize very
11 quickly. I'll go through all nine recommendations just to give you a sense of their flavor and
12 then we can come back and go through them one by one. We actually have them on the slides,
13 the full text, so that we can consider them. So these are the recommendations that we came up
14 with. First, that FDA should require submission of information to assist decision-making
15 process from test developers and make it widely available. This is what I said, that when a test
16 developer presents a new test, as part of the data template, they would make a recommendation
17 about what level of informed consent is required or what it should look like.

18

19 The second recommendation is there's a role for FDA in assessing the level of consent
20 necessary for tests and that for tests that cross the threshold of complexity requiring the robust
21 consent process. Now, we are stating up front that we expect this to be a rare situation that
22 tests would be in this level requiring robust consent.

23

24 Third, we think that FDA, in implementing this, should employ the framework that we have
25 just suggested and that's suggested in our report, including that very system of going through
26 and thinking seriously about all the features of the test.

27

1 And then, fourth, FDA should require the test developers to make the consent determination
2 publicly available and to state that it applies only to that particular intended use. So for
3 example, the specific consent process applies only to a specific intended use of the test. So if,
4 for example, an intended use of the test was for diagnosis, if a test was then going to be used in
5 a predictive context, it might require a totally different kind of informed consent.

6
7 Fifth, FDA should require test developers to include the need for robust consent on labeling
8 and marketing. So for those rare instances where the test goes to the threshold of needing
9 robust consent, this would need to be indicated on the label and in any marketing materials.

10
11 And then, Number 6, in parallel with that, we are suggesting that FDA, in collaboration with
12 the FTC or the Federal Trade Commission, should monitor advertising, but we're suggesting a
13 priority, that the highest priority in the monitoring of direct-to-consumer advertising would be
14 for those tests where there is a requirement for robust consent. So we're suggesting an order of
15 prioritization.

16
17 And then, 7, for tests requiring robust consent, CMS or the Center for Medicare & Medicaid
18 Services and CDC should augment CLIA to require the lab to verify the consent occurred.
19 Basically, this recommendation just recognizes the work that CLIA has already done on the
20 lab's role in assuring that consent has been appropriately obtained.

21
22 Eighth, and again these are our recommendations to the Secretary, we're recommending that
23 Medicare and Medicaid should be modified to cover the cost of the provider's role in an
24 informed decision-making process and to allow the reimbursement of the services of an
25 appropriately-trained genetic education and counseling provider, particularly when robust
26 consent is warranted, and please note that we have changed the language. We noted a small
27 mistake from the version that you have in your handout. We always meant the phrase "genetic

1 counselor" to include any qualified and trained genetic professional and not just a certified
2 genetic counselor. And then, 9, agencies should hold -- let's go back to 8. I should say that this
3 also reflects more the Canadian approach that we heard yesterday that the testing should be
4 considered as a full service and that you have to in some cases consider the counseling to be
5 part of the testing process.

6
7 Nine. This is a recommendation that we came to partly at the suggestion of Joe Boone from
8 CDC but also after our own reflections on how complex this whole issue is, we think that all
9 the agencies involved should hold a conference on informed consent for clinical and public
10 health genetic tests to further clarify these issues and get more perspectives and that this
11 conference could serve as a forum for further reflection and consideration on our
12 recommendations.

13
14 We also have some recommendations for the private sector as well as those to the Secretary,
15 and first, we think that using this framework that we've developed, professional societies
16 should develop guidelines for specific tests and for education, and if you can imagine it, we
17 wouldn't ever want to have every provider out in practice going through such a complicated
18 document and a complicated process. What we're suggesting is that using this framework,
19 professional societies could make recommendations specific to tests or to categories of tests
20 and that that might be helpful, similar to practice guidelines.

21
22 Number 2. Professional societies should discourage off-label uses of tests without careful
23 consideration of the consent issues, again on the assumption that the consent really needs to be
24 tied to the intended use.

25
26 Third, for tests requiring robust consent, providers should only offer the test if that form of
27 consent is provided.

1 Four. Healthcare payers should cover the cost of provision of an informed consent, particularly
2 for the robust consent process. Reimbursement of genetic education and counseling services
3 should be allowed. So that's basically a recommendation to the private sector, but paired with
4 that in Number 5 is basically – this is back to our discussion yesterday. This is a suggestion for
5 the demand side. We're suggesting an increased demand for this on the part of consumers, so
6 that we think that there should be a process of advocacy for informed decision-making
7 processes and that that could help influence coverage and reimbursement policies of third party
8 payers. So this is basically a recommendation to disease interest groups.

9
10 Okay. Let me quickly go over the public comment, the questions that we might ask the public
11 to comment on, and then we'll open this up to discussion of the recommendations and
12 comments. So what perhaps the next step we think might be to begin by asking have we
13 identified all the test characteristics that are relevant to consent? Are there any that we've
14 missed? Then the appropriate process. Are the consent components complete? Have we
15 adequately specified the consent continuum, and does it work to just specify it as a continuum,
16 rather than a series of boxes? Remember our first iteration in November had four separate
17 categories of consent. Or do we need more specific consent practices? Would these guidelines
18 be too burdensome for test developers, providers or laboratories to adopt is an important
19 consideration, where we might want public comment. Are the recommendations appropriate?
20 The public recommendations, now we're on the public recommendations, is the role of FDA
21 appropriate? Will professional societies and consumer groups be able to contribute sufficiently
22 to the development of specific guidelines, and are there any other suggestions? So anything?
23 Ben, do you have anything to add?

24

25 DR. WILFOND: Not at this moment.

26

27 DR. KOENIG: So I have also the full text of all of the recommendations here in the

1 PowerPoint and can move ahead if anyone wants to consider those, but let's open this up to
2 comment.

3

4 DR. McCABE: Is there any general comment before we move on to the specifics? We have
5 about 45 minutes for this discussion.

6

7 DR. BURKE: I really appreciate the work and the philosophy that informs this process, but I'd
8 like to raise a general concern that I think might bear on the wording of some of the
9 recommendations. You mentioned that you feel that the bar should be set relatively high for
10 requiring a robust informed consent process, and I agree with that, and we've also said many
11 times that we want the FDA review process to be streamlined. I think it's logical that FDA
12 should a checkpoint in this process, if we are going to require certain tests to be labeled in
13 essence as requiring a robust informed consent, and so I would propose that the analysis needs
14 to be simplified, and I'll actually make a suggestion that I think is consistent with the reasoning
15 that you've just given to us. What I'm really saying is to require test developers to suggest a
16 level of informed consent and then to ask FDA to review that and figure out if it's correct and
17 generate a labeling requirement is only going to work if it's a fairly straightforward process,
18 and you've well identified all the complexities. So it's hard to take complexity and make it
19 straightforward.

20

21 But I would propose that there are actually three criteria that are fairly easy to determine, that
22 make it possible to determine whether a robust consent process is needed, and they all have to
23 do with test use, which I think is a very important feature of your presentation. If the test is to
24 be used for reproductive decision making, I think that could be a pretty straightforward simple
25 requirement. Now that would require us to think carefully about how we currently use triple-
26 screen testing, but I think the logic of your argument is that when a test is used for reproductive
27 decision making, that is the purpose of the test, there should be a robust consent process. I'd

1 also argue that your reasoning suggests that if a test is predictive, there should be an
2 assumption in favor of a robust testing process with one caveat, and this is where it would get
3 complex, but I think we have to have the caveat. That is, if the clinical utility is very high and
4 very well-established and broadly recognized, then we don't need a robust consent process, and
5 your PKU example illustrates that. But I think in general, we should say predictive tests do,
6 unless they meet that criteria, and that should be readily documentable, I would think, largely
7 by published data, and third is when a test is done on one person for the benefit of another. So
8 I think when a test is done in a woman with cancer to determine whether a BRCA1 mutation is
9 present, primarily so that her daughters or sisters can be tested, that's a complex, and I don't
10 know if what I'm suggesting are the right criteria. I guess what I'm really saying is I don't think
11 we can move forward with these kinds of recommendations unless we're satisfied that we've got
12 a pretty tight and fairly objective set of criteria for the robust consent.

13

14 MR. HILLBACK: Can I get in at some point in the queue?

15

16 DR. McCABE: Go ahead, Elliott.

17

18 MR. HILLBACK: Sorry I'm not there. Again, it's hard to do from a distance. To me, the goals
19 here are fabulous, and I totally agree with the need for informed consent. I think all of us on
20 the lab side of the world and people that provide tests would totally agree. Where I have a
21 concern is I don't understand the whole front end of this of trying to get FDA in. As Ben said,
22 the concept of informed consent and carrying that out is primarily, almost certainly, between
23 the provider of the service and the patient, and it's customized based on a whole series of
24 factors that are only known at that point in terms of the patient's understanding, in terms of the
25 various complexities of the patient's condition, the patient's family condition, the patient's
26 mental condition, the patient's medical history, all sorts of things, and it seems to me we're
27 trying to impose order on disorder when disorder is unfortunately the order of the day. I'm

1 sorry for the pun, but I have to get one in at least. So I don't understand why or what FDA can
2 do. FDA, unless they're going to try to enforce the training and education of physicians, and
3 we've been down that loop before, or unless they're going to try and enforce what a physician
4 actually does and hold them accountable for the quality of their informed consent, which is a
5 private discussion between a physician or other healthcare provider and a patient anyway, and
6 none of us are there at the time, I don't know how this FDA piece of this really helps, and if
7 you're going to say, well, every time you change information, you're going to go back to FDA,
8 ask them to review the new information to make sure it's complete, maybe change the level of
9 informed consent, and then somehow disseminate that, I don't think FDA is interested in being
10 in the role of disseminating this information either. So to me, all the recommendations late in
11 the process make a heck of a lot of sense, and I think as part of whatever we end up doing with
12 FDA, yes, labs ought to submit the kind of information that's going to be needed to give
13 informed consent, but I don't think that's a reviewable, let's set levels, let's decide what a
14 provider should do in the privacy of their office with a patient from a distance because I'm not
15 sure the provider's going to act any differently. We're still going to get back a signed form or a
16 checked form, and none of us are going to know whether it was any different or not.

17

18 DR. KOENIG: Can I just respond quickly to Elliott?

19

20 DR. McCABE: Yes.

21

22 DR. KOENIG: To clarify one thing, Elliott, we actually had you in mind when we were
23 thinking through this recommendation, and it really is meant to deal with your concern that the
24 important thing about oversight is to tell people what you know and what you don't know.

25

26 MR. HILLBACK: Right.

27

1 DR. KOENIG: And that we are in no way saying that FDA should specify what the informed
2 consent process should be or to in any way interfere with that doctor/patient relationship or
3 provider/patient relationship. Rather, what we're suggesting is that there may be certain
4 situations in which tests can only be offered safely if informed consent is part of that clinical
5 process, and we just want to flag those and make sure that that information is included on the
6 labeling in rare instances.

7
8 MR. HILLBACK: But see, my reaction would be I don't want to be the patient where you've
9 decided I don't need urgent or strong informed consent. I don't want my mom to be that patient.
10 I don't want my kid to be that patient. I want the same level of informed consent whether I'm
11 going to have my male pattern baldness test or anything else. I want to know what the issues
12 are, and so as soon as you start saying, well, there's a bunch of them that are just checkoffs, if
13 I'm the practitioner, I say, well, I don't even need to really give you informed consent because
14 everybody's decided this isn't important. I think you almost devalue the concept, and I would
15 rather strengthen the concept. I think informed consent is very important, and I think an awful
16 lot of people just wave at it, and I think that's what you're trying to get at, but I don't think
17 regulating what the labs do or getting FDA into this is going to change that. I think there are
18 lots of other steps in your later recommendations that might, but I don't think we're going to
19 solve the problem by a number of these parts of the regulation. I think other parts, I applaud
20 very strongly, and I think we should strengthen everybody's commitment to doing informed
21 consent and maybe strengthen how CLIA gets involved and certainly the professional societies
22 as you've recommended.

23

24 DR. McCABE: Barbara or Ben, any response to that?

25

26 DR. WILFOND: Actually, I have a question for Elliott. I want to see what your response is to
27 Wylie's first comment because what Wylie did was try to be much more specific in being clear

1 about a very limited set of tests in which the point was these are the ones that for everybody,
2 we would want to try to make sure that a certain approach is done and that this is where
3 possibly FDA may have a role in trying to at least be clear what that standard is. But what
4 Wylie did was being more specific about what those limited ones were. Would that make you
5 feel more comfortable, if you had a clearer idea of when this sort of activity would occur?

6
7 MR. HILLBACK: Well, again, my reaction is twofold. I guess my reaction is what can FDA
8 do if they've come to this conclusion that Test X needs some high level. They could say that,
9 but they have no influence unless the practice of medicine is now in their purview. They have
10 no influence over whether it happens or not. Let me finish. If I'm the patient, if I go for some
11 other test, I don't think I want people to be feeling, well, this test has a lower urgency because
12 again it's personal, as you said, I think.

13
14 DR. McCABE: I have a number of people in the queue here.

15
16 DR. LEWIS: I really think that this is a wonderful document, and I think it's going to spark
17 some great discussion, and I really appreciate the work that you've done. I have several
18 comments. The first is along the line of what Elliott was saying, which is, I'm not sure that the
19 test developer is the appropriate person to determine the level of consent needed for the test
20 because to me that's a clinical decision, not a decision that relates to the science of the test. So
21 I believe that the level of consent really is a professional responsibility and it's the clinician that
22 needs to be making that decision because I think it's the clinician who's responsible for the
23 practice of their profession.

24
25 In terms of the language in the report, I'd rather see it say patient education and counseling
26 because I think that there's lots of parameters that go into patient teaching. Part of it is the
27 genetic issue, part of it are other issues, and if we call it patient education and counseling, then

1 it gives this document a much broader and doesn't infer who the clinician is that should be
2 doing it.

3
4 In terms of Wylie's comments, I don't know how you tell why somebody is seeking a test. If
5 you say that it's for reproductive decision making, I mean, that's really clear, I think, if
6 somebody's pregnant and is going in for prenatal counseling, but if I have a two-year-old who is
7 diagnosed with cystic fibrosis, that diagnostic test may be used by me for future reproductive
8 decision making, and you have no way of knowing that, and I think that just targeting
9 reproductive decision making at some level has issues that relate to women's issues that may or
10 may not be fair, but I also think that presuming that -- and somebody else may have a test and
11 be pregnant and be having a prenatal diagnostic test that they're using for information and not
12 for reproductive decision making. So I think that why everybody is having a particular test at a
13 particular time becomes an intensely personal thing and trying to mandate what people do with
14 that information becomes really problematic. So I think that while I agree with what you're
15 saying, what might be an important decision for you might not be an important decision point
16 for me and that again it gets to the level of personal, and to me, what it gets to is the clinician
17 and the patient having an informed discussion and some of those issues to me are broader than
18 just genetics.

19
20 I know we're here to do genetic testing and that part of what we're looking at is this in the realm
21 of genetic testing, but I think this document can serve as a model, and I think that we need to be
22 careful and not get into the point where we say that there are some things that are the same for
23 all clinical practice, which is a partnership between the consumer and the provider and a
24 discussion that's mutual and is based on respect and not just a checkoff. The documentation I
25 think is a different piece, and how one documents what is done, I think, is very important, and
26 to me signing a form is just signing a form, and I know lots of places where people are signing
27 very complex forms that would be looked at as very robust consent, and it's here, read this and

1 sign it, and you've got documentation of informed consent but that the process hasn't happened.
2 So I think it's the process that we want to focus on and the documentation of the process is
3 secondary, but I agree with Elliott that I'm not sure having FDA and the test provider be the
4 people who determine this, I think that's really something I'd want to look at again.

5

6 DR. KOENIG: Just one point of clarification about that, Judy. We're not proposing that the
7 test developer be the final word about whether a robust consent is required. We're just
8 suggesting that if the person who develops the test might have knowledge that they should
9 make a suggestion, and this was very carefully thought out by the group with a lot of input and
10 everyone thought that there should be an independent assessment of what kind of consent
11 would be ideal. So if we didn't make that clear, I just want to get that on the record.

12

13 DR. LEWIS: That helps a little.

14

15 MS. BOLDT: I agree with Judy in that I didn't think it was in the hands of the test developer,
16 and you just answered a little bit of my questions, too, Barbara. But I guess I do think that
17 there still needs to be a role of FDA, and I don't know if FDA has expertise at this point to help
18 establish this robustness in terms of informed consent, but I think we can't leave it totally in the
19 hands of the health providers at this point until they understand the complexities and nuances
20 of all this genetic testing.

21

22 I do disagree with Judy in saying that we shouldn't call it genetic education and counseling. I
23 do think that to make it so broad to cover a patient, I think we still have to hone it in because it
24 is different to me for healthcare professionals, and they do know what they're talking about
25 maybe with patient education, maybe not as much with genetic education.

26

27 DR. McCABE: Steve, do you want to respond?

1 DR. GUTMAN: Actually, I do. We always like it when people who are formulating ideas for
2 us formulate what they want fair and square, and then we will take those ideas and deal with
3 them in the best way that we can. To be perfectly honest, there are actually two issues at hand
4 here. One is that you are very much at the edge of our legal framework in terms of where we
5 have historically been. We do have precedent for pushing the envelope when we get worried
6 about tests and all kinds of either traditional or untraditional ways, but we certainly haven't
7 visited this particular enterprise before, and you're correct, we don't have any particular
8 expertise. We're growing expertise. We can seek expertise, but we don't have expertise to
9 bring, and I think that there is this -- so what's easy for us in terms of this charge is it's easy for
10 us because we feel so passionately about honest labeling. It's easy for us to try and focus on
11 having the information there so that anybody who does bother to read the label might be able to
12 figure out and maybe to have the label lead towards particular decisions. It's important to us as
13 we're looking at different models to make this more publicly available, so not only providers
14 but maybe interested patients have access to this labeling and can understand if they're worried
15 about their own disease management. It might be harder for us to mandate informed consent. I
16 don't know. It might be something we could do, but there is a subtext, I mean, a very profound
17 subtext. I don't know who here has had a medical procedure in the last year or two. I have.
18 I've seen what informed consent in much more mundane situations are. I had an instance where
19 I was naked on a gurney, having been pre-medicated and asked to sign, and I would have
20 complained to the department head except it was the department head. And so the deal here is
21 that this is a very small part of a very complex problem and we're from the government and
22 we're here to help, but I don't know that we will actually be able to solve all of the problems.

23

24 MR. HILLBACK: Could I just add, Steve, because I would ask how you guys would have any
25 chance of keeping the information up-to-date on a "label" copy back to our iterative situation
26 that we're in. This becomes a massive update process which I think becomes onerous and in
27 the way.

1 DR. GUTMAN: Well, I actually think we would look for it, if we had public databases that
2 either we used or that we leveraged, we would look for mechanisms for update, but I think that
3 the issue here of when the test tips over from a test where you really become alarmed versus
4 the background test where you worry, and I actually treasure your notion that I don't think you
5 should devalue even male pattern baldness. Maybe I'm becoming more sensitive about that
6 particular disease. But I think that the number of instances when you cross that threshold,
7 based on new information, would actually be relatively small. So I don't see that as particularly
8 problematic.

9
10 DR. LLOYD-PURYEAR: We also have significant problems with actually the purpose of this
11 document because it's gone from, I think, being a guidance document, which is what I thought
12 the original purpose was, to actual standards and recommendations, and I think there are many
13 papers on guidance and national standards or recommendations generally don't exist because
14 we generally cannot mandate the conversations and the kinds of conversations that take place
15 between healthcare and public health practitioners and their patients. I think to think that this
16 just affects FDA, part of CDC and CMS is also a significant oversight because this will have
17 far-reaching, if these recommendations are carried out, would have far-reaching effects on both
18 other parts of CDC, HRSA and NIH. These recommendations will affect newborn screening
19 programs, public health programs, AHRQ, I forgot, practice guidelines, public health and
20 healthcare professional behavior in general. So I don't think you can narrow it to an FDA
21 requirement or just an affect on FDA and CMS and because I think these issues are also
22 relevant to research in public health and in the clinical setting, if you look at the aspect of tests
23 being a continuum between research and clinical practice, especially with genetic tests.
24 Generally, FDA spoke up because I was going to point out this is not an FDA role nor a CLIA
25 role to mandate this kind of or have oversight of this kind of clinical behavior. And there is
26 something similar that was done with vaccines and it was done by regulation, the National
27 Childhood Vaccine Injury Act. They required vaccine information sheets to be handed out

1 with every vaccine that was given and that was the conversation, that was the informed process
2 and that, I think, is similar to what FDA is focusing on that label of what you know, what you
3 don't know, what are the possible adverse events, what are the problems, what are the risks, and
4 I think that is really where we have to go. But I think FDA already answered that this is not
5 going to work for FDA.

6

7 DR. KOENIG: Can you be more clear about what you mean? I'm sorry. I don't see how this is
8 establishing standards.

9

10 DR. LLOYD-PURYEAR: Well, because you talked about national standards and
11 requirements, and when you start making recommendations, --

12

13 DR. KOENIG: Strike the word "standards" because that was just a mistake, and I corrected it
14 when I was actually reading it to say that this was guidance. So take out the word "standards,"
15 which I agree is a loaded one.

16

17 DR. LLOYD-PURYEAR: When you start making recommendations to a Federal agency to
18 have something done, that becomes national standards, that trickles down and that has far-
19 reaching effects, and it goes back to what Elliott said. I mean, this is a conversation between
20 people, and I don't think you're going to have one size fits all.

21

22 DR. WILFOND: Actually, Michele, I think the entire working group would agree with you
23 entirely, and I think that we're being perhaps slightly misunderstood, and I think the reason for
24 that is because the order of our recommendations has all the FDA recommendations up front. I
25 think in general, our thought is precisely what you said, what Elliott said, that what's most
26 important is that conversation goes on between providers and patients, but all we want to do is
27 to say there might be some limited circumstances in which it's very important that a certain

1 more robust approach to consent be taken and perhaps FDA could be one of the places where
2 that decision making occurs, and I think we intended to think that this would happen in the
3 limited set of circumstances, that the vast majority of times, there wouldn't be any involvement
4 at all, other than that the importance of information be provided to FDA and a decision be
5 made in collaboration, that it did not reach that threshold of complexity.

6
7 DR. CHARACHE: First, I certainly have enjoyed the thoughtful assembling and integration of
8 the factors that go into consent and the concept of a continuum between robust and not as
9 opposed to tabular forms, but as we look at these factors that go into it, it seems to me that
10 they're really separable into very distinct populations. One is a set that pertain to an individual
11 patient and that includes the purpose of doing the test when there are multiple purposes for
12 doing the specific test. The other component are those things that are test-dependent. Now,
13 obviously FDA can't deal with things that vary by patient. They could only deal with things
14 that are test-specific. So examples of test-specific factors would be the purpose of doing the
15 test that was submitted by the sponsor which is what FDA has to work from, and the second
16 factor would be the robustness of the test, how secure the validity information is known, what
17 kinds of limitations or what kinds of data you want to be sure is provided in the result returned.
18 So there are test-specific things which can be very clearly delineated that FDA could monitor if
19 this were considered desirable. The advantage of having FDA indicate according to guidelines
20 what tests need a robust type of informed consent is that it can be monitored, and I think we're
21 all aware of the fact that doctors or healthcare providers or patients will order tests that should
22 have a robust factor to it that don't and there's no way of monitoring it. Now, in terms of the
23 monitoring, we can come back to how that should be, but I will strongly urge that it be only a
24 check box that somebody has done this and that is documented for various purposes, that it has
25 been done and doesn't get into the issue of the relationship between the person who asked for
26 consent and how this was done because we can't monitor that. That's patient care. So if we
27 separate those concepts, it should be practical for a sponsor, based on the characteristics of the

1 test, to suggest what level of consent they feel is warranted. Clearly FDA would need deemed
2 status to refer to others with expertise in a given disease state the decision making on what kind
3 of recommendation to make, and it would then be feasible, if FDA felt it was warranted, to
4 indicate either that informed consent is required or, if that's not legally possible, that informed
5 consent is strongly recommended with the sponsor choosing the wording for why this should
6 be the case. It would have to be test-dependent. It would have to include a statement that
7 indicates that these are minimum standards and does not suggest that for a given use or a given
8 individual, they might not be more stringent.

9
10 DR. McCABE: I have Muin, Wylie, Victor, Kate, and Joann, and I would ask anyone else who
11 then goes after Joann and in fact these individuals as well, we need to start giving some
12 specific guidance, probably not as blow by blow as you had anticipated, but some specific
13 guidance back to the co-chairs who can take these back to the work group and make the
14 changes in the document.

15
16 DR. KHOURY: Actually, I do have very specific guidance here. We need to be careful not to
17 throw the baby out with the bath water here. There is a lot of good stuff that this document
18 represents, a lot of hard work, all the elements are here, and I think once we start going through
19 the recommendations, you might see that we have more agreement than disagreement around
20 the room. When Wylie said something, it triggered the chain reaction in my mind, which is
21 about this sort of having selected situations for that higher threshold robustness, if you will,
22 and that reminded me in a way of the work that the initial Data Group and the classification
23 issues we did way back when, and at the end of the day, we abandoned it, and I'd like to
24 propose here a similar approach, and I think where you guy sort of ended up was kind of in that
25 vein, this continuum. But I'd like to propose a three-pronged approach that the Data Group has
26 adopted. One is an FDA process, a CLIA process, and a postmarket data collection process
27 specifically around informed consent and the psychosocial and ethical issues. The FDA

1 process could be as extensive or as simple as the labeling issue that this premarket template
2 that people are feeling would give an indication to the level of complexity and maybe they can
3 monitor. We can discuss that FDA piece, but I don't think you should push them too much to
4 the edge of their legal landscape as Steve said earlier. So an FDA piece that may be all there is
5 to it is what we know and what we don't know at the time the test is submitted for certain
6 intended use and borrowing some of these elements of complexity which are some -- these are
7 data issues. I mean, clinical validity, clinical utility and then psychosocial implications, and
8 then the CLIA will take over from there. They have a piece around sort of working with the
9 labs, maybe working through a box and they're going through that as we speak. And then we
10 shouldn't forget that after all this is done, as these other parameters are being refined in the real
11 world, clinical validity, clinical utility, that the funding agencies can begin to sponsor studies
12 that would look at the use of tests in the real world, including informed consent decision-
13 making processes, as part of the ELSI framework. I was going to suggest that the Data Group
14 work with the Informed Consent Group as we begin to do those case studies, Wylie. I mean,
15 when we start going through the BRCA1 and the newborn screening, since we have all these
16 piles of information that came down from the agencies, that we would consider in that time line
17 whether in the postmarket phase there are any data that have been collected specifically about
18 these issues for the case studies that we have, we are considering.

19
20 So anyway, in summary, three-pronged approach, an FDA process that could be as simple as
21 the labeling, the CLIA process, and then intensive postmarket data process, because we can
22 never ensure that tests will not be used outside in the off-label phase, and we need to document
23 what's going on in the real world, so that if FDA needs to intervene and that probably is in the
24 rare situation, at least they will have the real data in hand, rather than just concerns of people.
25 These are my specific comments.

26
27 DR. BURKE: Well, I'm going to get even more specific. I thought when I first heard this, that

1 I liked the general idea but it felt too complex, and so I threw out that maybe we could
2 simplify. I think what I'm hearing from comments, particularly Judy's comments and Steve's
3 comments, that simplification is hard to achieve and it would be difficult for FDA to implement
4 as a mandate for informed consent, even a simplified definition of what meets the need for
5 robust counseling. I'm also very impressed by Elliott's comment. I do think that if you boil it
6 down to a threshold and say above here, you need robust informed consent, you are implicitly
7 saying below here, you don't need to worry about it very much, and I think there's a fair amount
8 of danger there.

9
10 So I'm wondering if maybe the right approach here, and actually I'm going to propose this, is
11 not to have FDA involved in this process in any way, except that the labeling standards that
12 FDA monitors as part of its review process include comment about the importance of informed
13 consent, but I don't want to lose the threshold work that you guys have done. I'm going to
14 propose that maybe the most important recommendation you've made is to CMS, that what we
15 may be talking about here as our most important operational recommendation being to say that
16 there are certain tests with certain kinds of characteristics for which CMS should agree that
17 genetic counseling services are an appropriate adjunct to the test.

18
19 DR. McCABE: I quite honestly must agree with that. I was very impressed with that
20 recommendation because we are adding a burden to the health professional here, and it's a very
21 important burden because it really has to do with a very realistic education of the consumer of
22 this test, and I was impressed that we might be able to get CMS' attention and pay for this and
23 that's an important concept.

24
25 DR. PENCHASZADEH: Yes, I agree with Muin, that we have many more agreements than
26 disagreements and that I would take issue with Elliott's concern that putting a threshold for
27 high or robustness of the process means that unless you reach that threshold, you are not

1 required to informed consent or to simply discuss with a patient what you are going to do and
2 what type of tests you are ordering. I don't know exactly whether this is a role for FDA, if
3 FDA can really legally do that, but I compare this simply with labeling for drugs and medicine.
4 After all, FDA does tell us what is the appropriate use for particular medications. I don't know
5 why we can't have some system by which some agency protects patients and consumers in the
6 sense that makes sure that some kind of a discussion occurs between professional and patient. I
7 think that the example that Steve just gave about his own personal experience tells us what
8 occurs in the real world of medicine, and one should try to put some protections there to use a
9 test. We heard yesterday that this is a very intense investment market, that tests are going to be
10 marketed directly to consumers more and more. So where will the health professional be when
11 a patient decides to go for a test because of the direct-to-consumer marketing? So I think that
12 some provisions have to be there to make sure that this is done and monitored in a way. I
13 would second what Muin proposed regarding this three-pronged approach. I still think that
14 there is a role for FDA, at least that's what we heard in our working group, that FDA can have
15 some leverage to at least determine according to some guidance and in consultation and with
16 deemed status characteristics of tests or use of tests that will require robust consent and that's
17 all that we're saying in the rule.

18

19 DR. McCABE: I have five people in the queue. I'd ask that you be very brief, so that we can
20 end in time for the break.

21

22 MS. BEARDSLEY: Yes, I'd like to put aside sort of the question of FDA involvement which
23 strikes me as in some respects a detail of enforcement and think a little bit, at least to me, what
24 maybe is really important here are a couple of things. One is the notion that a test developer
25 when it develops its test is going to create some kind of piece of paper that's intelligible to
26 consumers about this test and that it's going to make that piece of paper available. That strikes
27 me as a really important thing, and we ought to make sure we don't lose that. Secondly, that a

1 test developer when it develops a test is going to think about informed consent. Now, I agree
2 with you, Judy, that the test developer can't figure out how an informed consent should be
3 implemented one way or the other, but I do think that the test developer's in the best position to
4 think through sort of the big picture general items and that it's important that they do that and
5 it's important that that gets communicated in some way. So I think we need to make sure we
6 preserve those two things and maybe think a little less about the FDA piece.

7
8 DR. BOUGHMAN: The last two or three comments actually lead in very well to the kind of
9 larger box issue that I wanted to raise. We've been talking about informed consent, and it
10 seems to me that it is the informed part of this process and the labeling, the way Kate just put
11 it, that if not the test developer, who should be able to in fact give the best information about
12 use, intended use, whatever. It is the consenting process, the sharing of information and
13 feedback between the clinician and the patient that came along with the total package of
14 informed consent that I think our colleagues around the table are feeling uncomfortable about.
15 So that, if in fact we kept the informational part, the informed part of the informed consent
16 process applying to those issues up front with FDA and make it absolutely clear that the
17 consenting process which is a patient/provider relationship and that's where it could even be
18 emphasized more clearly, that sometimes that process is so complex that we would urge that
19 formally-trained individuals in the area be involved in that process. I think we could make it
20 even stronger, yet simpler, by dividing those two components.

21
22 DR. LLOYD-PURYEAR: Actually, I agree with that, and if you could phrase your or put the
23 framework of your document more clearly as points to consider when engaging in informed
24 consent, I think that --

25
26 DR. KOENIG: That's what it is, actually.

27

1 DR. LLOYD-PURYEAR: Well, yes, but that wasn't even clear to me because of the
2 recommendations at the end, that you were leading up to those recommendations, and your
3 audience was the Secretary. I can't remember what you said, but it was a different audience
4 than healthcare professionals.

5

6 DR. KOENIG: We're not writing a how-to document for healthcare professionals.

7

8 DR. LLOYD-PURYEAR: Except that I think this is the basis of it. I think these points to
9 consider should be the basis of what a physician, a public health practitioner, are going to need
10 to consider when engaging in the process of informed consent.

11

12 DR. KOENIG: Well, that would actually be a different task. We're not trying to write it --

13

14 DR. LLOYD-PURYEAR: But that's what I thought the original task was. When I questioned
15 this a long time ago, that was what I was told the original task was and Wylie's shaking her
16 head yes.

17

18 DR. BURKE: I'm agreeing with you that I think that's what they've accomplished.

19

20 DR. LLOYD-PURYEAR: Yes, because that's what I think this is.

21

22 DR. BURKE: I think it's a wonderful --

23

24 DR. LLOYD-PURYEAR: If you take away the recommendations, I think it's a wonderful
25 document on informing people who are going to be engaging in giving and talking about
26 genetic tests of what they need to consider. It's great for that, and if you limit, going back to
27 what Ed said, ourselves to two or three of these recommendations, the one for CMS, which I

1 think needs to be done and they need to be brought to the table on the issue of reimbursement,
2 and I think this is a concrete thing that they need to address because we're not being covered for
3 that and the convening of the conference, and I don't know about the issue of the FTC, but I
4 think somehow they need to be brought in to look at the issue of direct marketing to consumers.
5 So those are three areas.

6

7 DR. KOENIG: That explains your hostility to the document, I think, the fact that there's this
8 misinterpretation.

9

10 DR. LLOYD-PURYEAR: It's not hostility, just disagreement.

11

12 DR. KOENIG: But just to make it clear that we were interpreting our task as figuring out how
13 informed consent relates to oversight and that is an important issue. It's not a trivial issue.
14 This is not just about education for providers. There are also serious oversight issues in this.

15

16 DR. CHARACHE: Coming back to Kate's point, I think the key thing is the goal as opposed to
17 the details of the how-to, but certainly if the laboratory did not have to worry about check
18 boxes, it would be an incredible relief. This would be a very cumbersome and expensive thing
19 to have to do and the suggestion was made because it can be monitored. One of my questions
20 is, whether it would be possible to see how significant the problem is at the present time with
21 two pilot thoughts. One is to get some developers and these can be people in the laboratory-
22 developed test arena, it doesn't have to be commercial outfits, to consider the tests they offer
23 and what kind of informed consent and what criteria they feel is necessary or what tests they
24 would consider robust and see what kind of a consensus we get, separating what's patient-based
25 from what's test-based. The second thing that might be interesting is to choose a few tests, and
26 I can think of some in our own institution, which everyone would agree should be robust, and
27 these are largely neurologic predictive diagnostics and monitor what's going on. How often is

1 there a documentation of informed consent in the patient record? What really is the problem
2 that we're trying to answer and how severe is it, and therefore what type of stringency is
3 essential?

4

5 MS. CARR: I'm sorry. Could I just ask you two questions? Because I thought earlier, you
6 were suggesting that the check box idea was a good one.

7

8 DR. CHARACHE: It is a good one but only if there's a legal basis for having it, and the only
9 legal basis I can think of would be a strong recommendation or requirement by FDA that this
10 test, based on test characteristics, warrants the box.

11

12 MS. CARR: So if you would take away the FDA role, then that would go away as well?

13

14 DR. CHARACHE: There has to be some group that makes that decision, and I think that it
15 would most likely fit in the purview of FDA, if it were test-associated as opposed to patient-
16 associated, and if FDA felt they could meet that charge and again it would require deemed
17 status groups to help them.

18

19 MS. CARR: And secondly, who would you suggest do the monitoring of how the tests are --
20 the ones that we all agree might need robust -- how that would happen?

21

22 DR. CHARACHE: This would be done through the regular reviews. They look at requisitions
23 when CAP or Joint Commission or somebody reviews a lab, and they can just ask for the stack
24 of requisitions for genetic tests and see whether the specific kinds of tests that are of concern
25 have check boxes.

26

27 DR. McCABE: And that would be CLIA?

1 DR. CHARACHE: That would be CLIA. That recommendation was made by the Genetics
2 Working Group of CLIA, that for those tests in which others decided this was required, this
3 would be the mechanism that was recommended.

4

5 DR. McCABE: I have Steve, Reed, Judy and Ann, and I'm going to cut it off at that, so that we
6 can wrap it up.

7

8 DR. GUTMAN: I don't wish to suggest FDA isn't willing to consider helping here. I just don't
9 want to promise something we can't deliver since it's not clear to me exactly where the limits
10 are in terms of -- certainly we can require all kinds of clever labeling. I'm not so certain we
11 could actually mandate informed consent. We're certainly willing to explore that, however.

12

13 DR. TUCKSON: I guess I'm getting a little confused by what we have left, but let me just then
14 succinctly say, I think what I want to just make sure that doesn't get lost here is, that we're not
15 losing this, is that somehow the only place I can imagine is FDA is the only place that can
16 assemble all of the information that is absolutely necessary for informed consent to occur and
17 as long as that's not being lost, and we also have to understand that the test developers do not
18 have a natural incentive to make this information available, not in the way in which people
19 need to make rational decisions. They have an incentive to sell a test, many of them, and to
20 market a test, and so while there may be some good folk, there are some people that are trying
21 to make some money. So I would urge that there is some explicit determination that all the
22 information that's necessary to overcome any conflicts of interest around that information is
23 necessary.

24

25 Second, and I don't know if it is part of this report or some place else, but we are making an
26 assumption that that information can then, on consent and issues of cost, can be somehow
27 connected and made available through some mechanism to people called "counselors." Now, I

1 don't know how that's supposed to happen, but that's an essential part of the thesis here, and
2 then, finally, and I don't think it's for this group, but I don't know whether our Committee has
3 dealt with it, there is this assumption in the recommendation, which I agree with but unproven,
4 that these counselors can take this information and somehow or another participate in a rational
5 decision-making process that people then don't get preyed upon or protected and that, you
6 know, rational use of limited healthcare resources and all those other sort of things and we're
7 recommending that that be paid for. By the way, we need to at some point have one of our
8 subcommittees start to look at who actually does that work because at the end of the day here,
9 what we may be liable of doing is making a recommendation that drives up healthcare costs
10 like crazy because of all these new tests and driving up healthcare costs by paying more people
11 to participate in the process of irrational use of limited healthcare resources and you have two
12 inflationary things going on at once which is a frightening proposition.

13

14 MR. HILLBACK: Yes, Reed. I'm sorry to jump in.

15

16 DR. McCABE: Keep it brief, Elliott.

17

18 MR. HILLBACK: Giving someone wrong information. Having them do a surgical procedure
19 they don't need or having bad healthcare and ending up costing the system more money. So I'd
20 be careful to judge this on economic grounds. I'm sorry to jump in, but I just don't accept that
21 argument as appropriate.

22

23 DR. McCABE: Okay. Please, everyone, keep your comments very brief.

24

25 DR. LEWIS: I was just going to say that the recommendation in terms of reimbursement for
26 counseling services through CMS is something that fits very nicely with the current work of the
27 Access Group as we're working on looking at both guiding principles and reimbursement

1 issues. So as that gets fleshed out, that may be an appropriate place for that one to play out.

2

3 MS. BOLDT: Ditto.

4

5 DR. McCABE: Barbara, I know you had a couple of questions.

6

7 DR. KOENIG: Well, first, I just want to reiterate the fact that we knew that we were pushing
8 the legal limits of FDA, and we did that purposely and on the advice of our FDA representative
9 that we should not confine our thinking to the current situation but actually look at the ideal
10 situation. So just to throw that in.

11

12 I just want to see if we can understand which things we're agreeing on, which we're not
13 agreeing on very, very quickly. So maybe to start, it sounds like there's general agreement
14 about that as a recommendation. It's going to need to be refined, though, because we certainly
15 don't want to suggest that you have to have a genetic counselor every time you do a
16 pharmacogenetic test. So that's why this needs to be tied to some standards and some threshold
17 when it's important. So that's why this is all tied together.

18

19 Then secondly, what about the issue of the conference to further identify and define this issue,
20 possibly to identify some of the current practice issues, such as what Pat suggested? Is that
21 something that there is consensus and agreement on? I just want to raise that so that we could
22 get some consensus. If there are any dissenting voices about that, could we get them out?

23

24 MR. HILLBACK: Ed, could I propose something?

25

26 DR. McCABE: Briefly, Elliott.

27

1 MR. HILLBACK: Yes, briefly. I think what I would like to propose is that we, as an umbrella
2 statement, we come out strongly in favor of increasing the effectiveness and the performance of
3 informed consent and then start to list things under that that would help. I agree more
4 information out from the labs is probably on that list. I certainly agree that some sort of
5 reimbursement encouragement to allow the time for this to be done is partly there. I think
6 we're back to our education and training of the practitioners. There's a number of things that
7 could go on that list, but I think the strong statement we ought to make is if you don't have
8 informed consent, you have a great opportunity for error here, and we need to reinforce
9 informed consent and then laundry list things under that that will help do that. But I'm still
10 very much against FDA getting in that loop. I think there are lots of other better ways to do it.

11

12 DR. McCABE: I'd just remind everyone that the origin of this goes back to our original
13 oversight report where we basically stated what Elliott has just said and what we had asked this
14 work group to do was to flesh out what is two sentences in our recommendations in the
15 oversight report.

16

17 DR. BURKE: I wanted to make a comment that I think is in direct response to Barbara's
18 question, and it's based on what I think I'm hearing, but it's also a very specific
19 recommendation. I would recommend that your Recommendation Number 9 to HHS should be
20 the first recommendation and that actually that should be combined with your first
21 recommendation to the private sector. That is, I would move that we support a
22 recommendation for convening of a conference that is not just HHS agencies but includes
23 professional organizations to talk about a threshold issue that remains.

24

25 From my perspective, in terms of what we have left in your first set of nine recommendations,
26 I'd say we're still very interested in Recommendation Number 1. That is, we'd like informed
27 consent recommendations from the test developers to be part of the labeling, and there's a very

1 clear interaction between the conference that defines some guidelines and standards and the
2 labeling. I haven't heard any dissent from Recommendation Number 6, which is to think about
3 a good oversight process for direct-to-consumer testing, and I think that's all of the
4 recommendations really that this conversation supports in the first nine. If you look at the last
5 five, what I've said is I think the first one and the set of last five is really part of the conference,
6 and I think we are supporting everything else except Number 3 which I think falls out.

7

8 DR. KOENIG: Which is?

9

10 DR. BURKE: When a genetic test is labeled as requiring an intensive consent process, and if
11 we're no longer going to have that formal standards requirement, then we don't need that
12 recommendation. I think the intent of that recommendation is folded into others.

13

14 DR. KOENIG: Could I just raise one thing, though, about this issue and resisting somewhat?
15 We were working on the assumption that there were some tests which could only be safely
16 offered in clinical practice if a robust consent process accompanied those tests. Is that a
17 generally-shared assumption? Because if that's the assumption, then we can think of different
18 mechanisms to deal with that in terms of oversight. Now, again, I'm not talking about practice
19 but the oversight elements of that. Is there agreement? How many people agree with the idea
20 that there are some tests that can only be safely offered in practice if there is a robust level of
21 informed consent attached to them?

22

23 MR. HILLBACK: I do. I think you're trying to create something artificial personally.

24

25 DR. WILFOND: But the question, though, is whether there should be oversight of that,
26 though. Barbara, I want to make sure that you're clear that you're separating out whether the
27 process should be robust or whether there should be oversight to ensure the process should be

1 robust. Which are you asking or are you asking both?

2

3 DR. KOENIG: I'm asking them in sequence. So first, if we're in general agreement about that,
4 then the second step is should we tie our suggested oversight process to this need?

5

6 DR. McCABE: We're going to have to hold some of this discussion till this afternoon. We
7 have guests that are to appear before us. I think that you have some general guidelines. I think
8 the issue really has to do with that you don't set a threshold and say everything below that is
9 trivial. I think that's one of the messages that you should get. I think the other issue is how you
10 would deal with the robust consent in a way that doesn't create an artificiality that begins to
11 infringe on the health professional/patient relationship. With that, we're going to take a 10-
12 minute break. We will resume sharply in 10 minutes.

13

14 (Recess.)

15

16 DR. McCABE: Well, our next session is on exploring the collection, use and analysis of data
17 on race and ethnicity in genetic research and genetic testing. I want to thank all of our
18 presenters for coming here and being with us today. We certainly appreciate your input on this,
19 and now I'm going to turn this over to Dr. Wylie Burke, who will chair this session. Wylie?

20

21 DR. BURKE: Well, I also want to welcome all of the members of the panel. Thank you very
22 much for taking time from your busy schedule to come here and talk with us and to help us to
23 understand the collection used in analysis of population data, particularly as they relate to
24 genetic research and to genetic testing. We appreciate your help in bringing us up to speed and
25 helping us to understand the issues and in particular to help us to identify what are the policy
26 issues related to the collection of population data and to the use of identifiers related to racial
27 and ethnic identities, how those relate to policy and how those might have relevance to the

1 tasks of this Committee. So today, we will, with this esteemed panel, explore how and why
2 racial and ethnic population data are collected and analyzed and used in social and health
3 policy; how and why the categories are used in genetic research and in the provision of genetic
4 testing; what the concerns are about the use of the race and ethnicity categories that are used
5 currently; and to what extent a testing context, the purpose of a test or the particular research in
6 question might be relevant for the collection of these kind of population data.

7
8 So obviously we have a lot of ground to cover, and with apologies to the panel, what I'd like to
9 do is rather than making formal introductions just briefly describe the flow of the panel. I do
10 want to mention to and remind Committee members that we do have biographical data, both in
11 our notebooks and in our folders, for each of our panel members. So let me just outline how
12 we'll proceed. We're going to begin with Dr. Claudette Bennett. Dr. Bennett is Chief, Racial
13 Statistics Branch, U.S. Census Bureau, and she's going to be reviewing the types of data that
14 are collected by the Census Bureau, about the racial and ethnic background of the U.S.
15 population and explain why that data is collected and used. She'll also discuss a recent change
16 made in the categories and give us some indication of what the data on race and ethnicity from
17 the 2000 Census tell us regarding the U.S. population's racial and ethnic background. Next,
18 we'll hear from Dr. Olivia Carter-Pokras, who is Director of the Division of Policy and Data in
19 HHS's Office of Minority Health. Dr. Carter-Pokras will describe what health-related data by
20 race and ethnicity are collected by the agencies of HHS and why these data are important from
21 a health policy standpoint. Then Dr. Robert Desnick, who is Chairman of the Department of
22 Human Genetics at Mount Sinai School of Medicine, will describe how race and ethnicity
23 population categories are used in genetic research and in decisions about clinical genetic
24 testing related to disease mutations. Then, Dr. Steven Mack, who's a Visiting Scientist at Roche
25 Molecular Systems, will review why and how race and ethnicity population categories are used
26 in genetic research and also clinical genetic testing with respect to pharmacogenetic
27 applications. Then Dr. Charles Rotimi, who's an Associate Professor in the Department of

1 Microbiology and Director of Genetic Epidemiology for the National Human Genome Center
2 at Howard University in its College of Medicine, will talk about his work which includes a
3 longstanding scientific interest in the patterns and determinants of common complex diseases
4 in populations of the African Diaspora. Dr. Rotimi will review what is currently known about
5 variation in disease susceptibility among populations, what scientific research is underway on
6 genetic variation, including variation among groups, and how the groups and their genetic
7 differences are categorized and reported. Then Dr. Joseph Graves will talk with us. He's a
8 Professor of Evolutionary Biology at Arizona State University, West. He's also the author of a
9 new book called "The Emperor's New Clothes: Biologic Theories of Race at the Millennium."
10 Dr. Graves will review those theories, discuss why the concept of race is especially
11 problematic when it's associated with genetics and suggest other population categories that
12 might be used in research and clinical practice. And I just want to note that members of this
13 Committee, you may remember the public comments that Dr. Graves has given previously to
14 this Committee in October 2000. Then Dr. Lisa Brooks, who's a Program Director of the
15 Genetic Variation and Genome Informatics Program at the National Human Genome Research
16 Institute, will talk to us about a new project underway, under the auspices of the Genome
17 Institute, called the Haplotype Map Project, and how that will advance knowledge of human
18 genetic variation and the genetic contribution to complex diseases with particular attention to
19 how samples of different population groups will be identified. And then, finally, Dr. Jean
20 McEwen, who is a Program Director also at the National Human Genome Research Institute in
21 the Ethical, Legal and Social Implications Program, will review studies that are being funded
22 by NIH to advance knowledge of the ethical, legal and social implications of research in
23 genetic variation in different populations. So we really appreciate all of you being here and the
24 detailed and very interesting conversations that we're going to have with you, and I will now
25 turn the podium over to Dr. Bennett.

26

27 DR. BENNETT: Good morning. In the presentation, "Exploring the Collection, Use and

1 Analysis of Data on Race and Ethnicity," we're not going to talk about the genetics part. We're
2 from the Census Bureau. We talk about the collection. So we're going to leave all the genetics
3 to everybody else to talk about. With respect to the outline of the presentation, we were
4 basically given a list of questions, and the presentation is going to follow the order of the
5 questions that we were asked to address. The first one being what type of data are collected by
6 the Census about the racial and ethnic background of the U.S. population. We're going to talk
7 about why are these data collected, what racial and ethnic categories are used to collect these
8 data, why were the categories changed recently, and how has the data on race and ethnicity
9 from the 2000 Census been analyzed and, if so, what do we know about these data as it relates
10 to racial and ethnic population?

11

12 Just a little bit about the type of data on race and ethnicity collected by the Bureau of the
13 Census. First of all, let me say that the categories used by the Bureau of the Census to collect
14 information on race, Hispanic origin and ancestry reflect social and cultural uses. They do not
15 reflect biological, anthropological or genetic, but we use three separate questions to collect
16 information on race and ethnicity and those three questions are: race, where we also get
17 detailed information on American Indian, Alaska Native tribes, detailed information on the
18 Asian population and on the Pacific Islander population; and we asked a question on Hispanic
19 origin; and we asked a question on ancestry. I'm going to talk a little bit about the question on
20 race and the question on Hispanic origin as I go through the presentation. The question on
21 ancestry is asked only of a sample of the population. So I'm not going to talk about any of that
22 information because that information is not available yet, but some of the results from the 2000
23 Census are available with respect to race and Hispanic origin.

24

25 So why are the data on race and Hispanic origin even collected? The data on race and Hispanic
26 origin are collected to fulfill a variety of legislative and program requirements, such as state
27 redistricting, monitoring local jurisdiction compliance with the Voting Rights Act,

1 implementing Acts, such as the Civil Rights Act, the Public Health Act, Fair Housing Act,
2 Equal Employment Act, Healthcare Improvement Act, Job Partnership Training Act, and a
3 whole mirage of other legislative or programmatic requirements.

4
5 I just want to talk about the question on Hispanic origin because this is a question that was used
6 in the 2000 Census to collect information on Hispanics. Most of you in this room probably
7 already know but I'll say it just again. The Federal Government treats race and Hispanic origin
8 as two separate and distinct concepts. Persons of Hispanic origin may be of any race. So in the
9 2000 Census, in compliance with the 1997 Office of Management and Budget's directive,
10 which pretty much revised the 1977 directive, gave us some instructions that basically said that,
11 first, we wanted to sequence the question on Hispanic origin before the question on race, that
12 was the first thing. And we did that to try and reduce the non-response of Hispanics to the race
13 question and also to reduce the non-response of non-Hispanics to the Hispanic origin question.
14 So you see that there's a note on the top of this question that says "Answer Both Questions 5
15 and 6." This was our clue to the respondents that there were two separate concepts. The
16 Federal Government is treating these as two separate concepts, one on Hispanic origin, where
17 we asked every household to identify the members in the household whether they are Hispanic
18 or not Hispanic. If they are Hispanic, we asked them to indicate whether they are Mexican,
19 Puerto Rican, Cuban, or some other Hispanic, like Guatemalan, Dominican. Those are other
20 types of Hispanics.

21
22 Then we had the question on race. The question on race had 15 separate check boxes, plus
23 three write-in lines. A whole lot of information we collected in the 2000 Census is on race.
24 You look at this question, you're going to say, well, there's some ethnic groups that are included
25 in this question and that is in fact correct, because you see the listing of the detailed Asian
26 categories. Well, the detailed Asian categories are listed on the Census form because they were
27 initially included to capture the immigrant population that started to come into the United

1 States in the 1860s, and every Census, we have pretty much added categories to capture the
2 immigrant population, and in preparation for the 1990 Census, where we did some research to
3 take the detailed Asian and Pacific Islander categories off and have a category called Asian or
4 Pacific Islander, the Asian and Pacific Islander community lobbied the Congress of the United
5 States to have their separate listing because they had a history of having those groups listed.
6 So you see the categories on the form includes what the OMB called race category, and OMB,
7 in 1977, identified four racial groups. In 1977, they identified white, black, American Indian
8 or Alaska Native and Asian or Pacific Islander. In 1997, after an extensive review, those
9 groups, Asian and Pacific Islander was split into two separate categories, one called Asian, the
10 other called Native Hawaiian and Other Pacific Islander. So you see that reflected on the
11 question. We tried to format the question in such a way that there was a delineation between
12 the ethnic groups that comprised the Asian population and those that comprised the Native
13 Hawaiian and Other Pacific Islander population as well.

14

15 You also see a category called Some Other Race on this question because the Census Bureau in
16 about 1950 started to try and systematically collect information on persons who were of more
17 than one racial parentage. In preparation for the 2000 Census, after the Office of Management
18 and Budget made the decision to separate Asian and Pacific Islander, we did not go back to
19 them. This is a special category that Census had that all other Federal agencies don't have.
20 The Census Bureau went to the Office of Management and Budget and got an exemption to
21 include the Some Other Race category, and I'm going to tell you a little bit about this Some
22 Other Race category when I start talking about the data because it's a very interesting category
23 in terms of who reports in that category.

24

25 All right. From the 15 check boxes, we are able to take the information and collapse them back
26 into what we call the six alone categories. The six alone categories are the five Office of
27 Management and Budget categories being white, black or African American, American Indian

1 or Alaska Native, Asian, Native Hawaiian or the Pacific Islander, and then we have the Census
2 Some Other Race category.

3
4 When tabulating this information, it is always desirable to have the numbers add to 100, and
5 one of the other new things that we had, I should have mentioned, for the 2000 Census was to
6 allow persons to report more than one race, there was an instruction to the question on race that
7 allowed persons to mark one or more boxes. So in order for things to add to 100, when you
8 have persons being able to report more than one race, you have what we call six alone
9 categories which would be the white alone, black or African American alone, American Indian
10 and Alaska Native alone, Asian alone, Native Hawaiian and Other Pacific Islander alone, Some
11 Other Race alone, and two or more. If you add those categories, they're going to add to the
12 total population. Okay. Somebody may be thinking where is Hispanics in all of this? My first
13 thing that I said, Hispanic is treated as an ethnicity, not as a race. So persons who report as
14 Hispanic also are in the numbers for the race. If you want to treat Hispanic as a race, what you
15 have to do is cross-tabulate the race variable by the Hispanic origin variable and come up with
16 categories called white not Hispanic, black not Hispanic, American Indian, Alaska Native not
17 Hispanic, Asian not Hispanic, Native Hawaiian not Hispanic, Some Other Race not Hispanic.
18 Okay? When you treat Hispanic like a race, you have to take them out of the individual race
19 categories. For comparative purposes, when the Census is showing information, we also show
20 information for persons who are white alone not Hispanic, and the distinguishing things that
21 you need to keep in mind is when we're using the alone, we're telling you that those are persons
22 who only reported that race and nothing else. So let's just see if I can summarize this a little
23 bit. Coming out of the 2000 Census, there are two approaches that one can use to look at the
24 data. You can look at the data in terms of those who report that race alone. So the race alone,
25 for example, would be responders who reported only one race. All respondents who reported
26 white and nothing else are considered white alone. Persons who said that they were white and,
27 say, American Indian, they are in the race in combination category because they reported more

1 than one race.

2

3 Then we said what can we do to confuse the American public? And we came up with a
4 wonderful concept called the race alone or in combination. Okay. Now, the race alone and the
5 two or more will add to the total population. The race alone or in combination is not going to
6 add to the total population. It's going to add to greater than the total population because it
7 becomes a tally of responses and not respondents. Okay. So the individual using the two or
8 more who reported that they were white and American Indian, they're counted in both the white
9 alone or in combination population and in the American Indian alone or in combination
10 population. Everyone in this room's got that, right? Got it.

11

12 All right. Now, why did the categories change between the 1990 Census and the 2000 Census?
13 During the decade, for about 20 years, the Office of Management and Budget was receiving our
14 letters and telephone calls basically saying that the concepts no longer reflected the increasing
15 racial and ethnic diversity. So they started a review in 1994 and coming out of that review
16 were the 1997 revisions to the standards. The major revision that most people know about is
17 that of allowing persons to report one or more races, but there were also statements that
18 allowed the question on Hispanic origin to be placed before the question on race. We also
19 made changes in terms of the terminology that were used, and there was also the discussion
20 about whether or not the new directive was going to reflect new classifications because there
21 were persons from the Arab community who wanted Arab to be treated as a race, and the
22 Office of Management and Budget basically said no, not for the 1997 to that. Okay?

23

24 What are some of the major findings on Hispanic origin and race from the 2000 Census? One
25 of the major findings is the fact that the nation is much more diverse in 2000 than it was in
26 1990. The diversity is more complex, and as I indicated earlier, we measure diversity using
27 two concepts, the question on race and the question on Hispanic origin. We don't use, we

1 being the Census Bureau, in the demographic directive, do not use the term "minority." So one
2 way to measure the nation's diversity is to combine both race and Hispanic origin and come up
3 with categories called white not Hispanic and All Other Races and Hispanic or Latino groups.

4

5 We had about 281.4 million persons, and I'd like to thank everyone in this room for
6 participating in the 2000 Census because I know you did, and as a result of the 281.4 million
7 persons, about 87.5 percent of the population reported as not being Hispanic but 12.5 percent
8 or 35 million persons reported as Hispanic in the 2000 Census.

9

10 What were the major findings with respect to race? Although the 2000 Census was the first
11 opportunity that respondents had to report one or more races, the overwhelming majority of the
12 U.S. population reported only one race. Ninety-seven point six percent of the 281.4 million
13 persons reported one race and 2.4 percent or 6.8 million persons took advantage to report two
14 or more races.

15

16 So what does that mean when we talk about the racial distribution of the U.S. population?
17 Seventy-five percent, and I'm using the alone concept here, not the alone and in combination,
18 okay, using the alone concept, 75 percent of the total U.S. population reported as white alone,
19 about 12.3 percent reported as black or African American alone, nine-tenths of a percent
20 reported as American Indian or Alaska Native, about 3.6 percent reported as Asian alone, one-
21 tenth of a percent reported as Native Hawaiian and Other Pacific Islander, 5.5 percent or 15.5
22 million persons reported in the Some Other Race category.

23

24 The Some Other Race category on the Census for the most part is a Hispanic category in that
25 97 percent of the 15.5 million responses in that Some Other Race category were Hispanic
26 ethnicities, and this is not new to the Census Bureau. We saw that increase in the 1980 Census.
27 We saw it in the 1990 Census and again in the 2000 Census. We think to a large extent that

1 maybe there may be confusion on the part of some Hispanics who don't know the difference
2 that the Federal Government used in terms of treating race and Hispanic origin as two separate
3 concepts. Others may very well be persons of Hispanic origin's effort to have Hispanic treated
4 as a race. So that's what that reflects, and again the 2.4 percent reflecting two or more races.

5
6 I've covered a lot of information. A couple of things I want you to keep in mind. The concept
7 of race, Hispanic origin and ancestry used by the Census Bureau reflects self-identification. It
8 is not enumerated identification, it is self-identification. The data coming out of the 2000
9 Census with respect to race are not comparable to previous Censuses. They're not comparable
10 because in 2000, we allowed persons to report one or more races, but in addition to doing that,
11 there were methodological changes. We changed the ordering of the question. We changed
12 some of the terminology used in the question. All of those things are factors that leads to a
13 lack of comparability with respect to the data.

14
15 I'll try and answer questions if there are questions after this, and if there are additional
16 questions, you can reach me at the Racial Statistics Branch at 301-457-2402, and we have an
17 Ethnic and Hispanic Branch to talk about ethnic and Hispanic statistics. So I will stop at this
18 time. Do we take questions?

19
20 DR. BURKE: Thank you very much, Dr. Bennett. I think what we're going to try and do is go
21 through all of our panelists because we've got a lot of material to cover and then try and save
22 questions for after, and in particular, I want to note for the Committee that we have a
23 discussion period right after lunch. So with that, Dr. Carter-Pokras?

24
25 DR. CARTER-POKRAS: Great. Can everybody hear me? I really appreciate all this
26 assistance with the audiovisual equipment. It's unusual to have so much assistance, and I do
27 appreciate that, those of us who are literally challenged when it comes to AV equipment. I've

1 been asked to talk about racial and ethnic data in HHS data systems, but I went a little further
2 beyond that because I also took a look at some of the questions that I was specifically asked to
3 address in regards to the role of racial/ethnic data in assessing genetic testing, screening,
4 counseling, access to services, et cetera. I also reached out to folks who were members of the
5 Spirit of 1848 listserv for the American Public Health Association and met with the Deputy
6 Assistant Secretary on Health Policy for our Department to get their views because they weren't
7 able to attend in person, and they wanted to say the bottom line to your question, should this
8 Committee delve more into the use of racial and ethnic data in regards to genetic testing, and
9 it's an unqualified yes, because we really need some policy guidance in regards to this. So I'll
10 just go back to the bottom line, but hopefully I'll give you some other things to think about.

11

12 Why do we use racial and ethnic data in health policy? We use it for a lot of reasons. We use
13 it for monitoring trends over time at national, state and local levels. We use it to identify high-
14 risk populations so that we can target interventions. We use it to evaluate programs, to
15 understand the etiologic process and identify points of intervention, and to ensure equitable
16 access to services, particularly to monitor and enforce the Civil Rights Act as well as other
17 anti-discrimination legislation.

18

19 There are two particular efforts that our Department is interested in at this moment. We've got
20 an initiative to eliminate racial and ethnic disparities in health that focuses on six health areas,
21 which include cardiovascular disease, cancer, diabetes, infant mortality, HIV/AIDS, and
22 immunizations, many of which, of course, overlap with your interests with genetic testing, and
23 we also have our national goals and objectives for the Year 2010 for disease prevention health
24 promotion, Healthy People 2010.

25

26 Now, as you know, the Institute of Medicine has been interested in the quality of care for some
27 time, and in summarizing some important aspects of the report in regards to how do we

1 improve quality of care across the entire system, we need to be able to answer the questions, do
2 all parts of the population have access to needed and appropriate services? Do the services
3 meet or exceed their expectations? And is their health status improving?
4

5 So what kind of health-related data are we collecting within the Department by race/ethnicity?
6 First, we've developed an inventory of our data systems that are funded and maintained by the
7 Department, and they're compiled in a directory which I gave you the Website. Many of these
8 data systems, of course, do not collect information on genetic information but some do, like the
9 National Health and Nutrition Examination Survey. In this inventory, we have almost 200 data
10 systems. I think it's 198 at last count. Ninety percent or more of those do collect racial/ethnic
11 data, and they are compliant with the Office of Management and Budget standards.
12

13 We recognize that there's some of the data systems that were not collecting racial/ethnic data or
14 were not consistent with the Office of Management and Budget's standards, and so in 1997, the
15 Department of Health and Human Services issued an inclusion policy to require the
16 Department's agencies to collect and report racial/ethnic data consistent with these OMB
17 standards, but again this is limited to those departmental data systems that are funded and
18 maintained by the Department. We are more limited in our ability to improve racial/ethnic data
19 for those data systems in which we are dependent upon data that are supplied to us from other
20 entities, which is why we had an Interim Final Rule published last summer for the State
21 Children's Health Insurance Program, or SCHIP, and why we had a Notice of Proposed
22 Rulemaking that was published, also last summer, for Medicaid Managed Care to require the
23 collection and reporting of racial/ethnic data to ensure that we have equal access and quality of
24 services that are delivered. The Health Insurance Portability and Accountability Act is another
25 area which we have been working with other businesses and industries to identify business
26 needs for the collection and reporting of racial/ethnic data via electronic transmission of this
27 information. Vital statistics is another example I wanted to give you where we depend very

1 much on decisions that are made by other entities, because what we recommend to the states is
2 a recommended certificate for collecting the information on birth and death, but it's up to the
3 states whether they decide they want to go along with that recommended certificate.

4

5 And how do we collect the data? Well, it depends on the data system. It varies widely. We
6 have the National Health Interview Survey that's a household-based interview survey and self-
7 report is the method of use then. We report by proxy. For example, the Census is an example
8 where we have a mailed questionnaire which it may have been -- my husband may have filled
9 out the questionnaire for me, for instance. Observation. Typically, the funeral director doesn't
10 ask the decedent what race or ethnicity they are, and they rarely gather that information from
11 family members. We may also link to other data sources. For instance, with the link to infant-
12 birth death files, we link information on the infant death with information on the race/ethnicity
13 of the mother that was achieved through the birth certificate.

14

15 The question of wording and categories are also important considerations. We just wanted to
16 reiterate that the OMB standards are considered minimum standards. You may collect
17 additional information on subgroups as well as other information, such as economic status and
18 risk behaviors. We can have open-ended questions, such as we oftentimes do for the death
19 certificate. If you have an in-person interview, you may have a card with a list of categories.
20 You may have a list of categories that is included in the mailed or telephoned questionnaire or
21 form.

22

23 When and how often do we update these categories? Well, the Office of Management and
24 Budget clearance process is one opportunity to update the information as well as
25 implementation for the new standard from the Office of Management and Budget of January
26 1st of 2003.

27

1 Now, in addition to the two collection issues which I've mentioned already, the fact that
2 collection is not required by the Federal Government of racial/ethnic data and the inclusion
3 policy only encompasses those data systems that are funded and maintained by the Department,
4 there are concerns about confidentiality, privacy, legality and potential uses of these data, and I
5 think this is where your interest and efforts are particularly needed. We've had two reviews in
6 the Department. One is with SHIRE, funded by The Commonwealth Fund, by looking at our
7 Federal laws and regulations governing the collection and use of racial/ethnic data. We found
8 out that there are no laws or regulations that prohibit the collection of racial/ethnic data from
9 Federal agencies. Of course, there are anti-discrimination laws in the use of these data. Our
10 office has funded a review by the National Health Law Project of state laws and regulations
11 governing the collection and use of racial/ethnic data by health insurers and health plans, and
12 we found that there are only four states that prohibit the collection of racial/ethnic data at the
13 time of application.

14

15 Missing information on data systems still continues to be a problem. For instance, the National
16 Hospital Discharge Survey finds it very difficult to present data by race/ethnicity because we're
17 missing such a substantial amount of information by race and ethnicity.

18

19 Discrepancies between self-identification and observer identification have been well
20 documented, and what we considered the gold standard for the collection of racial/ethnic data
21 is self-report. We do need information on subgroups, socioeconomic status, risk behaviors and
22 other information to help explain when we do find that there are disparities.

23

24 Now, one question I was asked to answer is are race/ethnicity data needed to measure
25 disparities and access to genetic services, and that's an unqualified yes. Congress, after many
26 folks went in and talked to them about disparities in healthcare access and quality of services
27 that are received, they passed the Minority Health and Health Disparities Research and

1 Education Act of 2000 and requested an IOM study to assess the extent of disparities and the
2 kinds of quality of healthcare received by the U.S. racial and ethnic minorities and non-
3 minorities. They actually called it the "Ethnic Bias in Medicine Study," but IOM now calls it
4 the "Understanding and Eliminating Racial and Ethnic Disparities in Healthcare." They've
5 asked them to explore factors that may contribute to inequities in care and recommend policies
6 and practices that may eliminate these inequities. In the reviews that were presented by Jack
7 Geiger from New York as well as others of the literature in regards to this issue, there are well-
8 documented disparities in access to specialty services which is of particular interest to you
9 here, and they found that insurance coverage is not the only barrier. There are additional
10 barriers, and it seems like the role of the patient/provider communication is part of that as well
11 as perceptions by the provider about the willingness of the patient perhaps to follow treatment.
12 So when we talk about ethnic biases in healthcare, we're talking not only in screening but
13 testing, counseling and treatment.

14
15 One of the pieces of literature that was discovered by Jack Geiger in this review of the
16 literature that he presented to the Institute of Medicine was the term "application error" by Van
17 Ryn, where they talk about the fact that epidemiologic information about a population group is
18 inappropriately applied to any member of that group without consideration of individual
19 characteristics, and this is certainly a concern in the realm of genetic testing.

20
21 What can we do to prevent? Recommendations from Jack Geiger are to track patterns of care
22 by patient race and ethnicity, to include discussion of problems and nature of stereotyping and
23 racism in medical curriculum and to move race/ethnicity to the social history, not the initial
24 discrimination description when we're talking about a particular patient's medical history.

25
26 Now, how have we used race/ethnicity data to date in the realm of genetic testing? Here are
27 just some examples from a review of the literature. We've used it to provide prevalence

1 estimates in the screened population, for instance neural tube defects and sickle cell. The State
2 of California has actually used it to document support for universal screening for sickle cell
3 because they said that they would in a single year have not detected over 6,000 infants with
4 sickle cell trait if they had not been practicing universal screening. We've used it in the past to
5 target group for screening, to assess satisfaction, understanding residual risk and anxiety levels,
6 to assess attitudes about autonomy and confidentiality, to assess interest and intentions to
7 obtain gene testing and counseling. We've used it to assess familiarity with genetic tests and
8 actions anticipated based on the genetic test, to assess response to pretest education strategies,
9 and my fellow panelists, I'm sure, are going to give additional examples.

10
11 But there are continuing concerns regarding use of genetic tests, and this is what our Deputy
12 Assistant Secretary for Health Policy Beato wanted me to share with you. The issue is not so
13 much the collection of racial/ethnic data, it's how we use that information, especially to inform
14 policy. Here's an example, unfortunately, one of the first genetic testing lawsuits out there,
15 which predominantly focused on minority populations, where, for almost 20 years, the
16 Lawrence Berkeley National Laboratory secretly tested African American employees for sickle
17 cell anemia until the workers filed a lawsuit that resulted in the '98 decision by the U.S. 9th
18 Circuit Court of Appeals that preemployment testing for genetic illness violates the ADA,
19 unless the employer can prove that it had a clear business-related reason for conducting the
20 test. The military has had ongoing discussions regarding this. Pilots at one point in time were
21 not allowed to train if genetic tests showed a trait for sickle cell anemia, and in fact, in the late
22 1990s, also, the military issued an order saying that unless there was a concern about
23 dehydration, that we should not be universally applying or targeting a particular population for
24 genetic tests for sickle cell.

25
26 We do have protections out there, but they are limited, and examples of the protections, as you
27 know, are the Executive Order 13145 issued a couple years ago, state genetic discrimination

1 legislation. Most states have passed state genetic discrimination legislation. HIPAA, ADA.
2 Title 7 of the Civil Rights Act of 1964 has also limited protections, but what they don't protect
3 is against stereotypes, unfounded beliefs and prejudices, and in fact, a piece of legislation that
4 came to my attention was a law in the State of Vermont, and I'm seeing some nods, where the
5 sponsor for this particular piece of legislation wanted to use DNA to classify potentiates for
6 Native recognition, but in his comments that he made in support of this piece of legislation
7 stated that "only people that need to fear this are those who aren't what they say they are,"
8 which suggested that he wants to use this to limit access to services.

9
10 So, is race/ethnicity needed to assess the extent to which health disparities are correlated with
11 biological factors? This question I found much more difficult to answer, and luckily, it's the
12 last question, and I look forward to the other panelists to help out with this question because I
13 have problems with the way this question is phrased. First, we don't see race/ethnicity as a
14 surrogate for a biological or genetic variation. Instead, we see it as a social/political construct,
15 one that talks a little bit more about social ordering and is considered by many researchers as
16 an exposure variable, rather than, as I said, a surrogate for biological factors. I understand that
17 you have in your packet of materials a framework for understanding the relationship between
18 race and health that's been published by Dr. David Williams and just briefly, it shows you what
19 he has developed a few years ago. He has one that's much more detailed with many more lines,
20 but I like this one because it's a little easier to understand. What it says is when we observe
21 racial and ethnic disparities in health, it could be due to a multitude of factors. There are some
22 biological components, but what we have found with the studies, such as by Richard Cooper
23 and others, that a very small percentage of those observed disparities in health, for instance
24 cardiovascular disease, maybe 4 percent are explained by this genetic variation. There are
25 cultural factors. There are socioeconomic factors, and in fact, the socioeconomic disparities
26 have a big role in explaining these observed disparities in health. The role of racism and
27 discrimination on health is getting increased attention in research as well as political, historical

1 and legal factors and how they operate on health practices, psychosocial stress, environmental
2 stress, psychosocial resources and medical care, and they impact on biological processes and
3 eventually health outcomes. Gene expression is really where many of these operate. We know
4 that socioeconomic status is a powerful determinant of health. We've observed disparities
5 between individual and household socioeconomic status and morbidity and mortality that's well
6 established. The association of socioeconomic status and Health has been found in different
7 populations using different indicators of SES and different health outcomes, and it's been
8 observed for over 100 years, and we have observed that the impact of income is strongest at the
9 lowest level. So it's not necessarily a linear effect. When we do see unexplained health
10 disparities after we have supposedly controlled for socioeconomic status, it doesn't necessarily
11 mean that what remains is biological or genetic. It could be that we have actually problems in
12 our measures of socioeconomic status, and we have inadequately controlled for differences in
13 current social class. We may also have failed to consider the effects of social class in earlier
14 life, including childhood, or failed to include intergenerational effects of social class. We may
15 also have failed to include other variables that are important, such as nutrition and non-
16 economic aspects of racism.

17

18 So finally, I would like to end with an example to help you think this through. This is
19 information for the linked infant birth-death files from the National Center for Health Statistics.
20 What you can see from the blue bars of non-Hispanic blacks, that they have higher rates of
21 infant mortality at all levels of education for the mother, educational attainment of the mother.
22 And in fact, if you observe the right-most bars, those women who have received a college
23 education or greater, you'll notice that that infant mortality rate is higher for African Americans
24 than it is for white mothers with less than a high school education. Now, when we take into
25 account the mother's socioeconomic status at the time that she was growing up, we find that
26 many of these remaining disparities that are depicted in this go away. Okay. So it's important
27 that we take into account socioeconomic status at all points in our life span.

1 So I hope you can give us some policy guidance, and Dr. Beato said he would be very pleased
2 to meet with you in the future, if you would like to do so, and to also share our additional
3 concerns, and I did promise that I was going to give a list of suggested reading materials that
4 have been suggested to me by many members from the Spirit of 1848, so other researchers can
5 also share their thoughts with you. Thank you.

6
7 DR. BURKE: Thank you very much. We'll move on to Dr. Desnick. While we're waiting for
8 the audiovisual to be worked out, I'll just note for all of us that we are on a pretty tight time
9 line, and at the same time, we want to make sure that we get the full benefit of this wonderful
10 panel of experts, and it's possible that we may want to look at shortening our lunch hour a little
11 bit, and it's possible that we may want to move one or two of the last speakers over to the
12 beginning of the afternoon session right before our roundtable. So we'll see how things go.
13 We don't want to rush anybody. Probably, also, I should ask our panel, we would certainly
14 really appreciate your being able to stay for our roundtable discussion after lunch. We're going
15 to break for lunch and then start our roundtable at 1:00 and want to have a very lively
16 discussion and appreciate it if you can participate in that.

17
18 PARTICIPANT: Can we eat here?

19
20 DR. BURKE: Actually, we can go get food and come back. Perfect.

21
22 DR. KOENIG: While we're waiting, can I just ask the last speaker, the Spirit of 1848, some of
23 us on this part of the table don't know what that refers to. If you could just clarify it?

24
25 DR. CARTER-POKRAS: Certainly. The Spirit of 1848 listserv is just sort of like an e-mail
26 listing from one of the caucuses of the American Public Health Association, which is the
27 largest organization of public health professionals across the country, and so this particular

1 caucus is interested in the social classes of health.

2

3 DR. KOENIG: Yes, we know what the American Public Health Association is, but why is it
4 called the Spirit of 1848?

5

6 DR. CARTER-POKRAS: That's just the listserv. It's to --

7

8 DR. KOENIG: What happened in 1848?

9

10 DR. CARTER-POKRAS: It goes back to efforts that have been made to kind of improve
11 public health at that point in time in this country.

12

13 DR. DESNICK: I apologize for being MacIntosh, but sometimes we're incompatible. I'm Bob
14 Desnick, and I appreciate the opportunity to come and talk about race and ethnicity and genetic
15 research and testing, and what I'm about to tell you is that I'm going to make three points. One
16 is that there are racial and ethnic populations that have a higher prevalence of genetic disease
17 than in the general population. The second point I'm going to make is that knowing that fact,
18 there are certain considerations that one makes in genetic research and in developing genetic
19 tests. Finally, if I have a moment, I'll be provocative about what may be the future of genetic
20 testing and give you something to talk about later on. So if we can get this beamed up, we'll be
21 in business.

22

23 Now, I'm going to focus on two experiences that we've had, one that we've had a great deal of
24 experience with and the other that we're now having an increasing amount of experience with
25 in terms of genetic testing and that will relate to Jewish genetic diseases and the recent advent
26 of mass screening for cystic fibrosis, and I apologize for this delay. So as I just pointed out, I'm
27 going to use as examples prenatal and premarital carrier testing for Jewish genetic diseases and

1 for cystic fibrosis.

2

3 Let me begin by pointing out that in every ethnic, demographic and racial groups, there are
4 certain genetic diseases that are more prevalent than in the general population, and I think we're
5 all familiar with the ones that are depicted here. These are the common ones that we all know
6 about. I just want to point out to you, though, that if you look at any given ethnic, demographic
7 or racial group, you'll find certain other diseases that are very common, and there's a catalog
8 here of a few of the 22 recessive Finnish genetic diseases where they have a major founder
9 mutation frequency which you can appreciate here and the list goes on and on. Now, I've been
10 particularly interested in my career in diseases that occur in the Middle Eastern populations,
11 those among Arab populations and among the Jewish people, and these two books are catalogs
12 of the different diseases, both Mendelian and complex traits, that occur in these populations,
13 and there are quite a few different disorders. Now, in these populations, you have founder
14 effect, and in fact in some of the populations, in fact, as shown here, here's prevalent recessive
15 diseases in Saudi Arabians and these a partial list of the disorders that you can find in that
16 population and most of these, it's of tribal origin. So they'll be in a particular demographic area
17 or in a particular tribe and because they have a high degree of consanguinity in this population,
18 it brings out these recessive genes.

19

20 Now, in terms of Ashkenazi Jews, there are a number of diseases that occur in this population,
21 and you can see that the incidence of the disease is as high as one in 1,500 for Gaucher's
22 disease, actually much higher if you look at disorders that are not medically as concerning, like
23 Factor 11 deficiency, but you can see Tay-Sachs is one in 2,500. That means a carrier
24 frequency of about one in 25. So there are nine different diseases that I've listed here, and
25 these are all nasty disorders. Now, just to make the point that although they are frequent in the
26 Ashkenazi Jews, even in the Jewish populations, where you take Gaucher's and Tay-Sachs that
27 are common in the Ashkenazi, they're rare in the Sephardi and absent in the Oriental Jews, and

1 in fact, there are certain Sephardi Jewish genetic diseases which, for instance, Familial
2 Mediterranean Fever, and there's certain diseases that occur more commonly amongst the
3 Oriental Jews. So in each of these populations, there are discrete disorders that occur more
4 frequently among them than in their relatives prior to the Diaspora.

5
6 Why the high frequency? Very simply, founder effect. There's either a single or a major
7 mutation. Some people have suggested that there's selective advantage for heterozygotes and
8 has been shown for sickle cell and G6PD deficiency and, of course, consanguinity in those
9 populations where there still is a high frequency of marrying relatives.

10
11 Now, what are the implications in terms of genetic research? Well, we're in the era of the
12 genome and what we've learned is that we can identify disease genes and susceptibility genes in
13 groups with a higher prevalence. So it's easier to identify them, and here's a brief list of
14 examples where we've positionally cloned the disease or susceptibility genes. I just make the
15 point, it was an Arab pedigree that allowed us to clone the Hfe gene for
16 pycnodysostosis. As you probably know, familial dysautonomia, so far, we only know it
17 occurring in Ashkenazi Jews. That took a long time to clone because it was a new gene and a
18 very tricky mutation. Some say that for breast cancer, if they would have just focused on the
19 Jewish Ashkenazi population, they would have gotten the genes much quicker. Certainly with
20 Crohn's disease, where we know the incidence in Ashkenazi Jews is about the relative risk if a
21 first-degree relative has the disease is 16 times higher amongst Ashkenazi Jews and, of course,
22 this is the first complex trait in which a susceptibility gene was identified and, of course, you're
23 going to hear more about pharmacogenetic traits in a moment.

24
25 But once we've cloned a gene, we can identify the group's specific mutations. We can develop
26 DNA-based diagnostic tests and test panels. We can investigate the penetrance *in vivo*, for
27 instance, hemochromatosis and establish genotype-phenotype correlations and, finally, we can

1 develop screening and counseling programs, and let me turn to a couple of examples, because if
2 you think about ethnic-based genetic diagnosis and screening, there are several levels that we
3 can look at. If we take prenatal as the example, I think we're all familiar with the fact that Tay-
4 Sachs disease has been the prototype for the prevention of recessive diseases, started it back in
5 the '70s by Kaback. You can see that now for this rare disease, in the Ashkenazi community,
6 there have been over a million and a half individuals tested, and in that group, over 1,400
7 couples where they have a one in four risk. The impact of that has been dramatic because
8 what's happened is this has gone from a Jewish genetic disease to a disease in which there are
9 more commonly non-Jewish babies born in North America than in the Jewish population. Prior
10 to the advent of the testing and screening programs, you can see there was something like 45 to
11 60 babies born in North America to Jewish couples and following screening, you can see
12 there's been a small number that have escaped the screening or for other reasons have been
13 born, whereas in the non-Jewish population where the carrier frequency is 100 times less, you
14 can see that there are more babies born, and in fact, if you go to the Tay-Sachs parents groups
15 today, you see that it's changed from Jewish families to non-Jewish families in the main. Now,
16 back in '97, we were the first to introduce what we called "triple disease screening" or
17 multiplex screening for Jewish genetic diseases and that now has reached the point where most
18 centers are now testing or offering testing for nine different Jewish genetic diseases that cause
19 severe disease, and as you can see, the frequency of affecteds range from one in 1,500 to about
20 one in 100,000, but if you look at the carrier frequency and you add them all up, if you test for
21 all nine of these diseases, one in every six Ashkenazi Jews is a carrier. This just shows you the
22 common mutations that you're testing for, and it's a total of 26 mutations. If you only do five
23 for CF, which gives you 95, 96 or 97 percent detectability, and for all these diseases, I think
24 you're going to appreciate this, there's pretty high detectability, greater than 95 percent.
25
26 Now, every year, being in New York, there were always babies born in the religious
27 community in New York, and they brought forth prenatal screening. This is the Hasidic

1 community. There's about 250,000 Hasidic Jews in New York City alone, and in this
2 community, prenatal diagnosis is not feasible, abortion is not permitted, artificial insemination
3 or birth control are not options, and marriages are arranged. In fact, in this community, which
4 I'm speaking now to meaning the cultural or social needs of a particular ethnic group, the
5 marriages are arranged, and it's the quality of the match that really makes the marriage. So how
6 many generations of Biblical scholars or bankers or whatever you have in the community
7 decides how you match up your daughter to their son, and in fact, what we did is we organized
8 what now has been very successful and that is compatibility testing, genetic screening prior to
9 the matches, and we can talk more about that later but basically I want to show you the impact
10 of premarital screening because maybe it will have some implications on the provocative
11 discussion I'm going to lead at the end. There has been, since '83 when we began this, a total of
12 over 132,000 singles before marriage screened in this community and actually we've now got
13 this worldwide, but look at this. The number of proposed matches of carriers prevented is over
14 340. Now think of this. What they do is the boys get tested in their schools, the girls get tested
15 in their schools. They have confidentiality. They just have a computer number and a birth
16 date, and then when the parents want to make the match, what they do is they call a central
17 computer in Brooklyn. They give the match numbers and then they're told either compatible or
18 non-compatible. So if you had Tay-Sachs in a family or one of these other diseases, your
19 normal or even carrier kids are not tainted by that because they can be matched up in this way
20 and these people, as you can see, have very large families, and in over 340 instances, there have
21 been marriages avoided that would have ended up with a one in four situation and having large
22 families, you know that in almost every case, you're avoiding a birth of an affected child or
23 more. Now, recently, I was in Saudi Arabia, and we had a conference because they're very
24 interested in premarital testing because they have high level of consanguinity within the tribes
25 and they're quite interested in advancing this concept and had a whole symposium on
26 premarital screening, and I think in certain populations, this is going to be very effective.

27

1 Now, what about cystic fibrosis? This is a complicated one, and let me just tell you and remind
2 you that there was an NIH Consensus Conference back in April of 1997. To summarize that
3 consensus conference, it recommended that CF genetic testing should be offered to couples
4 planning a pregnancy or seeking prenatal testing and follow-up of that was an implementation
5 workshop held a few months later in which the groups, the American College of Medical
6 Genetics and the American College of Obstetrics and Gynecology, together with NIH, came
7 together and recently you know that there was a document that was produced from this
8 combined effort of the two Colleges and NIH on preconceptional and prenatal carrier screening
9 for cystic fibrosis, and this is now available nationally. There's been education programs of the
10 obstetric and gynecologists by ACOG and everybody appreciated what the problems here were
11 because the problems are significant. The problems are that in different ethnic, demographic or
12 racial groups, the incidence of CF varies from one in 2,500 to one in 3,200, the carrier risk
13 proportion at least so, but the percent detectability, in other words, if you're doing DNA tests,
14 varies considerably, to almost 100 percent down to around 30 percent. Of course, you really
15 have to realize that this has a residual risk associated with it. So carrier detection here is an
16 issue.

17

18 Well, recently, we published the laboratory standards and guidelines for population-based
19 carrier screening for CF, and this is a document that was recently published in Genetics in
20 Medicine and what we proposed was a core panel of 25 different mutations, and the way we
21 came up with this core panel is we got all the data from the Cystic Fibrosis Foundation, where
22 they had screened over 15,000 and genotyped over 15,000 CFs in America and what we did
23 was we took every mutation that was .1 percent or greater in that population, so that was the
24 basis of it. But I think that you also have to realize, as Fred Gilbert recently suggested, that you
25 can expand that panel to look at particular ethnic, demographic and racial groups and in fact
26 that might be wise. So there is a role here because of the risk in these different groups in
27 making available testing that is meaningful. Of course, what we realize in cystic fibrosis is

1 even with the testing, we're going to end up with situations where if both parents are negative,
2 well, fine, that's going to make these people very comfortable, but the difficulty here is if one
3 parent is positive and one parent is negative, what we're doing is decreasing it here but
4 increasing it in these other populations, so that where the risk was low to begin with, now that
5 one is a carrier, they have a greater anxiety. So there's both good and bad with these tests, and
6 I think we all have to appreciate the importance of genetic counseling and being able to help
7 these people get through and understand what they're getting into when they have testing.

8
9 I'm not going to talk about newborn screening, presymptomatic and dispositional testing, but I
10 think you can all have discussed that in great detail and appreciate it. I think that we all
11 appreciate the issues, stigmatization, confidentiality, privacy, genetic discrimination, and all
12 appreciate the need for education and counseling.

13
14 Let me just give you a little speculation of what I think's going to happen in the future and
15 maybe we can use this as a basis of discussion. You know the Human Genome Project is now
16 rolling along. We've got a first draft, and what we're going to end up doing in the next number
17 of years is identifying all the disease-causing genes for monogenetic disorders and for
18 multifactorial polygenetic disorders and also the susceptibility genes for common disorders,
19 cancers, even environmental toxins, and you can see in the future that you might be confronted
20 with the following situation, where you have an interactive computer with this following
21 disease gene menu. Now, I've put this up at 2010, but we're in the era of prediction prevention,
22 and what we're going to do is we're going to be able to offer people choices, and you decide
23 where you are. Which button are you going to press? This one says test me for everything, and
24 I've listed 1,200 different nasty diseases you might not want to have a child with. Here, I don't
25 want to know. You have the right not to know. I don't want to be tested for anything. On the
26 other hand, there's the intelligent consumer who's going to say, oh, I want to take each one of
27 those diseases, disease-by-disease, decide which ones you're going to test me for, and then what

1 you're going to do is you're going to take your little finger and put it in this little DNA
2 collection port because they're going to get your fingerprint and do your genome off of that.
3 And, of course, they're going to put their credit card right here because I don't think the
4 insurance agencies are going to pay for it, but eventually they will because it's actuarial. At
5 any rate, the question I'm going to raise is whether we're going to have a second screen and that
6 second screen is going to be ethnic-based and racial-based, where you not only provide your
7 family medical history but you might provide your race and ethnic history as well as your
8 demographic history, and the question is will that help in working out which diseases you're at
9 risk for? Now, what I think will be the future is that young people who are computer literate
10 will be very attracted by this. I don't know how long it took you to find your spouse, but just
11 think of this, you put your finger in there and put your credit card in there, and a week later,
12 you get a printout and it tells you all the different diseases you're at risk for and what you can
13 do about it. And your pharmacist gets your computer genome because he knows about
14 pharmacogenetic traits and also what particular things that you can do to make you better. It
15 might be vitamins, lifestyle changes, whatever, those recommendations will come to your
16 physician and pharmacy. On the other hand, at the very bottom of the page, there'll be a
17 psychosocial little thing in there and it will say if you go to the Bethesda Marriott and in the
18 Grand Ballroom on Saturday night, everybody in that room will be genome compatible. So let
19 the hormones roll. I think that that kind of computer dating may be or genome dating may be
20 what we're embarking on in the future, and with that, I'll stop and look forward to the
21 discussion later on.

22

23 DR. BURKE: Thank you very much, Dr. Desnick. We'll now move on to Dr. Mack.

24

25 DR. LANIER: It certainly gives a unique twist on Valentine's Day.

26

27 DR. MACK: I want to thank the Committee for giving me this opportunity to talk to you about

1 these issues, and my bio says that I'm a visiting scientist. I've been at Roche Molecular
2 Systems and the Children's Hospital Oakland Research Institute for about eight years. So I've
3 been visiting for a long time, and I apologize in advance for any glare from my head. Recently,
4 I've been working with administrating the Anthropology Human Genetic Diversity Component
5 of the International Histocompatibility Working Group. So my interests lie with molecular
6 evolution and population genetics and what they call molecular anthropology. I'm going to talk
7 about pharmacogenetic, and I want to talk in the larger context of developing diagnostic tests,
8 and at the end, I want to tell you a little bit about the study design that we've come up with for
9 the anthropology component, so that we don't have to use racial categories in collecting data.

10

11 So just to get to the basics. The point of pharmacogenetics is to determine what the molecular
12 basis is for differential drug metabolism in individuals which are given the same drug, and
13 there are a number of points in the drug metabolism pathway where there are opportunities for
14 differential metabolism. You have absorption and excretion of drugs in the intestine. You
15 have a slow or rapid response in either case. The drugs are actually metabolized in the liver,
16 and we have three different classes of metabolisms which I'm going to talk about at great
17 length.

18

19 Drugs are both activated and cleared in the liver which complicates the entire issue. Then
20 there's the actual receptors in the body, it's more than just the brain, but where the drugs are
21 actually active and then the drugs have to be excreted. And so we have to consider all of these
22 points when we're considering the genetics of drug metabolism. But what I'm going to be
23 speaking about today primarily pertains to the liver where the actual metabolism occurs.

24

25 I want to make it clear that in addition to just issues of pharmacogenetics, issues where you're
26 thinking about race and ethnicity apply to genetic test design in general. So we have
27 pharmacogenetic, which is basically genotyping to predict the outcome of drug metabolism,

1 and what I'm going to be talking about primarily is the cytochrome P450 gene, which has a
2 class of about 50 different genes, only two of which seem to be active and have substrates
3 which are endogenous to the body. So the other remainder of the genes in this family are
4 acting on drugs which are exogenous which come from plants, it's thought, and other foods that
5 people eat that have to be broken down or otherwise metabolized, and the idea is that as people
6 spread throughout the world, they encounter different environments and develop different
7 genetics to cope with them.

8
9 These issues also hold for HLA issues – that's human leukocyte antigen -- of autoimmunity and
10 transplantation, where you're developing a test to do tissue typing for a bone marrow transplant
11 or a kidney transplant, and so there are issues of donor-patient matching where we know that
12 racial data are collected, so that you can fill the database of potential bone marrow donors. We
13 know that in one population, say the European American population, you have a certain chance
14 of finding a match, and based on that chance, you need a certain size donor base in order to
15 find that match for a given patient. And when you're looking at other populations, like the
16 African American population or the Native American population, the size of the donor base has
17 to be larger or smaller in proportion to the genetic diversity of the population in question. So
18 Africans, Subsaharan Africans and African Americans are genetically more diverse than other
19 populations because the human species started in Africa and Africans have been in Africa the
20 longest, so they've generated more diversity, and so if you're going to do transplantation using
21 genetic screening, you have to have a much larger donor database of potential donors for
22 African American community than for the European American community. Conversely, for the
23 Native American community for whom genetic diversity is much lower for a variety of reasons,
24 and you really only need to have a smaller database in order to ensure that you're going to find
25 a match.

26
27 Similar issues are true for autoimmune diseases, like type I diabetes or nasopharyngeal cancer.

1 I'm not going to really talk about these too much. Maybe we can talk about them during the
2 panel discussion, and the same thing is true for the genetic test used to diagnose genetic
3 diseases, like the beta-thalassemys. There's two distinct regions in the world where you have
4 endemic malaria and the beta-thalassemia genes that provide some protection for that malaria
5 are very different in different parts of the world. And if you want to have a genetic test that's
6 comprehensive, you have to take into consideration the genetics of people from North Africa or
7 the Mediterranean as well as people from Southeast Asia, where you have two very different
8 classes of beta-thalassemia.

9
10 We already heard about cystic fibrosis, so I'm not going to talk about that very much, but if
11 there's one take-home message from my presentation, I want to leave you with the idea that the
12 genetic contributors to these various diseases and issues of transplantation and autoimmunity
13 and pharmacogenetics, they're not evenly distributed among global populations, and as we're
14 developing a test, a given population will be inadequately served if their genetic diversity is not
15 considered during the development of the test. So we want to be able to include everyone, and
16 we need to have some sort of model or a scheme to make sure that we've done that in a
17 statistically significant fashion.

18
19 The next few slides are going to be probing some population genetic issues. The basic concept
20 here, the take-home message is that there's a couple of different ways that genetic differences
21 can be used to distinguish populations. For most of the populations that I'm talking about
22 today, I'm going to be using geographic descriptors, and when I use a geographic descriptor, I'm
23 actually talking about the modern descendants of people who lived in that place about 1,000
24 years ago, before everybody started moving around, to make things clear.

25
26 If you look at the way that polymorphism and diversity is apportioned throughout the human
27 population, you'll see that about 86 percent of all the variation is shared between all

1 populations. So most populations are very similar mutationwise, and then there's a small
2 number of mutations, about 14 or 15 percent, 10 percent of which are shared within a
3 continent, that is specific to a particular continent, and then only 4 percent of the actual
4 diversity is what we call private polymorphisms which is particular to an individual population,
5 and so these are private polymorphisms to a given continent. But when we're developing a
6 genetic test, we have to make sure that we include the pertinent private polymorphisms,
7 otherwise the test won't be accurate for whatever it is dependent upon on that mutation. When
8 we consider this 86 percent public polymorphism, the allele frequency distributions in each
9 population differ and they can differ to a great extent. So that even if a given mutation or a
10 given polymorphism is shared among all populations, it might be very high frequency in one
11 population, very low frequency in another, and in some cases, perhaps improperly, the identity
12 of the population can be used as a surrogate for genotyping or has been used as a surrogate for
13 genotyping to determine the individual's degree of risk based on what population they come
14 from. So that's the correlation between population and allele frequency distribution.

15

16 I'm going to present a couple of slides from a recent paper that we published just to outline
17 some of these issues, and we did a study of the HLA markers in the Pacific and Asia and these
18 are of five different population groups that are based on historical linguistic distinctions. We
19 wanted to know how the markers that we see and that are used for the immune system in
20 determining self and non-self as far as transplantation goes correlate with the history and the
21 geography of these groups. There's six groups, Austronesia, Melanesia, Micronesia, Polynesia,
22 Australia and Continental Asia, and I just want you to keep these colors and the regions in
23 mind for the next slide. In particular, I'm going to talk a little bit later about this group of
24 islands down here, I want you to keep that in mind as well. The basic result of this study was
25 that there's a strong correlation between the genetics of these immune system molecules and the
26 demographic definitions of these populations. So all the mustard-colored populations are
27 Polynesian. I'm not going to be able to talk about why Hawaii is an outlier here, but it's an

1 interesting story. We can discuss it later. Micronesia and Austronesia, Melanesian populations
2 form one group. Continental Asians form another group. Australians form a third group. The
3 basic take-away message from this slide is that if you're Polynesian or Melanesian or a
4 Micronesian, your best chance of getting a transplant is from someone from your similar
5 demographic group. There are a number of shared polymorphisms that are at high frequencies
6 and as we know from the transplant community, your best chance of getting a transplant is
7 from a relative, and then once you go outside of relatives, you want to use this demographic
8 information to give yourself a strong chance of finding a match. You can extrapolate this sort
9 of thing to the probability of determining risk for a particular genetic disease.

10
11 So let's talk about pharmacogenetics in particular. There are a number of different phenotypes
12 that we have to consider. I'm going to be talking about genes that all follow this nomenclature.
13 CYP stands for cytochrome P450 and then the individual locus as denoted by this three- or
14 four-letter code, 2D6, 2C16, like that. So we have what we call extensive metabolizers of
15 drugs which possess at least one functional allele; intermediate metabolizers of drugs which
16 possess one reduced activity allele and one null allele, meaning that there's no function; poor
17 metabolizers carry two alleles and this results in a complete loss of enzyme activity for a
18 particular locus; and then there's a fourth category called ultrarapid metabolizers who carry
19 multiple copies of functional alleles, from 3 to 13 copies, and they have enzymatic activity
20 which is far in excess of activity of extensive metabolizers. And these are phenotypes, that I'm
21 going to be talking about phenotype and genotype, and I'll try to keep them straight as we go
22 because there are also ultrarapid alleles to a particular locus, poor metabolizing alleles of a
23 particular locus.

24
25 The whole issue is further complicated by the model in which drugs function and this is
26 pertinent to the entire issue of why we do pharmacogenomics, pharmacogenetics, which is that
27 when you have normal or extensive activity, there's two modes of activity for a given enzyme,

1 P450 enzyme. Some prodrugs need to be modified by the enzyme in the liver into their active
2 form. For example codeine is modified with hydroxyl and made into morphine, and then other
3 drugs which are active are cleared by the enzyme and removed from the system, and so when
4 you have poor activity, you have no enzyme activity, and you build up a large amount of
5 prodrug and you never activate it to the drug or you can build up a large amount of a particular
6 drug and never clear it from the system. So individuals who are poor metabolizers never, for
7 example, will respond to codeine or respond very weakly to codeine, and you have to give them
8 very high doses of codeine in order to get the desired effect because of the drug activity, the
9 modifications are very low, whereas for other drugs, the drug is very slowly cleared from the
10 system, so they will need a much lower dose in order to get the same effect, whereas with
11 ultrarapid activity, prodrugs are very rapidly metabolized into drug form, whereas other drugs
12 are very slowly cleared. So with ultrarapid metabolizers, you need to give them a much lower
13 dose of, for example, codeine in order to get the desired effect and a much higher dose of a
14 drug which is cleared in order to get the desired effect because the drug is cleared much faster.
15 So when we are designing pharmacogenomic tests, we have to consider both the poor activity
16 and the ultrarapid activity enzymes and the diversity in the populations that leads to these two
17 activities in order that we can make sure that the patients are getting the right amount of each
18 drug.

19

20 Now, the rest of the slides I have for the most part are going to be talking about the distribution
21 of different alleles and cytochrome P450 genes, and I think this slide is particularly pertinent to
22 the discussion that we're having today about the use of racial and ethnic categories. This is the
23 distribution of an ultrarapid allele of CYP2D6. 2D6 is one of the best-studied genes in this
24 field. Here's the world, of course, and the numbers in black, I hope you can see them in the
25 back, are allele frequencies of this particular allele distributed across the world. You can see
26 the highest frequency is here in Ethiopia and in Saudi Arabia, 30 percent and 20 percent, and
27 then obviously this is not a high-resolution map of the allele frequency of this gene. In the

1 Americas, it's 4 to 5 percent or 2 percent. In Europe, it dwindles as you go north to about 1
2 percent. In Asia, it's even lower than 1 percent. In some parts, it's 0 percent.

3

4 So the second take-home message of my presentation is going to be that we need a much higher
5 resolution and much more geographically-related means of assessing diversity on a global
6 scale. Obviously the racial categories that we use, the five plus an other categories that we
7 heard about earlier, really don't adequately reflect the actual units of diversity that we're
8 looking at on the global scale.

9

10 Another way to look at this is by looking at phenotype, and this slide shows the various
11 phenotypes of metabolizers, of debrisoquine. It's not actually a drug. It's just a test compound
12 used to determine phenotype and debrisoquine is oxidized and converted to hydroxy
13 debrisoquine, and this perhaps just shows the ratio of debrisoquine to 4-hydroxy debrisoquine
14 in both the Chinese population and the Swedish population, and each of the bars represents the
15 number of individuals in the population that show that particular ratio and so each bar
16 represents a particular phenotype. You'll notice a couple of things right off the bat, which is
17 that in the Northern European population, there's a significant percentage which are poor
18 metabolizers. This constitutes about 25 percent of the population. So the poor metabolizing
19 alleles are at a fairly high frequency in this population, whereas in this Asian population, the
20 number of poor metabolizers is much lower. So you can see right here that you're going to
21 have to a different set of alleles which are detected in this population and this population. The
22 second point is that the entire curve has shifted, if you notice, between the two populations
23 because the high frequency alleles in this population are different from the alleles in this
24 population. So this curve in general metabolizes debrisoquine more poorly than this curve or
25 this population than this population and this is the sort of thing that you can do on a population-
26 by-population level to get an idea of the phenotypes at work, so that you can get a qualitative
27 idea of how you're going to use a particular drug in a particular population, but it doesn't give

1 you a quantitative idea of what the actual alleles are.

2 This slide shows the global distribution of some cytochrome P450 alleles. These are the major
3 variant loci, CYP2A6, CYP2C9, 2C19 and 2D6. There's not going to be a quiz, so you don't
4 have to memorize all this stuff, but the important thing to notice is that most of these are of loss
5 of function because you have inactive enzymes and no enzymes, reduced activity, various
6 things like that, and so when people inherit these particular genes, they're going to be poor
7 metabolizers or intermediate metabolizers and the drug is not going to affect them the way that
8 you expect, and these drugs range from things like morphine and codeine to malaria drugs or
9 tricyclic antidepressants. Any number of drugs that you can think of are metabolized by these
10 genes. So you'll see here that, for example, for these inactive enzymes at the 2A6 locus, they're
11 at fairly high frequency in Asians, low frequency in Europeans. They're not even detected or
12 haven't been tested in Sub-Saharan Africans or people from the Middle East and East Africa,
13 and you can see, as we go down the list, that especially at loci like 2C19 or 2D6, the
14 differences between populations are extremely different. So for example, this 2C19*3 allele is
15 at 10 percent in the Asian populations, and it's not really seen very well anywhere else.
16 2C19*2 is seen in almost 30 percent in some Asian populations and never comes up above 20
17 percent in other populations. And the same thing is true for 2D6 where you have 51 percent of
18 2D6*10 in Asians, 20 percent of 2D6*4 in Europeans, and a totally different allele, 2D6*17, in
19 Sub-Saharan Africans. All of these alleles need to be taken into account if we're going to
20 develop a test that adequately covers the variation.

21

22 Now, when I had up the map earlier, I asked you to remember that group of islands. This is
23 another example of the distribution of 2C19. This is a poor metabolizer genotype. So it's a
24 measure of phenotype. So the frequencies mean a little bit different, but if you look, you'll see
25 that there's a decline basically between Europe and Africa, moving into Asia and then out into
26 the Pacific Islands. In particular, the islands of Vanuatu have 61 percent poor metabolizers for
27 the population, and since this is genotype, this number actually means that the frequency of the

1 poor metabolizer gene in this population is closer to 70 percent, and if you divide the island
2 chain between north and south, you'll see that there's an even greater disparity in the southern
3 islands. The frequency of poor metabolizer genotype is about 75 percent, so that about 80 or
4 90 percent of the population have at least one copy of this poor metabolizer gene. I think this
5 makes the point again with the map that I showed you that even within a particular population
6 or a particular island chain, which is somewhat arbitrary depending on how they were
7 determined, there are differences on the subpopulation level that would have to be taken
8 account of, and all of these mutations are accounted for by only two genes, two alleles in this
9 population which aren't seen to a great extent in many other populations. Part of the problem
10 that we face in developing these tests, especially in the U.S., is that an allele, like these 2C19
11 alleles, occur the square root of 2 percent in the population, and there's only limited space when
12 you're making a test for accounting for all the alleles. So the chances are very great that these
13 alleles might not necessarily be included in the test, whereas for another population, it's very
14 important that these alleles be included if they're going to served properly by the test.

15

16 Here are the U.S. I have some numbers for the D26 allele frequencies, and this is important for
17 the next slide as well. The *1 and *2 alleles give you normal activity, and you can see that
18 they're at comparable levels in the European American community and the African American
19 community in the U.S. The distribution of the null alleles is rather different. Twenty percent
20 of the null alleles in the European population are *4 alleles, where that number is almost a third
21 in the African American population, but the same thing is true for these reduced activity alleles.
22 Fourteen percent of the reduced activity alleles in the African American population are *17,
23 and these are not even detected in the European American population at all. And then with
24 these duplications, *1 and *2 are ultrarapid metabolizers, *4 is a duplication of this null allele,
25 and so this contributes to the null allele frequency in the African American population. So 2
26 percent allele frequency in the European American population for ultrarapids is fairly high, and
27 you see a similar number for the African American community, but you don't see that number

1 here.

2 In the next slide, I have a list of the alleles which are detected by one of the early gene chip
3 assays for these P450 genes. This slide is about four years old, but the point I want to make is
4 that the original alleles which were selected for the chip were selected based on their
5 frequencies in the European American population. So you see here four particular poor
6 metabolizing alleles cover about 90 percent of the poor metabolizing genotypes seen in the
7 European American population, but these alleles only cover about 10 percent of the poor
8 metabolizer phenotype seen in the African American population. So obviously -- I mean, this
9 is an old slide, but the chip assay had to be redesigned in order to accommodate all of the
10 diversity that we saw in the previous slide.

11

12 So to sum up for pharmacogenomics and genetic testing in general, the distribution of these
13 alleles is not even around the world, and we need to be able to look at all the alleles possible in
14 order to develop a test that's going to serve the global community to the best degree possible.
15 So the question that we've come to, and this is kind of an outline of the thought process that we
16 went through when we were devising the experimental design for our project, is how do we
17 organize our data collection to best account for the observed genetic substructure of the
18 species. We're talking about race and ethnicity today, but we came up with five categories of
19 structure to think about the data. First is the species level but that assumes no population
20 substructure, and if you assume this, then you risk false-positives and false-negatives in your
21 analysis and you're really not going to be getting anything useful out of the data at all. We
22 considered using racial categories, but they're very arbitrary, and as I hope I've shown you, they
23 don't really reflect the global distribution of diversity on a scale which is useful. I left out a
24 word here. They serve as only a very, very weak estimate of heredity, and it's too weak in
25 many cases to be statistically relevant. What we were left with was smaller units of
26 demographic distinction, ethnicity population on the individual level, and ethnicity distinctions
27 reflect social distinctions as well as the historical and geographical context, and so one of the

1 things we wanted to do was to try to use this information, which people self-identified, but the
2 number of categories is very large, well over 6,000 categories, depending upon how you define
3 ethnicity. Populations are the actual biologically-functional units where evolution of
4 population genetic forces are in operation. This would be a very nice way to collect the data
5 but again the population is difficult to define, and there are many more possibilities for
6 populations.

7
8 So what we decided was a combination of these two categories, and I've put this in for thinking
9 about the future. Diversity is generated at the individual level and as a possible future goal
10 using high-resolution genomic maps after a global survey of diversity has been completed, I
11 think one of the goals of pharmacogenetics and DNA testing in general would be, as we heard
12 in the last talk, to be able to look at people on the individual level instead of having to break up
13 the world into geographic groups, to really look at the diversity on an individual basis instead
14 of having to lump people together into any sort of groupings. But this is something that's going
15 to be far off in the future, unfortunately, I think, later than 2010.

16
17 Then finally, the classification of the demographic model that we decided to use was
18 hierarchical and it just breaks the world down into different regions based on the distinctions
19 that we see in the data at a certain level. So this scheme has about 14 different distinctions
20 which are below the racial level but above the ethnicity level and each of these is hierarchical.
21 So they break down into a number of smaller divisions as well, and we allow people to self-
22 classify ethnicity. We collect data on whether or not samples were collected and where they
23 were born and all this is keeping in mind the idea of modern descendants of people who were
24 alive 1,000 years ago in terms of classification. So this is the classification scheme for data
25 that we've come up with, and one of the things we're doing now is testing this against racial
26 categories to see which is more useful in terms of data analysis. So thank you for listening, and
27 we can talk about this at greater length later.

1 DR. BURKE: Thank you very much, Dr. Mack. We'll move on to Dr. Rotimi.

2

3 DR. ROTIMI: Again, I was sitting down there listening to Dr. Mack and I was saying that,
4 wow, he covered quite a bit of what the issues are here and the way he ended was really music
5 to my ear in the sense that in the future, what we're really going after is individualized
6 medicine. I don't know how we can accommodate that in terms of cost, but I think that is the
7 direction that we are going.

8

9 My talk today, I really wanted to give the message that for most of these diseases that I think
10 we are beginning to consider in terms of complex diseases, I think maybe we are
11 overemphasizing the importance of genetics in all of these diseases. So my presentation today
12 will be an attempt to maybe take us back to my discipline in terms of epidemiology, to say, hey,
13 maybe we need to look at the environment. Maybe that is really what the issue is here.
14 But in the process, maybe also say that genetics is important, but maybe not as important as we
15 are thinking about it.

16

17 But I want to start with a series of questions because as I listened to all the presentations and
18 some of the talks I have given before and have heard that other people gave, it becomes very
19 clear to me why the public is confused about what we are saying. We are saying there's no
20 difference and we are saying there is difference. We are saying we don't know what is
21 important here. So it's very, very confusing. Why is the study of human genetic variation
22 generating so much heated debated? Why we do we find it difficult to accept the fact that we
23 have 99.9 percent of our DNA sequence in common, a major finding of the Human Genome
24 Project? Why the difficulty in explaining the fact that group behavioral differences are
25 primarily due to culture rather than biology? I think it's as a result of several things. Probably
26 most important of these is that we are generating new information, and we are expecting people
27 to take this information and accept it right away. I think also the nature of what we are talking

1 about is complex, and I think sometimes we are not allowing science to take its natural course,
2 which is incremental knowledge, and we are expecting science to give us the answer right
3 away. I think, also, the debate has not been very honest because we are not quite clear of
4 where we stand as the scientists or the people who are trying to deliver the message to the
5 public.

6
7 Like I said earlier, we are saying there's difference and we are saying there's no difference, but
8 when you really look at it in terms of the evolutionary history of various populations, you do
9 see differential distribution. For example, this was a study done by Marc, I think, when he was
10 at Case Western Reserve University. When you looked at the 75 human genes, for example,
11 you do see an average that African population tend to have two more SNPs gene compared to
12 other populations. Does this mean that Africans are radically different from – no. I think what
13 it's saying, this was reflecting the history of the age of the African. They probably had more
14 opportunity to have varied over time.

15
16 This is an article, and if you have not seen it, I will really, really recommend that you read it.
17 You may not agree with everything in there. Quite a bit of my presentation today is based on
18 this article because I really enjoyed it when I read it, and quite a bit of the issue that we are
19 grappling with here was talked about. Given that we know that geographical differences in
20 distribution of these various mutations that we are interested in, what is the best way to start to
21 say to the public, to make sure that we are not sending the wrong information, and I think
22 Olson here made what I consider a good attempt. "Not only do all populations have the same
23 set of genes, but all groups of people also share the major variants of these genes," and I think
24 that has been said earlier, also. "Geneticists have never found a genetic marker that is of one
25 type in all members of one large group and of a different type in all the members of another
26 group." I think that is the real message here, that the human and the variation that we see is a
27 continuum. Of course, you have differential frequency but that doesn't mean you can draw neat

1 boxes around different populations, and I think that is where the message gets lost.

2

3 This is what we hear in the news, and this is what really confuses the public. "Researchers find
4 genetic markers unique to Africans." "Asians biologically less susceptible to alcoholism."

5 "All Native Americans descended from a small number of founders." This is really confusing,
6 and at the same time, we put up a slide that we are 99.99 percent similar, but this is what gets
7 in the New York Times, and this is what the public hears. So how can we begin to phrase these
8 things in a way that we are not confusing ourselves and the public?

9

10 I think probably the question, also, is the interest of those that develop drugs. I think we all
11 agree that the larger the group that a drug targets, the more cost effective that drug is going to
12 be. So it is really not in the interest of pharmaceutical companies to target some as opposed to
13 all Nigerians. If you target all Nigerians, then you are going to produce a drug that you're
14 going to quickly recover most of the money that you are investing.

15

16 So how do we marry the fact that there's an economic interest and there is an individual interest
17 and there's this group identity issue? Much of the medical interest in human genes lies not in
18 the similarities among people but in the differences. That is the reason why we metabolize
19 drugs differently. But that difference, again, the emphasis here is that there's no neat way to
20 use it to identify groups. And I'll just use this example, and I think people who have heard me
21 give a talk before will have heard this many, many times. I go back to my days in Nigeria
22 where I used to get malaria and quinine in relation to my mother. When I take quinine, I find
23 my malaria is gone, I itch quite a bit, but my mother is fine. She doesn't react to that. So even
24 at the family level, there's that distinction. So when you use Nigerians, for example, as a mode
25 of developing drugs for malaria, you're probably going to miss somebody like me. So we really
26 have to be careful what we are calling groups and how we are using that group to drive design
27 strategies.

1 Again, the current focus here, this is what I'm trying to refer to, superspecialization of drugs I
2 think it's not in the interest of pharmaceutical drug companies, and that is where I'm afraid, as
3 we do this research, given that African population have this long evolutionary history, more
4 than any other group, it stands to reason that quite a few of the variants that we are going to see
5 in the African population, especially the rare ones, I mean not the represented, are in the
6 populations that have stronger economic and political power. Therefore the drugs that may be
7 designed for those rare variants may not be of interest to the pharmaceutical companies because
8 it's not going to generate enough resources. Even if the group is large -- for example, the HIV
9 drugs are a very good example. Most African countries cannot afford it. It's there. So how do
10 you marry, you know? I think this is our question. This is probably beyond individual
11 scientists.

12

13 I put this here because this was a story that when I read it, I was very, very taken by it, and I
14 think it's part of the problem. You start by asking the wrong question. About half of Asians
15 have this and therefore they are more susceptible to the effect of alcohol intake, and your
16 objective was to determine whether Asian Americans with this particular variant differ from
17 Asian Americans without this mutation, and they went ahead and did it and said yes, there was
18 indeed differences. I said, this is the issue. The scientific question is not properly developed.
19 Is this a problem of Asians or a problem of persons who carry the variant? You see, when you
20 start with the wrong question, your answers are bound to be wrong.

21

22 This is another example. This is a study that was done by one of my colleagues at the National
23 Human Genome Center at Howard University, Rick Kittles, where he showed very clearly this
24 group that we call African Americans, we better be careful because we tell the African
25 American population you have substructures and those substructures reflect evolutionary
26 history, and if you don't properly account for them, you're going to find associations just
27 because of that substructure, not necessarily because it's related to the disease or to the gene.

1 So using large groups, like African Americans or Asian Americans, represents a poor research
2 strategy for understanding genetic variation and its implication for drug development. We need
3 to go do better than that. This is again to drive that message home. I actually disagree very
4 strongly with this premise here, but it does show, even if using these so-called population-
5 specific allele, there is nothing like that population-specific allele. All that this is saying is that
6 there's differential distribution. But if you use this constellation of alleles, you do see a
7 dramatic difference between admixture rates or estimation of European genes in the African
8 American pool, with the highest rate in New Orleans. If you know the history, you know why
9 that is true. Again, the interracial marriages was again more acceptable in this part of the
10 United States. When you go to Jamaica, the rate is as low as 7 percent. So again, this tends to
11 drive why you need to be careful who you're calling African American and how you are using
12 that group to define your strategy in terms of drug development or even preventive strategies.

13

14 How can we explain the genetic variance without suggesting that groups are inherently
15 different? I think that is a challenge. Human groups are extremely fluid. I think that we all
16 would agree with. The word "race," for example, cannot begin to capture the commonalities
17 and differences of our shared history. Most African Americans have European ancestors. All
18 European Americans have African ancestors. It makes no sense to talk about races when we
19 are all complex mixtures of different peoples. I think this, however we are going to say, we
20 need to get the message out. Group attributes we are very, very good at identifying. We are so
21 good at it, before we look at it, we see all the differences in the world. It's driven by the way
22 we live our lives and the culture that we have acquired over the years, and I think, again, part of
23 the message here is as we learn more about our genetic susceptibility to disease and our
24 relationship to the past, we need to find better ways of putting genetics in context. People tend
25 to attribute great importance to the findings of geneticists. But the striking homogeneity of our
26 DNA actually emphasizes the centrality of the environment and our experiences in determining
27 who we are, and I will show a few slides to drive this message home.

1 This is a study we did some years ago -- again, Richard Cooper at Loyola Medical Center was
2 PI for this study -- where we actually measured blood pressure and other related risk factors,
3 including diabetes, in different African populations, what we refer to again as the African
4 Diaspora. These data here represent over close to 11,000 men and women from West Africa,
5 the Caribbean, the U.K., and in the U.S. The U.S. here is Maywood, Illinois. What you do see
6 again is as you move from West Africa to the United States, through the Caribbean and the
7 U.K., you have this monotonic increase in the risk of diabetes as your body mass increases.
8 Your body mass again is your weight divided by your height squared. That is basically how
9 heavy you are. Now, I know you also see the risk by men and women. So what is going on
10 here? The tendency, when I first came to the United States, when you hear the discussion
11 between black and white, the differences when you compare black and white, you almost think
12 that some of these attributes are driven by biology the way it's presented, and again I try to
13 make this point here, that we see that obesity is not a universal attribute of black people but it's
14 influenced by social and environmental conditions that people find themselves. It doesn't mean
15 you don't have genetic susceptibility. It always amaze me when I find, for example, somebody
16 with all the hazards, still heavy. You know, it is not a universal concept.

17

18 This is the same type of work but now looking at hypertension. You also see this relationship.
19 What is going on? I don't think the gene of these people have changed radically. If in fact it
20 has changed, what has happened to the admixture rates, unless you can justify that the only
21 type of genes that African Americans have incorporated from the European genes are all bad?
22 If not, you can't explain it. This has to be the impact of current environment.

23

24 Now, this really drives the point home. This is the same group of people, Nigerians. As you
25 move from the rural to the city, there's a universal concern. Blood pressure tends to increase
26 with age, but the degree at which that increases with age is driven by the environment that that
27 person lives in. This is rural, urban. As you move from the rural environment, you increase

1 your risk of just about all the cardiovascular diseases.

2

3 Going back to genes, this is the particular variant here that we studied in the angiotensinogen
4 gene. Again, the angiotensinogen gene system is one of those really, really critical systems for
5 us in terms of high blood pressure. The salt and water balance, actually. What you do see,
6 when this variant 235T was identified in the population, there was high excitement, and we've
7 been doing work in trying to understand the genetics of hypertension. Maybe we have again
8 finally identified one of the very important variants in terms of hypertension, but what we
9 noticed when this result came out, I ran to Nigeria right away to try to collect samples to see
10 what is it that is going on because one of the conclusions of this paper was that because this is
11 related to salt and water balance and given the history of the Middle Passage, that this may
12 actually explain the differential distribution that we see in terms of hypertension rate between
13 white and black in this country. So I wanted to know what is going on. If indeed that is true,
14 then this should be overrepresented in the population of African Americans and that was
15 indeed true, but what is not true is that what we see was not just African Americans. It was in
16 all of the other older populations, the Asian population, and if you look at the Caribbean. What
17 you do see is that it is the Europeans, the Caucasians here, that actually do stand out when you
18 compare, so there is an evolutionary history message here, again it is gene. And our attempts
19 to try to link this particular variant to hypertension in Nigerians and African Americans have
20 been very, very difficult. But you do see again differential frequency of the gene. We went
21 therefore to try to measure the products of this gene, which is angiotensinogen gene itself.
22 What you do see is that when you compare cases and controls, you do see the differential
23 distribution in the plasma level of the angiotensinogen gene, but when you compare the variant
24 in these two, it is not significant, and the conclusion that is reached with that kind of finding is
25 that this variant may not be important. I actually reached that conclusion myself, but what you
26 do see here is that to actually find the difference that is between 90 and 92 for this to be
27 significant, you need a very, very large number of people in this study. So I think sometimes

1 we rush to a conclusion because of, again, a complete lack of understanding of the picture.

2

3 I just wanted to end with a slide of my -- I have twin boys, and this was their 11-year birthday
4 party just a few days ago, and to actually see how again we are all in the same boat and the
5 environment that we find ourselves in is probably what is going to drive what we become and
6 how we interact with each other, and that the African Diaspora really will inform us as we try
7 to understand the variation and the impact of that variation on disease distribution, who we are,
8 how we relate to each other, and that genetics is not a death sentence. If you are a Nigerian,
9 and you have a susceptibility to malaria, and you are able to stay under that mosquito net for a
10 very long time, you may never get malaria. Thank you.

11

12 DR. BURKE: Thank you very much. It is 12:20, and what I'm going to propose is that we take
13 a break now and have as short a break as we can make it, 20 to 25 minutes max. So we will be
14 reconvening at quarter of 1:00, and we'll ask Dr. Graves to speak with us at that point.

15

16 (Whereupon, at 12:20 p.m., the meeting was recessed for lunch, to reconvene at 12:45 p.m.)

17

18

19

20

21

22

23

24

25

26

27

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
26
27

AFTERNOON SESSION

(12:50 p.m.)

DR. BURKE: Let's go ahead and start again. Dr. Graves?

DR. GRAVES: All right. I entitled this "The Emperor's New Clothes: Genetic Testing and the Social Construction of Race." You already know who I am. This is based on the discussion in Chapter 11 of my book, entitled "The Race and Disease Fallacy," which came out last year.

Now, we know that the mortality of African Americans has been pretty much double that of EuroAmericans across the 20th Century and that's from accumulation of all recorded biological sources of mortality collected by the U.S. Census. So what I have done here is shown the age-specific mortality patterns relative to the so-called white mortality at that age for the years 1963, 1980 and 1996. As you can see, these patterns have not changed across the latter portion of the 20th century. If you read my book, you'll know that these patterns were even worse at the beginning of the 19th Century and in the 18th Century during chattel slavery and so forth.

So the mystery becomes why have these mortality differentials persisted across modern times? My colleagues in the previous presentations have discussed how biomedical research and the biomedical research establishment has unjustifiably relied on genetic determinism or it has incorrectly understood the nature of human genetic variation and the social construction of racial categories. Very good historical examples of this are the Tuskegee syphilis experiment and the case of the disease pellagra. Pellagra, as you know, is a vitamin deficiency disease, yet Charles P. Davenport in the Eugenics Record Office at Cold Spring Harbor convinced the

1 American public that this disease was genetic and linked to congenital feeble-mindedness.
2 Between 1916 to 1941, 75,000 people would die, 55 percent of them described as non-white
3 children from a disease which was entirely preventable by including leafy green vegetables in
4 the diet.

5

6 Our research programs are still confused. The National Cancer Institute, the National Institute
7 on Aging, utilized the term "special populations." First, we could describe these special
8 populations as minorities, women and disabled. Then we asked what is biologically special
9 about these groups. These terms confuse the social with the biological categories.

10

11 Now, since the previous speakers have already explained why there are no biological races in
12 anatomically-modern humans, and in my presentation, I have attached an appendix to explain
13 why there are no biological races in anatomically-modern humans, what I want to do is talk
14 about the implications of that for medical research and genetic testing.

15

16 Now, one of the things we need to realize is that if we look at all phenotypic characters
17 together, they demonstrate readily the fallacy of racial categories. Physical features that are
18 used to define America's social races are discordant with our evolutionary history. In other
19 words, if I use those physical features, I can draw groupings based upon physical features that
20 do not match the genetic history of our species. Therefore, the idea then of using socially-
21 defined categories as if they are surrogate for underlying genetic variation is simply false.

22 Now, the reason this happens, and I'm sort of cut off here, is because diseases are also
23 phenotypes, and they are determined by both genetic and environmental contributions. So the
24 pattern of disease variation is going to be no different than any other phenotypic variation.

25

26 So what forces determine how genetic contributions to disease predisposition differ in various
27 populations? Well, together, it's natural selection and genetic drift that are going to determine

1 disease frequency. Now, in populations of different geographic origin, these factors will have
2 been by necessity different. Populations are homogenized by gene flow between them. The
3 socially-defined races of North America have varying amounts of gene flow, as described by
4 Dr. Rotimi's population figures in the last presentation.

5
6 Now, we can take examples of exactly how this worked. For example, if we look at what are
7 considered monomorphic loci that have one allele at a frequency of greater than 99 percent,
8 that's because variants at these loci are usually highly detrimental to individual fitness. Take
9 the hemophilia A mutation which has a frequency of about 2×10^{-4} . Well, the
10 gene is a large gene, and contains 26 exons, 186 kilobases. Mutations at this locus include
11 large and small deletions or insertions. There are 78 large deletions and 223 point mutations
12 known. Now, thus it is likely that unrelated families probably carry different hemophilia A
13 mutants. Their frequencies are determined by mutation selection balance. That is, once the
14 frequency gets extremely low, essentially chance events are going to determine the frequency
15 of hemophilia A within any given local population group. Now, the severely-deleterious alleles
16 thus, such as the one described here, are likely to vary in the socially-constructed races due to
17 particular aspects of their population history simply by chance alone. Now, on the other hand,
18 you have polymorphic loci with numerous alleles with no single allele having a frequency of
19 greater than 99 percent. Phenylketonuria, Tay-Sachs, cystic fibrosis and sickle cell anemia
20 have all been discussed here today. They vary between different groups, but the fact that they
21 vary between different groups does not mean that we can use these to define races that are not
22 essentially arbitrary. In other words, I could use some other characteristic and come up with
23 completely new frequencies in these groups, such as whether I have whorls, whether you have
24 whorls on your fingertips or whether you have loops in your fingertips. I could come up with
25 new gene frequencies for these diseases in those groups that would be just as valid as whether I
26 happen to be from any particular geographic region in the world.

27

1 Also, individuals carry genes of diverse geographic origins. So that for any individual in this
2 room, we do not necessarily know where your particular allelic variant originated, depending
3 again on one's social history. In the case of African Americans, admixture from Europeans is
4 well known. These estimates differ on average between 20 to 30 percent European admixture,
5 also about 10 percent American Indian, thus Asian admixture. Therefore, 40 percent
6 probability of disease predisposition originating in either Asians or Europeans and these
7 estimates again vary by local populations throughout the United States and the Caribbean.

8
9 Now, as America's ethnicities continue to intermarry, it's going to be even harder to associate
10 social definitions of race with biological variation. A recent study showed that the interracial
11 couples are far more frequent than interracial marriages. So we may be looking at statistical
12 data of marriages that are orders of magnitude below interracial couples who are also
13 producing offspring. The fact that they don't have a ring on their finger does not mean that
14 they will not produce children. Amazing how that works.

15
16 Diseases of complex origin. Now, identifying genetic explanations requires the ability to
17 control environments, and this is probably the most difficult point that needs to be understood
18 when we begin to talk about genetic predisposition for complex disease. In fact, we haven't
19 been studying it right for the most part and that's because there are things, like permissive
20 mutations, environmental effects, and if we look at the overall phenotypic variants for a
21 complex genetic trait, variants of that phenotype is determined by genetic sources,
22 environmental sources, gene-by-environment interaction and also the covariants of genes and
23 environment which I'm going to spend some amount of time on and why that is relevant to
24 issues of genetic testing.

25
26 Now, I'm going to sort of skip through hypertension really quick because Dr. Rotimi has
27 already talked about this, but essentially if hypertension rates are stratified by socioeconomic

1 status, the differential is located amongst African Americans in the higher socioeconomic
2 categories. Seems contradictory but that's what the evidence suggests. That means that the
3 hypertension difference, I think, results from a biological response to these social-cultural
4 factors. So the social construction of race is real in the sense that it has biological implications.
5 The fact that those groups are not biologically justified does not mean that we shouldn't study
6 them because our social history has produced conditions under which people in those social-
7 cultural groups suffer and they suffer drastically. So one way to deal with this would be to
8 control racism, and I know that's a radical suggestion, but if we were to control racism, we
9 might immediately reduce the hypertension differential. Yes?

10
11 DR. LLOYD-PURYEAR: Your first bullet, what do you mean, that African Americans in a
12 higher socioeconomic status have a higher rate of hypertension?

13
14 DR. GRAVES: Yes. That's exactly what that means.

15
16 Now, there are a number of gene loci associated with increased risk, AGT and ACE, for
17 example. Now, at angiotensin locus, there's a mutant called 235T which, at a position 235 in
18 the protein tyrosine has been switched from methionine. In EuroAmericans, 235T is associated
19 with an increased risk of hypertension. Now, as Dr. Rotimi pointed out, 235T is found at a
20 frequency of 85 percent in African Americans. However, 235T is not associated with
21 increased hypertension risk in Nigerians. Now, at ACE, there's a common alu insertion
22 polymorphism that affects the activity of this enzyme. The D allele is characterized by the
23 absence of these alu insertions and thus has higher enzymatic activity. Again, available
24 evidence shows that enzymatic activity of the insertion insertion, insertion deletion and
25 deletion deletion genotypes are similar in Nigerians, Jamaicans and in the United States
26 amongst all populations. Yet the deletion genotype doesn't seem to be influenced by racial
27 background. Again, we're talking about differences in the environment.

1 Now, Evans and Johnson in 2001, in their pharmacogenomic analysis do not utilize the term
2 "race" at all in discussing it. What they talk about is the common gene, common disease
3 hypothesis. Individuals from any socially-defined race may have a disease-predisposing allele.
4 Now, the difficulty with this is that this assumes that the environmental influences on the
5 gene's expression are the same in each group. However, can we assume that safely in the
6 United States? I say no. No such equality of environments exist for socially-defined minority
7 groups in America, and therefore the covariants of genes and environment, the term I was
8 discussing in the overall phenotypic variant's equation for complex traits, that term is either
9 positive or negative.

10

11 Now, for us to be able to determine or to isolate loci involved in this, we would want that term
12 to be zero, but in fact, I argue that for socially-defined racial groups in America, that there are
13 positive and negative covariances there. Therefore the common gene disease hypothesis would
14 fail if the candidate gene consistently experienced different environments depending upon an
15 individual's socially-defined race. For example, if one group were more likely to experience
16 environmental or occupational toxicity, well, is there any evidence of that? Okay. Well, here's
17 one, a GIS map showing racial composition of public housing sites within one mile of toxic
18 waste sites. Okay. Fifty to 75 percent minority occupancy represents 533 sites, greater than 75
19 minority occupancy represents 2,628 sites or 53 percent of the total. Therefore, it would be
20 useful to collect social science data concerning the exposure of groups to different
21 environments. We drastically need this kind of data to determine whether genes are
22 predisposing for disease or not or whether we're looking at environmental effects.

23

24 Now, one of the questions I was asked to prepare for this meeting was should population
25 categories other than race and ethnicity be used? Well, I would argue that we probably have
26 never seen genetically-based racial differences in most disease categories. I'm going to repeat
27 that because that is probably the most radical thing that I have said in my entire presentation.

1 We probably have never seen genetically-based racial differences in most disease categories.
2 Instead, we have observed underlying differences in the environments of the socially-
3 constructed groups that influence genetic predispositions for disease. For example, poor
4 communities often have elementary schools located by hazardous waste dumps, as this map
5 shows. And if one race has been historically poorer than the other, then they would have had
6 greater exposure to environmental toxins and so forth.

7
8 Now, the impacts of these environmental differences can be complex, and I think one of the
9 earlier speakers mentioned this, also. For example, studies of malnutrition in rats show that
10 maternal effects on adult health extend over several generations. We have already seen that
11 differential stress exposure plays a role in predisposing some African Americans to
12 hypertension. Offspring of alcoholic mothers show fluctuating asymmetry in their teeth and
13 fluctuating asymmetry has been linked to lower IQ in college students. Numerous studies show
14 that lasting adult pathology can result from stress in the maternal environment.

15
16 Now, there have been longstanding differentials in health and mortality between socially-
17 defined racial groups in America. These differences are not predicted by the underlying
18 genetic variation we see in humans. So in other words, if we were to look at the genetic
19 distances alone and the amount of genetic overlap that we see between populations, we would
20 not predict the huge differential in health, morbidity and mortality that we see in American
21 populations. This is the elephant standing in the living room. I've been saying this for years. I
22 started saying this way before the Genome Project. As a graduate student in Michigan in the
23 early '80s, I was saying this. Of course, I was a graduate student and nobody was listening to
24 me. Now, I have a book and now I'm here in front of you guys and hopefully somebody's going
25 to take this message beyond this meeting. These differences are not predicted by the
26 underlying genetic variation we see in humans. Yet research has focused on innate or genetic
27 explanations. This research has been accomplished without adherence to proper genetic theory

1 or experimental design. What I mean by that is we cannot even begin to talk about isolating
2 genetic variants in populations who have different environmental histories. Is an elementary
3 component a proper complex genetic analysis design? We've been doing it in *Drosophila*
4 through the entire 20th Century. Jandanski wrote about this. H.J. Mueller wrote about this.
5 Morgan wrote about this. This is not new. If you don't control environments, then you cannot
6 make proper estimates of genetic causality. That has never happened for the socially-
7 constructed racial groups in the United States.

8
9 So conclusion. Once we realize the lack of concordance between biological and socially-
10 defined races, then the disease and mortality differential becomes even more problematic.
11 Well-intentioned researchers who insist that "special populations" need to be included in
12 biomedical research designs need to be wary of how and why this is being done. I give an
13 example of a couple of studies, particularly the famous NitroMed study. For example, the
14 greatest amount of genetic variability in the human species can be found in Subsaharan
15 Africans. It makes sense to include African Americans who have significant Western African
16 ancestry in studies to examine genetic impacts of disease. In fact, if we were doing these
17 studies right to begin with, we would have started with Western Africans and African
18 Americans, instead of starting with Europeans, because the greatest amount of genetic
19 variability in our species is found in those groups, instead of looking at subpopulations that by
20 necessity because of their recency don't include all of the genetic variation, and there is an
21 example of the social history of science determining who we look at and who we design our
22 research paradigms for.

23
24 Now, we will also learn a great deal about how social and environmental factors influence
25 genetic predisposition, but we will not see essentialistic racial difference. Plato died when
26 Darwin wrote "The Origin of the Species." There isn't an essence of humanness. There isn't an
27 essence of racial identity. We are people and populations with genetic variation and that

1 genetic variation overlaps all of the groups. So eventually, as Dr. Rotimi was saying, we want
2 to be able to look at individuals, look at their individual genetic history and then see how that
3 contributes to their potential for disease. So the remedies we design from the socially-
4 constructed theory of race are radically different from the biological theory. The latter blames
5 the victim for their illness. Why are you sick? There's something wrong with you. You've got
6 some gene that makes you sick, and you know what, your race has got that gene and this other
7 race doesn't. That's why you're sick. Instead of asking the other question, the former asks what
8 does our society do that contributes differentially to the genetic predisposition of individuals
9 due to their membership in a socially-defined racial group. Those are radically different
10 questions which will require radically different types of solutions and a radically different
11 moral resolve on the part of this nation to deal with this healthcare disparity. Okay. So that's
12 my appendix. If you still think there are races in the human species, read through the appendix,
13 and I'd be more than happy to answer any questions on that after. Thank you for your attention.

14

15 DR. BURKE: Thank you very much, Dr. Graves. Dr. Brooks?

16

17 DR. BROOKS: Well, I was asked to come here to discuss the haplotype map project kind of as
18 an example of a scientific research project which actually involves identified populations. So
19 in order actually to understand the use of this information, I actually have to back up and
20 explain what the pieces are that go into this project. So I want to thank Drs. Mack, Rotimi and
21 Graves because they've done some nice descriptions of a lot of the things involved in defining
22 populations and genetic variation in humans, so that makes my talk more understandable. So
23 what I'm going to do first is really discuss the science of the haplotype map and then at the end
24 discuss some of the population parts of that.

25

26 So first off, what we're talking about for the haplotype map is a way to approach complex
27 diseases, the diseases that are contributed to by single genes. The methods for finding the

1 genetic basis for those diseases is more or less in hand. The problem is the complex diseases
2 that most of us are going to die of, I'm afraid, diabetes, heart disease, cancer, there's a lot of
3 diseases that are affected in very complex ways. There's genetic contributions. There's
4 environmental contributions. There's interactions of disease in the environment, and this is
5 very complicated. So I want to make it very clear, building on a couple of the last talks, that in
6 no way is the Genome Institute at all a genetic determinist. Clearly, these complex diseases
7 have very strong environmental contributions. On the other hand, being the Genome Institute,
8 our contribution to kind of trying to figure out the basis for these diseases can come through the
9 genetic side. The basic agenda here is that by having some insight, by finding genes that
10 contribute to these diseases, that gives you insight into the biological process of how the genes
11 and the environment contribute to get the disease.

12
13 So the basic challenge is how do you find genes that contribute to complex diseases, and of
14 course as pharmacogenomics, when I say disease, that's a shorthand for saying disease traits
15 that aren't diseases, response to drugs, response to vaccines. So just as a definition of single
16 nucleotide polymorphisms, what I'm showing here is a stretch of DNA. This is a stretch of
17 DNA, one piece of DNA, call it the very left end of Chromosome 1, and here are chromosomes
18 from three individuals that are that same stretch of DNA. As was discussed previously, 99.9
19 percent, most of the sites in the DNA are the same among all individuals. About one in 300
20 sites have variation in the population, but since you wouldn't be able to actually see this slide if
21 I showed you a realistic frequency, all of the white bases are the same in all individuals, and
22 what I have as the different colors here are bases where there's variation among individuals. So
23 here, for instance, one chromosome in one individual at this particular site in DNA has a C,
24 another individual has a T there, and so there's several of these shown here. So that's what a
25 SNP is. Any questions on that?

26
27 Okay. So then, if you're trying to find genes that contribute to a disease, what you're really

1 trying to find are these variants that contribute to the disease, and these variants help you find
2 the gene, and it's those variants that cause the differences in susceptibility among individuals to
3 getting particular diseases.

4

5 So you can sort of think of two methods. If you're going to look at all SNPs in the genome, for
6 instance, what you're really looking for is a SNP that that variant, that SNP allele, contributes
7 to a disease, and it may well be in the gene. It may be something that's regulatory for that gene,
8 but that's kind of basically what you're trying to find, is the gene and the variant that
9 contributes to disease. Now, that's one way. The other thing to do is that you figure, okay,
10 you're not necessarily going to find the exact gene there, but if you look at a lot of SNPs
11 throughout the genome and since genes are inherited, SNPs are inherited on chromosomes, so
12 they come in blocks, if you find something that's fairly near a gene that's contributing to a
13 disease, that's also going to be very useful.

14

15 So the question then is which SNPs are associated with disease, and this is a very hard
16 problem. There's about 10 to 30 million SNPs in the human genome, and genotyping is very
17 expensive and not to mention some of the statistical issues. So going through all of these sites
18 in order to figure out which of these variants are associated with disease is a very, very hard
19 problem.

20

21 Now, it turns out recent studies are showing that the genetic variation is organized along
22 chromosomes in a way that's actually very helpful. So in order to sort of explain how that way
23 is helpful, again I have to do some definitions. So these are the same chromosomes, very left
24 end of Chromosome 1, but now I'm talking about each chromosome here. There's a particular
25 SNP allele. This one has CCGT, and then this chromosome has a different set of alleles, and
26 this one has a third set. So each chromosome here in the particular genomic region is called a
27 haplotype. So haplotype is the set of alleles. It's a type of chromosome and these have

1 frequencies in the population. So for instance, the top one is a frequency of 40 percent, then 30
2 percent, then 20 percent, and then there may be a handful of other haplotypes at a frequency
3 that total about 10 percent. So is there any questions on that definition of haplotype?

4

5 Okay. Now, one more definition and then we'll get to a haplotype map. In a particular genome
6 region, there may be a large number of variants, but in fact, in terms of SNPs, there may be a
7 lot of SNPs in that region, but the number of common haplotypes, common being more than 5
8 percent in the population, is actually rather limited. There's only a handful, about four to five
9 to six on average, in a population. And so the number of haplotypes is much, much smaller
10 than it could be. It turns out there's just this handful. So if you're looking in a region, you're
11 trying to identify which haplotypes an individual has, you only need to look at a very few
12 number of SNPs. In this instance, the arrows show two SNPs I'm calling tag SNPs. Many
13 SNPs could be used as tag SNPs. You have to choose something. So these are two that work.
14 If you just look at these two SNPs, and the top one is AA, AC, or GC, if you just look at those
15 two SNPs, by knowing the alleles of those SNPs, you then know which haplotype that
16 chromosome is, and so what this means is that even though there may be a bunch of SNPs in
17 this region, it only takes two SNPs for these three haplotypes in order to know the number of
18 haplotypes.

19

20 Okay. So we're getting towards then what a haplotype map is. The genome turns out to be
21 organized so that you have these blocks of haplotypes, that in a particular region of the
22 genome, you'll have just a few common haplotypes that are just in these blocks. These are very
23 highly-associated SNPs within the block, and then you go to the next region over, which also
24 just has a handful of haplotypes, and going from one block to the other on chromosomes, you
25 see some recombination because there's sort of one from Column A, one from Column B, one
26 from Column C, and so this is the way that genetic variation is organized. It's not just every
27 SNP for itself. It's not that there's completely randomness from SNP to SNP but you do get

1 these blocks. So the world didn't have to turn out this way, but this is the picture that's
2 emerging of haplotypes are organized. So what this means then, if you want to use the
3 structure of the genome, you don't have to look at every SNP in order to find out which SNPs
4 are associated with some disease. What you can do is really try to find blocks that are
5 associated, and in order to do that, you only have to look at a few SNPs that define each block.
6 So for instance here, there's a couple of SNPs that define this block. There's one SNP for this
7 one. There's two SNPs for this one. So there may be a lot more SNPs in the genome but in
8 order to really capture this haplotype structure, you only have to look at a few.

9
10 So a haplotype map then is a map of these haplotype blocks. It's a few SNPs that define the
11 common haplotypes. It's very roughly 400,000 SNPs total, that's as opposed to 10 to 30
12 million, and it's a resource for later association studies. So just looking at that again, it's the
13 blocks and it's the SNPs that define those blocks.

14
15 Okay. So how do you actually use this to find genes that contribute to a disease? Well, so just
16 to make it clear, haplotype map is a tool. It's going to be done sort of once, more or less, get a
17 bunch of SNPs. Then those SNPs are going to be in databases as to which SNPs are and what
18 the blocks are. Then any time anybody wants to do a study in order to find the genetic
19 contribution to disease, so there's going to be zillions and zillions of these sorts of studies, and
20 they can all use the haplotype map.

21
22 I mean, this is where some of the comments before. These are the studies that have to be done
23 properly. You don't want to be confusing environmental differences with genetic differences,
24 but when these studies are done, as I say, they have to be done very carefully, but the theory is
25 you look at two groups of people, individuals with a disease and individuals without a disease,
26 and if you think about these diseases, individuals who have the disease on average have a
27 higher proportion of the environmental contributions to that disease and they have a higher

1 proportion of the genetic contributions to that disease. So if you're going through the whole
2 genome, what you'll see is that you can compare the genome and individuals without and with a
3 disease and look at the frequencies of the haplotypes in these blocks. So this is an example and
4 just to make the bottom line easy, in this region, the frequencies of haplotypes is exactly the
5 same in individuals with and without a disease. So there's no evidence that there's a genetic
6 contribution from this region. On the other hand, in another region here, individuals with a
7 disease, without a disease have a difference in frequency. The frequencies of these common
8 haplotypes in individuals without the disease, those haplotypes are still there but they've
9 changed in frequency and, in addition, in this example, there's a higher frequency of haplotype
10 in an individual with a disease that's not even common in individuals without a disease. So
11 what this identifies then is the candidate region. This is a region that's worth looking at. This
12 isn't proof. What one now needs to do is go through in a much more careful way and figure out
13 the genetic contribution, how that interacts with the environment, to see if there really is
14 something real here and sort of get out the biological complexity. So the haplotype map is a
15 tool to bring you to this stage. Much more detailed studies for any particular disease then is
16 needed to sort of follow up on that.

17

18 Okay. So the recent results are that really most SNPs are in these haplotype blocks, that each
19 block has a few common haplotypes. Now, talking about populations then, it turns out that the
20 common haplotypes are pretty much in all populations. So as was discussed earlier, the
21 frequencies of the haplotypes may differ among populations, but the common haplotypes are in
22 all populations. There are some population differences in haplotypes. It's turning out that the
23 boundaries of the blocks seem to be pretty similar among populations, except that African or
24 African-derived, such as African American populations, or maybe a block in European or
25 Asian ancestry individuals or populations are subdivided in the African or African-derived
26 populations. But sort of aside from that, the blocks are very similar, but the frequency of the
27 haplotypes differ.

1 So I'm going to go back to some points made earlier, and I kind of like to think of it in this way
2 as what I call my circle diagram. And what this is, if you think about the total genetic variation
3 in the world, that's the outside circle. The inner circles are the total amount of genetic variation
4 within any group, and I use group very loosely. Group can be a town. It can be a country or
5 larger group. The point is that most of the genetic variation in the world is found even in a
6 small group, such as a town. So this is getting to the point about individual differences.
7 Things like ABO blood groups. All populations have individuals that differ in their ABO
8 blood group. The way a variation works is not that some groups have all A allele and some
9 have all O allele. In fact, the variation is within the groups. So that's kind of a picture of the
10 structure of human genetic variation.

11

12 Now, what does this mean for something like the haplotype map? You could get a huge
13 amount of information just by looking at one population. You would find pretty much the
14 common haplotypes. So you get a lot by looking at one population, but what you lose by
15 looking at one population is the differences in frequencies. You know, this commonness
16 comes, as was discussed before, because of our common evolutionary history, that humankind
17 arose in Africa. Some Africans then walked to the rest of the world. So we share the genetic
18 variation that was in the original group, but then, of course, along the way, mutations have
19 occurred, so there's some new alleles. Founder effect sorts of things have changed the
20 frequencies of haplotypes.

21

22 So in thinking about developing a haplotype map, and I should say that there was a meeting last
23 July that many of you attended. Since then, there's been a couple of working groups, one on
24 the methods for doing the experimental design, the sort of genotyping methods, the other to
25 discuss the population and ELSI issues associated with this. And so as part of that and thinking
26 about the populations, the rationale for including more than one population is that the part
27 that's not in the middle is still important, too. So the part in the middle, by looking at different

1 groups, you get differences in frequencies of haplotypes. By looking kind of not in just one
2 group, you also get some haplotypes that may not be common in other groups. So even though
3 most of the haplotypes are common, there's still some others that are there and they're also
4 important. You know, partly this was discussed, like the cytochrome P450 alleles.

5
6 So the way the haplotype map, the ELSI population groups has been discussing populations is
7 really in terms of specific populations, that under this scenario, it's not like different groups are
8 radically different from each other. So the point is to get a sampling of groups from different
9 ancestral places, not that any particular group is essential and not that any group that we're
10 talking about is well defined, but as sort of a sampling of sort of filling in the stuff around the
11 edges and the frequency differences to get sort of some more populations. So the guidelines
12 that we've been working with of when you sample a group, you try to make it as specific a
13 group as possible. You don't talk about, say, all African Americans but African Americans in a
14 particular place, and so this is partly to get around this feeling that any particular group kind of
15 represent a huge bigger group and to recognize that group-to-group, there are still some
16 differences, even if they're not large differences.

17
18 So Jean McEwen has been in charge of doing the ELSI side of things, of organizing the
19 community engagements and the individual informed consent and the population stuff. She's
20 going to be discussing sort of some related stuff, but I think during the discussion, Jean will be
21 talking about that more, if you're interested.

22
23 DR. BURKE: Thanks very much. Dr. McEwen?

24
25 DR. McEWEN: I was asked to talk a little bit about some of the research that is currently
26 being supported by the Ethical, Legal, and Social Implications Program at the NHGRI to look
27 at the ELSI issues around this kind of research.

1 So actually, a lot of what we're doing at the moment really arose out of the most recent five-
2 year plan for the Genome Institute that was published back in '98, and as you'll notice here, two
3 of the five goals that were enunciated in that plan for the ELSI Program at the Genome
4 Institute, one was examine the issues involving the completion of the human DNA sequence,
5 and also to explore how socioeconomic factors, gender and concepts of race and ethnicity
6 influence the use, understanding and interpretation of genetic information, the utilization of
7 genetic services and the development of policy.

8
9 So sort of coming out of that set of recommendations, we issued back in the spring of '99 a
10 Request for Applications to support research on studies of ethical, legal and social implications
11 of research into human genetic variation, and so a lot of the studies that we're supporting right
12 now came out of this initiative. In addition to the Genome Institute, three other institutes,
13 Deafness, Environmental Health and also the National Institute of General Medical Sciences,
14 were co-sponsors of this initiative, and we ended up funding about nine projects relating to
15 these issues and formed a group that we're calling the Genetic Variation Consortium, which I'll
16 talk a little bit about more in a bit, but essentially it's just a group of the principal investigators
17 on these grants that meets together a couple of times a year to sort of discuss their research and
18 share ideas.

19
20 But let me talk a little bit about some of the specific projects, what these nine funded projects
21 are, because there's actually some pretty interesting work being done, although it's really
22 premature yet to say what exactly is going to come out of some of the studies because they're
23 just now really beginning to analyze their data. In another nine months or a year, we should
24 start to actually see some of the results.

25
26 The first project that I want to talk about is Pamela Sankar at the University of Pennsylvania,
27 actually in conjunction with Mildred Cho at Stanford University. They are doing a project that

1 looks at genetic stigmatization and really trying to sort of parse out what are the factors that go
2 into making particular genetic diseases more or less stigmatizing than others and that may make
3 genetic diseases in general perceived by some as more stigmatizing than non-genetic diseases.
4 it the geneticness of the disease per se or is it in some cases the association of the disease with
5 a particular racial or ethnic group that may account for the stigmatization, and they're looking
6 at these questions in a number of different groups. For example, people who identify
7 themselves as being members of particular racial or ethnic minorities versus those who do not,
8 people who do or do not have genetic diseases and also looking at differences in perceptions
9 among people who actually do genetic research and members of the lay public.

10
11 A second project in the consortium is Celeste Condit at the University of Georgia, who is doing
12 work on communication issues around genetic variation and using focus groups and survey
13 methodology. She's working with African Americans and European Americans to look at their
14 understandings of the relationships between race and genetics. The end goal is to develop an
15 actual measurement scale for assessing the extent of discriminatory impact of various types of
16 specific communication messages about genetic variation. So how we talk about these
17 concepts which will be extremely important, both in terms of helping to shape public dialogue
18 and also in terms of helping researchers understand the impact of the findings from their
19 studies as reported out in the journals and then obviously in the popular press as well.

20
21 A third study in the group is Bruce Elliott's study at the University of Minnesota, called
22 "Ethnicity, Citizenship and Family: Identity After the Human Genome Project," and this is
23 essentially a group that's gathering together an interdisciplinary group of scholars, ranging from
24 philosophers and religious studies people to anthropologists, historians, sociologists and so
25 forth, to actually look at the impact of genetic variation research in terms of people's
26 conceptions of their own personal identity and authenticity, also in terms of how they view
27 their identity in terms of their larger community and then at the other level, how they look at

1 their identity in terms of family and kinship relationships. So it's sort of these three levels of
2 identity and how that may or may not be influenced by findings on genetic variation research.

3
4 Another project is Mark Rothstein at the University of Louisville, who is doing a very
5 interesting study on pharmacogenomics and population groups, and this study actually has two
6 parts. He's doing, first, sort of a fairly traditional legal analysis, looking at some of the legal
7 issues that are likely to arise from increasing research in pharmacogenomics, particularly as it
8 relates to drug development, for drugs targeted at particular racial or ethnic groups, and some
9 of the issues that will arise in terms of recruitment of participants into these trials, other legal
10 liability issues, issues around coverage mandates and sort of looking into the future, probably
11 not-so-distant future with respect to certain drugs, in terms of the kinds of legal issues that
12 we're likely to see coming up. The second part of his study involves a very large national
13 survey of about 1,800 people, really designed to assess the current state of knowledge and
14 public attitudes about pharmacogenomics research and more generally about attitudes toward
15 genetic research that is focused on looking at differences between different racial or ethnic
16 groups. I think he actually just recently began to sort through the data, but it will be interesting
17 to see it as it comes out because he actually got a very high response rate to the survey. So I
18 think that there will be some interesting findings.

19
20 Another project that we're supporting is Patricia Marshall at Case Western, "Informed Consent
21 and Concepts of Race in Genetic Research," and Patty Marshall is working with Charles
22 Rotimi and has been working with him in Nigeria in connection with his ongoing studies of
23 genetic epidemiology studies on breast cancer and hypertension and also in conjunction with
24 researchers in the Metropolitan Chicago area who are doing research on the same diseases.
25 She's looking at differences between the two cultures and the way that people approach the
26 informed consent process and also in the way that they conceptualize their understanding of the
27 relationship again between race and genetics, you know, particularly in the context of these two

1 diseases.

2

3 The next project, Morris Foster at the University of Oklahoma, "African American Community
4 Review of Genetic Research." This project builds on some of his earlier work with Native
5 American communities. This time, he's working with three different populations or three
6 different groups of African Americans within the Oklahoma City area, some in the urban
7 Oklahoma City and then others sort of in the suburbs and others in sort of small all-black towns
8 in the area around Oklahoma City, each of which has a very distinct history and also a different
9 set of sort of social organizations, and so he's looking at ultimately trying to develop sort of a
10 model protocol or set of protocols for engaging communities in genetics research projects that
11 would be appropriate for these three very different types of populations with different histories
12 and population sort of leadership structures.

13

14 A somewhat analogous project but focusing on Native American communities is Paul Spicer at
15 the University of Colorado, who is doing work with five Native American tribes, two urban
16 tribes and three rural, and again looking at processes not only for sort of engaging the
17 communities but in fact for working with communities and going through the process of getting
18 tribal consent which, as you know, in Native American communities is actually a legal
19 requirement, and so he is talking with tribal leaders, tribal elders, members of Indian IRBs or
20 tribal IRBs, where they exist, and with general tribal membership to try to get a sense of what
21 the range of concerns is, looking at whether there are differences, significant differences
22 between tribes, between rural and urban tribes and so forth. Again, the ultimate goal here is to
23 develop some kind of a model protocol that could at least be a starting place for other tribes to
24 think about when thinking about how to or whether to participate in this kind of research.

25

26 The last two projects are actually not regular research projects. They're actually more training
27 and career development grants, but we decided to include them in the consortium nevertheless

1 because the people involved are actually doing research that's very related to these issues, and
2 the first one is Sandra Lee at Stanford University, whose mentor is Barbara Koenig, and she is
3 doing a project that I think is very relevant to what we're talking about here today, "The Ethics
4 of Identifying Race in the New Genetics," and essentially this is an ethnographic study in
5 which she's looking at the way that racial and ethnic categories are used by genetics
6 researchers, actually doing interviews with some of the researchers involved, people who have
7 been involved in setting up some of the cell repositories that involve samples from identified
8 populations and so forth.

9
10 And finally, another career development grant that has a significant research component
11 relevant to these issues is Linda Hunt at Michigan State, "Concepts of Race and Ethnicity in
12 Genetics Research," and again she's looking at the way that racial and ethnic categories are
13 used actually not only in research but also in the clinical practice setting.

14
15 So just to say a few words about the way that the Genetic Variation Consortium has worked.
16 We've met so far about three times, and we try to meet about twice a year. The meetings, I
17 think, so far have been fairly useful, both from the standpoint of giving people who are doing
18 the research in this area an opportunity to get together and sort of exchange their preliminary
19 findings and talk about common issues. We've also used it as an opportunity each time to bring
20 in at least one or two people who are actually involved in and doing the research, genomics
21 researchers -- Joseph Graves actually came to our last meeting and was useful there -- so that
22 we can again promote sort of a bidirectional interchange between the sort of ELSI people and
23 the people who are actually doing the research.

24
25 In terms of our plans for the near future, we just recently, a couple of months ago, issued a new
26 RFA that follows up on the original one, "Studies of the ELSI Implications of Genetic
27 Variation Research for Individuals and Diverse Racial and Ethnic Groups". Again, I don't have

1 time to go into the details, but I did bring copies of this for anyone who's interested to take a
2 look at the kinds of issues that we're addressing. This time, we actually have 10 participating
3 institutes across NIH which I think reflects the strong interest and growing interest of other
4 institutes in this area and the real recognition that there's a whole host of problems here that are
5 quickly going to be upon us, and we really need people that are looking at these in a rigorous
6 way. At this time, we're expecting about \$4.5 million available to fund these studies, and we
7 think we can probably fund about 10 to 15 new projects, and so we'll be getting Letters of
8 Intent in the next couple of weeks actually and the application deadline is July 10th. So again,
9 if folks around the room may be interested or know of others who may have an interest in this
10 area, I again encourage you to take a copy of the RFA and pass it around.

11

12 The last thing I want to mention briefly is plans to further explore some of these topics in a
13 workshop specifically on race and genetics that we've tentatively scheduled for this coming
14 August. This would be a workshop that would be actually part of the planning process for the
15 Genome Institute's new five-year plan, and the idea, although it's in the very preliminary stages,
16 is to draw together a fairly large number of both people who are doing genetic research,
17 particularly involving identified racial or ethnic groups, and also people who are doing research
18 on the ethical and legal and social implications and to really see if we can hammer out some
19 kind of agreement about what we understand and what we don't understand and also to develop
20 a research agenda for the next several years in this area. Our council just approved the concept
21 for this conference a few days ago, amid much discussion and some concern that it's bound to
22 be extremely controversial, but I think there was general agreement that these issues really have
23 to be tackled head-on. So that's what we plan to do. So that's it, and if you have questions, I'll
24 be happy to take them in the discussion.

25

26 DR. BURKE: Thank you very much. Our chairman has kindly given us permission to talk
27 until 2:15, and we've heard lots of interesting stuff. So I just want to open the floor at this point

1 to any questions that committee members may have for the panel.

2

3 DR. PENCHASZADEH: I have a question for Dr. Brooks. Hi. Could you tell us exactly how
4 and which groups are going to be studying what criteria? I don't think you addressed that.

5

6 DR. BROOKS: I should say, even though I'm going to put these up in a moment, they are not
7 100 percent set yet. The Population ELSI Group has been discussing this and it continues to
8 discuss it, and we're talking with people who may be collecting these samples and doing the
9 community engagement.

10

11 DR. BURKE: I'm not sure we have time to discuss that.

12

13 DR. BROOKS: There are two scales. One is large scale, looking over the entire genome. The
14 other is smaller scale, looking over about 30 regions of the genome. Those can potentially
15 become large scale depending on what's found. So what we're talking about for large scale is
16 the CEPH samples, which is a Northern/Western European background, even though they come
17 from Utah, the Aruban samples potentially, with Howard University participating there,
18 possibly China, Japan possible. And then the smaller scale ones we're talking about, Jean,
19 correct me, we've got Mexican American, African American, Chinese American, India
20 American, Italian American, and possibly Kenyan and South African.

21

22 DR. McEWEN: And possibly East European as well.

23

24 DR. BURKE: Well, with no one else jumping in, I'd like to ask a question of Dr. Graves. I
25 think you made a very provocative comment, a very provocative observation when you pointed
26 out that one might see differences between populations in prevalence of disease and attribute
27 them to genetic differences when in fact the difference might truly be a difference in

1 environment, where the genetic susceptibility is simply a small part of the story, if I'm
2 understanding your point correctly. It seems to me, and I'm now pushing for things that might
3 be important for us to understand in terms of making recommendations, it seems to me that one
4 of the lessons there is that looking for genetic susceptibility to a particular disease condition
5 should involve cases and controls from the same population and ideally the same general
6 environmental circumstance. That is, it seems to me the lesson you're pointing us to is that we
7 shouldn't be looking at white and black Americans and looking at genetic differences that seem
8 to correlate with differences in diseases, but rather perhaps looking at a population of black
9 Americans, some of whom have the disease in question and some of whom don't have the
10 disease. Am I understanding you correctly?

11

12 DR. GRAVES: I was at Henry Ford Hospital last week in Detroit, and the exact same question
13 came up in terms of how to do their epidemiological design, and what I said is what you need
14 to be doing is precisely that, not just looking at so-called blacks because I don't use that term
15 because it really doesn't describe what we're interested in, but you also need to look at whites.
16 You also need to compare whites and blacks of the same general conditions and blacks and
17 whites of the same general conditions, and I guarantee that for many things when we do that,
18 what we're going to see is the underlying environmental association with genetic predisposition
19 for alleles that are found in both groups. And people are not doing that because the working
20 hypothesis has been this essentialistic idea of race, in which there's a black disease and there's a
21 white disease. Blacks have hypertension, so we study blacks and hypertension.

22

23 DR. BURKE: Thank you.

24

25 DR. KOENIG: Thanks. My concern, as I've served on this Committee and have raised a
26 number of times the issue of race and genetics, is really an ELSI concern, which is, how is the
27 new genetics and genomic research going to affect our social understanding of race? That's a

1 sort of big “E” ELSI concern. It's not just an issue of individual discrimination, et cetera, and
2 so it seems to me that we have two real policy challenges ahead of us. One is, as we begin to
3 collect data, for example, about the postmarket surveillance of genetic tests, for example,
4 should we make any recommendations about how to categorize data without giving in to this
5 ritualized behavior of classification by traditional categories of race and ethnicity since we've
6 heard over and over again that although they have incredible political salience, they have no
7 biological meaning or they do only in particular context, for example, when you're studying
8 racism, when there might be a biological effect of the racism.

9
10 So that's one issue, and the second real policy dilemma that we have is, as we start thinking
11 about tests that may be labeled as specific to certain populations, as we've seen recently in
12 certain drugs that are labeled as possibly more effective in certain populations, as we think of,
13 for example, cystic fibrosis panels that may be more appropriate in populations with certain
14 continental origin, how can we do that in a way, again to raise the same issue, how can we do
15 that in a way without reifying the idea that race exists and having and making that a major
16 social problem associated with the positives of genomics? That may have been completely
17 inarticulate. I apologize if it was.

18
19 So it's those two issues. How can we collect the data, especially considering the DHHS
20 guidelines on data collection, which we're subject, which is why we invited the early panelists,
21 and then, also, how can we deal with targeted marketing and also regulating possibly direct-to-
22 consumer marketing of genomics products? If the panel can sort of play with those two things?

23
24 DR. ROTIMI: Barbara, I think you ask a very difficult question, but I think it's one that we
25 really need to start again trying to define how best to do the research. For example, the
26 construction of the human haplotype map and its implication for future research. Lisa went
27 into detail how we're trying to sample different populations. I think what is needed here is a

1 reeducation of the scientists and the public and that what we see in the human is a continuous
2 distribution of variation and that different groups may fall on a different point of this
3 continuum, but there's no way you can draw circles, a clear circle around these groups. You
4 may find a Nigerian here, maybe 80 percent of Nigerians here, they may find 15 percent here
5 and another 5 percent down the road. So there's no way you can draw a circle. So what is
6 important is that realization, so that when we are sampling, we should try to sample the human
7 population, and once you establish the human population, then I really at that point would
8 question any scientist's justification for group identity.

9
10 So what is needed is an attempt to represent the variation that is out there, and once that is
11 done, I will question the need for ethnic identity at that point, but if you are interested in an
12 environmental impact on disease, then you need this because that's the cultural and practices
13 that may have very serious relevance for disease. I use the example of alcohol, for example. In
14 a typical community in Nigeria, where you have predominantly Muslims, you're going to have
15 very few alcohol, whereas if you move to another population, you're not going to have. So if
16 you're studying a disease that has implications for alcohol use, we need to know who is the
17 Muslim, who is a Christian or who's the traditional worshiper in that context. So I think the
18 problem is when you lay genetics on that cultural experience that we get into trouble.

19
20 DR. GRAVES: To give you another example, you mentioned targeted marketing of products,
21 and I started off Chapter 11 in my book with a case in which Tums marketed the calcium
22 content of their product to Asian and Caucasian women. They argued that you had an
23 increased risk of osteoporosis, therefore you should take our product. And it makes perfect
24 sense. If calcium supplements help you, then you should take it, but everyone has a risk of
25 osteoporosis. So why would you target marketing to just those groups when in fact all humans
26 have a risk of osteoporosis at later age? It makes zero sense.

27

1 DR. BURKE: Thank you.

2

3 DR. TUCKSON: Let me just also thank you all. What a stimulating panel. We maybe won't
4 get to ask everybody questions, but this is tremendous. I guess what I'm sort of -- I always want
5 to find a way and hope that we can get back specific things from you. I'm struck by what seems
6 like a degree of heterogeneity in your conclusions, and so I only have two questions. First, I'm
7 wondering where do you disagree, and strongly disagree, with each other. That would help me
8 to understand better whether in fact there is a certain unanimity of consensus forming. Number
9 2, I found myself struck with Dr. Mack's presentation of the maps, and then reinforced by
10 everybody, is that there is much data, there is so much variability, this all is so subtle, down to
11 the whether it's C123S* in Malaysia, how will a genetic counselor ever have access to enough
12 information to be able to use any of it in precision guiding any individual person from any
13 individual state who is from any permutation of people at any particular moment in time to be
14 able to know what to do? And so I conclude my question with is it therefore true that it is
15 impossible and it doesn't matter? The counseling doesn't need to get down to that level of
16 detail around whether you get a pharmacogenomic test for being a fast metabolizer of opium.
17 At the end of the day, who knows? And it doesn't matter. Question.

18

19 DR. BURKE: Comment from the panel?

20

21 DR. TUCKSON: So two questions.

22

23 DR. MACK: Two questions. Oh, these are much easier than the last two, fortunately.

24

25 DR. TUCKSON: I'm not as smart as she is.

26

27 DR. MACK: Well, thinking about where we differ, I don't really think that we all differ very

1 much to an extensive degree. I think we're all coming from different perspectives and we're all
2 thinking about using the data in different ways, but I think almost everyone up here has kind of
3 spoken about hopes for a future where we look at an individual as an individual, instead of
4 lumping together in any sort of classification scheme. I think that's something that we should
5 all think about as an ultimate goal, is to do away with all of these classification schemes at
6 some level. Unfortunately, there's 6 billion, probably on the order of 10, 15 billion individuals,
7 to think about in this century, and I think that's part of the daunting task, and that's also part of
8 the reason that it's such a confusing issue because there are so many people and there is so
9 much variation. I can't speak for everyone else, but I think that that's the basis of the
10 commonality in our arguments.

11

12 In terms of the second question, it's a lot more of an issue for each individual to decide for
13 themselves. For pharmacogenomics, the thing that I'm concerned about is having an adverse
14 drug reaction. I think it's something like 7 to 10 percent of patients in hospitals have adverse
15 drug reactions, and this could be avoided with the proper test. The question is is it possible to
16 have a proper test, based on all the variation? And that's something that I think we won't know
17 for a while still. We'd have to do a genetic survey of the world. We'd have to look at all the
18 variation without considering classification or grouping.

19

20 DR. CARTER-POKRAS: Yes. Actually, I heard a lot more commonalities than disagreement,
21 and I kind of jotted down some of the areas where I thought we were in agreement. One is that
22 it sounds like there's general agreement that race is a poor surrogate for genetic variation and
23 biological variation, that we may see or observe higher frequencies of certain genes in certain
24 populations, but that's not the same thing as saying that it's a good surrogate.

25

26 Also, the importance of environment in gene expression -- I heard that oftentimes -- concerns
27 about stereotyping and misuse of this information, and that where we'd like to go is

1 individualized medicine, and it sounded like we were in general agreement here, and I'm seeing
2 a lot of nods across the room. So I heard more agreement than disagreement.

3

4 DR. TUCKSON: That's what I meant. I spoke so rapidly. That was my thesis as well, but
5 what you just ended was where now you've got me right -- if you're going to have
6 individualized medicine, I'm hearing a poor prognosis anytime soon to be able to have
7 individualized medicine because we're just so far away from being able to make sense out of all
8 this. Or am I misunderstanding?

9

10 DR. GRAVES: Yes, I think you're misunderstanding, because generally physicians have a
11 panel of things they look for in diagnosis. And what the problem has been in the past is
12 because they've thought that these things are essentialized to race, if an African American
13 walks in with scleroderma, a doctor doesn't see it as scleroderma because they see the dark
14 complexion of their skin and says, "Well, that can't be scleroderma, so I'm not going to send
15 you for a scleroderma test." Now, I know that's true because I've had that happen in my own
16 family. I've had several people, students, come in to me with various problems in their
17 families, and physicians telling them that you don't have that disease because it's not a black
18 disease. So physicians and genetic counselors need to be aware of the nature of human genetic
19 variability and the overlap, and then they go through the normal process of elimination. If it's
20 not that disease, then maybe we should test for this one. If it's not that one, we test for this one.
21 But if you start out thinking that there are races and races have essential features and some
22 races don't have this disease and other races do, then you miss the boat, and I think everybody
23 here agrees that we can't use that kind of approach.

24

25 DR. TUCKSON: So -- I'm sorry. Put me in the line if there's time.

26

27 DR. McCABE: I'm going to follow up. Maybe something I've learned from Dr. Tuckson is to

1 now focus down a little bit more, and so, Dr. Mack, I think your vision of an individualized
2 medicine is wonderful. We all talk about medicine becoming individually predictive and that's
3 one of the real benefits of the genomic medicine, and you gave us some specific examples. I'm
4 wondering if there are examples that you could think of that would have a high impact. If we
5 look at the whole thing, it's too big for us to get our arms around. So where could we have
6 major impact by developing some specific examples?

7
8 DR. MACK: Well, the first example that comes to mind is type I diabetes, where you have an
9 extremely high correlation with a particular subset of HLA alleles, and there's a relatively easy
10 way to test for your HLA type and you can determine the specific determination of risk. That
11 is irrelevant with respect to race. In fact, I'm thinking about some data that we had on a study
12 of diabetes in Mexican American populations, and we were able to determine the haplotype
13 that particular diabetes alleles were on, and it's the sort of situation where you can give
14 someone a kind of an individualized approach to their risk for a particular disease without
15 really having to worry about other issues. So diabetes comes to mind, and there are a few other
16 examples that we've touched on here, like cystic fibrosis, some of the beta-thalasseмии, and
17 sickle cell.

18
19 DR. McCABE: What about in pharmacogenomics?

20
21 DR. DESNICK: Well, that's where I think clearly we're going to have the advances because
22 that's one that's easy and straightforward. We need to do a lot more research to understand
23 allele frequencies, but I think if you consider that if every baby born had their genotypes for,
24 let's just take one example, CYP2D6, every baby has ear infections and every baby's going to
25 have decongestants and cough medicines, and there's probably one in 25 in this room or more
26 frequent, depending on your background, who knows that when they take Sudafed, they get
27 tingling and they get palpitations, and all you have to do is decrease your dose in half. But

1 babies can't tell you that, so the pediatrician's going to be able to know that because that
2 information will be available, and that is not an issue that causes great ethical, legal, or social
3 concerns because it crosses all barriers and it will be helpful to all babies. I think those are the
4 ones that will come right away. In the meanwhile, I think we end up looking at groups -- you
5 know, I thought it was very useful when it was pointed out that 86 percent of all the
6 polymorphisms are public. Four percent are private. So when you look at the 3 million base
7 pairs that are different between you and me, what you're really looking at is the variation that
8 causes disease, and those are the ones that the SNP Project is going to focus on to find common
9 disease, but I think what we're going to be able to do is to learn a whole lot more about how
10 these things affect the general population, but at the moment, with limited resources and so
11 forth, we're focused on more private populations and that's where our screening has been
12 directed -- high-frequency disease, genes that are workable, counseling, and so forth -- and I
13 think at the moment, we're moving in that direction. Down the road, it's going to get
14 personalized because everything's going to be mixed up.

15
16 DR. CARTER-POKRAS: Actually, there are two conditions that I can think of that would
17 have a great impact. One is in the area of hypertension. When you were talking about
18 pharmacogenetics. What came to me when we working together, Claudette and I, and working
19 on the Office of Management and Budget's review of the Federal standards for racial and ethnic
20 data, the director of Project RACE, which is a multiracial advocacy group, sent a
21 pharmaceutical insert to me for a hypertensive drug, and she said, "You know, I've got
22 multiracial children. When my children are grown, what level of this hypertensive med is this
23 doctor going to give me?" Because it said if you're African American, then you essentially
24 start the dosage at two or three times that of everybody else. So she was very concerned about
25 that, the fact that the Food and Drug Administration has approved an African American-only
26 clinical trial in regards to hypertensive drugs. This is an area that definitely we need some
27 guidance.

1 So that's one thought. The other is the Deputy Assistant Secretary for Health Policy had
2 mentioned to me the area of mental health. She's very concerned that we're going to learn a lot
3 more about genetics and mental health conditions and that this has even greater concerns
4 regarding stigmatization, and she feels like guidance is really needed in this area.

5

6 DR. McCABE: Yes, and that just points out one of the commonalities, and that is that race is a
7 construct that is losing any relationship to anything that we're concerned about in the healthcare
8 arena, certainly. And that even as we start talking about ethnocultural groups, we then are
9 using artificial constructs there, and ultimately we will devolve down to the individual because
10 it's difficult to really be predictive until we do that.

11 DR. BURKE: We have less than 10 minutes. We have actually about seven minutes. I don't
12 think we have time for any other commentators. Please be brief, so that we can get everybody
13 in.

14

15 DR. HUDSON: I, too, want to thank all the speakers for very clear and provocative
16 presentations. I particularly want to thank Dr. Rotimi for highlighting some of the confusion
17 and conflicting statements that are sort of circulating and swirling around us in the field of
18 genetics and genetic studies. I did actually walk away with a little bit of confusion myself, and
19 I feel like I periodically have a good grasp of this information and then I lose it, and then I get it
20 again, and I lose it. Dr. Desnick, I heard you say that if the studies to try to identify the genetic
21 contribution to breast cancer had been done in Jewish populations initially, that it would have
22 sped up the identification of BRCA1 and BRCA2. And actually I agree with that statement,
23 and then I heard Dr. Graves say that because of the genetic variability in African populations,
24 that more genetic studies should have been done in African populations. Those two statements
25 seem to be, at least on the surface, conflicting, and I was wondering if you could help me work
26 through that.

27

1 DR. GRAVES: Okay. They're not really conflicting statements at all. What they have to do
2 with is the way different types of populations can solve different problems. So first, attempting
3 to identify the broad genetic variability in the human population, you would want to go to a
4 population that has the broadest variability.

5

6 DR. HUDSON: Absolutely. Right.

7

8 DR. GRAVES: Now, specific genetic variants may have different phenotypes, may produce
9 different phenotypes in the particular genetic backgrounds, as in the case of BRCA1 and
10 BRCA2. Those genetic variants actually were not associated with increased early risk of breast
11 cancer in a large study of English women, who have a different genetic background than
12 Ashkenazi Jewish women. So his statement is correct. They were identified because of the
13 specific genetic background of that population, and so they're not contradictory at all. What it
14 is is we need to recognize what it is we are asking and what kind of population is appropriate
15 for answering that kind of question.

16

17 DR. HUDSON: I think that's right. I think clarity and being able to say what we're seeking to
18 find by specific studies in specific populations.

19

20 DR. DESNICK: When you're going to do a positional cloning project, homogeneity by descent
21 is powerful.

22

23 DR. HUDSON: Is the key. Absolutely. I'm just pointing out that on the surface, those two
24 statements, even to a sophisticated audience, can come across as confusing, and so we have a
25 lot of work we need to do in order to communicate really effectively so that we make clear why
26 some studies are appropriate in some populations and some are not, and I think we've got a lot
27 of work to do here. I think the studies that Jean outlined are going to be really critical in that

1 regard.

2

3 DR. LLOYD-PURYEAR: I have a similar question, but I don't think it's duplicative, that you
4 guys called for broad population mapping or engaging of populations very broadly in the
5 mapping, but that many scientists, if I understand, who are involved in the HapMap Project
6 think, and I may be characterizing this poorly, but that the sample from Utah – what's it called?

7

8 DR. BROOKS: CEPH.

9

10 DR. LLOYD-PURYEAR: CEPH. That that would be perfectly adequate to do the HapMap
11 Project, that one doesn't really have to go out to all these other populations, because what we're
12 talking about, if we're talking about common diseases, then we're talking about common
13 diseases, we're talking about common mechanisms. I mean, it's similar to Kathy's question, and
14 I think that's going to come up again. Can you address that? Because I think that's a basic
15 difference.

16

17 DR. BURKE: And I apologize for this, but I just want to remind everybody, we have to be
18 brief. We've got about three and a half minutes.

19

20 DR. BROOKS: Fine. I'll be very brief. I just want to point out, the haplotype map is a tool.
21 It's just going to be a bunch of SNPs, and then there will be all sorts of studies, as I said, very
22 carefully designed, comparing all different populations, environments, all that kinds of stuff.
23 So don't confuse the HapMap Project as a tool development with the subsequent studies that
24 will be used to associate variance in environment and disease.

25

26 DR. TUCKSON: Since in the interest of time maybe I'll have to ask for mine to be -- if I can
27 impose on you to maybe send us something to this question, then I won't ask you to do it now,

1 and that is this. Two things. By the way, one, Dr. Bennett, if there's anything we can learn
2 from your political experience – you don't have to answer now, but anything you can teach us
3 politically. If we don't say race is all that -- you know, whatever you all got beat up from on the
4 Census, teach us, so we'll know what to avoid or at least how to handle it politically, because
5 you all got beat up.

6

7 DR. BENNETT: Yes.

8

9 DR. TUCKSON: Number 2 is how do we write advice to the American people in our booklet
10 or report around what they should think about or protect themselves against when it comes to
11 race and genetic testing? If it is Sudafed, does every American baby get pharmacogenomitized
12 for Sudafed or only if it's marketed to you because you are an Ashkenazi Jew, you really want
13 to get Sudafed and the rest of you don't. Don't fall for that, dumb American. Deal with it
14 like this, and here's what we're going to write in the booklet. We've got to write a booklet. It's
15 got to have some stuff in it. Americans have got to get information because they've got to
16 know how to make these decisions.

17

18 Number 2, similarly, the opposite is because of Dr. Desnick's presentation, it sounds like it's a
19 really good thing for Ashkenazi Jews to know some stuff and that maybe everybody else
20 doesn't need to know. You really do want to know what it is before you have a baby. It's very,
21 very important. So here's a time where it is important, but it doesn't sound like it's going to be
22 important for African Americans to ever have anything that's, above all, I want this genetic test.
23 Gosh, darn, I've got to have it.

24

25 So I need you to help. That's what we've got to understand. Which times does it say because
26 of what you are, you've got to have it, versus there ain't no time in which you ever want to be
27 singled out because it's all a grab bag anyway?

1 DR. BURKE: I think what I'm hearing is a request for cogent examples, and again, in the
2 interest of time, I would just ask humbly of the panel, if they have good cogent examples that
3 will help us to craft educational messages, could you send them on to Sarah?

4

5 DR. DESNICK: I think the best response is that we have to educate the population about
6 different diseases that they may be at risk for and let them make their own choices. I think that
7 in the future people will get educated and we have to focus on educating them as much as we
8 can, so that they are informed enough and educated enough that in the simplest way they can
9 make choices about their reproductive and other futures.

10

11 DR. BURKE: Thanks.

12

13 DR. KOENIG: Following up on Reed, I'd like to ask Dr. Bennett and Dr. Carter if you could
14 maybe start this process of helping us to avoid getting burned in the same way. Are there any
15 guidelines that are absolutely needed for us to follow when we make suggestions about, as
16 we're setting up a database with CDC for postmarket surveillance of genetic tests, what if we
17 were to say, to try and deal with this important distinction about when race is important as a
18 social construct, as opposed to when it's not useful as a marker for real biological or genetic
19 variation, can we make those kinds of distinctions in the way we set up the database or will we
20 be having problems with the minimal data set?

21

22 DR. BENNETT: I would encourage you to give serious thought to doing exactly that, making
23 the distinction as to when race is in fact important for genetic reasons and when it is not
24 important for genetic reasons, because what you've basically said since I've sat here is that as
25 far as genetics is concerned, race doesn't matter, but you have to always remember in American
26 society and you have to put things in the historical and cultural context in which they evolve.
27 You also have to remember that you're dealing with individuals, and I would encourage

1 education. I would encourage training to your physicians not to make assumptions just because
2 of a person's race, not to draw conclusions based on geographic concentration, because race in
3 America is very geographically concentrated, and diseases are going to be very geographically
4 concentrated because of the populations. So those are some things that I would encourage you
5 include in your database. And I don't think that the Office of Management and Budget would
6 in fact have any problems with that delineation in terms of when it should be considered and
7 when it should not be considered.

8

9 DR. BURKE: Thanks very much. We'll let Judy make the last comment. We're out of time.

10

11 DR. LEWIS: I want to say that I really agree with Dr. Desnick around the idea that we have to
12 do more and more of educating people and having people make decisions for themselves, rather
13 than us making decisions for them. But the question that I have for the group is, as I chair our
14 Access Working Group, looking at the fact that we've talked about a lot of variation, how much
15 does access to care and access to services serve as an overlay to all of this and is that something
16 that is incredibly critical? I think, more than anything, if you can't get there, it doesn't matter
17 what we can give you when you get there, and any help that you all could give us in terms of
18 figuring out ways to ensure that access isn't based on anything that is artificial would be really
19 helpful.

20

21 DR. BURKE: And I just want to close by saying, obviously, we've barely begun a discussion,
22 we continue to need your help greatly, and we hope that you'll be willing to continue discussion
23 with us as we try and figure out what's the appropriate advice. Thank you very, very much for
24 your time.

25

26 DR. McCABE: I also want to thank each member of the panel for your very important
27 presentations to us. It really has helped to inform us, and we definitely would like any written

1 comments in response to Dr. Tuckson, and any other ideas that you have that have come out of
2 this that could help us. Thank you very much.

3
4 With that, we will move on now to the public comment. I have two individuals who have been
5 registered for public comment, Maria de Carvalho, representing the Oncology Nursing Society,
6 and Katherine Schneider, president of the National Society of Genetic Counselors. So first we
7 have Maria de Carvalho. If there is anyone else who wishes to speak from the public, please
8 register. Please let Sarah Carr or the folks outside know. Otherwise, it will be these two
9 individuals. We are running behind. I'd ask you to keep your remarks to three minutes or so, if
10 you can. Thank you.

11
12 MS. DE CARVALHO: Thank you very much. I am representing the Oncology Nursing
13 Society in giving commentary on informed consent for genetic testing and the role of advanced
14 practice nurses in cancer genetic counseling.

15
16 The Oncology Nursing Society is the largest organization of healthcare professionals in
17 oncology in the world with more than 29,000 registered nurses and other healthcare
18 professionals dedicated to excellence in oncology care. We thank Chairman McCabe and the
19 Secretary's Advisory Committee on Genetic Testing for the opportunity to testify today. We
20 commend the SACGT for its thoughtful and timely consideration of all issues related to genetic
21 testing and their continued exploration.

22
23 Genetic testing must be voluntary and conducted in conjunction with signed informed consent.
24 ONS joins with the International Society of Nurses in Genetics, the American Society of
25 Clinical Oncology, and the Task Force on Genetic Testing in advocating for informed consent
26 for genetic testing. Standards should be set to specify the minimum information that must be
27 provided to assure informed consent. Providing individuals with clear, concise, complete, and

1 standardized information is essential for informed decision making. In the interest of time, I
2 will not read through the components of informed consent that ONS advocates, but direct you
3 to our written testimony that includes these details.

4
5 Critical to the informed consent process is a dialogue between the patient and the providers in a
6 joint endeavor to facilitate informed decision making and consent. This dialogue should occur
7 at the level of language and comprehension of the competent patient and should be provided by
8 a professional competent in both cancer genetics and oncology. There currently is no "tailor-
9 made" professional to provide cancer genetic counseling services. The component of expertise
10 in cancer care is as important as expertise in genetics with respect to cancer genetic counseling.
11 ONS asserts that the provision of cancer genetic counseling is within the scope of oncology
12 nursing practice. Since 1997, the Oncology Nursing Society has had an established position
13 statement on the role of the oncology nurse in cancer genetic counseling. Healthcare providers
14 who offer and order cancer predisposition genetic tests should have sufficient knowledge and
15 competence in genetics, genetic testing, hereditary cancers, and cancer care to protect the well-
16 being of their patients. ONS maintains that advanced practice nurses, such as those with
17 master's preparation and those with specialized training in cancer genetics, are ideally suited
18 for practice in counseling and education regarding cancer predisposition testing. Long-term
19 management of patients at high risk for the development of cancer by those with an expertise in
20 cancer prevention and management must be assured. Despite the use of advanced practice
21 oncology nurses as part of the multidisciplinary team providing cancer genetic counseling
22 services, certain insurers will not recognize oncology nurses as legitimate providers of such
23 services. We continue to advocate that insurance carriers recognize nurses as competent
24 providers of cancer genetic counseling. We encourage the Committee to propose the inclusion
25 of nurses for medical provider recognition for reimbursement of genetic counseling services
26 related to cancer predisposition testing.

27

1 We thank the Committee for the opportunity to provide commentary and look forward to future
2 work which will assure that cancer predisposition genetic testing is accessible to those who
3 need it and that those individuals are supported with the highest quality of counseling and
4 professionalism. Thank you very much.

5

6 DR. McCABE: Thank you, Ms. de Carvalho.

7

8 DR. TUCKSON: Just one brief question. I want to understand, as we try to think about this
9 issue across all the disciplines that -- by the way, I'm talking to you, but I can't -- you know.

10

11 MS. DE CARVALHO: I understand that.

12

13 DR. TUCKSON: As we think about this across all the disciplines that want reimbursement for
14 this, isn't, though, there a great variability in nursing education, even at the level of the
15 oncology nurse, from school to school regarding these issues or is there uniformity and they all
16 have certain numbers of courses that make this a consistent curriculum that provides a
17 consistent expertise?

18

19 DR. McCABE: Judy?

20

21 DR. LEWIS: Maybe I can fill in a little bit of this in terms of nursing education, and my
22 question to Maria was going to be on terms of a number of advanced practice nurses who are so
23 credentialed, so maybe you can think about that while I'm saying this. But nurses, there are
24 national certification exams that have standards, and that if you pass that and you become
25 certified, like a certified oncology nurse or a certified nurse practitioner, then you have met
26 standards that have been set for the profession and it's quite similar to board certification in the
27 medical specialties. So I would argue that irrespective of the school you go to, the schools are

1 all credentialed, but it's the national certification exam that sets the standards for people who
2 are competent to provide that level of care.

3

4 DR. TUCKSON: But is that in genetics?

5

6 DR. McCABE: Yes. That was what I was going to ask. Does the certification exam include
7 genetics?

8

9 DR. LEWIS: Yes, and it would be certified as an oncology nurse, and then within that people
10 would be appropriately credentialed, but the state, in terms of reimbursement, what they're
11 looking for is that certification piece.

12

13 MS. DE CARVALHO: Yes. There are a variety of different kinds of certifications within
14 nursing, and certainly within oncology nursing there is a basic certification and then there's an
15 advanced practice certification, and actually I'm an advanced practice oncology nurse myself,
16 and now, within genetics as well, we also have credentialing services within the International
17 Society of Oncology Nurses. So we do have credentialing processes which do provide that
18 there is certain expertise and certain expectations of oncology nurses or nurses in general that
19 are able to provide this kind of care because of time within, that they have shown a certain
20 expertise within the kinds of clients that they have seen, as well as with continuing education,
21 as well as certification in terms of testing.

22

23 DR. TUCKSON: Well, Mr. Chairman, I think on this issue, I don't want to single out the one
24 discipline, by the way. It's a generic question, but given that this is coming up over and over
25 again around this reimbursement of genetic counseling, I think we're going to need, if we do
26 not have now, some sort of a common template that says what should those who are being
27 asked to pay for these services, how do you know who's qualified to provide the service and

1 how do you think through that, because everybody – first of all, not only in terms of providing
2 it, but how should an informed patient or consumer make a choice about who and where they
3 get their counseling?

4

5 DR. McCABE: Judy, brief comment, and then we're going to have to move on.

6

7 DR. LEWIS: I think it's very interesting that we ask these questions of the allied health
8 professionals, but we don't necessarily ask them in terms of other communities. For example, I
9 know that in order to prescribe drugs in Virginia, I have to have special certification and the
10 pharmacopeia is limited and I can only prescribe certain schedule drugs, but in Virginia it's
11 okay for a pediatrician to prescribe medication for a patient with Alzheimer's disease. So I
12 think if we're going to do this, we need to do it for all disciplines and not just for the allied
13 health professions.

14

15 DR. TUCKSON: I would say that across the board. I agree with you. I agree.

16

17 DR. McCABE: Thank you very much, Ms. de Carvalho.

18

19 MS. DE CARVALHO: Thank you very much.

20

21 DR. McCABE: The next presenter is Katherine Schneider, president of the National Society of
22 Genetic Counselors.

23

24 MS. SCHNEIDER: I have planned comments. I also would like to just take one second and
25 respond to the conversation that just happened. Representing the National Society of Genetic
26 Counselors, we are very concerned about the lack of reimbursement for genetic counseling
27 services and I think we need to spend more time fixing that, rather than singling out which

1 provider is best suited.

2 DR. TUCKSON: Okay.

3

4 MS. SCHNEIDER: I am currently president of NSGC, the National Society of Genetic
5 Counselors, which represents nearly 2,000 genetic counselors in an array of medical specialties
6 and is the leading voice, authority, and advocate for the genetic counseling profession. We
7 commend SACGT on its accomplishments to date and appreciate the opportunity to comment
8 on the committee's continuing activities. At this time, I would like to raise three points.

9

10 Point 1. Quality assurance measures for genetic tests should include genetic counseling.
11 Patients and families have the right to expect that the correct genetic tests have been ordered,
12 specimens have been sent to the appropriate laboratories, tests have been performed correctly,
13 and test results have been interpreted accurately. While the majority of discussion yesterday
14 focused on the importance of quality assurance measures for the laboratory analysis, it is
15 equally important to ensure the high quality of the pre- and post-analytic phases of testing. As
16 a quality assurance measure, this Committee should send a strong message advocating the
17 importance of genetic counseling during the testing process. Genetic counseling is critical for
18 assessing patients' risks, determining whether genetic testing is indicated, describing limitations
19 and implications of testing, selecting appropriate laboratories, and interpreting the test results.
20 In essence, genetic counseling is a key part of providing appropriate oversight for genetic
21 testing. The subtle nuances of reduced penetrance and variable expressivity complicate the
22 clinical implications of positive or negative test results and require providers who have a firm
23 understanding of basic genetics. Genetics specialists are therefore the logical providers for
24 being responsible for arranging complex genetic tests and discussing the clinical implications
25 of test results for patients and their relatives. Concerns have been raised about the shortage of
26 genetics professionals, and this issue must also be addressed, including strategies for educating
27 non-genetics specialists and primary care providers regarding appropriate genetics referrals and

1 available genetics resources. Innovative strategies for provider education will need to be
2 employed. For example, at the annual endocrinology meeting to be held in June, the American
3 College of Medical Genetics, with assistance from NSGC, will be hosting a creative interactive
4 program of genetics education and counseling.

5
6 Point 2. Clinical genetics research needs to be encouraged and supported. Patients found to
7 carry specific germ-line mutations invariably ask their clinicians, "What does this result
8 mean?" The only way to truly answer questions about genotype/phenotype correlations, as
9 well as questions about appropriate management, is through large-scale cooperative research
10 studies. NSGC strongly believes that such studies will provide valuable information for
11 patients and families. Thus, genetic research projects should continue being encouraged and
12 supported, including studies on the ethical, legal, and social implications of testing.

13
14 As a separate issue, clinical genetics researchers need clear guidance on how to protect each
15 family member's right to privacy while being allowed to gather the medical information needed
16 for family studies research. Pedigree and linkage analysis studies, cornerstones of clinical
17 genetics research, are becoming increasingly difficult to undertake because of concerns about
18 individual family members' rights to privacy. Yet these studies are critically important in our
19 quest to characterize rare genetic syndromes and to provide optimal care to families. It was
20 gratifying to read the draft documents on third parties and human subjects research prepared by
21 the National Human Research Protections Advisory Committee and the NIH Office for Human
22 Research Protections. Members of NSGC with expertise in clinical research are available as a
23 resource to help with further discussion and resolution of this issue. And, of course, having
24 sufficient privacy protections in place on a state and Federal level is also important.

25
26 Point 3. Race and ethnicity in genetic research and testing. As we have heard in today's
27 discussion, the distinction of race and ethnicity in the field of genomics is debatable. However,

1 in clinical genetics studies, the use of diverse populations is critical. Ethnicity may influence
2 the rates of detection of specific strong gene mutations and the severity of phenotypes, such as
3 in the case of cystic fibrosis, and the use of diverse populations is also important in research
4 projects studying attitudes, knowledge, and behaviors around the use of genetic test
5 information. The successful recruitment of non-white populations into clinical genetic research
6 studies will have two potential benefits. One, study conclusions will more accurately reflect
7 the rich ethnic diversity within the United States, and two, inclusion in such studies may pave
8 the way for an improved dialogue between the genetics community and various ethnic groups.
9 Some ethnic groups' past experiences with genetic research have made them suspicious and
10 reluctant to participate in genetic studies. It is important, therefore, for researchers to be
11 sensitive to these past experiences and recognize potential barriers to participation. Successful
12 recruitment of diverse populations will depend on having researchers who are familiar with the
13 views of ethnically diverse groups, including alternative views on health, illness, the role of
14 medical providers, and even the decision-making process. Thus, SACGT should include issues
15 of diversity training in educational efforts targeted to both researchers and clinicians.

16
17 In closing, the National Society of Genetic Counselors enthusiastically supports the efforts of
18 your Committee. Thank you for your time.

19
20 DR. McCABE: Thank you. Any questions or comments for Ms. Schneider?

21
22 DR. McCABE: If not, thank you. Our next topic is discussion of FDA's progress in the
23 development of premarket review of genetic tests. We heard yesterday that Dr. Gutman really
24 can't tell us a whole lot, that it's under legal review, and so I would just like to go on the record
25 stating that SACGT requests that FDA expedite the review of our oversight recommendation
26 or, in the absence of the ability to expedite the process, then provide SACGT with a more
27 complete explanation of what the issues and barriers are, and we would appreciate having that

1 as soon as possible and definitely before the next meeting, Steve. So, thank you, and we
2 understand that you're merely here sitting at the table, that you're not the problem with this.

3

4 DR. McCABE: But thank you for taking that message back to FDA for us. Any other
5 comments on that before we move on?

6

7 (No response.)

8

9 DR. McCABE: We're going to briefly discuss the expected topics at the May 14-15 meeting
10 and see if there's any input on those topics from the members of the Committee, and then we're
11 going to ask Kathy Hudson -- maybe, Kathy, while we're booting up over here, you could give
12 us some discussion of what's happened on the Hill.

13

14 DR. HUDSON: Sure. I'd be happy to. Yesterday, there was a hearing of the Health,
15 Education, Labor, and Pensions Committee in the Senate on genetic discrimination, and it was
16 a really positive hearing from my perspective. It was standing room only in the hearing room.
17 A significant number of senators were present at the hearing. Senator Hillary Clinton chaired
18 in Senator Kennedy's absence. Senator Jeffords was there, Senator Gregg, and Senator Enzi.

19

20 I think the importance of this particular hearing was that since June, when the President made
21 his radio address on genetic discrimination, there really has been no clarity in terms of what the
22 Administration would support with respect to genetic anti-discrimination in health insurance
23 and employment. We knew that the administration was against it, but we didn't know what the
24 specifics were, and what happened at the hearing yesterday was that the chairwoman of the
25 Equal Employment Opportunities Commission and the Assistant Secretary from the
26 Department of Health and Human Services, Bobby Jindal, both testified and articulated, I think
27 very clearly, where the Administration will support legislation, and they made six basic points

1 which I think are fairly consistent with the recommendations that the Secretary's Advisory
2 Committee has made. So we'll sort of see where we go from here. This is the first time I think
3 that across the board everyone was sort of singing from the same song sheet, so I think there's
4 some renewed optimism, although we have been charged with saying that before.

5

6 DR. McCABE: Thank you very much, Kathy, and maybe if you can keep us apprised. If
7 things are happening that we ought to know about, you could let Sarah know and she can get it
8 out to everyone. Thank you.

9

10 So the sheet in front of you is up on the screen. Obviously, we're going to begin the May
11 meeting -- just to remind everyone, it's May 13 to 15 at the Hyatt Regency in Maryland. The
12 first day will be devoted to the policy conference on *Genetic Testing and Public Policy:
13 Preparing Health Professionals*. So we need to definitely review what has happened at that
14 May 13th meeting. The next topic would be review of the Access Work Group's paper on the
15 need for changes in reimbursement policy for genetic counseling and education. That came up
16 here today, and certainly seemed to have a consensus that reimbursement is important, that that
17 drives policy. Does anybody have any objection with that being on the agenda?

18

19 (No response.)

20

21 DR. McCABE: Reporting out of the Rare Disease Work Group's white paper and
22 recommendations regarding education of laboratories on CLIA certification requirements.
23 That's a follow-up to the November meeting and to this meeting, so that would seem to make
24 sense.

25

26 Discussion of the public comments on the SACGT's information brochure, *Some Basic
27 Questions and Answers About Genetic Testing*. And that will be going out for public comment

1 very soon, so there would be time to get that in. We could have those summarized.

2 Discussion of the proposal for the HHS strategic plan for genetic technologies and, if
3 warranted, an HHS agency coordinating committee, and we talked about the positives and
4 negatives of a coordinating committee here, but it seems like that would be appropriate for
5 follow-up.

6

7 Report from the Data Work Group on case studies on the continuum of the development and
8 successful application of a genetic test that we talked about yesterday. You think that's doable
9 between now and then?

10

11 DR. BURKE: We will certainly have something to report.

12

13 DR. McCABE: And Sarah added one that's on the screen and not on my sheet, a follow-up on
14 data on race and ethnicity issue. That certainly sparked a lot of discussion. Yes, Wylie?

15

16 DR. BURKE: Obviously, we're nowhere near done talking about this. I think we're still just
17 beginning to get our arms around it. I wanted to ask whether it would be helpful to think about
18 inviting our panelists back to be part of that conversation. I felt like there were lots of
19 questions people wanted to ask and even answers they wanted to give that we weren't quite
20 done with.

21

22 DR. McCABE: Anyone have any objection to that?

23

24 (No response.)

25

26 DR. McCABE: Certainly, the panelists were extremely informative and it would be good if
27 they could be a part of that discussion to the extent possible.

1 DR. PENCHASZADEH: I certainly endorse that, but probably there should be a second stage
2 in that. You know, perhaps analyze particular studies or case examples that would give more
3 meat to discussion, because otherwise we're keeping the generalities of the gene environment
4 and so on.

5
6 DR. BURKE: But a sense I had, and you guys should tell me what you think, is that we were
7 all feeling a little muzzled. In other words, I'm sure there is lots of specific additional
8 information we'd like to have. I'm wondering if maybe the next phase of it is more open-ended
9 discussion where we get to clarify and maybe then clarify what data will be most useful to us.

10

11 DR. LEWIS: I'm wondering if maybe, rather than in a forum such as this -- and it may not be
12 possible to do in May. We may need to wait to August -- if we have one of those half-day
13 roundtables where we can have more of a working type of opportunity with both the people
14 who presented today and some of the people who have worked with the Access Group to start
15 informing some of the issues, so that we have even more of a diverse group than we had today.
16 But I think the framework -- when you give people 15 minutes to present, it's really hard. So
17 we never end up with enough time for discussion. So if we could have more of a free-flowing
18 discussion with some goals and objectives maybe a half day before our meeting -- and I know
19 we're booked before our May meeting, but that's why I was thinking maybe in August to do it,
20 so it has time to do justice to it.

21

22 DR. McCABE: Yes, except I would agree with Wylie. I don't know what we would ask for in
23 such a meeting at this time. I think that it would be helpful to invite the panelists back, have a
24 longer discussion than we were able to have today built on what we learned today, and then use
25 that to decide should we go to a roundtable discussion in August.

26

27 DR. BURKE: Get how to focus it.

1 DR. LEWIS: Okay. I think both would be good.

2

3 DR. BURKE: Yes.

4

5 DR. CHARACHE: Well, along those lines, I'm just wondering if we can frame up, and perhaps
6 send to Sarah, some of the questions we have. I mean, one of the challenges is going to be to
7 relate this body of information to our charge and to the various working groups' material that's
8 being prepared. Again, I agree. This should be more free-flowing, but if we can have some
9 concepts outlined, it may be helpful.

10

11 DR. McCABE: Okay. So send your ideas and your questions to Sarah, she'll circulate those,
12 we will work on them by e-mail, narrow them down, and help focus the discussion.

13

14 DR. BURKE: It sounds to me, Pat, like what you're saying, and I strongly endorse it, is we
15 need to keep our attention focused on what's an appropriate role for SACGT. How does this
16 translate into ways in which advice from this Committee could be helpful?

17

18 DR. CHARACHE: That's exactly what I'm saying, because I can see lots of perspectives in
19 which we have to decide how we're going to use this data.

20

21 DR. McCABE: Thank you. Then final issue, Number 8 on the screen and Number 7 on your
22 sheet, is a roundtable on the history of the development and implementation of the CF
23 population screening guidelines. These were the ones described from NIH, ACOG, and
24 ACMG, and the laboratory and education counseling components. It'll be another loaded
25 session, a loaded two days, but all important topics. Any of these that people feel are not
26 important and we should prioritize to a later meeting?

27

1 (No response.)

2

3 DR. McCABE: We'll try and see if we can get them in?

4

5 (No response.)

6

7 DR. McCABE: Okay. Good. Sarah will work her magic and get them all into the two days'
8 agenda. I want to thank Sarah and Susanne Haga and Suzanne Goodwin again for organizing
9 the meeting. Have a safe trip home and we'll see you in May in Baltimore.

10

11 (Whereupon, at 2:48 p.m., the meeting was adjourned.)