

COURTNEY M. PRICE
VICE PRESIDENT
CHEMSTAR

**American
Chemistry
Council**
*Good Chemistry
Makes it Possible*

MAR 26 2003

March 24, 2003

Via E-Mail and Overnight Courier

Dr. C. W. Jameson
National Toxicology Program
Report on Carcinogens
MD EC-14
P.O. Box 12233
Research Triangle Park, NC 27709

Re: National Toxicology Program (NTP), Call for Public Comments on 10 Nominations; Proposed for Listing in the *Report on Carcinogens*, Eleventh Edition; Federal Register, January 22, 2003 (Vol. 68, No. 14)

Dear Dr. Jameson:

The Naphthalene Panel of the American Chemistry Council submits these comments to NTP regarding the nomination of naphthalene for listing in the 11th *Report on Carcinogens (RoC)* in response to the January 22, 2003, *Federal Register* notice. In response to previous *Federal Register* notices,¹ the Naphthalene Panel has submitted comments regarding naphthalene on the proposed nomination (comments dated September 24, 2001), the *Draft Background Document* (comments dated October 2, 2002), and the "Working Group for the Report on Carcinogens – RG-2 Naphthalene Review" review (comments dated November 4, 2002). The Naphthalene Panel also made an oral presentation in the brief time period allowed at the Board of Scientific Counselors (BSC) RoC Subcommittee (Subcommittee) meeting on November 19, 2002.

As part of the RoC process, NTP has elicited recommendations on the listing of naphthalene from NTP Staff (the "RG-1" working group), from NTP's Executive Committee (the "RG-2" working group) and from the BSC RoC Subcommittee. Unfortunately, the RG-1 review occurred before publication of the *Draft Background Document*, the RG-2 review occurred after publication of the background document but before the date for receipt of public comments, and the BSC RoC Subcommittee based its decision apparently in large measure on

¹ 66 Fed. Reg. 38430 (July 24, 2001); 67 Fed. Reg. 14957 (Mar. 28, 2002); 67 Fed. Reg. 36621 (May 24, 2002); 67 Fed. Reg. 59301 (Sep. 20, 2002).



Responsible Care®

information newly introduced at the Subcommittee meeting and not made available as of this date by NTP either on its website or in a revised background document or even in minutes of the meeting. This simple recitation of the calendar of the nomination, review, and now proposed listing of naphthalene makes evident that there has been no sincere effort to engage public stakeholders in the process, and no effort to ensure that “[NTP’s] three scientific review committees are basing their decisions on the same basic material augmented by the additional public comments obtained during the review process.”² Indeed, members of the public who were not physically present at the Subcommittee meeting are not even aware that a substantial part of the apparent basis for the Subcommittee’s recommendation is not part of the public record, was not shared prior to the Subcommittee meeting with either Subcommittee members or the public, and has not been made publicly available to those who may be interested in submitting comments in response to the January 22, 2003 Federal Register notice regarding nomination of naphthalene as *Proposed for Listing in the Report on Carcinogens, Eleventh Edition*.

Despite the Naphthalene Panel’s continuing disappointment over past events, *viz* the mischaracterization of the science and the growing list of deficiencies in the process that has been followed to date in the nomination and review of the proposed listing of naphthalene, the Naphthalene Panel remains ever hopeful that, at long last, NTP will adhere to its self-described “transparent” process that is “open and fair, clear to all interested parties”³ and will consider these comments and attachments as well as those submitted previously. The Naphthalene Panel believes that NTP has no option but to start the review of naphthalene over if the collective record – including the transcript of the Subcommittee meeting - on the proposed listing of naphthalene by NTP is given serious consideration.

SUMMARY

The Naphthalene Panel urges that naphthalene not be listed in the *RoC, Eleventh Edition* for the following reasons:

1. Naphthalene does not meet the criteria to be listed as *Reasonably Anticipated to be a Human Carcinogen*:
 - 1.1. There is no credible evidence from studies in humans that naphthalene is a human carcinogen;
 - 1.2. The animal data are insufficient to conclude that naphthalene is associated with an increased incidence of malignant and/or benign tumors in multiple species or at multiple tissue sites;
 - 1.3. The animal data are insufficient to conclude that naphthalene is associated with an increased incidence of

² Letter from Dr. K. Olden, Director of NTP, to Ms. C. Price, Vice-President, CHEMSTAR of the American Chemistry Council dated March 11, 2003.

³ *Ibid.*

malignant and/or benign tumors by multiple routes of exposure;

- 1.4. The animal data are insufficient to conclude that naphthalene is associated with an increased incidence of malignant and/or benign tumors to an unusual degree with regard to incidence, site or type of tumor, or age at onset;
 - 1.5. Naphthalene does NOT belong to a well defined, structurally-related class of substances whose members are listed in a previous *RoC* as either a known to be human carcinogen or reasonably anticipated to be human carcinogen;
 - 1.6. There is NO convincing relevant information that naphthalene acts through mechanisms indicating it would likely cause cancer in humans; and
 - 1.7. While there is evidence of carcinogenicity in one species of laboratory animals, there are compelling data indicating that naphthalene acts through mechanisms which do not operate in humans and therefore, and on those grounds, cannot reasonably be anticipated to cause cancer in humans.
2. Should naphthalene be listed in the *RoC*, it would be the first substance to be listed based on “clear evidence” in one species of experimental animal, “some evidence” in one sex of a second species, and that is not genotoxic.
 3. The process followed by NTP in nominating, reviewing, and potentially listing naphthalene in the *RoC*, *Eleventh Edition* has not been “open and fair, clear to all interested parties, and maintain[ing] the scientific rigor necessary for decisions regarding the review of agents for inclusion in the *RoC*.”⁴

1. **NAPHTHALENE DOES NOT MEET THE CRITERIA TO BE LISTED AS
REASONABLY ANTICIPATED TO BE A HUMAN CARCINOGEN**

1.1 **There is no credible evidence from studies in humans that naphthalene is a
human carcinogen**

As concluded in the *Draft Background Document*⁵ and in the presentation of that document at the RoC Subcommittee meeting,⁶ the available human data are insufficient for evaluation of the carcinogenicity of naphthalene to humans. In addition, the review summary reports for both RG-1 and RG-2 each concluded that the very limited human data are insufficient for the evaluation of the carcinogenicity of naphthalene. Further, none of the RoC Subcommittee members appeared to have disagreed with the conclusions in the *Draft Background Document* concerning the insufficiency of the human data and one member, apparently reflecting the consensus view of the Subcommittee stated that the “human evidence isn’t helpful, so we are going to be considering the animal evidence predominantly in our discussions.”⁷

Nevertheless, the *Draft Background Document* is a document disseminated by NTP that should be accurate and should have provided a detailed explanation of the inadequacies of the two relatively old case studies described -- one an East German study dating from the 1970s and the other African case reports involving the oral intake of naphthalene containing compounds for medicinal purposes. This is particularly the case given that other recent NTP publications about naphthalene (*e.g.*, NTP 1992, 2000) have cited the East German reports of health effects observed in tar distillation workers, and used misleading summaries of these reports as part of the rationale for conducting assays in rodents. For the record the Naphthalene Panel wishes to point out some of the deficiencies of the German study.

NTP has, in its publications about naphthalene, used information contained in the East German reports (Wolf, 1976, 1978) to introduce a calculation that the data indicate a “greater than 4000-fold” increase in the incidence of the laryngeal cancers (NTP, 2000). This “4000-fold” figure appears to result from the ratio of 4/15 (incidence in naphthalene workers) to 6.3/100,000 (incidence in general male population in 1970). The increase in incidence actually presented in Wolf (1978) was a factor of 62. In evaluating the East German reports, the NTP *Draft Background Document* should have expressly stated that, although Wolf (1978) suggested that tar fumes in combination with heat may be causative factors, all four workers who developed laryngeal cancer were smokers, and the 15 workers in the study all were likely to have been exposed to many confounding factors in the workplace described by Wolf. The published statement by NTP (NTP, 2000, page 20) that the Wolf data indicate a “4000-fold” increase in

⁵ Draft Background Document at 21.

⁶ Transcript (11/19/02) at 61.

⁷ Transcript (11/19/02) at 94.

tumor incidence is an example of an inaccuracy that should be corrected in the *Draft Background Document*.⁸

NTP (2000) also refers to a publication by Kup (1978) as though it contains additional information about workers exposed to naphthalene in East Germany. The Kup publication, however, seems to be a lecture or presentation, apparently before a group of medical scientists or physicians. Kup's report is far from comprehensive and the four cancer cases are not the sole topic of his lecture. They are just mentioned without reference to any cohort, but are clearly the cases discussed in detail by Wolf (1976, 1978). The *Draft Background Document* should include accurate discussions of the Wolf (1976, 1978) and Kup (1978) publications to correct misimpressions resulting from inaccurate discussions in previous NTP publications about naphthalene, such as TR 500 (NTP, 2000) and the suggestion that multiple human evaluations were performed.

The EU Risk Assessment Report concludes the following about the East German studies:

Two brief reports are available of four cases of laryngeal cancer which occurred in workers engaged in the purification of naphthalene (Wolf, 1976; 1978). It is difficult to define from the reports whether the author identified these four cases independently or whether they were brought to his attention by an external source. However, it is clear from the reports that all the cases were smokers and were exposed to other substances including coal tar volatiles. Overall, no conclusion can be drawn from these reports regarding the role, if any, of naphthalene in the production of these cancers.⁹

The German BAuA (1998) notes the confounding factors in the East German cases and also notes that the cases referred to by Ajao *et al.* (1988) involved oral intake of "a concoction containing naphthalene." As is often the case with case reports, little information is available about the nature of possible confounding influences in the African reports.

EPA's Integrated Risk Information System (IRIS) database for naphthalene, last updated in September 1998, concludes with respect to human carcinogenicity data:

Available data are inadequate to establish a causal association between exposure to naphthalene and cancer in humans. Adequately scaled epidemiological studies designed to examine a possible association between naphthalene exposure and cancer were not located. Overall, no data are available to evaluate the carcinogenic potential in exposed human populations.

⁸ Translations of Wolf's reports were previously submitted to NTP by the Panel in its comments on the Draft Background Document.

⁹ EU (2002) at Section 4.1.2.8.2

- 1.2. The animal data are insufficient to conclude that naphthalene is associated with an increased incidence of malignant and/or benign tumors in multiple species or at multiple tissue sites
-

Mouse Study (NTP, 1992)

The NTP Technical Report for the mouse bioassay on naphthalene (NTP, 1992) found only that there was “some evidence of carcinogenic activity” of naphthalene in female B6C3F₁ mice, based on increased incidences of pulmonary alveolar/bronchiolar adenomas in the high dose group.¹⁰ The Technical Report did not make a finding of “clear evidence of carcinogenicity” in the test animals. An NTP study that finds that only “some evidence” of carcinogenicity, as opposed to “clear evidence,” should be deemed insufficient in weight to warrant consideration under the NTP “reasonably anticipated to be a human carcinogen” standard.

Further, the statements in the current IRIS database on naphthalene (EPA, 1998) support that the NTP mouse study provides insufficient evidence of the carcinogenicity of naphthalene in mice. Addressing the NTP mouse study, it states: “An inhalation unit risk estimate for naphthalene was not derived because of the weakness of the evidence (observations of predominant benign respiratory tumors in mice at high dose only) that naphthalene may be carcinogenic in humans.”¹¹ Indeed, only a single alveolar/bronchiolar carcinoma appeared among the 135 high dose female mice. No carcinomas were found in male mice. The NTP criteria regarding an increased incidence of malignant and/or combination of malignant and benign tumors clearly are not intended to pertain to an increased incidence of tumors that are so predominantly benign as in the case of the NTP mouse study.

The NTP mouse study (NTP, 1998) should not be considered by NTP for purposes of listing for the additional reason that “the pattern of toxicological evidence indicates that the mouse is more susceptible to the pulmonary toxicity of naphthalene than other species, and therefore the observed pulmonary adenomas seen in mice at [the high dose in the NTP study] are not considered to be of relevance to human health.”¹²

Rat Study (NTP, 2000)

The Technical Report on the NTP rat bioassay on naphthalene (NTP, 2000) states that the incidences of neuroblastomas of the olfactory epithelium occurred with positive trends in male and female rats and that the incidence in the high dose females was statistically significant compared to controls. The Technical Report also reports a statistically significant increase in adenomas of the respiratory epithelium. J. Harkema’s report, which is appended to the Naphthalene Panel’s comments on the *Draft Background Document*, characterizes some of the rat respiratory epithelial tissue neoplasms as carcinomas whereas NTP’s report (NTP, 2000) used

¹⁰ NTP (1992) at 36.

¹¹ EPA (1998) at Section II.C.

¹² EU (2002) at Section 4.1.2.8.3.

the terminology “adenomas” for all such tumors in the male rats and an increase in that tumor that was not statistically significant in the mid and high dose female rats.¹³ While these results indicate an increase in tumors in two different types of nasal tissue, the tumors all occurred in the nasal cavity. Therefore, it is clear that there was not an increase in malignant and/or a combination of malignant and benign tumors in multiple tissue sites because the nasal cavity is a single tissue site.

1.3 The animal data are insufficient to conclude that naphthalene is associated with an increased incidence of malignant and/or benign tumors by multiple routes of exposure

The route of administration in both the NTP rat study (NTP, 2000) and the NTP mouse study (NTP, 1992) was inhalation only and at high exposure concentration as compared to those to which humans would be exposed. Accordingly, by definition there is insufficient evidence from studies on naphthalene to conclude that there is an increased incidence of malignant and/or a combination of malignant and benign tumors by multiple routes of exposure.

1.4 The animal data are insufficient to conclude that naphthalene is associated with an increased incidence of malignant and/or benign tumors to an unusual degree with regard to incidence, site or type of tumor, or age at onset

The only malignant tumor increased in the NTP rat study (NTP, 2000) that possibly could be considered as induced to an unusual degree are the neuroblastomas seen in the nasal olfactory epithelium. The NTP report for that study notes that neuroblastomas of the nasal olfactory epithelium are rare neoplasms in rodents and humans. In addition, the report states that this tumor was not observed in the concurrent controls nor in NTP historical control databases. Even though these are rare tumors, several factors must be considered, before implicating the tumors as unusual under the NTP criteria for *RoC* listing. First, the historical control database for rats fed the NTP-2000 diet used in the NTP naphthalene bioassay is relatively small.¹⁴ Second, the EU Risk Assessment (EU, 2002) stated that the weight-of-the-evidence indicates that naphthalene is non-genotoxic (see discussion below as well as Schreiner, 2003), the tumors develop only at the sites where non-neoplastic inflammatory changes (atrophy, hyperplasia, and metaplasia) occur and thus concluded that the development of the nasal tumors is an apparent consequence of chronic tissue injury, for which an identifiable threshold of effect will exist.¹⁵ Tumors induced by such a common and non-specific mechanism of action should not be considered unusual, particularly when they occur at a site, as in the case of the nasal airway of the rat, where exposure to any irritating agent would be expected to cause inflammatory changes.

¹³ NTP (2002) at 36.

¹⁴ NTP (2000) at 28-29, 38 (Table 6, note “c”).

¹⁵ EU (2002) at Section 4.1.2.8.3.

Third, neuroblastomas of the nasal olfactory epithelium have been induced by oral, inhalation, or peritoneal exposure to several structurally unrelated chemicals, and in several of these studies, the induction of the tumors occurred in conjunction with olfactory epithelial non-neoplastic lesions, as in the bioassay on naphthalene.¹⁶

It also is noted that anatomical, physiological, and metabolic differences between the rat and humans raise substantial questions as to the relevance of the rat nasal tumors to humans. This is discussed in detail in Section 1.7, below, and is another reason that the rat tumors cannot be a basis for listing naphthalene in the *RoC*.

- 1.5 Naphthalene does NOT belong to a well defined, structurally-related class of substances whose members are listed in a previous *RoC* as either a known to be human carcinogen or reasonably anticipated to be human carcinogen.

No scientifically sound inferences about the carcinogenicity or genotoxicity of naphthalene can be made by an overly broad comparison of naphthalene's structure to polyaromatic hydrocarbons (PAHs). Biologically active PAHs share a common mechanism for genotoxicity and carcinogenicity based on their structure, which allows for metabolic conversion via the CYP1A1 enzyme to an active dihydrodiol-epoxide. Unlike the PAHs, the metabolism of naphthalene is under the control of the CYP2F enzyme family, not the CYP1A family, and does not lead to the formation of a dihydrodiolepoxide but instead form naphthalene-1,2-oxide.

While some chemists would agree that naphthalene can be technically classified as a PAH for purposes of definitional nomenclature, the importance of PAHs as a group is associated with their biological activity. Naphthalene is both biologically and structurally distinct from biologically active genotoxic and carcinogenic PAHs. Biologically active PAH's share a common mechanism for genotoxicity and carcinogenicity based on their structure. Although planar fused ring compounds (PAHs) vary considerably in their biological activity, genotoxic PAHs are indirect-acting or promutagens, meaning that genotoxicity is expressed following metabolic conversion of the PAH to an active species. The mechanism by which PAHs are thought to induce tumor formation is via interaction with genetic material within target cells, either frank mutagenicity or interference with normal genetic biology as a result of PAH-adduct formation with nuclear material. Accordingly, it is generally observed that the genotoxic potency of PAHs closely parallels the carcinogenic potency. However, this relationship is based on experience with PAHs having greater than two fused rings. Naphthalene does not have greater than two fused rings and results of numerous studies suggests that it is not mutagenic (see Section 1.6), *i.e.*, naphthalene does not appear to interact with DNA. Moreover, photomutagenicity, or the property of enhancing the mutagenicity of non-ionizing radiation, has been reported for carcinogenic PAHs (with greater than two fused rings) and can account for the observation of a lack of mutagenicity in highly carcinogenic neutral PAH mixtures (Selby,

¹⁶ NTP (2000) at 42.

1986). There are no reports of the photomutagenicity of naphthalene. The presence of antimutagens in carcinogenic PAH mixtures has also been suggested to account for the difference in activity observed between isolated components of a mixture and the intact mixture (Slaga, 1979). There is no information suggesting that naphthalene can exhibit activity of the type associated with PAHs having greater than two fused rings.

There is information to suggest that the active structure of some PAHs is a reactive arene oxide, in older literature termed the bay region diol-epoxide. A bay region diol-epoxide is formed in a PAH when three rings are fused in a way to create a pocket, the "bay". Bay region diol epoxides are formed enzymatically in humans by a P450 enzyme, CYP1A1. The ability and ease of a PAH to form a bay region diol epoxide can be calculated. This has led to a great deal of work in structure-activity-relationship (SAR) assessment of the potential for carcinogenicity of PAH compounds - but only PAH compounds with three or more fused rings.

In addition to the recognition of the importance of the bay region to genotoxicity and carcinogenicity of PAHs, it has been observed that the addition of a substituent group, almost always a methyl group, in or opposite to the bay region containing the epoxide impacts on PAH biologic activity. There are numerous examples of the alkylation of the PAH (with a methyl group) both enhancing and eliminating PAH tumorigenicity and mutagenicity (Saas, 1996; Slaga, 1979, Thakker, 1979).

The large and long-standing body of information relating to carcinogenic characteristics of PAHs, whether it be induction or suppression of genotoxic/carcinogenic activity, has not been associated with naphthalene. To date, a unified SAR theory does not exist to account for the observations of PAH carcinogenicity, particularly for PAHs that are substituted beyond the methyl state (nitroaromatics and branched chain alkylated PAHs, for instance). Various illuminating bodies of work have evaluated the carcinogenic effect of methyl-, ethyl-, and propyl-substitutions on fused-ring PAHs such as chrysene. Methylation has been shown to transform inactive PAHs to active and to deactivate carcinogenic PAHs. For example, methylchrysene is a more potent lung carcinogen than chrysene, but ethyl- and propylchrysene are less potent. Similarly, bay region methylation of dimethylbenzanthracene, a potent mutagen and carcinogen, completely blocks mutagenic and carcinogenic activity. However, none of these observations characteristic of PAH carcinogenicity have been found applicable to "PAHs" with less than three fused rings. In fact, no approach to PAH carcinogenic SAR, whether involving electron cloud density theories or methods of analysis involving statistics and artificial intelligence, includes naphthalene in the paradigm.

Accordingly, the statement by a member of the RoC Subcommittee that, because of naphthalene's structure, "naphthalene belongs to an agent, substance, or mixture which belongs to a well-defined structurally-related class of substances...I would suggest that naphthalene is a two-ringed PAH, and as everybody in this room knows, PAHs have relatively strong evidence as to their carcinogenicity"¹⁷ does not comport with the body of toxicology knowledge assembled on the carcinogenic characteristics of PAH compounds.

¹⁷ Transcript (11/19/02) at 99-100.

1.6 There is NO convincing relevant information that naphthalene acts through mechanisms indicating it would likely cause cancer in humans

Presentations made by representatives of NTP and of the Naphthalene Panel at the RoC Subcommittee meeting on November 19, 2002 both included summaries of the well studied metabolism of naphthalene. A key point made by both presenters was the relationship of naphthalene metabolism to species-specific organ toxicity. The species- and tissue-specific toxicity has been attributed to difference in metabolism (Sweeney *et al.*, 1996; O'Brien *et al.*, 1985).

As shown in Figure 1, naphthalene is metabolized by cytochrome P450 isoenzymes to naphthalene-1,2-oxide (also referred to as naphthalene epoxide) and subsequently to 1,4,-naphthalediol and 1,2-naphthalenediol. The diols are oxidized, either enzymatically or non-enzymatically to 1,4-naphthoquinone and 1,2-naphthoquinone, respectively. In the mouse lung naphthalene metabolism favors the formation of the 1R2S-oxide while in the rat and hamster lung, metabolism predominantly goes through the 1S2R-oxide. The differences in tissue responses between rats and mice have been ascribed to stereoisomeric differences (Buckpitt *et al.*, 2002). The relationship of this differential metabolism and its potential relationship to the formation of lung tumors in mice and nasal olfactory tumors in rats have been described in previous documents submitted by the Naphthalene Panel.

During the Subcommittee deliberations, a Subcommittee member opined that the metabolism of naphthalene should be looked at in a complex fashion rather than focusing on the stereochemistry of the epoxides and that only mechanistic pathways that are entirely believable and reasonable and should be considered seriously when the Subcommittee makes its final decision¹⁸. The alternative metabolism proposed by the Subcommittee member was that of the three ring (and greater) PAHs. Section 1.5 of these comments contains discussion of the differences between PAHs with three or more rings and naphthalene. The Naphthalene Panel takes issue with the characterization that the role of epoxides in tissue injury is not believable and reasonable. The literature over the past 20 years supports the role of naphthalene epoxide in tissue-specific toxicity (see recent review by Buckpitt *et al.*, 2002). An alternative pathway suggested by this Subcommittee member posits that the naphthoquinones and the reactive oxygen species that follow are an important mechanistic pathway that could, in part, explain the carcinogenicity of naphthalene. Extensive research on naphthalene metabolism does not support this.

The Naphthalene Panel agrees that it is important to evaluate appropriate mechanistic pathways in assessing risks associated with xenobiotics. Further, the Panel does agree that the literature demonstrates that low levels of naphthoquinones may be formed via metabolism of naphthalene. In its discussions, however, the RoC Subcommittee failed to give sufficient consideration to well established metabolic pathways and potential mechanisms of

¹⁸ Transcript (11/19/02) at 111.


tumorigenicity but rather focused solely on speculations that a minor metabolite should be considered relevant in contradiction to all published research on naphthalene metabolism.

Recently published studies evaluated the formation of hemoglobin and albumin adducts by naphthalene-1,2-oxide, 1,2-naphthoquinone and 1,4 naphthoquinone after naphthalene administration to F344 rats (Waidyantha *et al.*, 2002; Troester *et al.*, 2002). Results show that the levels of hemoglobin adducts formed with the epoxide greatly exceeds the levels of adducts from either of the quinones. In contrast, the amounts of naphthoquinone bound to albumin are very similar to the amounts of epoxide bound to albumin. In light of discussions by the RoC Subcommittee focused on the importance of naphthoquinone, the question is whether the levels of naphthoquinone produced during metabolism of naphthalene rise to levels of toxicological concern.

To support the relevance of naphthoquinones in the tumorigenic process data, a document was presented to the Subcommittee that apparently included published data from the literature thought to be germane to the naphthalene review. This document was prepared outside of the public comment period and not shared with the public before, at or after the meeting. Nonetheless, this document became the main focus of the Subcommittee's deliberations regarding naphthalene.

Following the meeting, the Naphthalene Panel was supplied four citations from the literature that apparently were included in the new document presented to the Subcommittee (Flowers-Geary *et al.*, 1996; McCoull *et al.*, 1999; Penning *et al.*, 1999; Yu *et al.*, 2002). None of these publications involves evaluation of naphthalene. Rather each addresses the synthesis, reactivity, binding, and mutagenicity of PAH o-quinones. These papers support a conclusion the PAH o-quinones and reactive oxygen species generated by o-quinone are mutagenic. The papers are silent about naphthalene.

Figure 1. Proposed pathways for Naphthalene metabolism (from ATSDR report, update 1995)



When evaluating naphthalene, it is important to consider results from studies with naphthalene *per se* in relationship to similar studies with metabolites. A RoC Subcommittee member stated that “the argument that naphthalene is not genotoxic is simply not true unless one decides to eliminate or not take into consideration the products of metabolism.”¹⁹ The Naphthalene Panel does not concur with this statement for the following reasons:

- A. Testing of metabolites at high concentrations is not relevant to low levels of metabolites generated *in vivo*.
- B. The genotoxic potential of naphthalene *per se* has been extensively evaluated. Review of the published literature shows that there are 33 non-mammalian and mammalian cell *in vitro* assays giving negative results. Additionally, there are four *in vivo* assays that were negative. A number of the *in vitro* studies were conducted under conditions of metabolic activation and, of course, naphthalene would be metabolized in the *in vivo* studies. If naphthoquinone formation were significant, positive responses would have been expected. Clearly, this is not the case. For example, a RoC Subcommittee member cited positive findings for four Ames strains tested with naphthoquinone as providing strong evidence of potential mutagenicity for naphthalene.

The conditions (*i.e.*, metabolic activation or non-activation) were not stated. The literature contains ten Ames studies with naphthalene, covering 33 evaluations covering five tester strains (six assays with TA1535; eight assays with TA1537; eight assays with TA100; nine assays with TA98; and two assays with TA1538) under conditions of both metabolic activation and non-activation (Schreiner, 2003). These studies were negative and support a conclusion that the level metabolically produced naphthoquinone do not rise to the level of inducing genotoxicity.

It was also stated by the RoC Subcommittee member that positive results from a “modern” mutational frequency study with p53 needs to be taken with some seriousness as opposed to more traditional mutagenicity assays. It should be noted that these “modern” results were derived from an *in vitro* study with PAH o-quinones (Yu *et al.*, 2002) and the study authors concluded that no mutants were observed with PAH o-quinone alone. This study, although presented as supporting the genotoxicity of naphthoquinone, does not.

- C. A RoC Subcommittee member stated “...you really can’t apply weight of evidence across genotoxicity studies.”²⁰ This statement was made to override the weight of evidence from naphthalene genotoxicity studies that

¹⁹ Transcript (11/19/02) at 108-109.

²⁰ Transcript (11/19/02) at 126.

is overwhelmingly negative. It may be that this Subcommittee member is unaware of the mutagenicity risk assessment procedures published by EPA that are used in evaluating the carcinogenic potential of pesticides and industrial chemicals (Russell *et al.*, 1984; EPA, 1986). These procedures are a weight of evidence analysis of all genetic toxicity data and affirm the conclusion reached by various regulatory bodies, including IARC and NTP, that the weight of evidence from naphthalene genotoxicity studies is overwhelmingly negative (Schreiner, 2003).

It seems that the underlying mechanistic information presented by NTP in its *Draft Background Document* (NTP, 2002) and its oral presentation, and by the Naphthalene Panel in its comments and oral presentation at the RoC Subcommittee meeting was lost in the Subcommittee's debate over metabolism of (three ring) PAHs.

The Naphthalene Panel agrees that mechanistic pathways should have been considered seriously when the committee made its final determination.²¹ Inexplicably, as the transcript of the meeting shows, the RoC Subcommittee's evaluation of mechanistic considerations focused little attention on the nasal olfactory tumors, especially given that naphthalene is not genotoxic. Considerations such as mechanisms of tumor formation are well recognized components of the risk characterization process (Williams and Paustenbach, 2002) and are one of the factors to be considered under NTP's listing criteria.

- 1.7 While there is evidence of carcinogenicity in rats, there are compelling data indicating that naphthalene acts through mechanisms which do not operate in humans and would therefore not reasonably be anticipated to cause cancer in humans

NTP's listing criteria state that even where there is evidence of carcinogenicity in laboratory animals for a particular agent, where there are compelling data indicating that the agent acts through mechanisms which do not operate in humans, the agent would not reasonably be anticipated to cause cancer in humans and therefore would not be listed in the *RoC*.

There are compelling data indicating that naphthalene causes nasal tumors in rats by mechanisms that do not operate in humans. Anatomical, physiological, and metabolic differences between the rat and humans raise substantial questions as to the relevance of the rat nasal tumors to humans. Human nasal physiology is significantly different from that of rodents. A primary site of action for toxic effects in rats is the olfactory epithelium, which comprises a significant portion of the total nasal cavity. The rat is an obligatory nose breather and must rely on olfaction for survival. The olfactory mucosa in rats is a highly developed system of cellular structures that performs complicated integration of olfaction and air humidification. Approximately 50% of the total surface area of the posterior region of the rat nasal cavity is comprised of the olfactory epithelium (Gross *et al.*, 1982; Uriah and Maronpot, 1990). Inhaled

²¹ Transcript (11/19/02) at 111.

vapors need traverse only a few millimeters past the resistant respiratory epithelium to reach the sensitive olfactory tissue in rats.

By comparison, the total surface area for chemical exposure is much less in humans (by a factor of five) since human nasal turbinates are much less convoluted than in the rodent. The olfactory epithelium comprises only about 10% of the human nasal cavity and is confined to the posterior dorsal region of the nasal cavity (Frederick *et al.*, 1994). The ciliated respiratory epithelium is the major lining of the human nasal cavity. In humans, inhaled vapors must traverse several centimeters through the ciliated respiratory epithelium before reaching the olfactory epithelium. Through mucociliary actions, the respiratory epithelium provides a protective system for the olfactory epithelium and other respiratory tissues. As a result of these differences, the efficiency of extracting chemicals from air inhaled through the nose is much less in humans than in rodents, which rely heavily on their sense of smell to locate food. The resulting dose deposited to the human olfactory epithelium, in particular, from inspired air is far less than for rodents for any given naphthalene concentration in air.

As noted above, irritation occurred in the nasal olfactory and respiratory epithelium in the NTP rat study (as well as in the NTP mouse study). Also as explained, it is likely that irritation plays a central role in the induction of nasal tumors seen in the rat. This conclusion is supported by the fact that naphthalene is largely negative in genotoxicity studies. Moreover, both the Draft EU Risk Assessment,²² as well as the EU Scientific Committee on Occupational Exposure Limits,²³ concur that chronic cytotoxicity is the likely mechanism for the tumorigenic effects of naphthalene in the rat nasal cavity. Given the factors discussed above, it appears unlikely that such chronic cytotoxicity in olfactory epithelium would occur in humans under conditions of naphthalene use.

Differences in the rate of metabolism and the character of the metabolites of naphthalene in rats and humans also support the hypothesis that the NTP rat bioassay results are not relevant to humans. Of all mammalian species, the human has the greatest capacity for the detoxification of naphthalene epoxide, the initial metabolite of naphthalene. This epoxide is a reactive and short-lived intermediary metabolite, which is thought to be the proximate carcinogen in the rat causing the neuroblastoma. Humans metabolize naphthalene epoxide at a rate 6-fold greater than rats, providing a protective mechanism from naphthalene effects. As explained by Kitteringham, *et al.* (1996), “. . . both rodent species [(rat and mouse)] showed consistently low (epoxide hydrolase) activity which, coupled with the possibility of differences in substrate specificity, cautions against the choice of rodent species for toxicity testing of compounds for which epoxide intermediates are suspected metabolites” (Kitteringham *et al.*, 1996).

²² Draft EU Risk Assessment, at Section 4.1.2.8.3.

²³ SCOEL (Scientific Committee on Occupational Exposure Limits) (2001).
“Recommendation from Scientific Committee on Occupational Exposure Limits for Naphthalene.” SCOEL/SUM/90 final, June, 2001.

In light of the foregoing anatomical, physiological, and metabolic considerations, there are substantial questions about the relevance of the rat nasal tumors to humans. These questions are of sufficient degree to preclude a finding that naphthalene is “reasonably anticipated to be a human carcinogen,” under conditions of use. This conclusion is corroborated by the mechanistic information discussed in Section 1.6 above.

2. SHOULD NAPHTHALENE BE LISTED IN THE ROC, IT WOULD BE THE FIRST SUBSTANCE TO BE LISTED BASED ON “CLEAR EVIDENCE” IN ONE SPECIES OF EXPERIMENTAL ANIMAL, “SOME EVIDENCE” IN ONE SEX OF A SECOND SPECIES, AND THAT IS NOT GENOTOXIC²⁴.

The scientific findings summarized above do not suggest that naphthalene should be listed as “reasonably anticipated” to be a human carcinogen. As noted in Section 1.2 above, the basis for “some evidence” of carcinogenicity in female mice is one carcinoma in one of 135 female mice. This evidence is weak at best. Importantly, NTP should not use naphthalene or any other individual substance to affect a “change by precedent” in RoC listing evidence requirements. Any change to NTP’s listing criteria should be submitted for review to RG-1 and RG-2 followed by a notice in the Federal Register that would allow all affected parties, including other agencies, the opportunity for review and comment.

3. THE PROCESS FOLLOWED BY NTP IN NOMINATING, REVIEWING AND POTENTIALLY LISTING NAPHTHALENE IN THE ROC, *ELEVENTH EDITION* FALLS FAR SHORT OF NTP’S COMMITMENT TO BEING “OPEN AND FAIR, CLEAR TO ALL INTERESTED PARTIES, AND MAINTAIN[ING] THE SCIENTIFIC RIGOR NECESSARY FOR DECISIONS REGARDING THE REVIEW OF AGENTS FOR INCLUSION IN THE ROC.”

The Naphthalene Panel believes strongly that the events that transpired at the November 19, 2002, RoC Subcommittee meeting with respect to naphthalene were serious transgressions of scientific rigor and due process. These are described in detail in the Naphthalene Panel’s letters of March 3, 2003 and November 27, 2002 to Dr. Kenneth Olden, Director NTP. Those letters and Dr. Olden’s responses dated January 27, 2003 and March 11, 2003 are attached and should be considered part of the Naphthalene Panel’s submitted comments.

In summary, the transgressions included an unexpected technical presentation focussed on information of no apparent utility to understanding naphthalene carcinogenicity delivered to the Subcommittee by the Chairman of the Subcommittee who subsequently voted on the disposition of naphthalene. The presentation allegedly included new information purporting to relate naphthalene to the carcinogenicity of PAHs that had neither been shared prior to the meeting with the Subcommittee, nor since made a part of the public record. At least some of the

²⁴ Transcript (11/19/02) at 98.

information provided (the four citations discussed in Section 1.6, above) concerned major metabolites of PAHs with three or more rings and did not address naphthalene. Moreover, this objectionable and inappropriate approach has continued to this day, with the apparent approval of NTP, as none of the materials presented are yet part of the public record. Indeed, none of this information was available for public comment before the Subcommittee meeting, after it, or at present. The information is only available through a request for the meeting transcript, included as an attachment to these comments.

A possibly even more glaring process error is the fact that the *Draft Background Document* on naphthalene remains in its original form, with its contents unchanged from its August 26, 2002, cover date. Neither the RG-1 findings nor the RG-2 findings are reflected in the *Draft Background Document* despite the requirements of NTP's own procedures that the *Draft Background Document* be so revised. Both on its web site and in correspondence from Dr. Olden, the *Draft Background Document* is described as the "document of record" for RoC decisions. Further, in Dr. Olden's letter of March 11, 2003, it is stated that NTP does "not alter the background document throughout the review period unless serious errors are detected in it. This assures that our *three scientific review committees are basing their decisions on the same basic material augmented by additional public comment obtained during the review process*" [italics added for emphasis]. The problem with this is it assumes the *Draft Background Document* is fundamentally correct when issued. Where, as here, the *Draft Background Document* is fundamentally flawed and despite repeated requests to remedy these flaws, the document remains unchanged, presumably only because of the process reasons given in Dr. Olden's letters. This contributes to the appearance and perceptions that NTP's solicitation for public comment is little more than a meaningless exercise in the form of transparency rather than the function; in going through the motions of stakeholder involvement rather than the actions.

More to the point, in this instance, NTP's actions betray a double standard. On the one hand, the naphthalene *Draft Background Document* remains unchanged despite voluminous evidence offered by the Naphthalene Panel that it is materially incorrect. On the other hand, when the Chairman of the RoC Subcommittee spoke at the November meeting, presented for the first time new data and his interpretation of this data to his colleagues on the Subcommittee, the public was expressly denied an opportunity to comment on these data. This denial continues to this day as none of the presentation, remarks, or other "new" information relating to PAHs with three or more rings presented at the RoC Subcommittee meeting are available for comment. The Chairman's exercise of executive prerogative was inappropriate last November, continues to be inappropriate, and is in sharp contrast with NTP's stated ideal that the three scientific review committees (two of which met before the end of the comment period on the *Draft Background Document*) based their decisions on the "*same basic material augmented by additional public comment obtained during the review process.*" Clearly, this is not the case as the PAH information is unrelated to the "same basic material" embodied by the *Draft Background Document*.

Rather than repeat here in detail all the many procedural errors and due process transgressions the Naphthalene Panel already has brought to NTP's attention, the November 27,

Dr. C. W. Jameson
March 24, 2003
Page 18

2002 and March 3, 2003 letters are attached and incorporated by reference here. Additionally, and for purposes of clarity and to ensure the record is complete, Dr. Olden's January, 27, 2003 and March 11, 2003 responses to the Naphthalene Panel's letters also are attached, as is a copy of the transcript of the November 19, 2002 Subcommittee meeting.

Based on the objectivity, utility and integrity of the data used to to date by NTP throughout the review of naphthalene, if NTP does not withdraw the *Draft Background Document* and consider naphthalene anew, the Naphthalene Panel will be forced to consider an Information Quality Act Petition requesting a "Predissemination Review" of the proposed listing of naphthalene in the Eleventh RoC.

CONCLUSION

The Naphthalene Panel renews its request that NTP immediately withdraw the *RoC Draft Background Document* for naphthalene, for all the reasons noted above and in the Panel's prior submissions. Further, the Panel requests that NTP suspend all further action on naphthalene until the *Draft Background Document* has been revised to reflect fully and accurately all available information and comments submitted on the recommendation to list naphthalene. The Panel also renews its request that NTP nullify the vote on naphthalene by the RoC Subcommittee and schedule naphthalene for review at the next RoC Subcommittee meeting. Acceding to these minimal requests and giving the review of naphthalene a fresh start will go a long way towards correcting the procedural and due process infractions that have characterized the listing process thus far. If NTP declines to provide this reasonable relief, the Naphthalene Panel asks that NTP advise the Naphthalene Panel of its decision before forwarding a recommendation to list naphthalene to the Secretary, Department of Health and Human Services and allow the Naphthalene Panel to meet with NTP.

If you seek additional information, please call or e-mail Dr. Anne P. LeHuray at (703) 741-5630 or anne_lehuray@americanchemistry.com.

Sincerely yours,


Signature

Courtney M. Price
Vice President, CHEMSTAR

Attachments

cc: Mr. Tommy Thompson, Department of Health and Human Services (HHS)
Dr. K. Olden, NTP
Dr. Christopher Portier, NTP
Dr. Dr Elias A. Zerhouni, Director, National Institutes of Health (NIH)

REFERENCES

- Ajao, O.G., Adunuga, M.O. and Ladipo, J.K. (1988). Colorectal carcinoma in patients under the age of 30 years: a review of 11 cases. *J. R. Coll. Surg. Edinb.* 33: 277-279.
- ASTDR (Agency for Toxic Substances and Disease Registry) 1995. Update toxicological profile for naphthalene. Final update. US Dept. of Health and Human Services, US Public Health Service, Atlanta, GA
- Barfknecht, T.R. 1985. Rat hepatocyte primary cultures/DNA repair test: Naphthalene. EPA Regis. No. 62766-1 (1991). Pharmakon Research International, Inc., Waverly, PA.
- Braga, R. S., et al., Identifying carcinogenic activity of methylated polycyclic aromatic hydrocarbons. 1999, *Theochem.* 464:1-3, 257-266.
- Buckpitt, A. *et al.* (1992). Relationship of cytochrome P450 activity to Clara cells Cytotoxicity: II. Comparison of stereoselectivity of naphthalene epoxidation in lung and nasal mucosa of mouse, hamster, rat and Rhesus monkey. *J. Pharmacol. Exp. Therap.*, 261, 364-372.
- Buckpitt, A., B. Boland, M. Isbell, D. Morin, M. Shultz, R. Baldwin, K. Chan, A. Karlsson, C. Lin, A. Taff, J. West, M. Fanucchi, L. Van Winkle, and C. Plopper (2002). Naphthalene induced respiratory tract toxicity: Metabolic mechanisms of toxicity. *Drug Metabolism Reviews*, in press.
- Buckpitt, A., Boland, B, Isbell, M., Morin, D., Shultz, M., Baldwin, R., Chan, K., Karlsson, A., Lin, C., Taff, A., West, J., Fanucchi, M., Van Winkle, L., and Plopper, C. (2002). Naphthalene induced respiratory tract toxicity: Metabolic mechanism of toxicity.
- Bundesanstalt für Arbeitsschutz und Arbeitsmedizin* [BAuA; Federal Institute for Occupational Safety & Health] (1998). German Comments on the Classification of Naphthalene (CAS no.: 91-20-3). COM.ECB4/020/98.
- EPA (1986). Guidelines for Mutagenicity Risk Assessment, Federal Register, Vol. 51, No. 185, pages 34006-34012, September 24, 1986.
- EPA (1998). IRIS Substance File for Naphthalene, <http://www.epa.gov/iris/subst/0436.htm>.
- EPA (1999). 64 Fed. Reg. 46771 (Aug. 26, 1999).
- EPA (2002). Announcement of Preliminary Regulatory Determinations for Priority Contaminants on the Drinking Water Contaminant Candidate List. Federal Register: June 3, 2002 (Volume 67, Number 106).
- EU (2002). European Union Risk Assessment Report: Naphthalene, CAS Number 91-20-3, EINECS Number 202-049-5. Final Report, March 2002. United Kingdom Health and Safety Executive (UK HSE).
- Frederick, C.B., Morris, J.B., Kimbell, J.S., Morgan, K.T., Scherer, P.W. (1994). "Comparison of four biologically based dosimetry models for the deposition of rapidly metabolized vapors in the rodent nasal cavity." *Inh. Toxicol.* 6(suppl.):135-157.

- Flowers-Geary L, Bleczinski W, Harvey RG, Penning TM. Cytotoxicity and mutagenicity of polycyclic aromatic hydrocarbon o-quinones by dihydrodiol dehydrogenase. *Chemico-Biological Interactions* 99: 55-72 (1996).
- Gollahon, L.S., Iyer, P., Martin, J.E., and Irwin, T.R. 1990. Chromosomal damage to preimplantation embryos *in vitro* by naphthalene. *The Toxicologist* 10: 274 (abst. #1094).
- Gross, E.A., Swenberg, J.A., Fields, S., Pop, J.A. (1982). "Comparative morphometry of the nasal cavity in rats and mice." *J. Anat.* 135:83-88.
- Harper, B.L., Sadagopa Ramanujam, V.M., Gad-El-Karim, M.M., and Legator, M.S. (1984). The influence of simple aromatics on benzene clastogenicity. *Mutat. Res.* 128: 105-114.
- Kitteringham, N.R., Davis, C., Howard, N., Pirmohamed, M., Park, B.K., (1996). "Interindividual and interspecies variation in hepatic microsomal epoxide hydrolase activity: studies with cis-stilbene oxide, carbamazepine 10, 11-epoxide and naphthalene." *J. Pharmacol. Exp. Ther.* 278(3):1018-1-27.
- Kup, W. (1978). Work-related origin of cancer in the nose, mouth, throat, larynx. *Akad. Wiss.* 2: 20-25 [as cited in NTP, 2002].
- McCoull KD, Rindgen D, Blair IA, Penning, TM, Synthesis and characterization of polycyclic aromatic hydrocarbon o-quinone depurinating N7-guanine adducts. *Chemical Research in Toxicology* 12: 237-246 (1999).
- National Institute for Occupational Safety and Health (NIOSH) (1983): National Occupational Exposure Survey (NOES): Final Report. Contract Number 210-80-6057, Cincinnati, Ohio.
- NTP (1992). *Toxicology and Carcinogenesis Studies of Naphthalene (CAS No. 91-20-3) in B6C3F₁ Mice (Inhalation Studies)* (Apr. 1992), Technical Report No. 410 (NTP Mouse Bioassay).
- NTP (2000). *Toxicology and Carcinogenesis Studies of Naphthalene (CAS No. 91-20-3) in F344/N Rats (Inhalation Studies)* (Dec. 2000), Technical Report No. 500 (NTP Rat Bioassay).
- NTP (2001). Call for Public Comments on 16 Substances, Mixtures and Exposure Circumstances Proposed for Listing in the Report on Carcinogens, Eleventh Edition; 66 Fed. Reg. 38430 (July 24, 2001).
- NTP (2002). Draft Report on Carcinogens Background Document for Naphthalene. August 26, 2002.
- O'Brien, K.A., Smith, L.L., and Cohen, G.M. (1985). Differences in naphthalene-induced toxicity in the mouse and rat. *Chem. Biol. Interact.* 55:109-122.

- Penning TM, Burczynski ME, H C-F McCoull KD, Palackal NP, Tsuruda LS. Dihydrodiol dehydrogenases and polycyclic aromatic hydrocarbon activation: generation of reactive and redox active o-quinones. *Chemical Research in Toxicology* 12(1): 1-15 (1999).
- Purchase, I.F.H., Longstaff, E., Ashby, J., Anderson, D., LeFevre, P.A., Westwood, F.R. (1978). An evaluation of 6 short-term tests for detecting organic chemical carcinogens. *Brit. J. Cancer* 37: 873-959.
- Research Toxicology Center (RTC) (1999). Naphthalene Unscheduled DNA synthesis (UDS) after *in vivo* treatment. Monitored by Rutgers VFT AG; sponsored by International Tar Assoc. Research Toxicology Center, Rome, Italy.
- Russell, L.B., Aaron, C.S., deSerres, F., Generoso, W.M., Kannan, K.L., Shelby, M., Springer, J. and Voytek, P. (1984) A report to the U.S. Environmental Protection Agency Gene-Tox Program: Evaluation of mutagenicity assays for purposes of genetic risk assessment. *Mutation Research* 134: 143-157.
- Saas. P. *et al.*, Effects of methyl substitutions on benz(a)anthracene derivative-induced immunosuppression. 1996, *J. of Toxicol and Environ Health* 49, 371-387.
- Schreiner, C.A. (2003). Genetic Toxicity of Naphthalene: A Review. *Journal of Toxicology and Environmental Health, Part B*, 6:161-183.
- Selby, C. P., et al., Chemical basis of photomutagenicity in synthetic fuels and correlation with carcinogenicity. 1986. *Mut Res* 188:4, 287-299.
- Shultz, M. *et al.* (2001). Metabolic capabilities of CYP2F2 with various pulmonary toxicants and its relative abundance in mouse lung subcompartments. *J. Pharmacol. Exp. Therap.*, 296, 510-519.
- Slaga, T. J., et al., "Comparison of skin tumor initiating activities of dihydrodiols, diol- epoxides and methylated derivatives of various polycyclic aromatic hydrocarbons" in Polynuclear Aromatic Hydrocarbons: Chemistry and Biological Effects: Fourth International Symposium, 1979, Bjorseth and Dennis, eds., Battelle Press, Columbus, Ohio, pp. 753-769.
- Sorg, R.M. (1985). Micronucleus test (MNT) OECD: Naphthalene. EPA Regis No. 62766-1 (1991). Pharmakon Research International, Inc., Waverly, PA.
- Sweeney, L.M., Shuler, M.L., Quick, D.J., and Babish, J.G. (1996). A preliminary physiologically based pharmacokinetic model for naphthalene and naphthalene oxide in mice and rates. *Ann. Biomed. Eng.* 24:305-320.
- Thakker, D. R., "Comparative metabolism of polycyclic aromatic hydrocarbons to bay-region diol epoxides" in Polynuclear Aromatic Hydrocarbons: Chemistry and Biological Effects: Fourth International Symposium, 1979. Bjorseth and Dennis, eds., Battelle Press, Columbus, Ohio, pp267-286.

- Troester, M.A., Lindstrom, A.B., Waidyonatha, S., Kupper, L.L. and Rappaport, S.M. (2002). Stability of hemoglobin and albumin adducts of naphthalene oxide, 1,2-naphthoquinone and 1,4-naphthoquinone. *Toxicological Sciences* 68: 314-321.
- Tsuda, H., Lee, G., and Farber, E. (1980). Induction of resistant hepatocytes as a possible short-term *in vivo* test for carcinogens. *Cancer Res.*: 1157-1164.
- Uriah, L.C. and Maronpot, R.R. (1990). "Normal histology of the nasal cavity and application of special application of special techniques." *Environ. Health Perspect.* 85:187-208.
- Waidyonatha, S., Troester, M.A., Lindstrom, A.B. and Rappaport, S.M. (2002). Measurements of hemoglobin and albumin adducts of naphthalene-1,2-oxide, 1,2-naphthoquinone and 1,4 naphthoquinone after administration of naphthalene to F344 rats. *Chemico-Biological Interactions* 142: 189-210.
- West, J.A.A. *et al.* (2001). Inhaled naphthalene causes dose dependent Clara cell cytotoxicity in mice but not in rats. *Toxicol. Appl. Pharmacol.*, 173, 114-119.
- Williams, P.R.D. and Paustenbach, D.J. (2002). Risk characterization: Principles and practice. *J. Toxicol. Environ. Health. Part B.* 5(4): 337-406.
- Wolf, O. (1976). Cancer morbidity amongst chemical workers from a former naphthalene cleaning plant [translated from the German by the UK HSE]. German title: *Krebserkrankungen bei Chemiearbeitern einer ehemaligen Naphthalinereinigung* *Dt. Gesundheits-Wesen.* 31: 996-999. Translation date: June 1995, HSE translation No.: 15358(A).
- Wolf, O. (1978). Carcinoma of the larynx in workers engaged in the purification of naphthalene [translated from the German by the UK HSE]. *Zeitschrift fur die gesamte Hygiene und ihre Grenzgebiete*, 24 (10), 737-739. Translation date: May, 1995, HSE translation. No.: 15329(A).
- Yu D, Berlin JA, Penning TM, Field J. Reactive oxygen species generated by PAH o-quinones cause change-in-function mutations in p53. *Chemical Research in Toxicology* 15: 832-842 (2002)

Attachment A

Condensed Transcript
National Institute of Environmental Health Sciences
National Toxicology Program (NTP)
Board of Scientific Counselors

Report on Carcinogens (ROC) Subcommittee Meeting

November 19, 2002

CONDENSED TRANSCRIPT

NATIONAL INSTITUTE OF
ENVIRONMENTAL HEALTH SCIENCES

NATIONAL TOXICOLOGY PROGRAM (NTP)

Board of Scientific Counselors

Report On Carcinogens (ROC) Subcommittee
Meeting

November 19, 2002

Hyatt Regency Bethesda
Crystal Ballroom - Baccarat Suites
7400 Wisconsin Avenue
Bethesda, Maryland

County Court Reporters, Inc.
CCR

Toll Free 800 282-8777

Registered Professional Reporters
Certified Video Technicians
Professional Reporting since 1975

FAX 540 667-8582

STEPHENSON, VA 22656
1160 Jordan Springs Road

WASHINGTON, DC 20007
1000 Potomac St., NW, Suite 300

LEESBURG, VA 20176
110 Market Street NE, Suite 106

HARRISONBURG, VA 22801
22 East Market Street

Member: National Court Reporters Association • Virginia Court Reporters Association • Pennsylvania Court Reporters Association
Maryland Court Reporters Association • Society for the Technological Advancement of Court Reporting

13538-1 - NIEHS MEETING - 11/19/02

Page 55

1 DR. JAMESON: Thank you,
 2 Dr. Froines. I would like to present
 3 you with some background information
 4 on the nomination of naphthalene for
 5 listing in the Report on Carcinogens.
 6 The background document was prepared
 7 for the National Toxicology Program by
 8 Technology Planning and Management
 9 Corporation, TPMC. They are the
 10 support contractor for the Report on
 11 Carcinogens. Helping us with the
 12 background document on naphthalene was
 13 Dr. Rick Hailey who is a veterinary
 14 pathologist on...

15 DR. FROINES: Excuse me,
 16 Dr. Jameson. I need to interrupt
 17 here. For this compound, I am
 18 stepping down as the chair so I can
 19 be a participant in the
 20 discussion, and Dr. Allan Smith will
 21 chair the committee for the purposes
 22 of naphthalene.

23 DR. SMITH: Thank you,
 24 Dr. Jameson.

25 DR. JAMESON: Thank you.

Page 54

18 Okay, the second compound is
 19 naphthalene, and the first...we just
 20 decided to go ahead with naphthalene
 21 and not take a break. Does anyone
 22 strongly disagree with that?
 23 (No response.)
 24 DR. FROINES: Okay,
 25 let's go ahead. Dr. Jameson?

Page 56

1 I had indicated that the background
 2 document was prepared for the NTP our
 3 support contractor, TPMC, and to help
 4 us with this background document, Dr.
 5 Rick Hailey, a veterinary pathologist
 6 on staff at the NIEHS reviewed the
 7 document for us. Dr. Hailey is the
 8 head of the bioassay technical support
 9 group in the pathology laboratory at
 10 NIEHS.

11 Naphthalene is a polycyclic
 12 aromatic hydrocarbon, if you will. It
 13 was nominated for listing, possible
 14 listing, in the Report on Carcinogens
 15 by NIEHS based on an NTP bioassay
 16 showing clear evidence of
 17 carcinogenicity in rats and some
 18 evidence of carcinogenicity in female
 19 mice.

20 As far as human exposure to
 21 naphthalene is concerned, the principal
 22 use of naphthalene is use as a
 23 chemical intermediate for the
 24 production of chemicals such as
 25 phthalic anhydride, insecticides. It

County Court Reporters, Inc.



Registered Professional Reporters
 Certified Video Technicians
 Professional Reporting Since 1975

TOLL FREE 800.262.8777
 CCR@COURTREPORTINGSERVICES.COM

FAX 540.667.6562
 WWW.COURTREPORTINGSERVICES.COM

Page 57

1 is used to prepare chemicals and also
 2 used to make sulfonate surfactants.
 3 Other uses reported for naphthalene
 4 include use as a moth repellent in
 5 mothballs, and it has been used in the
 6 past as a deodorant, although our
 7 current information indicates it may
 8 not be used for that presently.
 9 Environmental exposure to
 10 naphthalene can come from a number of
 11 sources, putritive emissions during the
 12 distillation of naphthalene or the
 13 handling of naphthalene in the
 14 preparation of other compounds. Also,
 15 the evaporation of naphthalene from
 16 mothballs is another major source of
 17 exposure to naphthalene in the
 18 environment.
 19 It is estimated, based on urban
 20 areas, inhalation exposure to
 21 naphthalene, based on an average of
 22 about 1 g/m3, in urban areas,
 23 individuals could be exposed to up to
 24 19 g/m3 per day. There is also a
 25 potential for environmental exposure

Page 59

1 background documents indicates that
 2 U.S. production of naphthalene peaked
 3 around 1968 with the production at
 4 that time of about 900 million pounds.
 5 Production decreased, then, into the
 6 '80s, and by 1982, the production was
 7 down to about 354 million pounds, and
 8 in 2000, the production level was
 9 reported at 235 million pounds.
 10 This particular graph here is
 11 showing the consumption of naphthalene
 12 for what we use naphthalene in the
 13 United States. The vast majority is
 14 used in the preparation of phthalic
 15 anhydride, and the figure here for
 16 2000 is 146 million pounds.
 17 Naphthalene sulfonates appear to be
 18 increasing in importance for
 19 consumption of naphthalene, and you
 20 can see, over this time period, you
 21 can see seems to be increasing.
 22 The use of naphthalene in pesticides
 23 appears to be decreasing in this time
 24 period with the use of naphthalene in
 25 other applications remaining fairly

Page 58

1 dermally to people handling or wearing
 2 clothes that have been stored with
 3 mothballs.
 4 Occupational exposure is also
 5 in the area of...also done by
 6 inhalation or dermal exposure. There
 7 is information that would indicate
 8 that in the industrial setting,
 9 naphthalene is present as both a vapor
 10 and a particulate, because naphthalene
 11 very readily, and in industry, it has
 12 been found that usually, if a vapor
 13 and a particulate are present, then
 14 the concentration of naphthalene as a
 15 particulate is higher than it is in
 16 the vapor state.
 17 The National Occupational
 18 Exposure Survey, the latest information
 19 we have which I will give you the
 20 data on, estimates that greater than
 21 100,000 workers are potentially exposed
 22 to naphthalene.
 23 This gives you an idea of the
 24 consumption of naphthalene in the
 25 United States. The information

Page 60

1 constant.
 2 As far as human cancer studies
 3 with naphthalene, there are two case
 4 series studies reported in the
 5 literature. One is laryngeal and
 6 other cancer reported in a group of
 7 dermally exposed workers. This is a
 8 report where there are 4 laryngeal
 9 cancers plus 1 case each of gastric
 10 and colon cancer, and these are a
 11 cancer cluster that were reported in a
 12 group of 6 of 15 distillation plant
 13 workers in Germany.
 14 The other study is of
 15 colorectal carcinoma, and this is
 16 reported for a group of men who used
 17 Perferal, and this is a medical
 18 medicinal used by certain products.
 19 Some detail of this particular study,
 20 of the 23 cases diagnosed between 1982
 21 and 1984, there were 11 cases of...11
 22 of the 23 cases reported were in men
 23 who were under 30 years of age. Half
 24 the patients with early onset reported
 25 the use of Perferal which is a



13538-1 - NIEHS MEETING - 11/19/02

<p style="text-align: center;">Page 61</p> <p>1 naphthalene medicinal and was used to 2 treat anal-rectal problems in these 3 cases. The other half of the people 4 where the tumor was observed could not 5 remember if they had used the Preferal 6 or not. 7 The overall evaluation is that 8 there is insufficient evidence for the 9 evaluation of carcinogenicity in humans 10 based on the human studies. 11 What we have now is the 12 experimental studies in animals. This 13 is the NTP two-year inhalation study. 14 Groups of male and female V63 Equa 15 mice and Fisher rats were exposed to 16 naphthalene vapor 5 hours...I am 17 sorry...6 hours a day for 5 days a 18 week. The mice were exposed for 104 19 weeks, and rats were exposed for 105 20 weeks. The exposure concentration in 21 mice was 0, 10, and 30 ppm, and in 22 rats, the exposure levels were 0, 10, 23 30, and 60 ppm. 24 In both the rat and the mouse 25 studies, there was no significant</p>	<p style="text-align: center;">Page 63</p> <p>1 there was no evidence of 2 carcinogenicity for naphthalene in the 3 male mice and some evidence of 4 carcinogenicity in the female mice 5 based on the increased incidence of 6 the adenoma and the adenoma/carcinoma 7 combined in the high dose group. 8 For the rat study, there was 9 statistically significant increase in 10 adenomas of the respiratory epithelium, 11 showed a dose related trend, and there 12 were significant increases at all 13 three dose levels. This is a very 14 rare tumor seen in the Fisher rat. 15 It was reported at the time that none 16 of these tumors had been seen in any 17 of the historical controls. The 18 pathology description of this tumor 19 was that it was very large...some of 20 the tumors were very large and 21 invasive and even went into the 22 olfactory lobe of the brain, and I 23 believe it was in one animal in the 24 high dose and one animal in the low 25 dose that had metastasis to the lung</p>
<p style="text-align: center;">Page 62</p> <p>1 effect on body weights of the exposed 2 animals, and survival of the exposed 3 animals was comparable to control 4 animals. 5 A graph of the lung tumors that 6 were observed in the V63 Equa mice, 7 lung tumors were observed in both 8 males and females, and adenomas...I'm 9 sorry. These were alveolar 10 bronchiolar adenomas, carcinomas, and 11 adenomas and carcinomas combined. 12 There was a significant increase in 13 adenomas and adenomas or carcinomas 14 combined in the female rats at the 15 high dose levels. There was also a 16 significant positive trend for these 17 particular compounds, both for the 18 adenoma and the adenoma and carcinoma 19 combined. 20 In the males, we saw the same 21 tumors that we saw in the females. 22 However, the incidence of the tumors 23 in the males were within historical 24 control values. 25 So, the NTP concluded that</p>	<p style="text-align: center;">Page 64</p> <p>1 from these particular tumors. 2 These adenomas were also 3 observed in the female mice, again 4 pointing out that these are very rare 5 tumors, but they were not seen at a 6 significant level. 7 There were also neuroblastomas 8 observed in both the male and female 9 rats. The neuroblastoma also is a 10 very rare tumor in the Fisher rat. 11 It was seen at a dose related trend 12 in the males and a dose related trend 13 in the females and also at a 14 significant level in the high dose 15 female rats. 16 So, based on this evaluation, 17 the NTP concluded that there was clear 18 evidence of carcinogenicity in male 19 Fisher rats and also clear evidence of 20 carcinogenicity in the female Fisher 21 rats. 22 Looking at some of the selected 23 non-neoplastic lesions that were 24 observed, there were chronic...in the 25 mice, there was observed chronic</p>

County Court Reporters, Inc.
CCR

TOLL FREE 800.262.8777
CCR@COURTREPORTINGSERVICES.COM

Registered Professional Reporters
Certified Video Technicians
Professional Reporting since 1975

FAX 540.667.6562
WWW.COURTREPORTINGSERVICES.COM

<p style="text-align: center;">Page 65</p> <p>1 inflammation of the nose and lung, 2 hyperplasia of the respiratory 3 epithelium in the nose, and metaplasia 4 of the olfactory epithelium, and all 5 of these non-neoplastic lesions are 6 attributed to naphthalene exposure and 7 the naphthalene. 8 In rats, there was seen 9 atypical basal cell hyperplasia of the 10 olfactory epithelium. This is also a 11 rare observation not reported in other 12 NTP bioassay reports, and this basal 13 cell hyperplasia was observed in 14 anywhere to 88 to 98 percent of the 15 animals that were exposed to 16 naphthalene. In addition, they 17 observed chronic inflammation of the 18 lungs in the males of the Fisher rat, 19 and there was alveolar epithelial 20 hyperplasia in the lungs of the female 21 rats. 22 So, all these non-neoplastic 23 lesions support the neoplastic lesions 24 that were observed in the studies. 25 There are some additional</p>	<p style="text-align: center;">Page 67</p> <p>1 mouse, and then the animals were held. 2 At weaning, they were separated into 3 groups of 31 male and a group of 16 4 females, and they were held for a 5 total of 52 weeks. At 52 weeks, the 6 animals were sacrificed, and no tumors 7 were observed in this study. 8 There is another study reported 9 where BD11 or BDIII3 rats were treated 10 by both intraperitoneal and 11 subcutaneous injections, and animals 12 were treated either intraperitoneal, 13 and another group was treated 14 subcutaneously with 20 mg of 15 naphthalene in oil, and the animals 16 were treated for 40 weeks and then 17 held until they died. 18 For the group of animals that 19 were treated intraperitoneal, they 20 survived for 900 days. With 21 subcutaneous injection, those animals 22 survived 800 days. The author 23 reported no tumors in this particular 24 study, but the study is considered 25 inadequate, basically, because of the</p>
<p style="text-align: center;">Page 66</p> <p>1 experimental studies of naphthalene 2 reported in the literature. Some mice 3 were treated. A group of 30 females 4 were exposed to 0, 10, 30 ppm of 5 naphthalene for 6 months. Survival in 6 the study was by exposure. The 7 authors reported no significant 8 increase in lung adenomas in this 9 study. There was significant increase 10 in the number of tumors per tumor- 11 bearing lung reported in this study, 12 but it was also reported by the 13 authors that the number of tumors per 14 tumor-bearing lung in the controls was 15 significantly lower than in the 16 controls. 17 Other studies on naphthalene 18 that have been reported in the 19 literature, IP or subcutaneous studies. 20 There was a study of CD1 mice that 21 were treated intraperitoneal. In this 22 particular study, mice were treated to 23 0.05 molar naphthalene in DMSO in day 24 1, 8, and 5 of life. So, the total 25 dose to these animals was 1.75 M per</p>	<p style="text-align: center;">Page 68</p> <p>1 small number of animals used, and no 2 information was given on the control 3 animals other than the fact that the 4 treated animals survived as long as 5 controls. 6 There was also another study 7 reported in the literature where BD11 8 and BDIII3 rats again were used. They 9 were treated...I'm sorry. A group of 10 21 animals were treated naphthalene in 11 the feed at 10 to 20 mg/day for 6 12 days/week and treated for 100 weeks. 13 After 100 weeks, they were held until 14 they died, and the average...I'm 15 sorry...they survived up to 800 days 16 which is comparable to the...the 17 authors report it is comparable to the 18 controls. Again, no tumors were 19 observed. This study, again, is 20 considered inadequate for the low 21 number of animals used in the dosing 22 group and the fact that not enough 23 information on the controls was cited. 24 As far as genotoxicity, there 25 is little evidence of mutagenic</p>



TOLL FREE 800.262.8777
 CCR@COURTREPORTINGSERVICES.COM

Registered Professional Reporters
 Certified Video Technicians
 Professional Reporting Since 1975

FAX 540.667.6562
 WWW.COURTREPORTINGSERVICES.COM

STEPHENSON, VA • WASHINGTON, DC • LEESBURG, VA • HARRISONBURG, VA
 Corporate Offices: 1160 Jordan Springs Road, STEPHENSON, VA 22656

13538-1 - NIEHS MEETING - 11/19/02

<p style="text-align: center;">Page 69</p> <p>1 activity for naphthalene. Positive 2 results have been obtained in assays 3 for micronucleus formation, chromosomal 4 aberrations, and chromosomal 5 recombinations in vitro. There is 6 also an in vivo assay reported where 7 oral administration of naphthalene to 8 Sprague-Dawley rats caused oxidative 9 stress and DNA breakage in the liver 10 and brain. In these studies, they 11 were both a single dose experiment 12 where the animals were treated with, I 13 believe it was, 1100 mg/kg as a single 14 dose, and the other was a multiple 15 dose study where the animals were 16 treated with 120 mg/kg/day for 120 17 days, and in both of those studies, 18 the naphthalene caused oxidative stress 19 and DNA breakage. 20 Other relevant data, as far as 21 absorption and distribution studies of 22 naphthalene, metabolites were found in 23 the urine of workers with a good 24 correlation found between naphthalene 25 exposure and the 1-naphthol exposure</p>	<p style="text-align: center;">Page 71</p> <p>1 oxide that I showed previously. It is 2 the 1R2S epoxide or the 1S2R epoxide. 3 There appears to be information in the 4 literature that shows a correlation 5 between the rates of the formation of 6 the 1R2S epoxide and selective 7 toxicity. There is also data reported 8 that the Mouse1 microsomal metabolism 9 favors the formation of what I will 10 refer to as the more toxic R-S 11 epoxide, whereas rat and human lung 12 data would indicate that they favor 13 the, quote, what I would call the less 14 toxic S-R epoxide. 15 It has also been shown that the 16 rate of metabolism of naphthalene in 17 the mouse lung is about 10 times 18 greater than in the rat and about 100 19 greater than in humans. 20 Other studies have shown that 21 the rat and mouse olfactory epithelium 22 favors metabolism to the 1R2S or what 23 I refer to as the more toxic epoxide 24 with the rate in rats being 25 approximately half that of the mouse</p>
<p style="text-align: center;">Page 70</p> <p>1 in their urine. So, it is obviously 2 being absorbed in the workplace. 3 It is also determined to be 4 absorbed through the skin in humans 5 and has been detected in human adipose 6 and breast milk samples. There are a 7 number of animal studies that indicate 8 absorption following...absorption of 9 naphthalene...excuse me...following 10 oral, dermal, and inhalation exposure. 11 I apologize for the quality of 12 this slide. This is a slide of the 13 metabolism of naphthalene. Naphthalene 14 is metabolized by the p450 enzyme. I 15 put this up here to show that it 16 forms two stereoisomers of the 1,2 17 epoxide, the 1R2S oxide and 1S2R 18 oxide, and these oxides are further 19 metabolized with glutathione. This 20 appears to be the major metabolic 21 pathway for this material. 22 In this slide, I explain it a 23 little more clearly. The naphthalene 24 is bioactivated by the p450 into 25 stereoisomers of the naphthalene 1,2-</p>	<p style="text-align: center;">Page 72</p> <p>1 for this particular study in the 2 olfactory epithelium. 3 The recommendations that we got 4 for naphthalene, the R21 which is the 5 NIEHS recommendation for our Report on 6 Carcinogens recommended that it be 7 listed in the report as reasonably 8 anticipated to be a human carcinogen. 9 The vote on this recommendation was 10 six yes to one no. The one no vote 11 was cast, because that particular 12 member felt that the data in the mouse 13 was limited and questioned the 14 relevancy of the nasal tumors in rats 15 to humans. 16 The RD2 or the NTP interagency 17 Working Group, the other interagency 18 governmental committee that reviews our 19 nominations, really did not make a 20 recommendation for naphthalene. We 21 had a very intensive discussion. 22 There was a motion to list naphthalene 23 as being reasonably anticipated to be 24 a human carcinogen, and there were 25 four yes votes to that motion and four</p>



TOLL FREE 800.262.8777
CCR@COURTREPORTINGSERVICES.COM

Registered Professional Reporters
Certified Video Technicians
Professional Reporting Since 1975

FAX 540.667.6562
WWW.COURTREPORTINGSERVICES.COM

STEPHENSON, VA • WASHINGTON, DC • LEESBURG, VA • HARRISONBURG, VA
Corporate Offices: 1160 Jordan Springs Road, STEPHENSON, VA 22656

13538-1 - NIEHS MEETING - 11/19/02

<p style="text-align: center;">Page 73</p> <p>1 no votes, the no vote being for the 2 same reason that the RD1. These 3 members felt that the data were 4 limited in mice and the relevancy of 5 the tumors in rats to humans. 6 Another motion was made not to 7 list naphthalene in the Report on 8 Carcinogens, and that also resulted in 9 a 4:4 tie. So, the RD2 felt that 10 they should go forward with no 11 recommendation or could not make a 12 recommendation for this particular 13 combination. 14 I would also mention...I don't 15 have this on the slide, but I would 16 also mention that the IARC has 17 recently reviewed naphthalene. The 18 monograph on naphthalene should be 19 published very early in 2003, but they 20 have indicated on their web site a 21 summary of the review, and I believe 22 that summary indicates that the IARC 23 found that there was sufficient 24 evidence of carcinogenicity in 25 laboratory animals and that they</p>	<p style="text-align: center;">Page 75</p> <p>1 we could pass it around to members of 2 the committee...subcommittee. 3 DR. SMITH: It has been 4 suggested that we take a little break 5 now to go traveling through that way 6 and also to get copies of 7 the...distributed so that we can have 8 a quick look at that. So, can we 9 take a little... 10 DR. FROINES: My 11 comments also were given this morning 12 so that I will be going through them, 13 so, during the break, you should read 14 them. 15 DR. SMITH: Let's take a 16 10-minute break. 17 (WHEREUPON, a brief recess was taken.) 18 DR. SMITH: Ready to 19 start again? I have had distributed 20 the summary of the IR document, and it 21 is available on the table over there 22 if you want to see it. With that, 23 the written comments as the 24 naphthalene panel of the American 25 Chemistry Council, and they have been</p>
<p style="text-align: center;">Page 74</p> <p>1 propose to list it as a Group 2B 2 possible human carcinogen. I believe 3 that information is available on their 4 web site. 5 Public comments, we received a 6 number of public comments. The 7 American Chemistry Council submitted an 8 extensive comments on the nomination 9 and also the background document, and 10 these comments were supported by the 11 American Coke and Coal Chemical 12 Institute, Honeywell Commercial 13 Systems, Industries, and Riley Industry 14 Reports. 15 DR. SMITH: Thank you, 16 Dr. Jameson. Questions from the 17 subcommittee? 18 (No response.) 19 DR. SMITH: There being 20 none... 21 DR. FROINES: Just one 22 comment. I am going to be discussing 23 a document that I wrote on naphthalene 24 later, but I have the IR review 25 abstract, and I would appreciate it if</p>	<p style="text-align: center;">Page 76</p> <p>1 distributed to all subcommittee 2 members. In addition, there is a 3 request to make an oral presentation 4 by Dr. Vincent Piccarillo on behalf of 5 the ACC naphthalene panel. Is Dr. 6 Piccarillo here? 7 SPEAKER: Yes. 8 DR. SMITH: Thank you. 9 DR. CARPENTER: Let me 10 ask a question before he starts 11 regarding the presentation before the 12 break. 13 DR. SMITH: One moment. 14 We...maybe I didn't allow time for 15 people to ask questions about the... 16 DR. CARPENTER: The 17 previous presentation. 18 DR. SMITH: Yes, 19 presentation by Dr. Jameson. Yes, Dr. 20 Carpenter? 21 DR. CARPENTER: I am 22 curious...when I read the document, I 23 noticed the presence of carcinomas in 24 males versus females, and there is 25 what appeared to me, at least, to be</p>



TOLL FREE 800.262.8777
 CCR@COURTREPORTINGSERVICES.COM

Registered Professional Reporters
 Certified Video Technicians
 Professional Reporting Since 1975

FAX 540.667.6582
 WWW.COURTREPORTINGSERVICES.COM

STEPHENSON, VA • WASHINGTON, DC • LEESBURG, VA • HARRISONBURG, VA
 Corporate Offices: 1180 Jordan Springs Road, STEPHENSON, VA 22656

13538-1 - NIEHS MEETING - 11/19/02

<p style="text-align: center;">Page 77</p> <p>1 a fairly high number of carcinomas in 2 the male. I see no statistical 3 analysis other than the fact that 4 there is no trend, and the results 5 appear to be disregarded in the 6 writeup based on the fact that it fell 7 within historical controls, carcinomas 8 that are typically seen. Could I have 9 maybe a little clarification about the 10 thought that goes into...the thought 11 process that is involved in that? 12 It seems like, to me, when I 13 see a zero in a control group and 14 then 3 and 7 carcinomas in the treated 15 groups, that looks like that might be 16 at least a finding which should be 17 considered. 18 DR. SMITH: Dr. Jameson? 19 DR. JAMESON: Dr 20 Carpenter, I think that will prove to 21 be a very critical aspect of the 22 board's, that they might suggest that 23 we defer that question a little bit 24 until after we finish all the 25 presentations.</p>	<p style="text-align: center;">Page 79</p> <p>1 naphthalene, there are four major 2 issues that need to be considered. 3 The first, of course, is that 4 naphthalene is not likely to be a 5 genotoxic carcinogen. There is no 6 evidence of mutagenicity in short-term 7 tests, and naphthalene does bind 8 proteins; however, it does not appear 9 to be DNA active. 10 Secondly, species and site 11 selectivity in rodents correlates with 12 the susceptibility to cytotoxicity, and 13 the cytotoxicity, in turn, appears to 14 be related to the risk of naphthalene 15 metabolism to the epoxide. 16 The third item is the kinetics 17 of metabolism in rats and mice really 18 don't differ. However, when we take a 19 look at the human example, the 20 activity in the rodent species is more 21 than 100-fold rate of that seen in 22 human cells. 23 Next, please. The last item is 24 that metabolism of naphthalene in 1 25 microsomes from primates is at least</p>
<p style="text-align: center;">Page 78</p> <p>1 DR. CARPENTER: As long 2 as we get an answer, okay. 3 DR. SMITH: Okay, let's 4 do that. Dr. Piccarillo? Thank you. 5 DR. PICCARILLO: Good 6 morning. On behalf of the naphthalene 7 panel of the American Chemistry 8 Council, we appreciate the opportunity 9 to speak to you this morning about 10 naphthalene. The assessment of the 11 carcinogenic potential and the 12 classification for naphthalene clearly 13 requires an understanding of both the 14 genotoxicity of the molecule as well 15 as its inter-species metabolism. Both 16 genotoxicity and metabolism have been 17 extensively published in the 18 literature, and this work continues 19 today. 20 Dr. Jameson covered, really, a 21 lot of the points I had planned to 22 discuss today, so I will briefly go 23 over the points that I think are very 24 important. 25 In your discussions regarding</p>	<p style="text-align: center;">Page 80</p> <p>1 an order of mag...in primates and 2 monkeys is at least an order of 3 magnitude lower than any rodent 4 species tested and 100-fold lower than 5 that in mice. 6 Next, please. As I mentioned, 7 the first issue is the genotoxicity of 8 naphthalene. The weight of the 9 evidence clearly shows that naphthalene 10 is not genotoxic. 11 In Dr. Jameson's presentation, 12 he did discuss a few positive findings 13 that were seen in literature studies. 14 However, an overall review of the 15 published literature shows that there 16 are nearly 40 mutagenicity studies 17 that have been conducted with 18 naphthalene. 19 When you take a look at that 20 number, there are 33 non-mammalian and 21 mammalian in vitro assays that gave 22 negative results, there are 4 in vivo 23 assays which also were negative, and 24 when you take a look at that weight 25 of evidence versus the few positive</p>



TOLL FREE 800.282.8777
CCR@COURTREPORTINGSERVICES.COM

Registered Professional Reporters
Certified Video Technicians
Professional Reporting since 1975

FAX 540.667.6562
WWW.COURTREPORTINGSERVICES.COM

STEPHENSON, VA • WASHINGTON, DC • LEESBURG, VA • HARRISONBURG, VA
Corporate Offices: 1160 Jordan Springs Road, STEPHENSON, VA 22656

<p style="text-align: center;">Page 81</p> <p>1 studies, clearly, the weight of 2 evidence shows that we are not dealing 3 with a genotoxic carcinogen here, that 4 there must be an epigenetic mechanism 5 at play in the induction of tumors. 6 Hopefully, some of the data I will 7 show you today will demonstrate that 8 that mechanism relates to the 9 differential metabolism between 10 species. 11 Species and site selectivity 12 and cytotoxicity is very important. 13 Next, please. This table summarizes 14 the results on various tissues upon 15 oral administration of naphthalene at 16 doses approaching the LD50 for both 17 the mouse and the rat. The 18 cytotoxicity was measured by 19 histological evaluation of specific 20 tissues. 21 As you can see in the mouse, 22 the majority of findings were in the 23 bronchioles with other findings being 24 located in the trachea. In the rat, 25 however, cytotoxicity was not observed</p>	<p style="text-align: center;">Page 83</p> <p>1 Another point that is very 2 important is that in the mouse, there 3 is a great deal of specificity for 4 cytotoxicity in the Clara cell in the 5 lungs, and it appears that the 6 mechanism involves the formation of 7 the 1R2S oxide with an interaction 8 with proteins by some mechanism which 9 is yet undefined to induce Clara cell 10 toxicity. 11 Next, please. In the olfactory 12 tissues, however, in the nasal 13 epithelium, we do see a difference in 14 the metabolism from that of the lung. 15 In the olfactory epithelium for both 16 the mouse and the rat, the predominant 17 metabolism follows the pathway of the 18 1R2S that it follows in the 19 predominant pathway in the lung of the 20 mouse. 21 So, across these species, it 22 appears that we have the same pattern 23 of metabolism occurring in the nasal 24 epithelium, which, as I said, is 25 different from that of the lung, but</p>
<p style="text-align: center;">Page 82</p> <p>1 in the lung tissues per se, and the 2 majority of the findings, pathological 3 findings, were limited to the 4 olfactory epithelium. In the mouse, 5 olfactory changes were noted only at 6 the highest dose. 7 This clearly shows that there 8 is some species sensitivity for 9 specific tissue. 10 Next. Dr. Jameson covered the 11 metabolism of naphthalene to some 12 extent, but this elaborates the 13 metabolism a bit more. Of course, we 14 are starting with the parent molecule, 15 naphthalene, and as he noted, the 16 mouse lung favors the formation of the 17 1R2S oxide and moves to what, for the 18 particular purposes that you are going 19 to see in the following slide, the 20 formation of conjugate 2. In the rat, 21 hamster, and monkey lung, however, the 22 predominant metabolism goes through the 23 1S2R oxide with the formation, 24 subsequently, of conjugate 1 and 25 conjugate 3.</p>	<p style="text-align: center;">Page 84</p> <p>1 the point that you need to note is 2 that even though the patterns are 3 similar, the amount of metabolism, the 4 rate of metabolism in the mouse is 5 double that of the rat. 6 But a key issue that has to be 7 looked at, too, is the fact that, as 8 we have said, the metabolism in the 9 rat nasal epithelium is clearly 10 different from that seen in the lung, 11 and when we take...do a comparison of 12 the conjugate 2...or, excuse me, 13 conjugate 3 from the 1R2S metabolism 14 compared to the amount of metabolism 15 that is conjugates 1 and 3 from the 16 1S2R metabolism, the rate of 17 metabolism through the alternate 18 pathway is about 36 fold greater than 19 that through the normal metabolism 20 pattern seen in the lung of the rat. 21 The kinetics of metabolism of 22 naphthalene by recombinant SIP2F from 23 the rat and the mouse do not differ. 24 However, when you take a look at human 25 tissues...and Dr. Buckett's laboratory</p>

County Court Reporters, Inc.


TOLL FREE 800.262.8777 Registered Professional Reporters
 Certified Video Technicians FAX 540.667.6562
 CCR@COURTREPORTINGSERVICES.COM Professional Reporting since 1975 WWW.COURTREPORTINGSERVICES.COM

STEPHENSON, VA • WASHINGTON, DC • LEESBURG, VA • HARRISONBURG, VA
 Corporate Offices: 1180 Jordan Springs Road, STEPHENSON, VA 22658

13538-1 - NIEHS MEETING - 11/19/02

Page 85

1 at UC-Davis has done a lot of work
 2 with human lung tissue...discovered
 3 that the activities in the human lung
 4 for 2F1 are more than 1000-fold lower
 5 than that seen in the rodent species.
 6 Again, if you are looking at a
 7 mechanism related to the metabolism,
 8 clearly, the human is substantially
 9 different from that of the rodent
 10 species, at least for the lung.
 11 Next, please. The SIP2R forms
 12 among species appear to have quite a
 13 few similarities in regards to the
 14 amino acid sequence, and this slide
 15 just shows the numbers of amino acid
 16 sequences that are comparable among
 17 the species.
 18 Next, please. This table
 19 summarizes the activity of the
 20 recombinant proteins from the mouse,
 21 the rat, and the human. Again, in
 22 looking at the V maxis here, you can
 23 see there is a substantial difference
 24 in the enzyme activities when you
 25 compare the human to the two rodent

Page 87

1 specific type of tumor for that
 2 particular species, and, as has been
 3 mentioned earlier, these nasal tumors
 4 may not be relevant to man anyway.
 5 The work that we talked about
 6 in the monkey is currently being
 7 conducted at UC-Davis, and we expect
 8 that the results of these studies will
 9 be available in approximately one
 10 year. I have seen some of the
 11 unpublished data from the literature,
 12 but I feel it is inappropriate to
 13 discuss those unpublished results
 14 today, but I would hope that, at
 15 least, this group would take that into
 16 consideration that there are
 17 significant data still being generated
 18 on the metabolism of naphthalene in
 19 the primate species.
 20 Next, To conclude, as we have
 21 discussed, it appears that the tumors
 22 in the mouse appear to be species-
 23 specific and related somehow to the
 24 metabolic pathway which ultimately may
 25 lead to cytotoxicity as demonstrated

Page 86

1 species.
 2 Next, please. The metabolism
 3 of naphthalene through primates,
 4 including both the monkey and the
 5 human, is an order of magnitude slower
 6 than any rodent species tested and 100
 7 times slower than that in the mouse.
 8 Current research is ongoing at
 9 this point in looking at the rate of
 10 naphthalene metabolism using primate
 11 olfactory epithelium tissue. We
 12 anticipate that because of the fact
 13 that we do see such large differences
 14 in the metabolism which appears to
 15 relate to the cytotoxicity of the lung
 16 that similar findings in the olfactory
 17 epithelium would be very germane to
 18 the evaluation of the potential for
 19 cancer risk in humans. That is, if
 20 we see the same lower potential for
 21 metabolism of naphthalene to whatever
 22 cytotoxic chemical there is being
 23 formed that this may, indeed, show
 24 that the type of olfactory tumors that
 25 we see in the rat may be, again, a

Page 88

1 by the destruction of the Clara cell
 2 in the mouse lung. The nasal tumors
 3 in rats, we feel, are also likely a
 4 result of cytotoxic injury, and that
 5 cytotoxic injury is a result of the
 6 metabolism that is occurring in the
 7 olfactory epithelium. We have shown
 8 some of the data here, and as I have
 9 said, we are also looking at the
 10 human...excuse me...primate, and,
 11 hopefully, we will get to the human
 12 tissue to demonstrate that these
 13 differences may be a species-related
 14 effect.
 15 All of the previous study and
 16 all of the studies that we have seen
 17 in the literature strongly support a
 18 conclusion that there is a correlation
 19 between the rate of metabolism and the
 20 cytotoxicity seen in the animal
 21 species and that there are substantial
 22 differences in the rates of metabolism
 23 in the rodent and the primate models.
 24 Again, these are major considerations
 25 that you need to consider in your



13538-1 - NIEHS MEETING - 11/19/02

Page 89

1 deliberations.
 2 It was mentioned that
 3 naphthalene went before an IARC panel
 4 back in February, and I have not seen
 5 the summary that has come out of that,
 6 although I was in Leon at the time of
 7 the meeting and knew much of what
 8 happened in those deliberations, and
 9 the panel there had many of the same
 10 problems that the RG1 and the RG2 had
 11 in Canada, and that is looking at the
 12 metabolism and looking at the
 13 relevance of the lung tumors and the
 14 nasal tumors to human carcinogenesis.
 15 And I know that it is going to
 16 be quite a bit of deliberation for
 17 this group to bring these issues to
 18 closure, and I feel that if you should
 19 need any further information, the
 20 naphthalene panel will be more than
 21 happy to provide it to you.
 22 Thank you.
 23 DR. SMITH: Thank you,
 24 Dr. Piccarillo.
 25 Any questions from the

Page 91

1 DR. SMITH: Excuse me.
 2 Can you sit closer to the mic or...
 3 DR. CARPENTER: I have
 4 too much junk in front of me.
 5 There is the potential for
 6 environmental exposure. Naphthalene is
 7 an environmental contaminant. I note
 8 here that APSBR has reported
 9 naphthalene as present in over a third
 10 of the Superfund sites that have been
 11 reported in the United States, so
 12 there is both occupational and
 13 environmental exposures.
 14 Relative to carcinogenicity, I
 15 feel it is clear that naphthalene is
 16 carcinogenic in rats, and although the
 17 evidence is equivocal, naphthalene is
 18 also carcinogenic in mice, and I am
 19 sure there will be a lot more
 20 discussion about that, so I will just
 21 let it go at that.
 22 I agree with the fact that the
 23 hetero for a given toxicity is fairly
 24 limited, but there's good evidence
 25 that naphthalene does cause oxidative

Page 90

1 subcommittee for Dr. Piccarillo?
 2 (No response.)
 3 DR. SMITH: Well, thank
 4 you very much for your presentation.
 5 Any other comments from those
 6 present, public comments?
 7 (No response.)
 8 DR. SMITH: Well, let's
 9 proceed now to the formal reviews. I
 10 think the first reviewer for this is
 11 Dr. Carpenter.
 12 DR. CARPENTER: Again,
 13 everybody has copies of my comments
 14 that are in front of you. It looks
 15 like some of my symbols didn't
 16 transpose entirely accurately, but what
 17 I will do is summarize what I thought
 18 when I finished the review.
 19 I think that there is more than
 20 sufficient information to determine if
 21 exposure is really an issue with
 22 naphthalene. Its industrial uses with
 23 their occupational exposures or the
 24 chance for occupational exposures are
 25 high...

Page 92

1 stress and DNA damage, resulting in
 2 the potential for toxic mechanism.
 3 I think that...well, I have a
 4 statement here that the well-documented
 5 differences in response to naphthalene
 6 are likely due to species differences
 7 in anatomy and physiology that were
 8 just presented to us, but the
 9 importance of these factors in the
 10 carcinogenic response is not to this
 11 knowledge. It seems clear to me that
 12 naphthalene is a threshold carcinogen,
 13 and I think it is likely that we
 14 don't see more tumors, because we just
 15 are not reaching the levels that are
 16 carcinogenic in humans.
 17 Epidemiological evidence linking
 18 naphthalene to cancer in humans is
 19 poor, but there doesn't appear to be
 20 any mechanistic reason why naphthalene
 21 wouldn't be carcinogenic in humans,
 22 provided the exposures were sufficient.
 23 And I think that is a real key here
 24 that we are dealing with in the
 25 exposure-related issue.



TOLL FREE 800.282.8777
 CCR@COURTREPORTINGSERVICES.COM

Registered Professional Reporters
 Certified Video Technicians
 Professional Reporting since 1975

FAX 540.667.6582
 WWW.COURTREPORTINGSERVICES.COM

STEPHENSON, VA • WASHINGTON, DC • LEESBURG, VA • HARRISONBURG, VA
 Corporate Offices: 1180 Jordan Springs Road, STEPHENSON, VA 22656

13538-1 - NIEHS MEETING - 11/19/02

Page 93

1 I think that some of the
 2 comments that were made in the
 3 material that was presented to us make
 4 that same argument. The EUSCOEL
 5 proceedings speculate that if you
 6 avoid exposure and avoid cytotoxicity
 7 that you avoid carcinogenicity. I
 8 think that is a good argument for a
 9 dose-response relationship,
 10 biomechanistic relationship.

11 I think what we are dealing
 12 with here with this review is we are
 13 talking about hazard ID. The fact is
 14 that I have seen nothing in this
 15 review that indicates that humans
 16 don't have the same mechanisms that
 17 the rodents do. So, I think that
 18 this document, the strength of the
 19 information, is for hazard ID and that
 20 the real problem that we are faced
 21 with is strength of exposures, and
 22 that will be a real problem for people
 23 who have to do risk assessment
 24 analysis for this material based on
 25 the carcinogenicity of it.

Page 95

1 I think that, as Dr. Carpenter said,
 2 that the question of dose is extremely
 3 important here.

4 So, for those reasons, I, too,
 5 voted to list as reasonably
 6 anticipated to be a human carcinogen.

7 DR. SMITH: Thank you.
 8 Dr. Roberts?

9 DR. ROBERTS: Thank you.

10 I concur with the previous comments
 11 about exposure. There were some
 12 comments from that naphthalene panel
 13 that perhaps in the background
 14 document overestimated the number of
 15 workers that are currently exposed,
 16 but that may well be the case. I
 17 suppose they are probably in as good a
 18 position as anyone to know how many
 19 workers are exposed. I think perhaps
 20 that should be corrected in the
 21 background document, but it would be
 22 very useful for that purpose if there
 23 were some kind of formal documentation
 24 that the NIEHS would use or cite in
 25 terms of the number of workers that

Page 94

1 I voted to list as reasonably
 2 anticipated to be a human carcinogen.

3 DR. SMITH: Thank you,
 4 Dr. Carpenter. Next is Dr. Frumpkin.

5 DR. FRUMPKIN: Thanks.
 6 I'll echo a lot of what Dr. Carpenter
 7 said, so I'll be very brief.

8 We do have exposure. I think
 9 the human evidence that is available
 10 isn't helpful, so we are going to be
 11 considering the animal evidence
 12 predominantly in our discussions.

13 I saw the same results that you
 14 all saw and thought hard about the
 15 comments in terms of different
 16 preferences for metabolic pathways in
 17 the different species that have been
 18 observed, about the changes in nasal
 19 anatomy between rodents and humans,
 20 about the role of prior tissue
 21 inflammation before the development of
 22 tumors, and I didn't see anything that
 23 gave me reassurance that they should
 24 not...that there would not be a
 25 carcinogenic effect in humans, although

Page 96

1 are currently exposed.

2 But there doesn't seem to be
 3 any disagreement that there is
 4 about...a sufficient number of
 5 individuals exposed. So, I think in
 6 terms of listing criteria, this is not
 7 an important issue. Clearly, we have
 8 sufficient exposure.

9 This, for me, was a tough call
 10 in terms of recommending listing or
 11 not listing, and as I looked at the
 12 issue of whether or not there is a
 13 response in multiple species, it seems
 14 to me that we have clear evidence in
 15 both genders in rats, evidence in male
 16 mice, some evidence in female mice,
 17 and I agree, I think, with those
 18 descriptors again being placed on
 19 those studies.

20 In trying to sort out whether
 21 or not that was enough, in other
 22 words, some evidence in mice was
 23 enough to qualify for multiple
 24 species, I looked for some precedent.
 25 It would be very helpful to me if



13538-1 - NIEHS MEETING - 11/19/02

<p style="text-align: center;">Page 97</p> <p>1 people could even say oh, this is just 2 like such and such a compound where we 3 had the same kind of level of evidence 4 and there was a vote to list or a 5 vote not to list, so I looked for 6 some guidance or precedent but, 7 unfortunately, couldn't find any. 8 I then went about sort of 9 looking through the 9th Report on 10 Carcinogens and what is available on 11 the 10th and tried to look at the 12 evidence for those as well as looking 13 about for other chemicals that have 14 roughly the same kind of evidence for 15 carcinogenicity as exists now for 16 naphthalene. By that, I don't mean 17 that exactly, but, I mean, for clear 18 evidence for both genders in one, some 19 evidence in the other species, little 20 or no evidence for genotoxicity, and 21 insufficient evidence for human 22 carcinogenicity, and there is a 23 handful of chemicals that fit into 24 those criteria. None of them is 25 listed.</p>	<p style="text-align: center;">Page 99</p> <p>1 pathologists on the panel and other 2 folks that have... 3 DR. SMITH: Those are 4 the three primary reviewers. Also, 5 Dr. Froines has prepared a brief 6 document which is distributed and on 7 which I would invite him to speak to 8 you now. 9 DR. FROINES: You may 10 have made one mistake; it may not be 11 entirely brief, but... 12 I'll start out with the 13 conclusion that, basically, I think 14 that naphthalene meets the criteria 15 under number 3 to an unusual degree 16 with regard to incident site or tumor 17 or age of onset with respect to the 18 animal...to the rat studies. 19 I think that the mouse work is 20 extremely interesting. It is not 21 fully sufficient, I think, but it is 22 certainly supportive. So I would 23 argue that it is supportive. 24 I would also argue that 25 naphthalene belongs to an agent,</p>
<p style="text-align: center;">Page 98</p> <p>1 Now, I realize that is not a 2 perfect argument for what is 3 automatically nominated for listing, 4 but as I step back and look at it, I 5 see that, you know, there are 6 chemicals with essentially the same 7 kind of weight of evidence that aren't 8 in the list; chemicals that are on the 9 list have stronger weights of 10 evidence. So, that really 11 significantly diminished my enthusiasm 12 for listing this chemical based on 13 multiple species, and, in fact, my 14 preliminary recommendation was not to 15 list. 16 The piece that I am not about 17 and I would like to hear from other 18 members of the panel is whether or not 19 the rat nasal tumor response is 20 sufficient unusual to qualify on that 21 basis. Is the nasal tumor 22 sufficiently unusual that that then 23 becomes essentially the pivotal 24 observation that drives listing for 25 this? And I would like to hear from</p>	<p style="text-align: center;">Page 100</p> <p>1 substance, or mixture which belongs to 2 a well-defined structurally-related 3 class of substances, and in that 4 regard, I would suggest that 5 naphthalene is a two-ringed polycyclic 6 aromatic hydrocarbon, and as everybody 7 in this room knows, PAHs have 8 relatively strong evidence as to their 9 carcinogenicity. 10 In addition to that...and this 11 is what I will come to in my more 12 prepared comments...the 13 naphthoquinones, I think, also fall 14 into a class of compounds with 15 documented toxicity. 16 Now, I wanted to make some 17 comments at the outset. One, I think 18 this...the reason I wanted to step 19 down as chair and make these comments 20 was because I considered naphthalene 21 to be a particularly important 22 compound. I direct an air pollution 23 research center in southern California, 24 and you will notice that the ATSB 25 suggested that the urban airborne</p>

County Court Reporters, Inc.


TOLL FREE 800.262.8777
 CCR@COURTREPORTINGSERVICES.COM

Registered Professional Reporters
 Certified Video Technicians
 Professional Reporting since 1975

FAX 540.667.6562
 WWW.COURTREPORTINGSERVICES.COM

STEPHENSON, VA • WASHINGTON, DC • LEESBURG, VA • HARRISONBURG, VA
 Corporate Offices: 1160 Jordan Springs Road, STEPHENSON, VA 22658

13538-1 - NIEHS MEETING - 11/19/02

<p style="text-align: center;">Page 101</p> <p>1 concentrations are about 0.95 g/m3. 2 In Los Angeles, we have the best of 3 everything, of course, and we get up 4 to about 6 g/m3, but even at 0.95 5 g/m3 for which most of you probably 6 don't have a reference point, I just 7 wanted to tell you that if you compare 8 that concentration of naphthalene with 9 the concentration, for example, of 10 benzoyl pyrene, the differences are a 11 factor of 10,000. In other words, the 12 concentration of naphthalene in Los 13 Angeles air is 104 times as great as 14 the concentration of the other...of 15 larger-ring PAHs. 16 So, what we have in urban areas 17 is an issue of public health 18 significance precisely because the 19 concentrations of the vapor phase and 20 partial-bound naphthalene is extremely 21 high. So, it certainly meets the 22 exposure criteria and puts a burden on 23 us to take this one very, very 24 seriously. 25 The second comment I wanted to</p>	<p style="text-align: center;">Page 103</p> <p>1 with or without exogenous metabolic 2 activation or in human cell lines with 3 inherent metabolic capabilities, 4 suggesting that a single hit linear 5 model of carcinogenesis is unlikely. 6 That is not our role. It is 7 not our role to decide whether or not 8 a single hit linear model of 9 carcinogenesis is the issue before us. 10 So, I think that we need...and I 11 should say, parenthetically, that that 12 sentence is incorrect, and I'll come 13 to that. 14 I think Ron Melnick from NISH 15 wrote a very nice paper recently on 16 the role of epoxides, and I won't 17 belabor you with his comments. I 18 quoted them in my document, but, 19 clearly, A-ring oxide formation, 20 epoxide formation, there is significant 21 evidence for carcinogenicity associated 22 with that those intermediates, as a 23 result of CYP1A2 and CYP1B1 mutations, and 24 I think people in this room are aware 25 of that, and I don't need to emphasize</p>
<p style="text-align: center;">Page 102</p> <p>1 make is on both the presentations this 2 morning...and Dr. Carpenter alluded to 3 this...I found them, at some level, 4 speculative, and I found them 5 speculative insofar as they argue 6 about the metabolic differences, and I 7 know Allan Buckett very, very well, 8 and I have interacted with him over 9 the years on a number of occasions and 10 respect his work, and I think the work 11 is important. 12 However, in the context of this 13 discussion, we are attempting to 14 identify the compound; we are not 15 conducting a risk assessment. So, we 16 need to be very careful to 17 differentiate risk assessment data from 18 more qualitative information. 19 And I will read you one thing 20 from one of the submitted comments. 21 It says, Results of extensive studies 22 of genotoxicity by standard methods 23 demonstrate that naphthalene and 24 naphthoquinone do not induce point 25 mutations in vitro in bacterial cells</p>	<p style="text-align: center;">Page 104</p> <p>1 that. 2 What I wanted to do was to 3 spend some time talking about 4 metabolic pathways, because I don't 5 think it has been adequately dealt 6 with. One of the things I think is 7 clear is that in humans, in the urine 8 of humans, 1-naphthol, 2-naphthol, 1,2- 9 naphthoquinone, and 1,4-naphthoquinone 10 have been reported in the urine of 11 humans. In addition to the naphthols, 12 1,2-dihydronaphthalene diol was a 13 stable intermediate produced from human 14 microsomal lung tissue. All these 15 derive from the initial metabolism of 16 naphthalene into A-ring oxide. 17 Now, I wanted...I want you, if 18 you would, to look at the document 19 that I prepared, to look at the 20 figures on the last page, and you will 21 see something that wasn't emphasized 22 in the other presentations about the 23 metabolism of naphthalene. From the 24 most recent speaker, you will notice 25 the dihydro diols here going to</p>

County Court Reporters, Inc.
CCR

TOLL FREE 800.262.8777
CCR@COURTREPORTINGSERVICES.COM

Registered Professional Reporters
Certified Video Technicians
Professional Reporting Since 1975

FAX 540.667.6562
WWW.COURTREPORTINGSERVICES.COM

STEPHENSON, VA WASHINGTON, DC LEESBURG, VA HARRISONBURG, VA
Corporate Offices: 1160 Jordan Springs Road, STEPHENSON, VA 22656

13538-1 - NIEHS MEETING - 11/19/02

<p style="text-align: center;">Page 105</p> <p>1 quinone, but he didn't emphasize that 2 very much. 3 DR. PORTIER: Dr. 4 Froines, could we have a copy...you 5 have an overhead for that, do you not? 6 DR. FROINES: I think 7 so. To find it would be a 8 major...it's probably down there by 9 my...I wanted to emphasize here a 10 pathway that I consider to be 11 extremely important with respect to 12 the carcinogenicity of naphthalene, 13 namely, that the dihydro diol that is 14 formed...and there is no argument 15 about that. It is a major metabolite. 16 It is found in microsomes; it is found 17 in humans...that a principal pathway 18 is through the catalytic activity of 19 dihydro diol, the hydrogenase, which 20 takes you to a catechol, and the 21 catechol is subsequently formed the 22 PAH quinone. 23 Not only that, but it has been 24 mentioned by others that this semi- 25 quinone, quinone redox cycling process</p>	<p style="text-align: center;">Page 107</p> <p>1 activity on the other. 2 I won't take time, because, 3 presumably, most of you are aware of 4 that, but in this case, this is an 5 example of the reaction of the ortho- 6 quinone, 1,2- compound, with DNA, and 7 you can see 1:56:05 formation, and 8 then there is the modifications that 9 occur from reactive oxygen species. 10 So that this pathway is 11 extremely important when we consider 12 naphthalene, and all the emphasis up 13 to the present has been on the 14 formation of the oxides when, in fact, 15 it seems to me that a primary 16 mechanism for carcinogenicity of 17 naphthalene is by the quinone. Within 18 that context, let me just discuss 19 briefly some of the other factors. 20 1,2- and 1,4-naphthoquinone are 21 mutagens in the Ames test. They are 22 mutagens in four test restraints, 23 TA97a, TA100, TA104 and TA98. The 2- 24 methyl derivative, which most people 25 know as meta-dione has also been shown</p>
<p style="text-align: center;">Page 106</p> <p>1 results in oxidative stress with the 2 reduction of molecular oxygen to 3 superoxide anion radical with 4 subsequent Benton type chemistry going 5 to hydrogen peroxide and a hydroxyl 6 radical, so that this pathway with 7 naphthalene is extremely important. 8 In our laboratories, we have 9 been studying the quantitative 10 formation of reactive oxygen from the 11 naphthoquinones, and you find one 12 molecule of a 1,2- or 1,4- 13 naphthoquinone will produce tens of 14 thousands to hundreds of thousands of 15 molecules of reactive oxygen, because 16 the naphthoquinones act catalytically. 17 They don't act stoichiometrically. 18 They also undergo 1,4- 19 microedition reactions in which they 20 act as electrophiles and will bind 21 with DNA as electrophiles. So, you 22 have two pathways that are possible, 23 the catalytic activity through the 24 formation of reactive oxygen species 25 on the one hand and the electrophilic</p>	<p style="text-align: center;">Page 108</p> <p>1 to be mutagenic. 2 We have quantitatively 3 documented the generation of reactive 4 oxygen species from both the 1,2- and 5 1,4-naphthoquinone. 6 And let me read to you from a 7 paper from Penning from 2002. Penning 8 has argued that one of the most 9 commonly mutated genes in lung cancer 10 is a p53 tumor suppressor gene with a 11 preponderance of T to t transversions 12 which he argues is a signature 13 mutation. In a yeast reporter system, 14 Penning demonstrates greater than 46 15 percent mutations in p53 where GC-TA 16 transversions from the naphthalene 17 metabolite 1,2-naphthoquinone. Their 18 conclusion is PAH ortho-quinones act 19 as endogenous mutagens leading to p53 20 mutations. 21 So, the argument that 22 naphthalene is not genotoxic is simply 23 not true unless one decides to 24 eliminate or not take into 25 consideration the products of</p>

County Court Reporters, Inc.
CCR

TOLL FREE 800.262.8777
CCR@COURTREPORTINGSERVICES.COM

Registered Professional Reporters
Certified Video Technicians
Professional Reporting since 1975

FAX 540.667.6562
WWW.COURTREPORTINGSERVICES.COM

STEPHENSON, VA • WASHINGTON, DC • LEESBURG, VA • HARRISONBURG, VA
Corporate Offices: 1160 Jordan Springs Road, STEPHENSON, VA 22656

13538-1 - NIEHS MEETING - 11/19/02

<p style="text-align: center;">Page 109</p> <p>1 metabolism. And as I mentioned here, 2 the formation of n7 guanine adducts 3 has been demonstrated from 4 naphthoquinone metabolism. 5 Now, let me make a few comments 6 about the bioassays. The first point, 7 I think, needs to be emphasized and 8 reemphasized, and that is that the 9 neuroblastomas are rare tumors which 10 satisfies the definition to an unusual 11 degree in a single experiment, et 12 cetera. These are rare tumors, and if 13 you look at the data, the NTP 2000 14 historical controls found zero cancers 15 out of 299 males, zero cancers out of 16 299 females, and this is not a 17 particularly small number of controls 18 by any stretch of the imagination. 19 And, of course, there is no 20 evidence that the feed was linked to 21 the tumor. The NIH07, as you note in 22 my document, the NIH07 feed has not 23 seen these cancers in other species. 24 I think one has to be very 25 careful...and we do this all the time</p>	<p style="text-align: center;">Page 111</p> <p>1 are differences, we need to think 2 about those differences, but they 3 don't deny the basic facts of the 4 carcinogenicity bioassays. And I 5 think that that kind of speculation 6 makes it more difficult but doesn't 7 necessarily illuminate the final 8 answers. 9 So, I would argue that when we 10 look at the metabolism in a complex 11 fashion rather than simply focusing on 12 the stereochemistry of the epoxide 13 formation, what we find are 14 mechanistic pathways that are entirely 15 believable and reasonable and that we 16 should consider them quite seriously 17 when we think about making our final 18 determination. Obviously, we have a 19 very well defined structural class of 20 substances in this case and as I 21 already said, the mouse is obviously 22 not sufficient, but it certainly 23 provides important evidence. 24 Finally, in conclusion, I think 25 that one needs to look at the</p>
<p style="text-align: center;">Page 110</p> <p>1 these days...to suggest that 2 cytotoxicity and carcinogenicity follow 3 a common mechanism. It seems to me 4 that the evidence in these studies 5 does not necessarily support those 6 findings. 7 I read with some interest Jack 8 Harkema's report to the panel, 9 comments. Jack Harkema is a member of 10 our air pollution research center. I 11 work with him very closely, I respect 12 him a great deal, and if you read his 13 report very closely, he does document 14 the differences in species between the 15 rat and human in terms of nasal 16 passages, and at the end, he suggests 17 that more research is necessary in a 18 classic academic context. That is 19 what we all do, of course. 20 But there is no evidentiary 21 basis, based on Harkema's document, 22 that would argue persuasively for a 23 species-specific mechanism of action. 24 Quite the opposite. I think that what 25 Jack's work demonstrates is that there</p>	<p style="text-align: center;">Page 112</p> <p>1 metabolic information from a hazard 2 identification standpoint, and in that 3 regard, the metabolites, that is, the 4 naphthoquinones certainly...and the 5 reactive oxygen species that follow 6 certainly are an important mechanistic 7 pathway that could, in part, explain 8 the carcinogenicity of these compounds. 9 Dr. Portier? 10 DR. PORTIER: Yes, Dr. 11 Froines, just for the record, your 12 figure 2 and figure 3, are those from 13 a published document? 14 DR. FROINES: Those are 15 from a published document by Trevor 16 Penning at the University of 17 Pennsylvania, and I will give you the 18 reference, if you would like. 19 DR. PORTIER: That would 20 be great, if you could just give us 21 the reference afterwards. Thank you. 22 DR. FROINES: 23 Afterwards. Okay. 24 DR. SMITH: Other 25 comments, questions from the</p>



13538-1 - NIEHS MEETING - 11/19/02

<p style="text-align: center;">Page 113</p> <p>1 subcommittee? 2 DR. FROINES: Oh, I 3 should say one other thing. I'm 4 sorry. I've mentioned the catalytic 5 behavior, but I did want to mention 6 that lots of times, one doesn't see 7 reports of these quinones as much as 8 one might expect, and what we have 9 found in our laboratory is that they 10 are very difficult to analyze in terms 11 of the GC/MS, and we've had to develop 12 new methods of analysis using acetic 13 anhydride derivatization in order to 14 adequately quantify the 1,2- and 1,4- 15 naphthoquinones. So, I think some of 16 the fact that you haven't seen them as 17 much as one might hope is based on 18 the fact that you simply can't analyze 19 for them using traditional methods. 20 DR. SMITH: Yes. 21 DR. POPP: Yes. I think 22 there is a lot of data on the table 23 with this particular compound and a 24 lot of it very interesting and data 25 that leads to the need for additional</p>	<p style="text-align: center;">Page 115</p> <p>1 and, again, the incidence is zero, and 2 that is consistent with pathologist, 3 if you go talk around, who read 4 carcinogenicity studies. That is 5 consistent with, I am sure, everyone's 6 experience with this strain of rat and 7 any other strain of rat, as far as I 8 know. In other words, it is a very 9 rare tumor. 10 So, I think when you look at 11 the numbers here where, in one set, we 12 have an incidence of over 25 percent 13 of this particular tumor. 14 The next point is that this is 15 very, very clearly a malignant tumor, 16 too. I think, for the non- 17 pathologists, the term neuroblastoma 18 may leave one wondering, but just read 19 the description in the original NTP 20 report, and the local invasion, in 21 several cases, through the cribriform 22 plate into the brain, there is no 23 doubt that this is a malignant tumor. 24 The diagnosis of malignancy, of 25 course, is supported by Jack Harkema's</p>
<p style="text-align: center;">Page 114</p> <p>1 data, again, addressed in Jack 2 Harkema's document. I think that 3 where this really comes down to for 4 our purposes today is the question 5 that Dr. Roberts raised and, I think, 6 Dr. Froines really answered, and that 7 is, what criteria should we be looking 8 at to make the decision today? 9 And I believe that the issue 10 comes to whether we have a tumor that 11 is to an unusual degree in regards to 12 incident site or type of tumor or age 13 at onset, as read straight out of the 14 criteria, and I think it comes down to 15 even a subset of that, and that is an 16 unusual degree in terms of tumor type. 17 There is no doubt that the 18 neuroblastoma is a very, very unusual 19 tumor type for the rodent. It is 20 documented in the material we have. 21 The table shows us 299 male, 299 22 female rats, all of which are negative 23 with the current NIH 2000 diet. The 24 previous diet, we have background of 25 over 1000 females, over 1000 males,</p>	<p style="text-align: center;">Page 116</p> <p>1 reading. He used a slightly different 2 terminology. I believe he called it 3 neuroepithelioma 4 olfactory...neuroepithelioma carcinoma 5 olfactory, clearing indicating that a 6 number of these are malignant tumors. 7 So, again, I personally think 8 that it comes down to the issue of do 9 we have a malignant tumor to an 10 unusual incidence, and I think the 11 data clearly says we do. 12 DR. SMITH: A quick 13 question of clarification. The table 14 in the document refers to metastases 15 and invasion. Which is it? 16 DR. FROINES: Where are 17 you looking in the document? 18 DR. SMITH: At page .29. 19 I was quite intrigued that the 20 metastases listed 4 out of the 12 21 tumors at the high dose in females, 22 but it is just a minor point of 23 clarification. 24 DR. FROINES: I'm sorry. 25 SPEAKER: In the</p>



13538-1 - NIEHS MEETING - 11/19/02

Page 117

1 description.
 2 DR. FROINES: Oh, oh,
 3 I'm sorry. Yeah, in essence, it's a
 4 matter of terminology. I don't think
 5 there is any difference here. I was
 6 looking back in the original document,
 7 and I believe it stated that there was
 8 invasion through the cribriform plate.
 9 One could use view that as metastasis.
 10 I would personally view it as local
 11 invasion, but it makes no difference.
 12 Metastasis or invasion is malignancy.
 13 DR. SMITH: Thank you.
 14 Other comments, questions? Yes?
 15 DR. CARPENTER: Could we
 16 get back to mine?
 17 DR. SMITH: Oh, sure.
 18 DR. CARPENTER: One of
 19 the real questions that I saw raised
 20 in the comments was the fact that the
 21 mouse data were, by and large, not
 22 significant, because they didn't show
 23 both sexes, and when I look at that
 24 closer, you know, I understand the
 25 idea of historical controls, but,

Page 119

1 adenomas, at least now, run about 15
 2 percent for adenomas and 15 percent
 3 for carcinomas. We saw zero
 4 carcinomas in this particular study.
 5 The naphthalene study was done
 6 some time ago, and the mouse study was
 7 done before the rat study. So, I
 8 can't tell you about whether the
 9 diagnostic criteria were the same at
 10 that time as they are now or the
 11 adenoma and carcinoma, but I think it
 12 is unusual to see zero carcinomas in a
 13 control group in mice. So...
 14 DR. CARPENTER: But you
 15 saw both male and female?
 16 DR. PORTIER: Well, the
 17 female generally runs less. They run
 18 about half the combined lung tumors
 19 than the males. So, they generally
 20 run about 15 percent total combined
 21 tumors for the females. So, to see
 22 no carcinomas in females is less than
 23 usual, but to see no carcinomas in
 24 males, just based on the numbers...
 25 I'm sorry. I'm speaking solely

Page 118

1 clearly, you have got an increase in
 2 adenomas compared to the experimental
 3 controls, and there would appear to be
 4 an increase in carcinomas compared to
 5 the experimental controls, but I don't
 6 remember anything in the
 7 document...maybe a faulty memory...but
 8 I don't remember anything in the
 9 document where that was discussed
 10 other than to say that it was not
 11 considered to be significant based on
 12 historical controls, and I kind of
 13 wonder about that. Can we get an
 14 explanation?
 15 DR. PORTIER: Perhaps it
 16 would help to know a little bit about
 17 how a pathologist, if I could just
 18 tell you a little bit about how these
 19 tumors were diagnosed. These are
 20 generally...the difference between an
 21 adenoma and a carcinoma is generally
 22 one of size. It is not one of
 23 fundamental character.
 24 So, the typical background
 25 rates in males for the BCC mouse for

Page 120

1 of the control groups. Did that help?
 2 DR. CARPENTER: I guess
 3 it goes back to lack of understand of
 4 this local control and the importance
 5 compared to the experimental controls,
 6 and you gave me a little bit more
 7 information, but the techniques may be
 8 different now in terms of
 9 interpretation of the pathology which
 10 would make the use of historical
 11 controls less appropriate in my mind.
 12 And my real question is why
 13 didn't somebody in this report make a
 14 bigger deal out of the fact that you
 15 do see what appears to be, although it
 16 is listed as a non-significant trend,
 17 an increase in adenomas and carcinomas
 18 compared to control is the bottom
 19 line. It seems to me that that would
 20 be an important point of discussion at
 21 the very least.
 22 DR. POPP: Let me ask a
 23 question about the use of controls.
 24 My understanding of how the NTP has
 25 always used control data is the



TOLL FREE 800.262.8777
 CCR@COURTREPORTINGSERVICES.COM

Registered Professional Reporters
 Certified Video Technicians
 Professional Reporting Since 1975

FAX 540.667.6562
 WWW.COURTREPORTINGSERVICES.COM

STEPHENSON, VA • WASHINGTON, DC • LEESBURG, VA • HARRISONBURG, VA
 Corporate Offices: 1160 Jordan Springs Road, STEPHENSON, VA 22656

<p style="text-align: center;">Page 121</p> <p>1 immediate historical control data. In 2 other words, you would have been using 3 historical control data from the time 4 at which the study was done. So, I 5 don't think whether there has been any 6 drifting criteria or not is really 7 relevant, I don't believe. 8 DR. PORTIER: The 9 studies are generally...obviously, 10 concurrent control is the first line 11 of comparison. 12 DR. POPP: Right. 13 DR. PORTIER: And 14 statistics here are not significant. 15 So, one would then rely on historical 16 control information which, in any 17 case, would argue that there were more 18 carcinomas in historical control 19 animals than appeared here. So, it 20 would argue that it is even less of a 21 concern. 22 DR. SMITH: Can I ask a 23 question on... 24 DR. PORTIER: I just 25 want to answer Dr. Carpenter's</p>	<p style="text-align: center;">Page 123</p> <p>1 there was a survival difference, in 2 looking at these p values, they really 3 didn't know what to call it in terms 4 of their overall evaluation. 5 John, did you... 6 DR. SMITH: I was 7 actually going to ask for the p 8 values. What was the first one you 9 mentioned, the first test of actual p 10 values? 11 DR. PORTIER: The line 12 table test...this is on page A86 in 13 your background document looking at 14 mice, alveolar-bronchial adenomas and 15 carcinomas, the logistic...the line 16 table test gives p value of 0.363 with 17 a negative trend; the logistic 18 regression test gave a p value of 19 0.58 and the trend test gives a p 20 value of 0.054. All of those are 21 trend tests, and the difference 22 between the logistic regression test 23 and the line table test has to do 24 with the assumption of whether the 25 tumors you are looking at are lethal</p>
<p style="text-align: center;">Page 122</p> <p>1 question sort of directly, hopefully. 2 Now, looking through the naphthalene 3 study in the mouse, that was done in 4 1992. The primary tests that were 5 used at that time, they used three 6 primary statistical tests for 7 evaluating, the trend test, the Peters 8 life table analysis, and the logistic 9 regression prevalence test. 10 And if you look at p values 11 across those three tests and see that 12 the trend test has a margin of 0.05 13 statistical significance; the logistic 14 regression is not at all significant, 15 0.5; and the lethality...lethal tumor 16 analysis is negative, the 17 interpretation of the entity at that 18 time in looking at that type of 19 evaluation for something that had such 20 changes in survival early on, as this 21 particular study did, was a difficult 22 decision by the panel in terms of 23 making a final review of this, and I 24 think that is probably what happened 25 here, is in looking at these, because</p>	<p style="text-align: center;">Page 124</p> <p>1 or not lethal, and in the case where 2 you have big survival differences, you 3 get big switches, big switches in the 4 statistical significance, anything in 5 the direction of the finding for 6 statistical significance. 7 This would not happen with 8 current methods used by the NTP, but 9 we don't have those numbers in front 10 of us to be able to tell you what the 11 answer would be based on current 12 statistical methods. 13 DR. SMITH: Of those 14 tests, the ones that are based on 15 incidence, as I understand it, is the 16 Armitage trend and...was that a one- 17 tailed test, p value? 18 DR. PORTIER: In this 19 case, no, that would be a two-tailed 20 test p value. It is a squared two- 21 tailed evaluation. 22 DR. SMITH: Thank you. 23 DR. JAMESON: One point 24 that I neglected to bring out in my 25 presentation...I meant to and I</p>



13538-1 - NIEHS MEETING - 11/19/02

Page 125

1 apologize...is there was a significant
 2 decrease in survival of the control
 3 animals in the male mice in this
 4 study. It was attributed to fighting.
 5 So, that may also contribute to why
 6 you see a zero in the carcinomas in
 7 the control in this particular group
 8 study, because there was a significant
 9 decrease in the survival of the
 10 control animals.
 11 DR. CARPENTER: I
 12 actually make a comment in my document
 13 here about that, too, and I wonder
 14 about using a control group that
 15 apparently underwent such stress that
 16 most of it was eliminated by the end
 17 of the study.
 18 DR. SMITH: Test data
 19 exposed.
 20 DR. CARPENTER: In this
 21 case, yes.
 22 DR. SMITH: Any other
 23 comments? Dr. Phillips?
 24 DR. PHILLIPS: In
 25 could just make a brief comment on the

Page 127

1 there are some also some tests missing
 2 here that haven't been done, as far as
 3 I can see, such as a bone marrow
 4 micronucleus assay. So, we don't have
 5 the complete set of even the
 6 regulatory ones, but there are some
 7 positive ones.
 8 I think what you have to do
 9 with this data...and I'll have to
 10 confess I haven't done it...is to look
 11 at each study on its merits rather
 12 than trying to apply a sort of score
 13 card as to how many tests are positive
 14 and how many negative. So, there are
 15 some positives here, and I think that
 16 has to be taken into consideration.
 17 DR. SMITH: Dr. Froines?
 18 DR. FROINES: I just
 19 wanted to emphasize that with the
 20 quinone data that there are four Ames
 21 tests...Ames strains that are positive.
 22 So, it is rather, at least, of the
 23 sort of traditional...I agree with
 24 everything Dr. Smith said. I think that
 25 even in an attritional context, there

Page 126

1 genotoxicity data. The comment was
 2 made that on the weight of evidence,
 3 it was overwhelmingly negative. I
 4 think you really can't apply weight of
 5 evidence across genotoxicity studies.
 6 What a genotoxicity study tells
 7 you is...they are all inherently
 8 flawed in how they do it. That's why
 9 any compound has to be considered by a
 10 large battery of tests, and there are
 11 regulatory tests, and then there are
 12 non-regulatory tests, and sometimes
 13 compounds are negative on the
 14 regulatory tests, but the come up on a
 15 non-regulatory one.
 16 So, I think, when looking at
 17 naphthalene, there obviously are a
 18 large number of tests which are
 19 negative. Mostly, bacterial tests, in
 20 vitro are negative, and what that
 21 probably is telling us is that we
 22 don't have the right system there for
 23 metabolically activating naphthalene at
 24 all.
 25 There are some positives, and

Page 128

1 are positive results, especially in
 2 the p53 which is a modern mutational
 3 frequency study that needs to be taken
 4 with some seriousness as opposed to
 5 the more traditional mutagenicity
 6 assays that we tend to list.
 7 DR. SMITH: I take it
 8 the strain work, it has appeared in
 9 publication?
 10 DR. FROINES: Yes,
 11 it's...I think it represents a very
 12 important finding, in fact.
 13 DR. SMITH: Now, on the
 14 table, at least, there is some
 15 difference of opinion as to how this
 16 chemical should be classified, and I
 17 think in the final discussion, we
 18 ought to try to discover or bring out
 19 if there have been any other changes
 20 in viewpoints or any points of
 21 clarification or questions that might
 22 alter the way some of us vote...or I
 23 won't be voting...some of us will be
 24 voting.
 25 DR. ROBERTS: Yes, and

County Court Reporters, Inc.
 CCR

TOLL FREE 800.262.8777
 CCR@COURTREPORTINGSERVICES.COM

Registered Professional Reporters
 Certified Video Technicians
 Professional Reporting since 1975

FAX 540.667.6562
 WWW.COURTREPORTINGSERVICES.COM

STEPHENSON, VA • WASHINGTON, DC • LEESBURG, VA • HARRISONBURG, VA
 Corporate Offices: 1160 Jordan Springs Road, STEPHENSON, VA 22656

13538-1 - NIEHS MEETING - 11/19/02

Page 129

1 as I mentioned in my comments, the
2 issue that was sort of outstanding for
3 me was the...and I thought was a
4 pivotal issue on this one was the
5 issue of the criteria with regard to
6 the unusualness of the tumor response
7 in the male rats, and I thought Dr.
8 Popp gave me a very good response that
9 I found convincing. So, I would think
10 that that...agree with him that
11 that's, in my mind, the most
12 compelling basis to list this
13 compound.

14 DR. SMITH: Any other
15 points? Discussions?
16 (No response.)

17 DR. SMITH: Can somebody
18 move...make a motion to...

19 DR. CARPENTER: I move
20 that naphthalene be...that we vote to
21 consider naphthalene or list
22 naphthalene as reasonably anticipated
23 to be carcinogenic in humans.

24 DR. FRUMPKIN: Second.
25 DR. SMITH: Seconded by

Page 130

1 Frumpkin. Ready to vote? I am a
2 novice. It's my one chemical
3 discussion. You will be replaced.

4 DR. FROINES:
5 Absolutely.

6 DR. SMITH: Any
7 discussion?
8 (No response.)

9 DR. SMITH: Calling the
10 vote. Those in favor?
11 (Show of hands.)

12 DR. SMITH: It's a
13 unanimous vote. Thank you.

14 I suggest that we stop for
15 lunch. What time do we meet back?

16 DR. WOLFF: 1:15.

17 DR. SMITH: It is 12:15,
18 so, 1:15.

19 SPEAKER: Is lunch
20 served, or are we on our own?

21 DR. WOLFF: You are on
22 your own. Actually, the hotel has a
23 restaurant, and if you just walk out
24 the front door, you'll see a number of
25 eateries on the street and adjacent to

County Court Reporters, Inc.
CCR

TOLL FREE 800.262.8777
CCR@COURTREPORTINGSERVICES.COM

Registered Professional Reporters
Certified Video Technicians
Professional Reporting Since 1975

FAX 540.667.6562
WWW.COURTREPORTINGSERVICES.COM

STEPHENSON, VA

WASHINGTON, DC

LEESBURG, VA

HARRISONBURG, VA

Corporate Offices: 1160 Jordan Springs Road, STEPHENSON, VA 22656

Attachment B

Correspondence

COURTNEY M. PRICE
VICE PRESIDENT
CHEMSTAR



November 27, 2002

Via FedEx and E-Mail

Kenneth Olden, Ph.D.
Director,
National Toxicology Program
MD B2-01
P.O. Box 12233
Research Triangle Park, NC 27709-2233

Re: National Toxicology Program (NTP) Board of Scientific Counselors (BSC) Report on Carcinogen (RoC) Subcommittee Review of Proposed Naphthalene Listing at the November 19, 2002 Meeting

Dear Dr. Olden:

I write on behalf of the Naphthalene Panel (Panel) of the American Chemistry Council to protest the grave procedural improprieties that occurred during the BSC RoC Subcommittee's November 19, 2002, meeting to review naphthalene's listing as a carcinogen. To rectify these serious breaches of due process – which are detailed below – we request that you nullify the Subcommittee's vote on naphthalene and take other corrective measures. The nomination to list naphthalene should be returned to the Subcommittee to allow panel members and other interested parties to review and comment on new information – disclosed for the first time at the November 19 meeting – and the naphthalene listing should be taken up again at the next BSC RoC Subcommittee meeting. If, after reading why we propose these steps, you decline to provide our requested relief, we would ask for an immediate meeting with you to discuss this matter before you make a final decision to recommend listing naphthalene to the Secretary of the Department of Health and Human Services.

The Panel worked diligently and in good faith to supply pertinent information in a timely fashion to the NTP to prepare for the November 2002 Subcommittee meeting. These efforts were made in the spirit of transparency, consistent with the NTP's commitment to conduct NTP proceedings with openness and due process. We were surprised when the Subcommittee Chairman, Dr. John R. Froines removed himself from the role of Chairman during consideration of naphthalene so that he could express his views on the naphthalene nomination and then vote on its proposed listing. We do not dispute that it was appropriate for Professor Froines temporarily to cede the role of Chairman to permit participation in scientific



deliberations. Nor do we object to a knowledgeable scientist offering a dispassionate, unbiased opinion, based on scientific knowledge, on the proposed listing of naphthalene. The views expressed, however, were neither dispassionate nor unbiased, but constituted new information offered in a context devoid of due process and transparency. Indeed, the timing of Dr. Froines' presentation precluded any opportunity for others interested in and at least equally knowledgeable about these matters to address his statements on their merits. Dr. Froines' actions were plainly not those of a member of an "independent peer review group" consistent with the charge given to Subcommittee reviewers, and strongly suggest egregious bias.

Rather than working as an active participant of the Subcommittee and contributing to its discussions, Professor Froines pursued his own agenda and caught meeting participants completely unprepared by presenting new information that had not previously been shared with the Subcommittee, nor made a part of the public record. After NTP staff made their oral presentation of the basis of the nomination of naphthalene, and after presentation of interested stakeholder comments, the Subcommittee recessed briefly during which time Dr. Froines distributed written materials to Subcommittee members. Copies of these materials were not made available to the public before, during or, to our knowledge, after the meeting. During his presentation, Dr. Froines referenced a metabolic pathways diagram and was asked by a Subcommittee member to show the diagram to the Subcommittee. He was unable to do so. Dr. Froines discussed research results and was asked by NTP staff if the research had been published. He reported that it had, but was unable to provide any references to the published work.

During his remarks, Dr. Froines argued that naphthalene should be listed for at least three reasons. Scientific documentation of none of these points was part of the record before the Subcommittee.

First, Dr. Froines argued that naphthalene belongs to the class of chemicals known as polycyclic aromatic hydrocarbons (PAHs) which, he stated, are "known carcinogens." It is well known that the scientific community lacks consensus on the categorization of naphthalene as a PAH, and that it is inaccurate and scientifically indefensible to state categorically that all PAHs are "known carcinogens." The International Agency for Research on Cancer (IARC) has expressly addressed this issue. Volume 32 of the *IARC Monographs on the Evaluation of the Carcinogenic Risk of Chemicals to Humans* discusses the carcinogenicity data on 42 PAH compounds. Following the Preamble, IARC scientists state that "only condensed aromatic hydrocarbons and aza arenes with three or more rings are considered" in their review of PAHs and heterocyclics that have been tested for carcinogenicity¹ and that occur in the environment. More importantly, it is well known that the classification of PAHs is disputed. Although it is true that 15 or so PAHs are considered as known experimental or animal carcinogens, and several are considered to be human carcinogens, many others are not

¹ Although the NTP bioassays on naphthalene were not completed until after publication of IARC volume 32 in 1983, at least three independent cancer bioassays and one cell transformation assay on naphthalene were published at the time of the IARC review. Included in that Monograph are reviews on a number of PAH compounds with less experimental data available than that for naphthalene in 1983.

considered carcinogenic at all. Anthracene, fluoranthene, 1-methylchrysene, and pyrene are examples of PAHs that have been evaluated for carcinogenicity and are considered not carcinogenic by IARC, by NTP, and by the U.S. Environmental Protection Agency (EPA).² There is no information, however, regarding categorization of naphthalene as a PAH in any of the nomination or background materials presented by NTP in support of the nomination of naphthalene to the RoC.

A second reason given by Dr. Froines for listing naphthalene is that it is a component of "urban air pollution." While undoubtedly the case, as naphthalene is a component of gasoline as well as other incompletely combusted organic sources such as cigarette smoke and burning wood, occurrence in "smog" alone is not a reason for listing naphthalene as a carcinogen. The Subcommittee was not provided nor given the opportunity to consider any data regarding evidence of carcinogenicity related to exposure to naphthalene in urban air pollution as it may relate to human cancer incidences.

A third reason offered by Dr. Froines to list naphthalene, and equally of concern, was his summary dismissal of published research on the metabolism of naphthalene and certain of its primary metabolites, calling this research "speculative." This "speculative" metabolism data are those presented by NTP in its assessment of naphthalene as well as by other governmental science agencies such as IARC, the U.S. Agency for Toxic Substances and Disease Registry (ATSDR), and the United Kingdom's Health and Safety Executive. Promoted in its place was research conducted in Dr. Froines' laboratory and said to have been published. The alternative metabolic pathway presented contains mutagenic metabolites, and is itself considered speculative, at best, by other academic researchers in the field. Although Dr. Froines admitted that standard genotoxicity assays for naphthalene were negative, he stated that certain specialized genetic toxicology studies (for which no data were presented) would demonstrate positive responses when metabolism is considered.

Metabolism is considered in the standard genetic toxicology assay. Metabolic activation is included in most *in vitro* assays with both bacterial and mammalian cells. Further, *in vivo* studies are considered more predictive than *in vitro* studies because direct metabolism occurs in the animal model. As presented in comments submitted to NTP by the American Chemistry Council Naphthalene Panel³, nearly 40 genotoxicity studies have been published on naphthalene. The weight-of-evidence plainly demonstrates that naphthalene is not genotoxic. This has been recognized by NTP and other United States and international scientific agencies. Considering the role of metabolism in this large volume of genetic toxicology studies, it would be expected that a metabolite as significant as that described by Dr. Froines, in combination with the high test concentrations or doses used, would have led to significant positive results in a large number of the genetic toxicology assays of naphthalene. Such is not the case, however.

² See EPA's Integrated Risk Information System (IRIS) documents for these chemicals, available online at <http://www.epa.gov/iris/>.

³ Public comments submitted to NTP regarding the proposed naphthalene listing are posted on NTP's web site at <http://ntp-server.niehs.nih.gov/newhomeroc/roc11naphthalene.html>.

Kenneth Olden, Ph.D.
November 27, 2002
Page 4

We are deeply concerned that the new information presented to the Subcommittee during its deliberations, including categorically incorrect statements regarding PAHs and naphthalene, unsubstantiated assertions regarding naphthalene's genotoxicity, and remarks concerning naphthalene's alleged role in urban air pollution inappropriately influenced the Subcommittee's decision to vote to list naphthalene. We are especially troubled that none of the information on which Dr. Froines relied was the subject of prior notice, public comment, or deliberation by the RG1 and RG2 Committees. None of the new information is included in the naphthalene background document. Most importantly, none of the new information was externally peer reviewed, which is precisely what the Subcommittee is charged with doing, because one of the Subcommittee members served the dual role of "independent peer reviewer" and sole source of the information. Subcommittee members cannot have it both ways and we request that you, as Director of the NTP, not countenance these improprieties.

The Panel respectfully submits that, if the new data and interpretations of these data introduced at the Subcommittee meeting are of integral relevance to the evaluation of naphthalene's carcinogenicity, there is every reason to have made the data and the arguments available to Subcommittee members and to the public in a timely fashion, and to have allowed appropriate consideration of these data before decisions were made. NTP has gone to great lengths to make the RoC listing process more transparent, and has succeeded, for example, in making relevant documents highly accessible through effective use of the NTP website. Dr. Froines did not make these materials available beforehand. A recommendation to list naphthalene based on information not in the public record has compromised the listing process and the transparency of that process with respect to naphthalene.

In light of the foregoing, we request that you nullify the Subcommittee's vote on naphthalene, refer the naphthalene nomination back to the Subcommittee, request that Dr. Froines submit to the record all pertinent information that he wishes to be considered for listing purposes, allow interested parties an opportunity to review and comment upon the new information, and re-consider naphthalene's nomination at the next RoC Subcommittee meeting. This relief must be provided to fulfill the letter and spirit of NTP's RoC listing procedures. If you decline to provide this relief, we ask that you advise us of your decision before you forward a recommendation to list naphthalene to the Secretary to enable us to meet with you to discuss this matter.

The Panel believes strongly that NTP's scientific credibility has been compromised by the events of the November 19, 2002, RoC Subcommittee meeting and that it is essential you provide the relief requested to restore the credibility that has been lost as a consequence of these events. The message that NTP would communicate in failing to provide the relief requested is a chilling one, and could significantly harm the distinguished reputation you and others have worked hard to promote. We are confident that you will work quickly to renew NTP's commitment to scientific rigor, due process, and openness, and look forward to your response.

Kenneth Olden, Ph.D.
November 27, 2002
Page 5

If you require additional information, please contact Dr. Anne P. LeHuray at
(703) 741-5630 or anne_lehuray@americanchemistry.com.

Sincerely,

Courtney M. Price,
Vice President, CHEMSTAR

cc: Dr. Christopher Portier, NTP
Dr. C.W. Jameson, NTP
Dr. Henry Falk, Agency for Toxic Substances & Disease Registry (ATSDR)
Mr. Thomas Moore, Acting, U.S. Consumer Product Safety Commission (CPSC)
Ms. Christine Whitman, U.S. Environmental Protection Agency (USEPA)
Dr. Mark McClellan, Commissioner, Food & Drug Administration (FDA)
Dr. Richard Jackson, National Center for Environmental Health (NCEH)
Dr. Andrew von Eschenbach, National Cancer Institute (NCI)
Dr. Ruth Kirschstein, Acting, National Institutes of Health (NIH)
Dr. Kathleen Rest, Acting, National Institute for Occupational Safety & Health (NIOSH)
Dr. John L. Henshaw, Occupational Safety & Health Administration (OSHA)



NATIONAL TOXICOLOGY PROGRAM

NATIONAL INSTITUTE OF
ENVIRONMENTAL HEALTH SCIENCES
111 T.W. ALEXANDER DRIVE
P.O. BOX 12233
RESEARCH TRIANGLE PARK, NC 27709-2233
919-541-0530; Fax 919-541-0295

January 27, 2003

Ms. Courtney M. Price
Vice President, CHEMSTAR
American Chemistry Council
1300 Wilson Boulevard
Arlington, Virginia 22209

Dear Ms. Price:

Thank you for your letter dated November 27, 2002, on behalf of the Naphthalene Panel of the American Chemistry Council (ACC) concerning the National Toxicology Program's (NTP) review of naphthalene for possible listing in the Report on Carcinogens (RoC). Your letter raises issues regarding the naphthalene nomination review at the November 19-20, 2002, meeting of the NTP Board of Scientific Counselors RoC Subcommittee. Rather than addressing each point you raise, I offer the following comments. First, I would point out that members of this NTP advisory group are encouraged to bring any published information beyond that provided in the background documents before the Subcommittee for consideration if the member feels it is relevant to the discussion of a nomination. I would also note that public and subcommittee member comments, both written and oral, sometimes raise new issues or provide the Subcommittee new details about a nomination. If new published data are discussed in written or oral comments, we make every effort to provide the references to the Subcommittee and the public before the end of the meeting if a copy of the article is available to us. This was the case for the naphthalene review. A list of the articles provided is enclosed for your information.

I believe that the procedures currently in place provide a full and fair consideration of the nominations for the RoC, and allow one to determine if sufficient information is available to base judgments of whether or not a nomination should be included in the RoC as a *known* or *reasonably anticipated* human cancer hazard. I am sorry that I cannot meet with you concerning the naphthalene nomination, as it is my practice not to meet with individual stakeholders concerning a nomination to the RoC. Let me assure you that any recommendation we will be making to the Secretary for listing substances in the Eleventh Edition of the RoC will be based on sound scientific judgments which were reached following a detailed and complete review of all available information and comments.

Page 2 – Ms. Courtney M. Price

I appreciate your input and will see to it that your comments are included on the NTP RoC website with the other public comments received for naphthalene. For your information, the NTP is in the process of preparing a Federal Register notice containing the recommendations from all three scientific reviews and soliciting final public comment for the 11th RoC nominations that completed review in 2002. We encourage you to submit comments in response to that notice.

Thank you for your interest in the NTP's Report on Carcinogens.

Sincerely yours,

Signature

Kenneth Olden, Ph.D.
Director

Enclosure

Publications Provided by Dr. John Froines

1. Flowers-Geary L, Bleczinski W, Harvey RG, Penning TM. Cytotoxicity and mutagenicity of polycyclic aromatic hydrocarbon *o*-quinones produced by dihydrodiol dehydrogenase. *Chemico-Biological Interactions* 99:55-72 (1996).
2. McCoull KD, Rindgen D, Blair IA, Penning TM. Synthesis and characterization of polycyclic aromatic hydrocarbon *o*-quinone depurinating N7-guanine adducts. *Chemical Research in Toxicology* 12:237-246 (1999).
3. Penning TM, Burczynski ME, H C-F, McCoull KD, Palackal NP, Tsuruda LS. Dihydrodiol dehydrogenases and polycyclic aromatic hydrocarbon activation: generation of reactive and redox active *o*-quinones. *Chemical Research in Toxicology* 12(1):1-15 (1999). [This article contains the 2 figures: Scheme 2 and Figure 3]
4. Yu D, Berlin JA, Penning TM, Field J. Reactive oxygen species generated by PAH *o*-quinones cause change-in-function mutations in *p53*. *Chemical Research in Toxicology* 15:832-842 (2002).

COURTNEY M. PRICE
VICE PRESIDENT
CHEMSTAR



March 3, 2003

Via FedEx and E-Mail

Kenneth Olden, Ph.D.
Director
National Institute of Environmental Health Sciences
National Toxicology Program
P.O. Box 12233
Research Triangle Park, NC 27709-2233

Re: National Toxicology Program (NTP) Board of Scientific Counselors
(BSC) Report on Carcinogens (RoC) Pertinent to Naphthalene

Dear Dr. Olden:

Thank you for your letter dated January 27, 2003, sent in response to the Naphthalene Panel (the Panel) of the American Chemistry Council's November 27, 2002, letter. We appreciate your reaffirmation of the NTP's commitment to base *RoC* listing recommendations on "sound scientific judgments" that are the product of "a detailed and complete review of all available information and comments." It is precisely because at this point the naphthalene listing process cannot yield sound scientific judgments consistent with NTP's own procedural requirements or applicable legal mandates that we write. The Panel wishes to ensure that you are fully aware of the significant breaches in this process that have occurred with respect to consideration of naphthalene during the listing process. Because of these improprieties, discussed in detail below, we request that NTP immediately withdraw the *RoC* Background Document for Naphthalene and suspend the comment period on the NTP's Call for Public Comment published on January 22, 2003,¹ as it relates to naphthalene until the Background Document has been revised to reflect fully and accurately "all available information and comments." Acceding to this request is the only course available to NTP that does not compromise its commitment to transparency and due process.

The Panel believes strongly, for all the reasons carefully set forth in our November letter, that the events that transpired on November 19, 2002, at the *RoC* Subcommittee meeting with respect to naphthalene were serious transgressions of due process.² An unexpected technical presentation on naphthalene was delivered to the Subcommittee that

¹ 68 Fed. Reg. 3033 (Jan. 22, 2003).

² For your convenience, we append a copy of our letter and your response to it.



Responsible Care®

included new information that had neither been shared prior to the meeting with the Subcommittee, nor made a part of the public record. This objectionable and inappropriate approach has continued to this day, with the apparent approval of NTP, as *none* of the materials presented -- not the document prepared and distributed to Subcommittee members, not the four technical references provided to NTP after the meeting, not a single word of that presenter's extensive oral remarks -- are yet part of the public record. None of this information was available for public comment before the Subcommittee meeting, after it, or as we write. Indeed, members of the public who were not physically present at the Subcommittee meeting are not even aware that a substantial part of the basis for the Subcommittee's decision is not part of the public record.

This transgression alone is sufficiently egregious to warrant the relief the Panel seeks. In addition, however, the inappropriate behavior that occurred at the Subcommittee meeting is entirely consistent with a course of conduct that is outcome determinative and gives the appearance of bias. More glaring than this transgression is the fact that the Background Document on Naphthalene remains in its original form, its contents unchanged from its August 26, 2002, cover date. The RG1 findings are not reflected in the Background Document, despite the passage of eight months since the RG1's review on June 10, 2002. Similarly, the RG2 findings are not reflected in the Background Document, despite the passage of over four months since the RG2's review date of October 2, 2002. Finally, public comments on the Background Document, such as those submitted by the Panel on October 2, 2002, apparently have not been considered.

None of the newly introduced materials presented and discussed by Subcommittee members at the RoC Subcommittee meeting are reflected in the Background Document. This particular omission is made all the more conspicuous given that the vote on naphthalene's listing was twice split down the middle after two motions at the close of the RG2 Committee review. Indeed, RG2 Committee members were so divided, the Chairman took the unusual step of abstaining from casting a tie-breaking vote and no recommendation was forwarded by the Committee to you for your consideration. The oral representations about the relevance of unreviewed materials made at the RoC Subcommittee meeting were apparently very persuasive as the motion to list naphthalene was "passed by unanimous vote (9/0)."³ Unless the Background Document is withdrawn and rewritten, however, only those who actually attended the Subcommittee meeting will ever know what the Subcommittee found so persuasive. This eclipsing of transparency falls far short of the standard you describe in your letter as one requiring the "detailed and complete review of all available information and comments." Given the Naphthalene Background Document's state of arrested development, and the absence anywhere, including the recent *Federal Register* notice and the NTP website, of a clear statement of the Subcommittee's deliberative process for voting as it did, NTP's solicitation of "final" public comments on the naphthalene listing is, at a minimum, a meaningless exercise as there is no new information on which to comment. In fact, without granting the relief we seek, NTP's continued solicitation of "final" public comments will only serve to mislead further the public as

³ 68 Fed. Reg. at 3035.

well as the agencies that participate in and rely upon NTP, contributing to a situation much worse than meaningless.

These serious lapses cannot be harmonized with NTP's often stated and very public commitment to transparency, openness, and due process. NTP stated, for example, at the end of the preparation of the 9th RoC:

The NTP is committed to maintaining an open and transparent process for preparation of the RoC that is unencumbered by special interests; includes high quality and open scientific review of substances nominated for listing/delisting; uses the best, publicly available, peer reviewed science; and allows for stakeholder input at multiple levels.... The NTP greatly appreciates the input from all parties and will move forward in implementing some changes immediately while considering other recommendations for possible implementation in the future. In making these changes to the RoC's preparation and review, the NTP is committed to providing the resources needed to ensure their successful implementation.⁴

Similarly, in NTP's responses to previous comments on deficiencies in the listing process, NTP wrote:

In reply to the suggestion that the NTP respond to individual comments, the NTP will continue to revise the background documents during the deliberations by Review Groups 1 and 2 (RG1 and RG2, respectively). *Following completion of RG2's review, the background documents are considered the document of record and will not be changed in response to any subsequent stakeholder input except to correct errors* [italics added for emphasis]. The NTP will make public comments received on all nominations available on its world-wide-website. All comments received by published deadlines will continue to be made available to the BSC RoC Subcommittee for its use in the review of nominations. All comments received will also be provided to the NTP Executive Committee and the NTP Director. A summary of stakeholder opinion for each nomination will also continue to be provided to the Secretary.

In response to the concerns expressed about unevenness in the quality of the background documents, the NTP will expand the use

⁴ NTP, "Response to Public Comments and Discussion on the Preparation and Review of the *Report on Carcinogens*" (last revised Oct. 30, 2001), available at <http://ntp-server.niehs.nih.gov/NewHomeRoC/ResponsePub.html>.

of external, compound-specific experts in their preparation. In addition, these experts will now be invited, as needed, to participate in the BSC *RoC* Subcommittee's meetings and discussions as well. Such situations would include instances where the experts contribute significantly to preparation of the background document or where the scientific issues for the nomination are unusually complex and/or controversial. The NTP believes that this addition of compound-specific expertise will strengthen the BSC *RoC* Subcommittee's review of the nominations.⁵

These safeguards are fair and appropriate, and reflect the high standards NTP has set for itself. They do not, however, reflect the process that has been used for naphthalene's nomination. The Background Document for Naphthalene is in no sense the "document of record," as it documents nothing of the record since last August. Nonetheless, this is the document on which NTP is seeking "final" public comment. The *RoC* Listing Subcommittee meeting last November similarly was characterized by highly unusual, and in our view, impermissible departures from past practices, including the Chairman's self-removal from his role during the consideration of naphthalene so that he could express unsupported, unreviewed comments on naphthalene's nomination and then vote on its proposed listing. In essence, he was acting as a stakeholder. None of this presentation is part of the record, despite the availability of the transcript of the Subcommittee meeting and, presumably, the document distributed to Subcommittee members, but not to the public at the time of the meeting or since.

Other anomalies have occurred in the listing process. For example, the time between the RG2 deliberations (October 2, 2002), the due date for comments (November 4, 2002), and the scheduling of the Subcommittee meeting (November 19-20, 2002) was unusually compressed. Typically, more time is allowed to prepare and submit comments. This compressed timeframe is certainly not reflective of the "early input from stakeholders" anticipated in NTP's earlier commitment to ensure "issues critical to evaluating the listing/delisting are addressed during development of the background documents and are considered throughout the review process." In short, the entire listing process for naphthalene has been compromised. As Director of the NTP, and guardian and champion of its commitment to transparency, we urge that you ensure due process is observed. These breaches must be remedied if NTP is to make good on its commitment to transparency and sound science.

To remedy these breaches, the Panel requests that NTP immediately announce in the *Federal Register* its decision to withdraw and rewrite the *RoC* Listing Background Document for Naphthalene and to suspend the public comment period seeking "final" comments on the naphthalene listing. Once the Background Document has been rewritten, and all available and relevant materials essential to naphthalene's listing have been made available to the public, NTP should restart the comment period and seek public comment for an additional 60-day period.

⁵ *Id.*

Kenneth Olden, Ph.D.
March 3, 2003
Page 5

The Panel continues to believe that the vote taken with regard to naphthalene at the November, 2002 Subcommittee meeting should be nullified and, after all relevant information has been submitted for review, naphthalene should be reconsidered at the next Subcommittee meeting. Failure to do as requested would violate NTP's own operating requirements and expose NTP to allegations of non-compliance with the principles of due process and Office of Management and Budget, Department of Health and Human Services, and National Institutes of Health guidelines for ensuring data quality.⁶

The Panel strongly urges you to remedy these breaches to avert the need for the Panel to pursue other avenues of recourse. As comments on the January 22, 2003 *Federal Register* Notice are due by March 24, we request that you respond by March 14. If the relief requested is not granted, and as you are unable to meet with us, we plan to seek a meeting with Secretary Thompson to discuss this matter.

The Panel looks forward to hearing from your office promptly. If you require additional information, please call or e-mail Dr. Anne P. LeHuray at (703) 741-5630 or anne_lehuray@americanchemistry.com.

Sincerely yours,

<Signed>

Courtney M. Price
Vice President, CHEMSTAR

Attachments

cc: Dr. Christopher Portier, NTP
Dr. C.W. Jameson, NTP
Mr. Tommy Thompson, Department of Health and Human Services (HHS)
Dr. Dr Elias A. Zerhouni, Director, National Institutes of Health (NIH)

⁶ The HHS Information Quality Guidelines are available at <http://www.hhs.gov/infoquality/>.

**DEPARTMENT OF HEALTH & HUMAN SERVICES****Public Health Service****National Toxicology Program
P.O. Box 12233
Research Triangle Park, NC 27709**

March 11, 2003

Ms. Courtney M. Price
Vice President, CHEMSTAR
American Chemistry Council
1300 Wilson Boulevard
Arlington, Virginia 22209

Dear Ms. Price:

Thank you for your letter dated March 3, 2003, regarding your concerns about naphthalene. In your letter, you request that the NTP withdraw and rewrite the Report on Carcinogens (RoC) Listing Background Document for Naphthalene ("the Naphthalene Document"), extend the public comment period, nullify the vote on naphthalene by the NTP Board of Scientific Counselors RoC Subcommittee ("the RoC Subcommittee") at its review on November 19, 2002, and bring naphthalene back to the RoC Subcommittee at its next meeting. I cannot agree to any of these requests. The first two requests are discussed below and the remaining requests have been dealt with in my previous response dated January 27, 2003, and will not be addressed again in this correspondence.

The Naphthalene Document is the document of record for all three scientific reviews and will remain the document of record. According to our process, we include all available public comments with the background document and do not alter the background document throughout the review period unless serious errors are detected in it. This assures that our three scientific review committees are basing their decisions on the same basic material augmented by the additional public comments obtained during the review process. I believe that this process is both open and fair, clear to all interested parties, and maintains the scientific rigor necessary for decisions regarding the review of agents for inclusion in the RoC.

My staff informs me that none of the initial two scientific review committees found serious flaws in the Naphthalene Document that would require it being rewritten. Also, I understand that the Naphthalene Document, the recommendations from Review Group 1 (RG1) and Review Group 2 (RG2), and all public comments received to date are posted on the NTP web site. In addition, I am told that the RoC Subcommittee publicly noted your written concerns regarding the exposure information for naphthalene and concluded that there was sufficient exposure to warrant review of this agent for inclusion in the RoC. I also understand that you refer to other sources of exposure information on naphthalene in your comments and we would be happy to receive that information from you.

Page 2 – Ms. Courtney M. Price

I do not agree with your assessment that a written copy of the verbal presentations from the RoC Subcommittee's review must be available to the record prior to the onset of a final comment period. Historically, the NTP has never published the meeting transcript, but it is available upon request. The minutes from the RoC Subcommittee meeting on November 19, 2002, are not yet available on our web site, but these minutes serve more to guide the reader on the progress of the meeting than on the detailed substantive arguments of the presenters and the RoC Subcommittee. The NTP does recognize the importance of informing the public about differences in opinions within each RoC scientific review group with regard to recommended actions for individual nominations; therefore, we publish the reason(s) for any negative votes or abstentions in the Federal Register. The Federal Register notice published January 22, 2003, (Vol. 68, No. 14, Pages 3033 - 3036) containing the recommendations and votes from the three scientific review groups for the set of nominations that includes naphthalene, also contains this explanatory information for any dissenting votes or abstentions. Hence, I see no reason to extend the public comment period due to a lack of a summary from this public meeting.

As you are aware, public comments received in response to this notice will be posted on the RoC web site along with the other comments received to date. I would encourage you to submit comments in response to the January 22nd Federal Register notice. For your information, in the past my staff has included comments received after published deadlines in their briefing to me prior to my developing a recommendation on RoC nominations for the Secretary.

I am sorry that I cannot meet with you concerning this matter, as it is my policy not to meet with individual stakeholders concerning a nomination to the RoC. Please be assured that I have not yet made a decision on the NTP recommendation for any of the nominations being considered for listing in the 11th RoC; and before doing so, I will carefully review the minutes from the review groups' meetings, their recommendations, and all public comments.

I appreciate your providing me input about your concerns.

Sincerely yours,

Signature _____

Kenneth Olden, Ph.D.
Director