

DEPARTMENT OF HEALTH AND HUMAN SERVICES

SECRETARY'S ADVISORY COMMITTEE
ON
GENETICS, HEALTH, AND SOCIETY
(SACGHS)

- Sixteenth Meeting -

+ + +

Tuesday
July 8, 2008

+ + +

Hubert H. Humphrey Building
200 Independence Ave., SW
Washington, DC

PARTICIPANTS:

Committee Members

Committee Chair

Steven Teutsch, M.D., M.P.H.

Executive Director
Outcomes Research and Management
Merck & Company, Inc.

Mara Aspinall, M.B.A.

Senior Advisor
Genzyme Corporation

Sylvia Mann Au, M.S., C.G.C.

Hawaii State Genetics Coordinator
Genetics Program
Hawaii Department of Health

Paul Billings, M.D., Ph.D., F.A.C.P., F.A.C.M.G.

(Appointment Pending)
President and Chief Executive Officer
Cellpoint Diagnostics
Chairman
Signature Genomics Laboratories, LLC

Francis S. Collins, M.D., Ph.D.

Director
National Human Genome Research Institute

Rochelle Dreyfuss, M.A., J.D.

Pauline Newman Professor of Law
New York University School of Law

James P. Evans, M.D., Ph.D.

Professor of Genetics and Medicine
Director of Clinical Cancer Genetics and the
Bryson Program in Human Genetics
Departments of Genetics and Medicine
University of North Carolina at Chapel Hill

Kevin T. FitzGerald, S.J., Ph.D., Ph.D.

Dr. David P. Laufer Chair in Catholic Health Care Ethics
Research Associate Professor
Department of Oncology
Georgetown University Medical Center

PARTICIPANTS *(continued)*:

Committee Members

Julio Licinio, M.D.

Professor and Chairman
Miller School of Medicine
University of Miami
Department of Psychiatry and Behavioral Sciences

Barbara Burns McGrath, RN, Ph.D.

Research Associate Professor
University of Washington School of Nursing

Paul Steven Miller, J.D., (Appointment Pending)

Director, UW Disability Studies Program
Henry M. Jackson Professor of Law
University of Washington School of Law

Joseph Telfair, Dr.P.H., M.S.W., M.P.H.

Professor
Public Health Research and Practice
Department of Public Health Education
University of North Carolina at Greensboro

Marc S. Williams, M.D., FAAP, FACMG (by telephone)

Director
InterMountain Healthcare
Clinical Genetics Institute

Paul Wise, M.D., M.P.H.

Richard E. Behrman Professor of Child Health and Society
Stanford University

Ex Officios

Michael Amos, Ph.D.

Scientific Advisor
Chemical Science and Technology Laboratory
National Institute of Standards and Technology

Michael A. Carome, M.D.

Associate Director for Regulatory Affairs
Office for Human Research Protections

John Cusey

Administration for Children and Families

PARTICIPANTS *(continued)*:

Ex Officios

Matthew Daynard, J.D.

Senior Attorney
Bureau of Consumer Protection
Division of Advertising Practices
Federal Trade Commission

Alan E. Guttmacher, M.D.

Deputy Director
National Human Genome Research Institute
National Institutes of Health

Robinsue Frohboese, J.D., Ph.D.

Principal Deputy Director
Office for Civil Rights

Denise Geolot, Ph.D., R.N.

Director
Center for Quality
Health Resources and Services Administration

Steven Gutman, M.D., M.B.A.

Director
Office for In Vitro Diagnostic Device Evaluation and Safety
Food and Drug Administration

Muin Khoury, M.D., Ph.D.

Director
National Office of Public Health Genomics
Centers for Disease Control and Prevention

COL. Scott D. McLean, MC, USA

Chief of Medical Genetics
San Antonio Military Medical Centers
Clinical Genetics Consultant to the Army Surgeon General
Department of Defense

Gurvaneet Randhawa, M.D., MPH

Medical Officer
Center for Outcomes and Evidence (COE)
Agency for Healthcare Research and Quality

Barry M. Straube, M.D.

Chief Medical Officer
Office of Clinical Standards and Quality
Centers for Medicare and Medicaid Services

PARTICIPANTS *(continued)*:

Ex Officios

Gregory J. Downing, D.O., Ph.D.
National Cancer Institute

Peter T. Kirchner, M.D., on behalf of Dan Drell
U.S. Department of Energy

Amy Turner, on behalf of Thomas Alexander
U.S. Department of Labor

Peter Gray, on behalf of Naomi Earp
Equal Employment Opportunity Commission

Sherrie Hans, on behalf of Ellen Fox
Department of Veterans Affairs

Jeffrey Roche, on behalf of Barry Straube
Centers for Medicare and Medicaid Services

SACGHS Staff

Executive Secretary

Sarah Carr
NIH Office of Biotechnology Activities

Cathy Fomous, Ph.D.
Senior Health Policy Analyst
NIH Office of Biotechnology Activities

Yvette Serger, Ph.D.
Senior Health Policy Analyst
NIH Office of Biotechnology Activities

Phyllis Frosst, Ph.D.

Speakers

Michael O. Leavitt
Secretary
Department of Health and Human Services

Richard M. Campanelli, J.D.
Office of Civil Rights
Department of Health and Human Services

PARTICIPANTS *(continued)*:

Speakers

Teri Manolio, M.D., Ph.D.

Director
Office of Population Genomics
Senior Advisor to the Director
NHGRI for Population Genomics

David Ewing Duncan

Director
Center for Life Science Policy
University of California at Berkeley

Dietrich Stephan, Ph.D.

Co-Founder and Chief Science Officer
Navigenics

Linda Avey

Co-Founder
23andMe

Jeff Gulcher, M.D., Ph.D.

Co-Founder
deCODEme

George Church, Ph.D.

Personal Genome Project
Knome, Inc.
Director
Center for Computational Genetics
Harvard Medical School

Ryan Phelan

Founder and CEO
DNA Direct

Kathy Hudson, Ph.D.

Director
Genetics and Public Policy Center

Michele Schoonmaker, Ph.D.

Director of Government Affairs
Cepheid
Association for Molecular Pathology

Amy Miller, Ph.D.

Public Policy Director
Personalized Medicine Coalition

PARTICIPANTS *(continued)*:

Speakers

Ann Willey, Ph.D., J.D.

Director

Office of Laboratory Policy and Planning

Wadsworth Center

New York State Department of Health

CONTENTS

Page No.

- MORNING SESSION -

SESSION ON PERSONAL GENOME SERVICES

Opening Remarks	
Steven Teutsch	145
Presentation of Award to Dr. Francis Collins	
Richard M. Campanelli	145
Francis S. Collins	155
Overview of Session and Introductions	
Sylvia Mann Au	160
The Science of Genomic Associations: Current Status and Future Directions	
Teri Manolio	162
Question-and-Answer Session	182
Personal Genomic Information: A Consumer's Perspective	
David Ewing Duncan	195
Question-and-Answer Session	218
Personal Genome Service Providers	
Dietrich Stephan	243
Linda Avey	258
Jeff Gulcher	271
George Church	288
Introduction of the Secretary of Health and Human Services	
Steven Teutsch	299
Remarks by the Secretary of Health and Human Services	
Michael O. Leavitt	300
Question-and-Answer Session	303
Personal Genome Service Providers (continued)	
Ryan Phelan	319
Roundtable Discussion with Personal Genome Service Providers	330

CONTENTS (continued)

Page No.

Public Policy Issues Surrounding Personalized Genome Services	
Kathy Hudson	364
Question-and-Answer Session	377
- AFTERNOON SESSION -	
Public Comments	
Michele Schoonmaker	381
Amy Miller	385
Ann Willey	389
Presentation of Proposed Action Plan of SACGHS Taskforce on Education and Training	
Barbara Burns McGrath	398
Discussion	408
Continued Discussion of Plan for Next Steps in Priority-Setting Process	
Paul Wise	415
Concluding Remarks	
Steven Teutsch	448
Adjournment	450

1

PROCEEDINGS

2

[8:05 a.m.]

3

Opening Remarks

4

Steven Teutsch, M.D., M.P.H, Chair

5

DR. TEUTSCH: Good morning, everyone. Welcome
6 back. We had an interesting session yesterday. Rick and
7 I were just comparing notes about yesterday afternoon's
8 session and the opportunity to continue that discussion
9 this morning.

10

Before we get into that discussion, I want to
11 introduce, for those of you who may not know him, our
12 regular and close friend of the Committee, Rick
13 Campanelli, who is the counselor for science and health
14 policy here in the Department. I say he is a close
15 friend because he is the man who helps us with carrying
16 the message to the Secretary and helping us get our work
17 done in an effective way. We are always appreciative of
18 his support and always delighted to see him here.

19

Rick is here today on a bittersweet mission, so
20 let me turn it over to you, Rick.

21

Presentation of Award to Dr. Francis Collins

22

Richard Campanelli, J.D.

1 DR. CAMPANELLI: The bittersweet mission is to
2 say a few words on behalf of a person who is a friend to
3 me and to all of us. The occasion is Francis Collins'
4 departure from SACGHS. I thought I should be at the
5 podium to do that, so I had to come up here.

6 It is great to be with you all again. It was
7 very interesting, wasn't it, to be with many of you
8 yesterday for the discussion about the intersection of
9 consumer health and consumer access to information and
10 genomic medicine. I know that you are going to continue
11 that today.

12 I have had a chance to visit with you up here a
13 number of times on substantive issues but most recently
14 on the occasion of Reed Tuckson's departure from the
15 Committee and now on the occasion of Francis Collins'
16 departure from the Committee. I don't want you to think
17 that every time I come somebody is departing.

18 [Laughter.]

19 DR. CAMPANELLI: It could be a bad sign.

20 But it is not. I really hope that is not true
21 because we very much appreciate and recognize the
22 importance of your work and are grateful for it and all

1 of your involvement and the time you are committing.

2 I do have the honor today, on behalf of
3 Secretary Leavitt and all of my colleagues at the
4 Department, to say thank you to you, Francis, for your
5 service to this community for many, many years. I should
6 probably just say many years, not many, many, although it
7 is true.

8 Francis, in recent weeks with the passage of
9 GINA we have had the chance to recognize your important
10 contributions in advocating for patient protections that
11 ultimately resulted in the passage of GINA. If we had
12 known that shortly after it passed you would be
13 announcing your departure from the Department, we may
14 have left a few wrinkles in there that would require you
15 to stay on longer or maybe not work so hard.

16 Actually, it was a great effort for many years
17 on behalf of many people. I know Francis recognizes
18 that. But especially, Francis, you and your team, and
19 you personally, made a great contribution and really
20 helped to push that toward the end. It took a great
21 concerted effort, as you and I both know, to get the ball
22 over the goal line, and to good effect.

1 All of us know as well, though, that our debt
2 of gratitude to Francis goes far beyond GINA, as
3 important as that is. You shaped the vision of the Human
4 Genome Project, and with your team at NHGRI and NIH you
5 advanced science achievements that have unlocked and will
6 continue to unlock so much potential for improving human
7 health.

8 That phrase, "unlocking and continuing to
9 unlock so much potential for human health," that is an
10 audacious statement. It is amazing because it is true.
11 Sometimes you say things like that, but I was just
12 rolling that thing around in my mind.

13 I remember the first time we met. I had the
14 privilege of sitting in your office, and I really felt it
15 a privilege, as I do now, to have sat in your office, as
16 you walked me through where things were with genomic
17 research and where things were at NHGRI.

18 At one point you got that smile on your face
19 that I know we all recognize -- that you have right now -
20 - and that I have come to see so often when you talk
21 about your work. I have often thought that that is the
22 smile of the joy of the privilege of great discovery.

1 You see it on the faces of little children a lot when
2 they discover things, but you don't often see it on the
3 faces of sophisticated adults and great scientists. It
4 is something that I think all of us can really emulate
5 and look to, the joy that you have had in your work.

6 Francis, with you it is contagious. It is hard
7 not to smile back. You said to me then that in years to
8 come people will look back on this era of unlocking the
9 genome as the ushering in of a whole new era in science,
10 perhaps unmatched in the history of medicine. You
11 weren't talking about your accomplishments but about what
12 it meant for all of us for how we view health care.

13 Just yesterday I was talking with one of the
14 leaders of the new Scripps Medical School, an institution
15 not even open yet, where the curriculum will fully
16 integrate genomic science and throughout the curriculum.

17 They are the leading edge, perhaps, of the next
18 generation of doctors, prepared to bring these results to
19 the bedside, a new army being formed, prepared to fight
20 disease and sickness with new weapons, weapons which you
21 helped to give them.

22 This is a wonderful gift that you have given

1 all of us, but there is something that is just as
2 significant as the discoveries themselves. In all of
3 these wonderful discoveries you have kept insight and
4 become a recognized voice for making sure that science
5 and scientists consider all of the important issues and
6 steps as they pursue their path. GINA is one of those
7 examples.

8 But in addition, you have become, and we will
9 trust you will continue to be, a voice that ensures that
10 ethical issues remain at the forefront as we pursue the
11 wonderful science that is upon us.

12 Recently, I was at a dinner with a person
13 pretty high up in the government of the EU. He had an
14 important leadership position and was involved in some
15 controversial aspects of human molecular research that
16 has been in the press. At one point he was
17 enthusiastically describing some of these things. I
18 asked in a gentle way how is it that he was able to
19 integrate the significant moral and ethical debate in the
20 effort of pursuing science there.

21 This is what he did. He turned his face
22 sideways away and waved his hand in a big arc, and then

1 just continued with the scientific discussion. So the
2 scientific discussion went on, and it was very
3 interesting and very valuable, and we engaged in that
4 scientific discussion.

5 Then he said, "But I don't think you could do a
6 lot of this in the U.S." It seemed to me a natural
7 question, so I said -- and this is why you don't want to
8 invite me to dinner -- "Well, how is it, then, that you
9 deal with or integrate the significant moral and ethical
10 questions?" He turned his head away and waved his hand.

11 Francis, you have contributed so much to
12 science. No one can deny it. Just as importantly, your
13 life and voice have reminded all of us that we can and
14 must always be able to entertain these important
15 questions even as we are fully engaged in excellent
16 science. You have kept the big picture of health and the
17 ways that science and technology is applied to it in
18 mind, and in so doing you have enriched our science and
19 our public debate.

20 Not only are you open to true scientific
21 inquiry and debate, but you are a really good debater. I
22 know. I have had the privilege of engaging in and

1 learning from you at the intersections of policy and law,
2 and some recently. It has always been a joy and it has
3 always been a great education for me. It has been one of
4 the wonderful privileges of my time as counselor to the
5 Secretary.

6 Fifteen years is a long time at anything, and I
7 am sure that over the next week there will be many
8 attempts to tell the Francis Story, and there should be.

9 Your work and contributions to this Committee have had a
10 remarkable influence. Now, after many reports, meetings,
11 and conference calls, at the end of this particular
12 journey I think all of us can acknowledge that you have
13 helped take us to a new land with an appreciation for
14 science and the benefits of what it means for those who
15 follow.

16 We here want to acknowledge your many valuable
17 contributions, and I want to say thank you on behalf of
18 the Secretary and the Department. We thank you for
19 bringing together the discipline and mind of a great
20 scientist and especially bringing it together with the
21 joy and the exuberance of a boy on the edge of a great
22 adventure. Thank you very much.

1 [Applause.]

2 DR. TEUTSCH: Before I allow you to say
3 something, I want to say a few words on behalf of the
4 Committee as well. I haven't known you as long as Rick
5 has, but nonetheless, from a distance it has been
6 extraordinary to see all that you have accomplished.

7 Yesterday we talked about the things that have
8 happened over the last few months that this Committee is
9 proud of, and we talked about those events: reports that
10 have gone to Rick and the Secretary which we hope will
11 have an impact. But the one thing we didn't talk about,
12 and perhaps the biggest of those events of course, is the
13 amazing news of the next steps in your career, or what we
14 hope to learn about them and the accomplishments that you
15 have going forward.

16 DR. COLLINS: I hope to learn, too.

17 [Laughter.]

18 DR. TEUTSCH: I wasn't here when these
19 committees were created, but I understand fully that you
20 were the pivotal person who recognized the importance of
21 advisory groups and that helped to create the Advisory
22 Committee on Genomic Testing and now this Committee.

1 It has been important to us that this Committee
2 has been able to tackle some of the complex issues that
3 you have been facing for your entire career. We very
4 much appreciate all the wisdom that you have brought to
5 us and to the country and the kind of scientific
6 leadership that you represent. It is the kind of thing
7 that we hope will continue to be fostered here in the
8 Government, and we know that you are going to go on to
9 great new achievements.

10 On behalf of the Committee, though, we do hope
11 that you will stay with us, that you will be a member ex
12 officio emeritus so that we can continue to benefit from
13 all your ideas and suggestions.

14 As a token, we wanted to present you with this
15 gift, which we hope you will find to be a fitting symbol
16 of your extraordinary leadership and vision as you move
17 forward to the next steps in your career.

18 While this is surely not the first, nor will it
19 be the last star that you have received, we want you to
20 be assured that this one comes with the Committee's
21 enduring respect, gratitude, best wishes, and admiration.

22 So, many thanks to you.

1 [Applause.]

2 DR. COLLINS: Since I'm still a government
3 employee, I assume this is all entirely okay with all of
4 our various rules.

5 DR. TEUTSCH: Yes, we have had it fully vetted.

6 [Presentation of award to Dr. Collins.]

7 DR. COLLINS: Oh, wow. My goodness. How
8 beautiful. Thank you all.

9 [Applause.]

10 DR. COLLINS: Well, I didn't expect this at
11 all. I guess it is a good thing I didn't show up late
12 this morning.

13 [Laughter.]

14 **Remarks by Dr. Francis Collins**

15 DR. COLLINS: Having been in a different time
16 zone for the last few days, there was a serious
17 possibility of missing the alarm going off. But I'm
18 really glad to have made it here in time for these really
19 very unexpected and wonderfully comforting words from
20 both of you.

21 This decision of mine to decide to move on to
22 the white space of unemployment has not been arrived at

1 easily, but after 15 years of this incredible privilege
2 of having a chance to stand at the helm of the Genome
3 Institute I felt it was time to seek some other
4 opportunity to serve in a way that is yet to be defined,
5 for several reasons.

6 One is, it is really difficult as an NIH
7 institute director to do much in the way of a serious
8 exploration of next opportunities without bumping into
9 potential conflicts of interest. It seemed more
10 reasonable to step out into a place where those would not
11 apply and do a more leisurely kind of search, hoping at
12 the same time not to run out of grocery money.

13 It also is going to give me a chance, I think,
14 to do some reflection about where we have come from and
15 where we may be going and what are the next opportunities
16 that I might be most usefully able to contribute to.

17 I could talk for a long time about the way in
18 which this particular Committee, the SACGHS, and its
19 predecessors occupy a critical place for the deliberation
20 on the issues that are so near and dear to my heart and
21 to all of you who are putting your time into this.

22 I have to say, Rick, it has just been

1 delightful and wonderful, with your arrival on the scene,
2 to have such a comrade of serious interest, intent, and
3 dedication in the Department as a point of contact for
4 those many issues where really serious attention needed
5 to be paid to getting things done. You have just been a
6 wonderfully helpful partner in that regard. I'm going to
7 miss the chance to interact in those ways but hopefully
8 find other ways to do so.

9 I think we should all recognize that Secretary
10 Leavitt has really elevated the importance of these
11 issues and this Committee into a place that it needed to
12 be and was gradually finding its way into that kind of
13 visibility, but this has really come into its own because
14 of his strong leadership and his interest in personalized
15 medicine.

16 That has been wonderful to see happening. I
17 certainly remember those years going back, because there
18 are a lot of them, where it was really hard to get that
19 kind of traction. People didn't necessarily appreciate
20 that this was a topic or a set of topics that were in
21 need of that kind of high level attention. It has been
22 wonderful to see that happening.

1 I see Greg Downing standing in the back. I
2 also want to give a real shout out to Greg here for the
3 way in which he has played such a critical role in the
4 Department in terms of being a leader in trying to
5 wrestle through these many interconnecting issues that
6 have needed that kind of high level attention and that he
7 has done so well.

8 Steve, I thank you for your kind remarks and
9 your willingness to step into the role here of leading
10 this enterprise and to do so so effectively. Your
11 predecessor Reed, of course, played that role so
12 effectively.

13 The work is not done. Clearly, as you all
14 wrestled with the agenda items yesterday, there are many
15 things that need attention in a pressing way. The
16 American public really needs all of you to be able to be
17 sure that we take this remarkable moment in history --
18 and it really is -- where we have the opportunity to
19 transform the practice of medicine and make sure that we
20 do so in a fashion that benefits people and doesn't
21 expose them to unnecessary risks.

22 That means moving all of these disciplines and

1 all these issues together in a way that really only a
2 group such as this can effectively do.

3 It has been a great honor and a great privilege
4 to be the NIH liaison to this group. I'm going to miss
5 that. I may take up your invitation and sit in one of
6 those back chairs in the future meetings because I will
7 not stop my interest in personalized medicine.

8 In fact, one of the things I hope to do during
9 this unemployment phase is to write a little book about
10 personalized medicine because I think there is a lot of
11 interest in the public and perhaps a need for that kind
12 of a depiction of what we know and what we don't know in
13 a fashion that is accessible to people who don't have
14 Ph.D.s in genetics. Maybe I could make some contribution
15 in that regard.

16 I don't know where I will land. I don't know
17 where I will be a year from now. That is part of the
18 adventure, is to try to figure that out.

19 But, thank you, all of you, especially Rick and
20 Steve, but all of you for the wonderful friendships I
21 have been able to make, the colleagues I have enjoyed
22 working with over these years, and the accomplishments

1 that together we have managed to do. It has been pretty
2 amazing, pretty breath-taking. There is much more to
3 come. Thank you all so much.

4 [Applause.]

5 DR. TEUTSCH: Thank you so much, Francis.

6 Thanks, Rick.

7 Let me turn this session over to Sylvia Mann
8 Au, who is going to lead the discussion and continue the
9 discussion on personalized genome services.

10 **SESSION ON PERSONAL GENOME SERVICES**

11 **Overview of Session and Introductions**

12 **Sylvia Mann Au, M.S. CGC**

13 MS. AU: Yesterday the Committee had the
14 opportunity to participate in Secretary Leavitt's
15 Personalized Healthcare Initiative Workshop that explored
16 consumer interest in understanding of personal genome
17 services offered directly to consumers.

18 This morning, we have the opportunity to
19 continue the discussion of the personal genome services
20 by looking at the broader landscape and including the
21 state of the science and the public policy considerations
22 of these types of services. We will also have the chance

1 to look at another angle on the consumer interest in
2 these services.

3 Following the presentations by the invited
4 speakers, the Committee will have a chance to discuss
5 what our next steps might be in this area, specifically
6 what SACGHS might do to do further study of the
7 implications of personal genome services.

8 If you look in your briefing book under Tab 4,
9 you will find that our wonderful and capable staff have
10 prepared summaries of the talks and background
11 information in there, which I have found very useful,
12 especially the summaries of each of the companies for
13 later on.

14 This morning we are fortunate to have Dr. Teri
15 Manolio come and speak to us about the state of the
16 science behind personal genome services. She is the
17 director of the Office of Population Genomics of the
18 National Human Genome Research Institute and senior
19 advisor to the director of the NHGRI for population
20 genomics. Wow, that is a big title. She has had this
21 position since 2005.

22 Dr. Manolio is also professor of medicine at

1 the Uniformed Services University of Health Sciences.
2 She will be providing us an introductory overview of the
3 current state of the genomic research with a particular
4 focus on genome-wide association studies.

5 Dr. Manolio will have 20 minutes to present to
6 us, and then we will have 10 minutes following her
7 presentation for questions and answers. Thank you, Dr.
8 Manolio.

9 **The Science of Genomic Associations: Current Status and**
10 **Future Directions**

11 **Teri Manolio, M.D., Ph.D.**

12 [PowerPoint presentation.]

13 DR. MANOLIO: Sure. Thank you very much. Good
14 morning to you. You can see in your briefing books the
15 questions that I was asked to address. This could
16 probably take a week or so to go through.

17 [Laughter.]

18 DR. MANOLIO: I have but 20 minutes, so I will
19 do my best.

20 This is an interesting time to be discussing
21 this. I think we all recognize that as of, maybe, four
22 or five years ago the genome was a pretty barren place

1 when it came to associations with complex diseases.
2 There were maybe a couple of associations that were
3 known, actually through linkage studies. But it really
4 wasn't until 2005 when this first finding on age-related
5 macular degeneration was found by genome-wide
6 association. In 2006 there were three more associations
7 added, and then things really began to pick up. It has
8 really been remarkable in the past year, 2007, and even
9 into this year, to the point where we can barely get
10 everything all on the same slide.

11 This has caused, in 2007, the general science
12 to dub that year the Year of Genome-Wide Association
13 Studies. This was the breakthrough of the year in human
14 genetic variation.

15 This was based on, primarily, the HapMap, first
16 building on the Human Genome Project and the sequence of
17 the genome, and then the development of a haplotype map
18 that basically showed the relationship between the
19 various 10 million single nucleotide polymorphisms, or
20 SNPs, the most common form of variation to date in the
21 human genome, and the relationships among those so that
22 one doesn't need to measure all 10 million of them but

1 you can measure a smaller subset and then use that to
2 infer relationships across the genome. The second
3 generation map was just published last year.

4 The goals for the HapMap were to use just the
5 density of SNPs needed to find associations between SNPs
6 and disease, not to miss chromosomal regions with disease
7 associations, and basically to make a tool to assist in
8 finding genes, and really, what a tool it has been.
9 Then, recognizing that one would need more SNPs for more
10 complete coverage of populations such as those of recent
11 African ancestry who have shorter stretches of linkages
12 to equilibrium.

13 In parallel with the development of the HapMap,
14 and in large part probably stimulated by it, the cost of
15 genotyping has fallen dramatically. Shown here in this
16 slide from my colleague Steven Chanock, in 2001 we
17 thought we were getting a very good deal if we paid about
18 \$1 for a single genotype.

19 Those costs fell dramatically as the number of
20 genotypes per test increased. In 2005 we were getting
21 this for about a penny a genotype. Costs have continued
22 to fall. This now is almost a two-year-old slide, and

1 these costs now are at about the \$400 range for about a
2 million SNPs in a single individual. So, truly a
3 remarkable reduction in cost.

4 This has led to a huge host, as I showed you on
5 that previous slide, of diseases and traits that have had
6 genome-wide associations done. As of last night there
7 were 58. I haven't checked this morning, but there are
8 probably another couple because they come out very, very
9 rapidly.

10 This onslaught of information has been referred
11 to as "drinking from the fire hose," from David Hunter
12 and Peter Kraft, who observed that there have been few,
13 if any, similar bursts of discovery in the history of
14 medical research. I think we can all agree with this.
15 This has really been an incredible time to be in
16 genomics.

17 We have been trying to keep up with this at the
18 Genome Institute. We have a genome-wide association
19 catalog that is put together by my colleagues Lucia
20 Hendorf and Heather Junkins. I look over their shoulders
21 at times. You can find this on the genome website or you
22 can just Google "GWAS catalog" and it should pop right

1 up. This provides information on the study, the diseases
2 under study, the number of people examined, the region of
3 association, the gene, the odds ratios, P values, minor
4 allele frequency, as much information as we can pull from
5 these papers. This is just about a full-time job for two
6 people to be able to keep up with this. It is really
7 quite something.

8 But we would refer you to this if you are
9 interested in knowing what are the most up-to-date
10 findings. We are a little bit behind in keeping it
11 updated.

12 In looking at this with the associations
13 through about May, we were looking particularly at the
14 SNPs that have been identified in terms of what they
15 actually are or what they do in the genome. One would
16 have expected, prior to about 2001, that most of these
17 would have been in coding regions in the genome. In
18 fact, there were big debates about one should only do the
19 HapMap in the coding regions or one should only be
20 sequencing in the coding regions.

21 In fact, the variance that one would have
22 expected to be the most powerful in associations, those

1 that change the amino acid that is used in putting
2 together a protein, or that cause the protein to be
3 truncated entirely, only 13, or about 6 percent, of these
4 284 were actually in those regions.

5 Another three of them were what are called
6 synonymous SNPs, where there is no change in the amino
7 acid but there may be a change in the speed with which
8 that protein chain is produced.

9 Then, maybe about 40 percent of them [were] in
10 the introns and not in particular splice regions where
11 the exons are stuck together, but in the intronic regions
12 of no known role.

13 Then, a few [are] in the untranslated regions
14 of the messenger RNA, a few more in the five-prime
15 promoter region of the genes and then the three-prime
16 region that also may play a role in the speed of
17 translation. Then, nearly half of them [are] in other or
18 unknown regions. This, I think, has been one of the big
19 surprises of this.

20 So, lessons learned from these initial studies.

21 Genes that wouldn't have been on anybody's candidate
22 gene list are now popping up for these diseases. Macular

1 degeneration everyone thought was an ischemic disease.
2 It is actually very strongly related to complement factor
3 H, an inflammatory disease-related factor. Coronary
4 disease with a cell cycle variant, actually previously
5 related to melanoma. Childhood asthma, type II diabetes
6 with a cell cycle gene, QT interval prolongation with
7 nitric oxide synthase.

8 Prostate cancer has been shown to be in what is
9 called a "gene desert." Finding after finding after
10 finding, beginning with the Decode Group, showing
11 associations in this region where there aren't any genes
12 at all. Also, in Crohn's disease, a similar kind of
13 thing, with multiple regions without known genes.

14 Then, signals in common across diseases. You
15 might have thought that these two were similar, but boy,
16 CHD and diabetes don't have a lot in common with melanoma
17 and they all share this strong association. All these
18 forms of breast cancer, Crohn's disease, and psoriasis
19 are related to each other, but Crohn's disease and type I
20 diabetes are not, and yet they share a strong
21 association. At least they were not known to be.
22 Rheumatoid arthritis and type I. So there are lots of

1 surprises in here, and many more surprises to come.

2 In addressing the first question, what are the
3 recent advances and how have these facilitated the
4 emergence of personal genomic services, probably the low-
5 cost, high-throughput genotyping is now within reach of
6 large-scale population research studies. That has then
7 generated all these incredible findings.

8 Over 150, probably more like 170 now, such
9 studies are completed, with over 180 well replicated loci
10 in nearly 60 diseases and traits. So, in just three
11 years, really an unbelievable bounty of findings.

12 Genotyping costs are now also within the reach
13 of at least perhaps well-to-do consumers. That has been
14 a considerable change and one that we would not have seen
15 just a few years ago.

16 Things that I don't have time to talk about but
17 are on the horizon and you should keep on your radar
18 screens are associations with copy number variants; next
19 generation sequencing, which you will hear a little bit
20 about, I suspect; the Thousand Genomes Project, an
21 international project involving the NIH and many other
22 groups to identify even rarer sequence variants and

1 alleles that might be associated with disease.

2 Then, DNA methylation, epigenetic changes,
3 catalogs of gene expression. All of these are things
4 that probably will provide even more valuable information
5 about genetic associations.

6 The second question I was asked to address is
7 for which diseases are strong genetic associations and/or
8 markers established. I guess I would turn back to you
9 and say define "strong." One could have a lot of debates
10 about what metric one should use. Is it a large odds
11 ratio, so people who carry the risk allele have a five-
12 fold increased risk of disease than people who don't
13 carry it, or a two-fold, or a 20-fold.

14 Is it a very small P value. The association
15 that you observe is very, very, very unlikely to have
16 occurred by chance because, remember, we are testing
17 millions of SNPs in many, many, many studies. So, is
18 that a good metric.

19 Is it a risk allele that is very common.
20 Instead of being 5 percent of the population or 10
21 percent, is it in 80 percent or 90 percent, as some of
22 these variants are.

1 Is a large proportion of the disease
2 attributable to the risk allele. There are ways of
3 estimating this based on the prevalence of the risk
4 allele and the odds ratio, the population attributable
5 risk. There are certain assumptions that go into that
6 that really don't apply to genome-wide case control
7 studies. I won't go into it further, but you will see
8 that metric used.

9 Or, do they explain a large proportion of the
10 genetic variance and therefore would be expected to play
11 a large role in the disease.

12 Let's look at a few of these metrics, again
13 from the catalog. Here are the odds ratios that have
14 been detected for a variety of variants, just a number of
15 associations. You see that the vast majority of them are
16 below about an odds ratio of 1.3 to 1.4. The only reason
17 this drops off here is that the power to detect these
18 loci is very low. One needs very, very large sample
19 sizes. Probably, this is a distribution that goes up
20 through the ceiling at these low ranges.

21 But there are a few that are much larger than
22 this and one or two that are considerably greater than

1 that. Maybe those are ones that you would want to focus
2 on.

3 Similarly, for P values, I have plotted here
4 the negative log-10 of the P value. Here is the number
5 of associations, here at 10-to-the-minus 10th. Most of
6 them are at this level because 10-to-the-minus 7th is
7 about what is generally used for genome-wide
8 significance. But there are some that are less unlikely
9 than that, even out to 10-to-the-minus 80 or 10-to-the-
10 minus 100. Are those much more believable? Probably.
11 They might be ones that you would want to focus on.

12 How about the frequency of the risk allele.
13 You can see there is quite a range of these. Most of
14 them cluster around the 30 to 40 or 20 to 40 percent
15 range. Some of them are much rarer, but some are much
16 more common. Some are associated with fairly large odds
17 ratios. These, again, might be risk variants that would
18 be particularly important to focus on.

19 I was asked for which diseases are there lots
20 of genetic associations shown. Crohn's disease has
21 probably been the big winner. This paper from Barrett,
22 et al. in last week's Nature Genetics showed 32 Crohn's

1 disease loci. Many of them do not have associated genes
2 with them. You can get this from the paper much better
3 than from my drawing, but it makes a visual impact.

4 In that paper they also show the proportion of
5 variants explained, another metric that one could use.
6 You can see that, again, the vast majority of these loci
7 explain 0.1 to 0.2 percent of all of the genetic
8 variability that one can estimate from family studies.
9 That is not very much. There are a few that explain a
10 little bit more, but you will also notice that this drops
11 off a fair amount.

12 This dotted line is the power to detect risk
13 loci. You will notice that it drops off quite steeply
14 below about 0.1 percent of the variance explained. So,
15 as we are able to detect more of the variance we probably
16 will find more of the variants, with a T.

17 What those mean at the very low proportion of
18 variance explained is, again, another matter for debate
19 and may be very important in terms of identifying
20 pathways or mechanisms or druggable targets, et cetera.

21 Next, I was asked what criteria should be used
22 to determine whether associations between a marker and a

1 phenotype is strong enough for the marker to be included
2 in genetic testing. Here again we have that "strong
3 enough" that I was stumbling over previously.

4 Because we are in the District, perhaps like a
5 politician I will say I don't like this question. I
6 would rather answer a question that I would pose.

7 [Laughter.]

8 DR. MANOLIO: What criteria should be
9 considered in determining whether a particular variant
10 should be included in genetic testing. Then, also like a
11 politician, I won't answer it but say that it depends to
12 a very large degree on the purpose of the testing.

13 So, what would the purposes of genetic testing
14 be. You will say, silly thing, of course what we want to
15 do is improve health and prevent disease, but how best to
16 do that. One could provide targeted, proven risk
17 reduction strategies to those identified to be at
18 greatest risk. We currently do this with non-genetic
19 factors. It makes sense to do it with genetics.

20 We could identify persons at high risk for
21 later rapid implementation of newly proven interventions.

22 This is being done in eye disease. We don't yet have

1 interventions for these diseases, but wouldn't it be
2 wonderful if you had these people already identified and
3 could just pull them in and start giving them whatever
4 intervention was necessary as soon as you had proven it.

5 To improve the cost efficiency of non-genetic
6 risk reduction strategies. So, other ways of reducing
7 risk may be targeted in people at higher genetic risk.

8 Possibly to facilitate reproductive choices, or
9 even to provide information that may be of personal value
10 to individuals regardless of whether we might consider
11 that to be actionable or valid or useful. People can
12 make their own choices, and that may be an appropriate
13 reason as well.

14 I'm sure many people around this table could
15 provide much better criteria than I could, but just to
16 throw some things out for you in terms of things to
17 consider, obviously here we are at strength again. But,
18 whatever the strength of the evidence is for an
19 association with risk.

20 Availability and acceptability of proven risk
21 reduction interventions. If you don't have a way of
22 reducing this risk, one can debate how valuable it is to

1 identify it, although there are those who would argue it
2 still is useful.

3 The validity, availability, and cost of the
4 test. The potential anxiety, stigma, cost, additional
5 testing, or other harms from receiving the results to an
6 individual. The confusion that it may give to their
7 physician, et cetera.

8 Trade-offs in other testing or care that cannot
9 be paid for within a fixed budget. So if we paid for
10 this kind of testing or this kind of targeted work, we
11 may not be able to pay for something else.

12 This was explored in great depth in a recent
13 paper by Paul Feero, et al., from the U.K., where they do
14 have, clearly, a fixed budget for health care, in the
15 recent New England Journal. [It shows] what they
16 estimated to be the distribution of genetic risk in the
17 U.K. population for breast cancer using only seven known
18 loci related to breast cancer, which explains, actually,
19 less than 10 percent of disease. But that is not bad
20 when it comes to these complex diseases.

21 What they estimated was taking those seven
22 genes, you have two alleles at each locus. Basically,

1 you could have 2,200 different combinations of genotypes
2 of those three genotypes in each of those seven loci.

3 You could look at women who have none of the
4 risk alleles at any of those seven loci, and they would
5 be at this lower end of the distribution of risk. For
6 those who don't think in logs, this is a relative risk of
7 0.4. So, in the U.K., the risk of breast cancer for
8 women at age 50 within 10 years is about 23 per thousand
9 women. For these women, it would be 40 percent of that,
10 or about 10 or 9 per thousand women. There are only
11 about 60 such women per 10 million in the U.K.
12 population. This is somewhat population-specific because
13 of allele frequency differences.

14 At the other end of the distribution, if you
15 had the risk alleles for all seven, two copies of the
16 risk allele at all seven loci, you would have a relative
17 risk of about 2.5, which means that instead of a 23 per
18 thousand risk you would be at about a 60 per thousand
19 risk, which is a considerable increase. Only about seven
20 to 10 women would be in this group according to these
21 estimates.

22 What is shown here is a nice estimate. This

1 heavy black line is based on the current loci. One could
2 identify 50 percent in the highest risk group. So, here
3 is the proportion of the population based on genetic
4 risk. It would include about 60 percent of the cases.
5 Down here at 20 percent of the highest risk would include
6 about 28 percent of the cases. So you have almost
7 enriched your population by about 50 percent.

8 Interestingly, they project if you were able to
9 identify all of the genetic loci that were associated
10 with disease, you actually in the top 50 percent would
11 have 88 percent of the cases. In the top 20 percent you
12 would have 64 percent of the cases, a three-fold
13 enrichment. So you could really do quite well in terms
14 of targeting screening.

15 Then, how could one improve the efficiency of
16 these screening strategies. A 50-year-old woman in the
17 U.K., as I said, has a 2.3 percent risk, or 23 in 1,000,
18 of breast cancer in the next 10 years. Currently, the
19 U.K. recommendations are to offer mammography to all
20 women over 50 each year.

21 One could say perhaps one should offer
22 screening to all women at that particular risk level and

1 possibly not to offer screening to women who are not at
2 that risk level.

3 Women in the 40th percentile of current risk,
4 just based on those seven loci, have a 10-year risk at
5 age 50 of 2.1 percent. Now, this risk is somewhat age-
6 dependent. So, maybe you don't want to test them at age
7 50. You might want to wait until age 60 or age 55, or
8 whatever.

9 This one is not all that different, though,
10 from 2.3, so you might not get excited about that. But
11 how about the women in the 5th percentile population
12 risk. They have a 10-year risk of 1.5 percent, and they
13 actually never reach a 2.3 percent risk because they die
14 from other things they are at such low risk. At least
15 these are all estimates, and the kind of estimates that
16 one could expect to see.

17 This, again, is based on only seven loci.
18 Would one not offer mammography to these women? Again, a
19 difficult decision to make but one that we should be
20 considering if what we are talking about is targeted
21 screening.

22 Lastly, what are the limitations in risk

1 assessment for disease. Most markers, I think as you
2 have heard repeatedly, are not deterministic. Many
3 people who don't have the markers will develop the
4 disease, and many people who do have the markers will not
5 develop the disease.

6 Much of the genetic risk remains unexplained.
7 At best, we are getting about 10 percent of the variance
8 explained in many of these complex diseases. In many
9 diseases we are not even close to that.

10 Plus, there is little or no evidence to date
11 that interventions based on genotype will actually
12 improve outcome. We need that kind of evidence.

13 Genetic markers may, though, provide additional
14 risk information so that you could target more aggressive
15 risk management and carriers of those variants. But
16 again, there is little evidence to support this.

17 Some have likened this kind of risk information
18 to a cholesterol level. They carry about the same risk,
19 1.3 to 1.5 in some cases. One would never not measure a
20 cholesterol level even if you knew many other risk
21 factors. It is something that would be, perhaps, equally
22 useful to do with genetics.

1 Remember that cholesterol is quite different.
2 There is a huge body of evidence of the effectiveness of
3 cholesterol lowering in preventing heart disease. In
4 just a smattering of the recent papers plus the most
5 recent, the third adult treatment panel, the consensus
6 panel in the U.S. and many consensus panels abroad, this
7 evidence took 30 to 40 years to put together. Do we want
8 to wait that long to develop that kind of evidence for
9 genetic variance. Probably not. Do we want to bypass
10 this step entirely. Also, probably not.

11 So, what kind of research is needed. There is
12 lots. I think we will be talking much more about that
13 today. This is just one example. It is cited on page 8
14 of your brief. The multiplex initiative from my
15 colleagues at NHGRI, Collene McBride and Larry Brody, is
16 designed to test a number of risk variants, about 15 or
17 so, for common complex diseases in basically healthy
18 people and then provide that risk information back to
19 these folks and see what changes they make in their
20 lifestyle, their health behaviors, et cetera, and also to
21 create an infrastructure to facilitate this kind of
22 research.

1 I realize that you are probably used to
2 researchers coming to you and saying what we need is more
3 research, and that does seem a little self-serving.
4 Indeed it is. But we have to recognize we are very early
5 in this technology. Like this early microscope, it is a
6 mammoth. We have a fair amount to do before we learn how
7 to refine these techniques and to apply them so that we
8 can improve health and prevent disease. Thank you.

9 [Applause.]

10 **Question-and-Answer Session**

11 MS. AU: Thank you, Dr. Manolio. Do we have
12 questions from the Committee? Julio.

13 DR. LICINIO: I have a question. I think this
14 type of work is extremely important of course, and I
15 think coming up with new candidates is fundamental. One
16 thing that people often forget is that in the issue of
17 cholesterol lowering the drugs were discovered through
18 work in very specific families that were very rare. From
19 those rare genetic findings you can generate the most
20 commonly used drugs in all of medicine that apply to
21 everybody, mostly people who don't have the genetic
22 problem. So I think discovering new targets is crucial.

1 But I think what people out there don't
2 understand very much, and I wonder how we can improve
3 that, is the concept of risk. If you have a 2 percent
4 higher or lower risk of having diabetes or having higher
5 weight or whatever, you could have that genetic component
6 and not have the risk at all. If you have a 1 percent
7 chance of dying as a result of something, you could be
8 the one in 100 and die or you could be one of the 99.
9 This gives a prediction.

10 It is very hard to communicate that to people
11 and for people to understand it. We had another meeting
12 yesterday that I'm sure you are all aware of. Someone
13 came from the audience. The person got tested in one of
14 these consumer-based genetic companies and was told that
15 she had a very low risk of colon cancer, but her father
16 had colon cancer, she had the polyps, and she went on to
17 take care of herself and look at that very closely as
18 opposed to just ignoring it.

19 So you could have a 1 percent risk of
20 developing something and you could develop it, and you
21 could have a 99 percent risk of developing something and
22 be that one person that doesn't have it. How does that

1 get transmitted to patients, to doctors, and to the
2 healthcare system.

3 DR. MANOLIO: I don't have a good answer for
4 that. I'm a cardiovascular epidemiologist. We were just
5 thrilled in the Framingham Study when we came up with a
6 risk score that would tell people that you are not just
7 at the usual 0.5 or 1 percent risk of disease, you are at
8 10 percent risk of disease, we need to do something about
9 that. People would say, "Well, that is only one in 10.
10 Heck, what does that mean?"

11 There are others around the table that I think
12 can probably comment on that better than I.

13 DR. KHOURY: I wanted to ask a question, and
14 this a very clear expose, I guess, of the field of
15 genetic associations and the GWAS era right now. Thank
16 you for your comments.

17 I want to make one comment and then ask a
18 question. I think what I heard you say is that the low P
19 values we are seeing and the low odds ratios are not
20 necessarily translating into clinical validation yet
21 because we have predictive values, probabilistic
22 information, increase your risk and decrease by a certain

1 amount.

2 More importantly, I think I heard you say
3 something about clinical utility. If you take the
4 example of cholesterol, which took years in the making
5 before widespread population screening was adopted, I
6 think you said do we want to wait that long? Perhaps
7 not. But, do we want to implement right away? Perhaps
8 not. So, where is that balance? That is the first
9 question.

10 The second one is, in your estimation where
11 does the field of genetic association and its clinical
12 utility lie compared to more traditional ways of
13 stratifying risks such as using traditional risk factors
14 and/or family history.

15 I can cite you data from [the] cardiovascular
16 [field.] In the State of Utah, people like Roger
17 Williams many years ago found that 15 percent of the
18 families in Utah cluster about 50 percent of all cases of
19 heart attacks in the whole State of Utah based on their
20 genealogies, and they didn't do any genetic testing. It
21 was only based on a family history score.

22 So, what is the value added of genes vis-a-vis

1 pure family history and other existing risk factors that
2 we know of today?

3 DR. MANOLIO: That is a critical question,
4 Muin. Nobody really has looked at that very well. There
5 have been a couple of estimates in diabetes that I'm
6 aware of looking at the proportion of disease that is
7 explained by genes. At that time only two or three were
8 known. It may be a 58 percent area under a receiver-
9 operator curve, which you are familiar with. Basically,
10 50 percent is dead even. You don't do much better than
11 chance. Fifty-eight percent is not all that much better
12 than that. If you use things like age, sex, BMI, and
13 family history, you get it up to 88 percent, and no
14 genetic information at all.

15 Family history for Crohn's disease. If you
16 have a first-year relative with Crohn's disease you are
17 at a 25- to 30-fold increased risk. Do you need genetic
18 testing if you have a family history of that. Perhaps
19 not. On the other hand, as the example of cholesterol
20 illustrated, the LDL receptor variants that were
21 identified in people with familial hypercholesterolemia
22 are in less than 1 percent of the population.

1 If you did a genome-wide association study, you
2 probably wouldn't even see that, or it would be at the
3 1.05 level. But in certain people those are very high-
4 risk alleles and they lead to a pathway or a mechanism
5 that then works for everybody, except, ironically, in the
6 people who are homozygous for that defect, when the drugs
7 don't work at all.

8 So there are trade-offs here that one has to
9 make. But I agree; the research really needs to be done
10 to prove that this information adds to what we currently
11 know.

12 MS. AU: Paul.

13 DR. BILLINGS: Thank you. I thought that was
14 very interesting. I wanted to pick up on one line of
15 argument that you raised, and it is colored by my
16 experience during my training over the battles at
17 establishing mammographic standards for screening of
18 women.

19 So, as I understand your argument, it is
20 conceivable that there would be a recommendation not to
21 provide mammographic screening for a subset of women who
22 might have none of the risk alleles that were identified.

1 What kind of study would have to be done to undo what is
2 a blanket and relatively well evidence-based standard of
3 mammographic screening that is now recommended for all
4 women above the age of 50, let's say?

5 DR. MANOLIO: No, it is an interesting question
6 and a very challenging one. Probably, the only way
7 really to nail that would be a randomized trial, where
8 you screen some women and you don't screen others based
9 on their genetic variants. That would be a very large
10 trial, and it would take a long time to conduct.

11 Could one do this from observational data.
12 Probably not in the U.S. because mammography still is not
13 universally applied. It is something that goes along
14 with a whole host of other health behaviors. There may
15 be other places where mammography really is universally
16 applied. In the military, possibly, or in other
17 controlled populations in the U.K. or Canada or other
18 places where the healthcare system is really much more
19 organized and standardized. Those might be places to do
20 it. But, really, probably a randomized trial is what
21 would convince most people.

22 Please understand, I'm not advocating that we

1 not screen people based on their genetic risk. I'm just
2 saying that that is one possible conclusion you could
3 draw.

4 MS. AU: Any other questions? Francis.

5 DR. COLLINS: Just one comment in terms of the
6 state of the art at the present time. Teri has nicely
7 summarized what this deluge of discovery has offered us
8 in the last couple of years, which is enormously exciting
9 in terms of the insight it provides in terms of pathways
10 involved in disease that we really didn't suspect, with
11 most of these loci being completely unexpected in terms
12 of exactly what their function is. It opens up entirely
13 new directions in terms of therapeutics, which is a
14 wonderful aspect of all of this.

15 But in terms of the heritability, as Teri has
16 said, we actually are not yet in the position of being
17 able to identify more than a small percentage of the
18 heritability even for a disease where we have several
19 loci identified. When you add it all up, there is still
20 a huge missing heritability factor in there. There is
21 much debate about exactly where is all the rest of that.

22 Is it going to turn out that those are rare

1 alleles of large effect which you would not find by a
2 genome-wide association study but you would find by
3 sequencing. Much effort is going into applying
4 sequencing for just that purpose.

5 Are these copy number variants, which are not
6 particularly well assayed by the SNP chips that most
7 people are using. These are, of course, large segments
8 of DNA that may include entire genes or even whole sets
9 of genes that are present in more or fewer copies
10 depending upon your particular inherited version of that
11 copy number variant. You can imagine that could have
12 pretty interesting effects. People are rigorously now
13 trying to look at that, although the technology is still
14 tricky, to be able to say you have scanned the whole
15 genome for those things.

16 Have we missed on some kind of gene-gene
17 interaction so that individual effects don't look that
18 impressive but if you actually had enough power you would
19 be able to see that when you have a combination of a
20 certain set of risk alleles it is not just additive.
21 Things actually go substantially higher. So far, really
22 not much evidence for the people that have looked at it

1 to show that.

2 But I think it is fair to predict that in the
3 next couple of years this whole question of where the
4 rest of the heritability is, is going to get pushed
5 pretty hard. As this Committee is deliberating about
6 where this is going, you should expect that that percent
7 that is accountable is going to go up. It is going to go
8 up to a degree whereas, in the very nice example of the
9 Feero, et al. study in the New England Journal, you are
10 going to start to see that curve rising up more and more
11 in the direction of being able to make more and more
12 predictions about the place where the risk is most
13 apparent.

14 This is obviously a bit of an unpredictable
15 trajectory scientifically, but I think it is fair to say
16 that is the direction we are. Whatever plans people are
17 thinking about making in terms of the application of this
18 to public health are going to have to be integrated with
19 the sense that this is a moving target and that there is
20 a lot more information just around the corner.

21 We are just starting down a path that is likely
22 to have all kinds of interesting twists and turns and put

1 us, potentially, in a few more years, in a more powerful
2 position to make those predictions than the rather weak
3 evidence that we have right now with these small odds
4 ratios only adding up to a few percent of the
5 heritability. That is going to change.

6 DR. TEUTSCH: I think our last question goes to
7 Mike.

8 DR. AMOS: Teri, thank you very much for that.
9 I think it is really important to get beyond the press
10 and get to the real science of the issues.

11 Steve and I were at a conference last year at
12 the Mayo Clinic where you gave part of this presentation.
13 You have added a lot to it. I don't mean to put you on
14 the spot, but somebody asked you the question, is it even
15 worth doing whole genome analysis studies anymore. You
16 said you guys were thinking about that a little bit.

17 Where is your thinking now, and where does it
18 fit into the context of the broader research? I see this
19 as a part of the complete disease signature. History,
20 physical, and all those things come into play, but also
21 many other biochemical and anatomical parameters.

22 DR. MANOLIO: Sure. Genome-wide association is

1 all the rage, but it really is today's technology.
2 Probably, tomorrow's technology is whole-genome
3 sequencing, and that is really coming within reach, as
4 you will hear from some of the later speakers.

5 My personal prediction, and there are others
6 who share this view, is that genome-wide association will
7 probably not be the tool of choice for research and
8 discovery in the next few years, maybe five or so, maybe
9 a little bit longer than that. In whole genome
10 sequencing one gets the entire genome. Now one has to
11 figure out how to analyze the entire genome, and we are
12 not quite there yet.

13 But it may be of great value in terms of
14 assessing an individual's risk not just for one disease
15 but for, maybe, a hundred diseases. If you look at
16 multiple diseases across an entire individual, you are
17 probably going to find each of us is going to be at risk
18 for at least one, probably three or five. We may be at
19 very high risk for those diseases. Wouldn't you like to
20 know that. You can probably capture that with a genome-
21 wide association study without doing whole genome
22 sequencing.

1 But, as Francis pointed out, things are
2 changing very, very rapidly. We will just have to see
3 where it goes.

4 MS. AU: Thank you, Dr. Manolio. Our next
5 speaker is David Ewing Duncan, and Mr. Duncan is the
6 director of the Center for Life Science Policy and
7 visiting researcher at the Graduate School of Journalism
8 at the University of California at Berkeley. He is an
9 award-winning, best-selling author of six books and
10 numerous essays, articles, and short stories, and a
11 television, radio, and film producer and correspondent.

12 Mr. Duncan is also the founder and editorial
13 director of the Bioagenda Institute, which is an
14 independent, nonprofit program of events and educational
15 initiatives that discusses and analyzes critical issues
16 in life sciences.

17 Mr. Duncan will be presenting his perspective
18 and experience in utilizing multiple personal genome
19 services. Mr. Duncan will be presenting for about 20
20 minutes, and then the Committee will have about 10
21 minutes for questions and answers again.

22 Thank you, Mr. Duncan.

1 **Personal Genomic Information: A Consumer's Perspective**

2 **David Ewing Duncan**

3 [PowerPoint presentation.]

4 MR. DUNCAN: I want to thank the Committee for
5 inviting me. Good morning. I did want to say briefly,
6 after the parade here thanking Francis Collins for his
7 service for many years and as a journalist, Francis is
8 always accessible. He has always been very clear in
9 explaining things. We always deeply appreciate that.
10 So, thank you very much, Francis, for all of that over
11 the years, the journey that you and I have both had and
12 that you have had with other journalists.

13 I have a lot to cover here in 20 minutes. I'm
14 here this morning as one consumer, a party of one, a
15 subset of one, who has been tested by all of the major
16 genomic testing services that have been discussed in
17 these meetings and actually quite a bit more.

18 I'm not your average consumer, I have to
19 hastily add. I am a journalist covering biotechnology
20 and an author that is writing a book called Experimental
21 Man: What One Man's Body Reveals about His Future, Your
22 Health, and Our Toxic World. That was my publisher's

1 subtitle. You can tell me what you think after my
2 presentation.

3 For the book I'm having not only my genes
4 tested but also environmental impacts on my body along
5 with tests on my brain, organs, blood cells, microbes,
6 proteomes, and other assorted -omes. I will add here
7 that this is all non-invasive, which is part of the point
8 of these tests.

9 I'm also the director of a new program at the
10 University of California at Berkeley called the Center
11 for Life Science Policy, as was mentioned. We are
12 launching this fall, and there will be a lot more
13 information about this coming out over the next few
14 months, for those who are interested.

15 In conjunction with my book, the Center for
16 Life Science Policy is developing the Experimental Man
17 Project, which is an educational effort that includes a
18 website and other activities.

19 The experiment, by the way, that I'm running is
20 for one man to take and analyze a wide array of new tests
21 that aim to forecast the future health outcomes of a
22 healthy individual. This is a rather radical concept

1 which I will talk about here and there in the
2 presentation.

3 I started this project with a visit to my
4 physician, who declared me to be a healthy white male, 50
5 years old. I always love that medical terminology.
6 Occasionally one's name is mentioned in there when they
7 are describing you.

8 I also come from a mostly healthy family that
9 lives a long time, some of us actually over 90 years old,
10 a couple even over 100. So we don't have a lot of
11 heritable diseases floating around that are obvious,
12 anyway.

13 As we enter this brave new world of
14 personalized medicine, I want to emphasize what we are
15 talking about. This has been mentioned over and over
16 again, but it is worth mentioning again in a consumer
17 context. In the past we have focused on the ill and the
18 unhealthy mostly, since pretty much the beginning of
19 human history. We face a future here that is a focus on
20 the healthy individual, which is quite exciting, on
21 prevention and improving health. Essentially, we are
22 trying to predict the future in a way that hasn't been

1 done before.

2 The Committee has asked me to address several
3 questions, which are listed here. I'm going to summarize
4 those in groups in the presentation here, the first one
5 being expectations. "What were your reasons for pursuing
6 personal genome services?"

7 I have already mentioned my primary purpose was
8 really as a communicator and a journalist. I also have a
9 natural curiosity about technology and information. I
10 keep wondering if there will be a Pandora gene discovered
11 one of these days, which I certainly am homozygote high
12 risk for.

13 A distant third, really, for me anyway, was an
14 insight to my future health. Coming from a healthy
15 family and considering myself pretty much "impervious to
16 disease," that was a distant third.

17 "What sort of information did you anticipate
18 receiving from these services?" I actually had fairly
19 low expectations of getting extremely useful information.

20 The normal consumer I'm not sure would certainly have
21 the access and information that I have as a journalist,
22 so that is partly colored by the fact that I knew going

1 into this that this is an early phase of the science.

2 I also probably deep down, when I really think
3 about it, wanted confirmation that I am as well as I
4 think I am. Yesterday we had a presentation from the
5 Yankovich group about different categories of patients.
6 I'm not sure exactly where I fit in, but I'm probably the
7 one that likes to keep myself healthy and doesn't do it
8 because I think I might get sick but because I like to be
9 healthy. I don't think much about being sick,
10 thankfully.

11 Also, I went into this with some expectations
12 about what my family results might be. That was a
13 different set of expectations because I was asking other
14 people, members of my family, who were also tested, by
15 the way -- my parents, my brother, and my daughter -- on
16 some of these tests. It did make me pause a minute
17 bringing my family into my experiment, but they very
18 heartily agreed to go along with this.

19 The next set of questions are tests and
20 results. This is going to be the bulk of the talk here.

21 "What tests did you take? What were your results? Were
22 there differences, overlapping results, et cetera?"

1 A baseline of the tests that I have taken. I
2 have been tested on most of the major SNP array chips,
3 some insertion/deletion information, some copy variants.

4 I have also been tested for several dozen individual
5 genes and coming in the next few months I'm hoping,
6 anyway, to get my full genome sequence. We are working
7 on that right now. By the way, that is plenty of
8 information even without the full genome, as you will see
9 here. Trying to figure out what exactly one makes of the
10 full genome is another question.

11 I have been tested by many companies and
12 academic labs, nonprofits. I was one of the few people
13 around 2001, which is of course the jurassic age for
14 genomic testing, that actually had several hundred
15 markers tested for a Wired story that I wrote back in
16 2001. That was even before Craig Venter announced that
17 he had been tested by his own company. So, very early on
18 getting some results.

19 I do want to emphasize that some of these
20 results and this information has been around for a while,
21 so although we have been having this flurry of
22 association studies coming out this information and its

1 attempted application on individuals and consumers has
2 been around for a while.

3 The costs of all of my tests. If you add up
4 all of these numbers, my individual test was around
5 \$16,000. If you add my family in, it is about \$20,000.
6 I'm gratified that many of the companies and labs did
7 this pro bono or some of the costs were covered by
8 publications that I was writing for.

9 By the way, if I get my full genome sequence,
10 it will be quite a bit more money, at least \$100,000,
11 perhaps much more.

12 Participants. As I mentioned, my mother and
13 father, who are both in their mid 70s and very healthy;
14 my brother, who is 48; and my daughter, who is 19, is a
15 biology major at St. Andrews in Scotland and basically
16 made me test her. I was a little reluctant to bring my
17 daughter into this. She is over the minority age. It
18 was her decision and she insisted, and there we have it.

19 I'm going to focus on three companies primarily
20 that have been discussed a lot, what they call the Big
21 Three, although I think DNA Direct should also be on
22 there as maybe the Big Four. You will hear details about

1 these companies and can read about them in the packet
2 that was given. From a consumer point of view, there are
3 two or three differences in each of the companies that
4 are worth noting.

5 Navigenics focuses primarily on diseases. They
6 do not do ancestry or some other traits of that sort.
7 They do offer counseling, and they are more expensive
8 than the other two sites, at \$2,500.

9 These images, by the way, are my results. You
10 can't really see them in detail, but you will get to see
11 them in a minute.

12 deCODEme offers a few more diseases. They also
13 have ancestry and other attributes. deCODE we have to
14 say, too, is also a publicly traded company. It has been
15 around for over a decade. They do drug discovery. Their
16 scientists have come up with at least some of the major
17 studies that all of the sites use in the association
18 studies. So they have some scientists actually working
19 in their back shop as well as having a website.

20 At the moment they offer no counseling, and
21 they come in at \$1,000 or so.

22 23andMe is also at \$1,000. 23andMe hits the

1 jackpot for the larger number of traits that they
2 feature. It is interesting that they have a rating
3 system that they put into their large number of traits.
4 They rate these traits whether they are preliminary or
5 established. While there has been some criticism of that
6 rating system, how accurate it might be, I think that is
7 heading in the right direction for consumers to try to
8 understand which of these traits has the best science
9 behind it.

10 Two other approaches I want to mention just
11 quickly here, one of which you will hear from later. DNA
12 Direct, which is one of the older online companies,
13 offers only individual tests, primarily for those who
14 have a predisposition in the family or some other reason
15 for ordering a test.

16 I have had one test ordered from DNA Direct,
17 and it was a different experience than the others because
18 they do have a heavy counseling aspect to this. I talked
19 to the counselor two or three times. Also, they have an
20 extraordinarily rich site of information which includes
21 pros and cons of testing. It actually tells you reasons
22 why you shouldn't take the test as well as why you might

1 want to take them.

2 The Coriell Institute is not represented here,
3 although I would recommend that the Committee look into
4 what the Coriell Institute is doing. There is a small
5 blurb in the materials I was given about them. Their
6 genome-wide testing website will be up in the next few
7 months.

8 This is a nonprofit. I'm sure you all who are
9 scientists know of them for producing tissues and storing
10 cell lines. They are going to be testing for free on a
11 Navigenics-style test with 15, 16, 17 diseases, about
12 10,000 to 100,000 people over the next several years.
13 They have NIH funding and other grant money.

14 They are also doing something interesting for
15 those who consider doctors to be less educated than
16 perhaps they should for genetics. They are starting out
17 by testing doctors in the Philadelphia area, where they
18 are.

19 Now to the results. I'm going to give you
20 results for three diseases for the three sites that I
21 mentioned. The first two were rather randomly chosen:
22 age-related macular degeneration, because it is an A and

1 was at the top of the list on some of the sites. Being,
2 as I said, a healthy person, I don't have many anecdotes
3 or stories to tell about how this affected me because I
4 came out, actually, pretty well on most of these.

5 But if you look over to the right-hand columns,
6 and if you have a minute you can study this chart more
7 thoroughly. I don't have time to go into all of the
8 columns here. But the critical numbers and items for a
9 consumer would be the ones in color there. This is a
10 threat level color code, much like you would get in an
11 airport, which I have added here just for convenience
12 sake.

13 You can see in that age-related macular
14 degeneration for the individual SNP risk factors that I
15 have threat level colors that range from green, which are
16 quite low risk, to red, which are high risk. The second-
17 to-last column there on the right tells you which company
18 gave me the result for which SNP.

19 By the way, there are a number of different
20 SNPs here, as you notice, for the same disease.
21 Different SNPs for the different sites as well.

22 On the far right are my lifetime or overall

1 risk factors that the sites give. They list several
2 SNPs, different risk factors for the SNPs, and then an
3 overall risk factor.

4 The far right is the average risk, and this is
5 interesting for a consumer, and a bit head-scratching.
6 The average risk for the different sites are different.
7 My risk factors are also a bit different. In this case
8 it is not a huge factor. I am low on all of them, which
9 is really all I want to know, but it is worth noting that
10 there is a bit of difference there among the results.

11 Another way to present the data here for
12 diabetes type II, comparing the three sites. There are
13 19 different SNPs that I counted when I went online on
14 these three sites a few days ago. They are changing a
15 lot, so who knows, they may have changed by now. But, 19
16 different SNPs among the three sites for 15 different
17 genes.

18 My range of results were yellow, 0.82, to 2.61,
19 red. That was the only red, actually, out of the 19. My
20 lifetime risks for the three sites were within shouting
21 distance of each other, about 4 percent apart, which
22 isn't bad.

1 The average of all the sites was around 25
2 percent for type II diabetes. I'm below that, which is
3 also, as a consumer, really all I want to know. So that
4 was good news.

5 It is worth noting that there were only four
6 SNPs that overlapped on all three sites. Out of those
7 four, two of them actually had different enough results
8 that they raised an eyebrow for me, meaning that the same
9 SNPs had different risk factors associated with them on
10 at least two of the sites.

11 There are four additional SNPs that were on two
12 out of the three sites. So there were overlaps of an
13 additional four on two out of three. Then, 11 orphans
14 that were just on one site only.

15 Is the data consistent. Basically, to
16 summarize this slide, I would say within acceptable
17 parameters for at least this consumer most of it was
18 fairly consistent. The one area that was a little
19 strange was to have all this variance of risk factors
20 within a disease, all the way from green to red. In
21 talking to the companies, they all correlate those and
22 use them as modifiers to come up with that final score,

1 but that is a little confusing to present such widely
2 diverse results for people that don't really comprehend
3 or understand how one would factor all that into a final
4 score.

5 There was one exception, however, and that is
6 my heart attack gene markers. I don't have heart disease
7 in the family, but I did get what I considered somewhat
8 confusing results for my heart attack gene markers on the
9 three sites. On one site I have low risk, on one high,
10 and one might say in the middle. For something like
11 heart attack, this is probably not the most comforting
12 results. Actually, for someone like me who wants to
13 confirm that I'm healthy, it is not going to be useful
14 information to have such variance in results.

15 Again, you can see my color-coding there, the
16 range from yellow to orange to red. On the far right are
17 these lifetime or overall risks. For deCODEme and
18 Navigenics you have a spread from 42 percent decode risk
19 factor for me, to 62 percent. The average is 49 percent,
20 according to the sites. 23andMe uses a slightly
21 different method, although it is worth noting that my
22 risk factor is almost twice the average on the 23andMe

1 site, although I think I noticed when I went on last
2 night that that risk factor had slightly changed.

3 Why the different results. I do encourage you
4 to, obviously, talk to the companies about this. But
5 some of the information that I have gleaned as a
6 reporter, and you can obviously see it even as a
7 consumer, is that there are different SNPs and studies
8 used, as I intimated, for the different diseases. There
9 are different methods for determining risk for the
10 different websites. You also have different methods for
11 determining combined SNPs, as I have mentioned.

12 There is also a reliance on correlative SNPs,
13 which I don't really have time to get into. That has to
14 do with linkage disequilibrium and the fact that not
15 everyone in a population actually shares correlative
16 SNPs. In other words, sometimes you are homozygote,
17 sometimes you are heterozygote, is my understanding of
18 this.

19 Again, I am not a scientist. I should have
20 said that at the top of this. These reflections are
21 through a person who is trying to comprehend and
22 understand this, and I hope I get it right but this is

1 how it has been explained to me.

2 In the end, this heart attack result did leave
3 me scratching my head, wondering what it all meant. I
4 did, with later tests in the Experimental Man Project,
5 find out that I did hit the mother load in a Mendelian
6 sense of a lot of high-risk homozygote SNPs that indicate
7 that I do have a higher than normal risk factor for heart
8 disease. I will get into that in a second.

9 [As to] the three generation study with my
10 family, I will just go over one result which was a bit
11 surprising. We did find out that my father and my
12 brother were heterozygote for Alzheimer's. I was sitting
13 there one morning in San Francisco as the sun was coming
14 up over the bay and turned on my computer and got these
15 results back. That was a little disconcerting because,
16 as a non-scientist, to see anything to do with
17 Alzheimer's is a bit scary. We don't have that in my
18 family, but I called a couple of experts right away.
19 They reassured me that, actually, the heterozygote risk
20 for this is fairly low. Homozygote is what you don't
21 want.

22 I called my father, who is 76 years old, about

1 to turn 77, and he shrugged and said, "Well, I have made
2 it this far. I'm probably okay."

3 My brother, who is 48, equally shrugged. We
4 come from Puritan stock from the Midwest and we just
5 don't talk about these things very much. But he seemed
6 to be unconcerned and hopefully that will continue.

7 I want to mention very quickly here the
8 difference between a rare disease and a common disease
9 for a consumer or for a patient. My brother does have a
10 rare genetic disorder, which is osteogenesis imperfecta.

11 It would have been fantastic to have had a test to know
12 this early in his life. We went through a lot of turmoil
13 within the family trying to figure this out.

14 I'm not sure, however, and I discussed this
15 with my family, if we would want to find this out in a
16 direct-to-consumer sort of process. It may be in the
17 future that one doesn't even think about that, that is
18 how you get the information. At the moment, my brother,
19 anyway, would prefer to get this through a medical
20 professional.

21 The sites at the moment that we are talking
22 about don't offer rare diseases, probably for this

1 reason. But it is worth thinking about.

2 Recreational and preliminary. I won't spend a
3 lot of time here. Ancestry is fun. I encourage it for
4 everybody. I don't have bitter taste. I seem to have a
5 lower IQ by three points. I am at a substantially higher
6 risk for heroin addiction, and I am going to live to be
7 over 100 despite my substantially higher risk for heroin
8 addiction.

9 [Laughter.]

10 MR. DUNCAN: The crush of data which has been
11 mentioned by Teri and others is something also to think
12 about here. We are talking about a very small number,
13 although it seems like a large number because it has come
14 so fast, of association studies.

15 From my Experimental Man Project, we are up in
16 the upper hundreds now of markers, and many of these are
17 for rare diseases and things that I would have no
18 business really looking into normally but I'm trying to
19 do everything for the Experimental Man Project. If I
20 printed out my Excel chart at the moment, it would be
21 about 24 feet long, and it is going to get much longer.

22 The final step here, or the final list of

1 questions, are reactions and thoughts. "Did you alter
2 your behavior in light of test results? If so, how?" I
3 want to emphasize again that I am atypical here, both as
4 a journalist and also having much deeper knowledge
5 because I have been tested on many sites.

6 But the answer is that I did not really alter
7 my behavior. I did have some subsequent tests, as I
8 mentioned, which are not yet ready for consumers,
9 algorithms by companies that do huge modeling which they
10 factored in many, many things. I did find out that I
11 have a higher risk than normal for heart attack and I did
12 alter my diet. There was some talk about statins, but I
13 have not indulged in that yet.

14 Breast cancer data. I was going to talk more
15 about that, but I will discuss that with people later.
16 We did have that show up on some of our results as well,
17 which was some concern for my daughter.

18 The pluses of direct-to-consumer testing. One
19 gets a great insight into personal and societal health
20 with accurate results. You get a certain personal
21 empowerment. I believe that the appearance of these
22 companies and these websites is actually pushing along

1 this process much faster than it was going. It is
2 pushing the health industry and the health research
3 establishment to think more about applying this great
4 research, which much money has been spent on and much
5 effort, towards individuals and establishing guidelines
6 and ethics education and funding.

7 I think we need more of this. This is a
8 fabulous discussion to have here, driven really in part
9 by the appearance of these services.

10 Opening up new avenues for research and medical
11 and drug development, which has been mentioned.

12 A few minuses at the moment, and most of these
13 I consider to be somewhat temporary, but important. This
14 is early days. Association studies are not always
15 applicable to individuals. That was actually not the
16 original intent, is my understanding of much of that
17 science.

18 Disease and non-disease results are sometimes
19 mixed on these sites, which at first is somewhat jarring,
20 although I guess you get used to it after a while.

21 There are no standards that I can tell as a
22 consumer that reassure me of the validity of these tests,

1 and especially the risk factors. The number at the end
2 of the day that you want as a consumer is that number.
3 What is my risk factor.

4 I think consumers are perhaps a bit smarter
5 than sometimes they are given credit for. I think if you
6 explain to them over and over and over again even the
7 fact that these risk factors may change over time as the
8 information becomes more available, people will begin to
9 understand that and pick it up. There needs to be an
10 educational process for that.

11 A minus is that most physicians, including my
12 own, who is a wonderful physician, really had no idea
13 what to do with the information when I brought it to him.

14 There is a potential to frighten certain
15 people. I don't think we are hitting that market yet
16 with these early adopters, but we certainly will. Then,
17 the high costs and who is going to pay.

18 A few thoughts and suggestions. Consumers, I
19 believe, should be free to access their information and
20 buy services, and in fact they will. Any attempt to
21 harness this information or keep it away from consumers,
22 especially with the Web the way it is, being amorphous

1 around the world, people who want this information will
2 be able to get it in some way.

3 I love the discussion that is going on here and
4 would encourage that as a consumer to help me and others
5 understand.

6 Early adopters I think should be part of the
7 experiment. Again, I suggest looking into the Coriell
8 approach, especially the fact that they are testing
9 doctors first.

10 We need to establish guidelines and standards,
11 I believe, for the tests and the information, uniform
12 risk assessments, et cetera. Kind of a Good Housekeeping
13 Seal of Approval, if you remember that. I think most
14 consumers would like to have some kind of assurance that
15 this information is accurate. In fact, that was
16 reflected in one of the studies that was commented on
17 yesterday.

18 Also, who will pay. And a few others here. I
19 think it would be helpful to have a crash program to set
20 validation standards. This is clinical validation
21 standards in preventive medicine.

22 Disease markers I believe are more important

1 and should be handled differently than, say, ancestry.

2 I believe that physicians should be involved
3 with some of the decisions in the companies in assessing
4 people's results.

5 Also, 23andMe recently did start offering a
6 locator system online for finding a genetic counselor in
7 your area. We could possibly do that for physicians as
8 well. This is fairly easy with the technology and
9 computers today.

10 To conclude, I want to go back to the
11 beginning. Genetics is just the beginning of this
12 process. In my book and in the Experimental Man Project,
13 we are also doing environment, brain, and body. I have a
14 funny feeling that a committee like this, or this
15 Committee, will be in session for many years discussing
16 each of these new developments when we start applying
17 envirogenetics, as we call it, and environment-gene
18 interaction.

19 There is a lot of interesting research going on
20 with using brain waves, for instance, as biomarkers.
21 FMRI studies, as you all know, are very small and in the
22 early days right now, but they will begin to play a role

1 in preventive medicine, et cetera.

2 I would love to have you all check out our new
3 Experimental Man website, experimentalman.com. We are
4 just getting it going, but this will eventually download
5 all of my results. We will have a wiki-style site where
6 others can participate in what we are calling the
7 Experimental Man Portal, where various groups, companies,
8 and others who offer information about applying genetics
9 to individuals will be able to have a link from the site.

10 Finally, the book comes out in March. I hope
11 you all will pick up a copy. Thank you very much.

12 [Applause.]

13 **Question-and-Answer Session**

14 MS. AU: Thank you, Mr. Duncan. Now we will
15 take questions. Muin.

16 DR. KHOURY: Thank you so much. This is
17 fascinating; one person's journey into the genome. Just
18 a couple of comments and then I will ask you to tell this
19 Committee what it should do, because this is an advisory
20 committee to the federal government. Some of your
21 closing slides were about people should access this
22 information but there should be guidelines and

1 information, the seal of approval so to speak. And, who
2 will pay.

3 So there are these mutually exclusive
4 categories. If we get an independent group to evaluate
5 these technologies, then they return [saying] "Don't use
6 them until more studies are done," that could be one
7 recommendation from one group. At the same time,
8 consumers are free to do whatever they want with them.

9 You went in with your eyes open as to the
10 potential limitations of this technology which is early
11 on. But if you are advocating for guidelines and
12 information, how do you reconcile the need for guidelines
13 with the individual freedom to seek whatever you want.
14 Maybe those two things are not as mutually exclusive as
15 much as they are in my mind.

16 If somebody tells you, "David, this stuff is
17 nonsense right now," you would have still gone and gotten
18 it, wouldn't you. You were not going in for the medical
19 application. You were going mostly for the curiosity and
20 the recreational aspect and essentially to validate what
21 you already know, that you come from a healthy family.
22 So that cardiovascular signal was a bit disconcerting.

1 Anyway, I'm throwing too much stuff at you. I
2 would love to hear what you have to say.

3 MR. DUNCAN: First of all, I really did this as
4 a journalist and a communicator. It is important. When
5 I first did the Wired story back in 2001, we almost
6 didn't do it because it just seemed like a reporting
7 gimmick. But I learned from that and also a story I did
8 for National Geographic a couple years ago where I had
9 several hundred environmental toxin levels inside of me
10 tested. This is a fabulous way to communicate science
11 using a real person as an example.

12 I said right off that I'm a bit different than
13 most consumers, but I suppose it is all driven by a
14 certain curiosity.

15 In terms of the contradiction you mentioned, or
16 the potential contradiction, I'm really talking about, in
17 a pragmatic way, it is not that hard to go out and get
18 this information on yourself. You can get it tested in
19 any number of ways.

20 What you end up getting is what I have, several
21 disks with a lot of lines of data, with results that
22 don't tell you much. But there are already cropping up

1 online a SNPedia, this website which has been mentioned.

2 I have talked to the guys that run that, a couple of
3 young researchers, and one of them I believe is down at
4 Emory. They can run it through what they call a
5 Prometheus Program. I have not been able to do that
6 because I have a Mac and it is only on PC at the moment,
7 but they will run, free of charge. It is fairly crude,
8 certainly, compared to the online companies we are
9 discussing. But there are ways to do this, in other
10 words.

11 So it may seem like a bit of a contradiction,
12 but I'm being more just a pragmatist here. This data and
13 information is getting more accessible, and there are
14 ways to analyze it independent of anything we are talking
15 about here, any guidelines that might be established
16 perhaps.

17 But I have thought a lot about who might
18 establish these guidelines, and this is where I'm putting
19 on my new hat at the Center for Life Science Policy at
20 Berkeley and beginning to think in terms of policy. I
21 think one has to balance very carefully the fact that
22 this is a new technology. Silicon Valley in San

1 Francisco, that is where I live. We see these things
2 come frequently. It is important to let these new
3 technologies find their wings and how they are going to
4 work.

5 It is important that the commercial sites came
6 online here because I think it is tweaking everyone to
7 think about this in a way that they didn't before.

8 There may be a way to make this voluntary. I
9 think there is some discussion among the companies. It
10 may be that the government at some level needs to step
11 in. I think these are things for you all to decide, in
12 discussion with the companies.

13 At the end of the day, though, as a consumer, I
14 think one needs to have some sense, and again, that was
15 borne out in one of the surveys yesterday. In fact, I
16 believe it was said that accuracy is more important at
17 this point than even the issue of privacy, which I
18 haven't discussed at all here. Obviously, I'm releasing
19 my results to the world, so it is not as important to me,
20 the privacy issue. I may be completely an idiot for
21 doing that, but we will find out.

22 But I think the accuracy issue is going to be

1 very important in a Consumer Reports sense. It may be
2 that there are independent groups. There is a lot of
3 discussion even at our center and some other areas [like]
4 academic institutions for creating ratings systems.

5 I think this will all naturally occur very
6 quickly. This Committee could collect information on
7 that. It could be very useful to have input from all of
8 you and some guidance in figuring out how that process
9 would happen. But I hope that answers the question.

10 MS. AU: Scott is next. Could I ask the
11 Committee to keep the questions short so we can get back
12 on time?

13 COL. McLEAN: Two short questions. [That was
14 a] really fantastic presentation. Was it your plan to
15 share your information with your personal physician from
16 the beginning? The follow-on question is, what experts
17 did you consult and how did you find them?

18 MR. DUNCAN: I started out the whole process,
19 even in 2001, with my personal physician. My personal
20 physician for the original project has retired, but these
21 were very knowledgeable people at UCSF. My current
22 physician is the head of ambulatory medicine there and

1 has more knowledge than most people, although when I
2 brought in some of these results he had to go online and
3 look up some information before he was able to really
4 tell me much. When I start bringing in things like brain
5 scans, he really starts rolling his eyes.

6 I'm sorry. What was the second question?

7 COL. McLEAN: You found some consultants, some
8 experts to help guide you when you got specific results.
9 How did you find them and what qualifications did they
10 have to give you feedback on what you had come up with?

11 MR. DUNCAN: As a journalist reporting on
12 biotechnology for some major media outlets, I have access
13 as a journalist. That is mainly how I was able to access
14 people. Basically, my job is to go out and try to find
15 people like Francis and others who can comment on these
16 things. I take that very seriously. I have so much
17 material it is piled high in my office.

18 But I also try to get a balance of opinions on
19 this as well, which is really important because there
20 are, obviously, a lot of different opinions and
21 stakeholders and others that need to be brought into the
22 equation. Much of that will be in my book.

1 MS. AU: We have Paul Miller next.

2 DR. MILLER: This is very interesting. Thank
3 you. I was struck by what I perceived to be an
4 underlying assumption that I heard in your remarks and
5 actually heard yesterday also. That is about the term
6 "health" and the search for I am in good health and I am
7 seeking good health, as if we know or maybe people have
8 agreed on what is good health that everybody is seeking,
9 and that these technologies are offering insight into.

10 I was thinking about this question of health
11 against the backdrop of two things raised by genetics
12 that I have learned from Francis. Human variation is a
13 natural part of the human experience. So we have
14 anomalies that are a natural part of human experience.
15 Yet we have these technologies and these companies that
16 are offering the search for genetic anomalies to identify
17 difference with the expectation of exactly what? To
18 eliminate difference? To create better health?

19 I have achondroplasia. People have deafness.
20 Yet I consider myself in good health, but yet I have a
21 genetic anomaly.

22 So I'm curious from your perspective how you

1 see that, if you have thought about some of those
2 questions in your journey.

3 I'm particularly struck by your brother who has
4 OI, osteogenic imperfecta. Had you had a conversation
5 with him or your parents, had they known that 40 years
6 ago, would they have done a gene screen to potentially
7 look for an embryo with OI and then eliminate it? Is
8 your brother in good health or in bad health?

9 MR. DUNCAN: He is not in fabulous health, but
10 this disease has made him disabled and he is no longer
11 able to work. It would have been nice to have known, I
12 think, probably more for the family than him.

13 I pursued this trying to figure out what
14 exactly was going on with him through contacts and work
15 with Peter Bayers, who is a prominent geneticist at the
16 University of Washington and an expert on osteogenesis
17 imperfecta. He actually sequenced both my genes involved
18 and also my brother's, COLA1A and COLA2A? 1A? Francis
19 can set us straight on this. They make collagen.

20 So I pursued this. My brother, interestingly
21 enough, on the conference call when I was up in Seattle
22 with Peter Bayers, said it is all very interesting, what

1 he has, but at the moment there is no real effective
2 treatment for what he has. He is taking some of the
3 drugs that slow down bone loss. But he wants a
4 treatment, and Dr. Bayers immediately said that is what
5 we are after here and that is why we are doing these
6 tests.

7 I think that is a case where it would have
8 helped our family dynamic to have known this, certainly,
9 because we didn't really know what was going on with him
10 and we were frightened by that. We didn't really even
11 recognize it as being a problem because we come from such
12 a healthy family.

13 But it is interesting you said the word what is
14 "healthy." Throughout these conversations, including
15 yesterday, as a writer and a person that works with
16 words, I think many of these words we need to back up a
17 minute and even define. I'm not really sure. I know
18 that I feel healthy. I know it when I see it, which has
19 been applied to some other things, too.

20 But validity, the word "valid," what does that
21 mean? I thought a couple times of raising my hand
22 yesterday in the discussion. People throw [words]

1 around. What is a valid marker. What is clinical
2 validation. That is the word "valid." The other one is
3 "usefulness." I think I understand that one a little bit
4 better, but [I am] a writer and a word person.

5 We keep talking about Francis here. Sorry to
6 keep putting you on the spot. But, maybe since he has
7 become a wordsmith as well, we will get some more
8 information on that.

9 But I think it is important that we define
10 these words in a way that everyone can understand them,
11 including the public.

12 DR. MILLER: I think it is interesting that you
13 keep on defining your family as a healthy family, not
14 withstanding your brother's OI and other issues. So I
15 think health is generally relative. What is a concern is
16 what are these technologies doing to relative assumptions
17 about health and unhealth and how is that related to
18 disability and non-disability.

19 MR. DUNCAN: There is a philosophical component
20 here, too. I do keep saying that because that has been
21 my mantra my whole life. I'm one of these people that
22 even at 50 years old still considers myself somewhat

1 invincible. I'm beginning to realize that is not the
2 case. I put that out there because we all have different
3 ways of viewing our health. I think it is interesting.

4 My brother's situation, he was very healthy
5 until a certain point. I still have to stop and pinch
6 myself and remember that he is not now, and that is a
7 real anomaly for my family. So you are catching me up
8 here a little bit on very deep-felt sensibilities about
9 who we are, or who I am.

10 MS. AU: We have Paul Billings, Joseph, Mike,
11 Jim, and Mara, and we are going to cut it off there.

12 DR. BILLINGS: David, thank you very much. I
13 have a three-pronged question for you.

14 MR. DUNCAN: Do I need to get my pencil out,
15 Paul?

16 DR. BILLINGS: No, no, it is okay. These
17 should be straightforward.

18 As an experimental consumer as well as an
19 experimental man, what is your perception of the error
20 rate of the laboratories that you were engaged with? Do
21 you think it is low, very low, never occurs? What is
22 your perception?

1 MR. DUNCAN: There are various levels of
2 potential accuracy or errors here. I think anyone that
3 knows these tests that are run in the CLIA-approved labs
4 tend to be very accurate. I have been run more than once
5 on most of these chips and actually did an analysis, at
6 least on a lumina chip, on two of my results on the same
7 chip and it came out with almost no error rate. So that
8 end of the spectrum is, I think, very accurate.

9 I did have that slide. We are going to be
10 doing a more quantitative analysis of the various sites,
11 not only the three here but any others that come within
12 our purview. So I don't have the data on that, but just
13 my swag feeling is that, as I said, I think there is a
14 parameter in most of the results that were fairly
15 consistent. Accurate, that is another one of those terms
16 we may have to define here.

17 We need to remember, from a consumer point of
18 view anyway, and any other point of view, these are
19 moving targets here as more information comes in. This
20 is something that is really important, I think, to
21 emphasize to consumers and the public. This information
22 is only what we know now and it will continue to change.

1 Even the sites as I have been monitoring them
2 over the last several months, they changes. Different
3 SNPs come on. My risk factors move around a little bit.
4 New features are added.

5 Again, I don't think consumers will be overly
6 bothered by this as long as it is explained properly.

7 DR. BILLINGS: The second part of my question
8 is, for those traits that you have increased risk, how
9 will you monitor them and what evidence are you using for
10 your style of monitoring?

11 MR. DUNCAN: Well, I don't really have that
12 many. I am monitoring the heart situation. I would love
13 to share with you the fascinating tests that I have had
14 that actually did convince me with a high degree of
15 accuracy that I do need to watch myself and that, funny
16 enough, with a huge algorithm that I was tested on, a
17 couple of the genes that are cited on the sites that we
18 are discussing actually did have an enormous impact on
19 steering this entire algorithm.

20 I had carotid ultrasounds, a CT scan on my
21 heart, lots of chemistry, and lots of other genetic
22 tests, and a couple of these association study SNPs,

1 especially those on Chromosome 9, did have an enormous
2 impact. We even ran the algorithm as if I was
3 heterozygote instead of homozygote high risk and it did
4 affect the curve quite a bit.

5 I would be happy to share that with you. That
6 is coming down the pike not too long from now. Actually,
7 the company involved there can offer this test for under
8 \$1,000 and it will probably be out within a couple years.

9 DR. BILLINGS: Then, lastly, are you intending
10 to disclose the results of this test when you apply for
11 life insurance?

12 MR. DUNCAN: I contacted my insurance company.
13 I think Ryan Phelan said that yesterday, too, or
14 someone, that they had tried to share this with their
15 insurance company. I haven't gotten much of a response.
16 The insurance industry seems to be sitting back and
17 trying to analyze and figure all this out.

18 I did speak to the major national actuarial
19 group about a month ago and asked them about this. They
20 said at the moment that these association studies don't
21 yet have the predictive power than an actuary really
22 would need to apply them. But I think one can see that

1 there is going to be enormous change.

2 I'm extremely lucky. I have low risk factors
3 for most of these disorders. If I'm looking around and
4 saying who should pay what, I no longer have the same
5 risk factors as everyone else in this room. In fact,
6 everyone has a different risk factor. On a shared risk
7 sort of system for insurance, how do you determine that
8 if we all know we have different risks? So I think that
9 is a challenge that will be coming up for how we go
10 forward with insuring ourselves.

11 MS. AU: We still have Joseph, Mike, Jim, and
12 Mara. Can we keep them to single questions, please?

13 MR. DUNCAN: I will give a quick answer, too.
14 Sorry.

15 DR. TELFAIR: Just as you get to me. Okay.

16 [Laughter.]

17 DR. TELFAIR: Thank you for the presentation.
18 I appreciate that. My question actually is just
19 interrelated. I want to switch the questioning a bit.

20 In terms of where we sit as a Committee, our
21 real concern is what it is that we can recommend for the
22 population as a whole, a public health question. So I'm

1 asking you a public health question. In terms of use of
2 the information, what is your expected outcome for use of
3 what it is that you are doing in terms of beyond this,
4 and whether you have one or not.

5 The other part of the question is, what would
6 you recommend related to prevention? I noticed that the
7 argument yesterday for a number of the DTC panel was that
8 there is a lot of added value to knowing in terms of
9 motivating behavior. We know that that is really not the
10 case in so many cases, and you yourself just confirmed it
11 wasn't.

12 So in terms of use for the information and in
13 terms of what recommendations would you make to the
14 population in terms of prevention and that sort of deal.

15 MR. DUNCAN: Again, I think we are in an
16 interim phase here and things are in great flux. In the
17 future what I believe will happen here is that we will
18 have batteries of tests. This is already beginning with
19 some neonatal tests at the very beginning of life for
20 some people. We will continue to have expanded batteries
21 of tests earlier and earlier in life that will give us
22 some indication.

1 I sometimes pull out my iPhone, which I think I
2 have here somewhere, and I believe sometime in the
3 future, maybe the next generation, we will have something
4 called, maybe, an iHealth that will actually tell us and
5 give us probabilities and possibly even measure things
6 like what is in the environment around us and other
7 measurements like that that will be factored in and do
8 all the work for us and tell us what is coming up.

9 I don't know about living in that world. It
10 would be interesting. Some people will embrace that.
11 Some people will be perhaps frightened by it.

12 But I think when you are talking about
13 predicting the future, which is essentially what we are
14 doing here, we are a long way from really doing that for
15 most people. The rarer the disorder, obviously, as you
16 all know, the more predictive power there is.

17 But in terms of guidelines for preventive care,
18 I mentioned a few of my thoughts and ideas. I think we
19 need to accelerate dramatically this process to validate,
20 whatever that word means, but to clinically validate, to
21 spend some of the resources we have been spending on the
22 pure science now and shift over rapidly to applying this

1 information to individuals to find out what is really
2 going on.

3 If one comes out with a homozygote high risk
4 for prostate cancer, which was mentioned yesterday, I
5 think what a consumer wants to know, and to make it
6 really effective, would be to do a thousand of those, or
7 whatever the number needs to be in a clinical test, and
8 get a biopsy. You actually come up with some results
9 that are meaningful.

10 So I'm hoping that the federal government and
11 others who have the resources to apply to this will focus
12 more on the individual now and how this science applies
13 to the individual. Does that answer your question? Sort
14 of?

15 DR. TELFAIR: It is okay. I can't ask another
16 one.

17 [Laughter.]

18 MS. AU: You can beat up Mike and get his
19 question.

20 [Laughter.]

21 DR. AMOS: You can have my question. I'm going
22 to hold it. I'm going to talk about standards and

1 standardization a little bit later. But you have my
2 question.

3 DR. TELFAIR: I appreciate that, but I think
4 I'm going to save it for the DTC panel.

5 MS. AU: So then we will move to Jim.

6 DR. EVANS: It was a really fascinating
7 presentation. You are doing something right because you
8 look far younger than 50.

9 I'm interested in this idea of personal
10 empowerment because it came up yesterday as one of the
11 justifications for doing this and doing it now. My
12 feeling, and I want to get your take on it, is that
13 personal empowerment only follows from the prior
14 demonstration that this is useful information. There is
15 a large percentage of people in this country who find
16 their horoscope personally empowering, but I don't think.
17 that we should really be in the business of encouraging
18 personal empowerment based on illusory ideas of what is
19 really useful.

20 So, does it make sense to you to separate out
21 this idea? Right now, getting your genotype at 500,000
22 sites, do you think that is personally empowering or do

1 you think that needs to wait until we actually have some
2 evidence that you can do something with it before it is
3 truly personally empowering?

4 MR. DUNCAN: I think my story is that it has
5 not been particularly personally empowering given what
6 you said. In fact, and this was one of the possible
7 outcomes of this experiment, most of it has not been
8 particularly useful for an individual. In fact, most of
9 it was not designed to be particularly useful for an
10 individual, which is a primary point here.

11 I'm talking more about the future and I think
12 what people would like this to be. Any information that
13 a patient or a consumer who is interested in their health
14 can have and understand and use on their own I think is
15 important. There is, of course, an extremely important
16 role for care givers, physicians, and others.

17 In this age when consumers have so much access
18 to information, there are those, as we heard yesterday,
19 that seek it out, that are almost desperate to have it, I
20 think it is incumbent on this Committee and others in
21 positions of responsibility to figure out a way to create
22 an atmosphere where people feel that these are accurate

1 without squelching or throwing the baby out with the bath
2 water.

3 As I mentioned earlier, there is an ongoing
4 experiment here on how to apply this information, which
5 is very useful and actually quite exciting. These three
6 or four sites here that I mentioned all have different
7 methods. If you throw in the Coriell Institute, you have
8 a nonprofit model there. I know of several others that
9 are out there about to start.

10 It is one of those delicate moments where in
11 this transitional phase I think responsible parties need
12 to make sure that there are some accuracy and guidelines
13 as much as possible and an educational process to explain
14 to people this is a transition period, alongside allowing
15 these experiments to go forward in a responsible way.

16 MS. AU: The honor of the last question goes to
17 Mara.

18 MS. ASPINALL: Thank you, but I'm going to keep
19 it to a simple question. The role of genetic counseling.
20 You spoke about that on a couple of sites and throughout
21 the initial part of your odyssey. What was the role of
22 counseling? How did that make you feel more and less

1 comfortable, and how do you see that playing out in the
2 future?

3 MR. DUNCAN: I think at this phase people are
4 not used to getting results online. Some are. Again,
5 I'm in the Silicon Valley culture. People are much more
6 used to receiving information. It is always interesting
7 to come back to Washington, where I lived for a long time
8 and worked, and the East Coast. We are much more
9 involved with getting our information perhaps online back
10 in the San Francisco area and on the West Coast.

11 But I liked having the option, first of all, as
12 a journalist, of being able to talk to a bunch of people
13 and understand these things. But I did go through the
14 process as a consumer would with those sites that offer
15 genetic counseling. Of course that was reassuring.

16 I was getting these services pro bono. I don't
17 know if I would be willing to pay thousands of dollars
18 for that. I might have to think about the price points
19 on that. That might affect my answer.

20 But I think having some access to somebody that
21 you can talk to if you need to [is important], and that
22 is why I mentioned in my thoughts and ideas, having given

1 this some careful thought, that maybe one way to deal
2 with this is to have physicians that review these results
3 possibly hired by the companies or maybe independent, I'm
4 not sure which. But, somebody watching to make sure that
5 there isn't something a consumer misses.

6 I think that is important. There may be some
7 result there that they don't really fully understand that
8 is important medically. I think there needs to be some
9 system for that.

10 It would be great if all the sites would have
11 genetic counselors you could call and talk to. I don't
12 know if that fits into some of the business plans.
13 Perhaps it should.

14 MS. AU: I would like to thank Mr. Duncan. In
15 the spirit of trying to catch up with some of our time,
16 can we try to make it back here by 10? We will take a
17 break right now.

18 MR. DUNCAN: Thank you very much.

19 [Applause.]

20 [Break.]

21 MS. AU: We are going to continue the session
22 on personal genome services. The next session is a

1 roundtable with the five companies. Each of the
2 companies will present for approximately 10 minutes, less
3 if you can do it, and then we will have a question-and-
4 answer period after everyone has presented.

5 Just like the NFL, we are going to give them a
6 two-minute warning before the end of their talk, with no
7 timeouts. A two-minute warning with no timeouts.

8 Our first speaker is from Navigenics, Dietrich
9 Stephan. He is the co-founder and chief science officer
10 at Navigenics. Prior to his current role, he was the
11 deputy director of discovery research at the
12 Translational Genomics Research Institute and still holds
13 a faculty appointment there.

14 Through his research Dr. Stephan has identified
15 genes and contributed to the understanding of genetic
16 predisposition for multiple diseases.

17 Thank you for being here, Dr. Stephan.

18

19

20

21

Personal Genome Service Providers

22

Presentation by Dietrich Stephan, Ph.D.

1 **Navigenics**

2 [PowerPoint presentation.]

3 DR. STEPHAN: Thank you very much for the
4 invitation. It is really a pleasure and an honor to be
5 here today. While we are getting the slides up, I would
6 also like to congratulate Dr. Collins on the first half
7 of his career. Well done.

8 [Laughter.]

9 DR. STEPHAN: While we are getting geared up
10 again, I thought it might be useful to remind you that
11 all human disease has a genetic component. I think we
12 tend to forget that sometimes. On the one side, we have
13 monogenic disease, where a broken gene causes the
14 disease. There is no environmental component.

15 On the other side of the spectrum we have
16 trauma, if you will, or infectious disease. But even
17 those have genetic drivers with respect to healing and
18 interactions with a pathogen.

19 The original vision of Navigenics was to, early
20 in life, completely articulate your entire germ line
21 genetic risk for all human diseases and then, across your
22 life span, unmask portions of that information that may

1 be useful within that window of life and then use that
2 information in conjunction with a physician to either
3 avoid environmental stimuli that might kick off that
4 complex genetic disease and put that person on a focused
5 biomarker monitoring program.

6 You could envision a serum biomarker for cancer
7 at its earliest stages that you could ascertain if you
8 knew an individual was genetically loaded. Ultimately,
9 [you could] either put someone on a primary prevention
10 therapy or treat the disease early so that you could
11 reduce the burden of disease for that individual but also
12 do that on a public health level.

13 I should mention I was trained in a public
14 health department. I also trained with genetic
15 counselors for the first two years of my career. So I
16 come from a monogenic testing background. What I would
17 like to do in these 10 minutes is perhaps convince you
18 that what we are talking about today is not so different
19 from what we have been doing for the last 20 years in the
20 field of medical genetics.

21 For the first 15 years of my career I was
22 involved in doing linkage analysis to identify broken

1 genes that definitively cause disease. We have been
2 successful in doing that. We have identified the genetic
3 basis of about a dozen monogenic diseases. Those were
4 really easy to find homes for, meaning you could toss a
5 mutation across the fence to a molecular genetics testing
6 facility and have it adopted. We had medical geneticists
7 who knew how to interpret that information. You have the
8 mutation and the loss of function mutation with a
9 penetrance metric associated with it, and this is what is
10 going to happen to you, your unborn child, or your
11 planned children.

12 We have that entire infrastructure, but we
13 didn't at one time. We didn't have that infrastructure.
14 There were no genetic counselors at one time, and it is
15 recent history.

16 Now that we are doing medical resequencing of
17 genes in people who are unaffected, we are starting to
18 understand that penetrance in itself is a concept that is
19 going to be modified dramatically moving forward. As we
20 start doing whole genome resequencing in unaffected
21 individuals, we are going to be turning up people that
22 are compound heterozygotes for mutations that don't have

1 a phenotype. What does that mean in the context of
2 traditional medical genetics and genetic counseling.
3 That field that we understand as set in stone is evolving
4 as well.

5 What I would like to posit is that alleles of
6 "low effect size," of odds ratios between one and 10, are
7 not so different than monogenic mutations with penetrance
8 variables associated with them.

9 But before I get into that, I would like to,
10 over the course of the next, I guess, eight minutes,
11 communicate to you that we are facing a healthcare crisis
12 in this generation. We don't want to underestimate that
13 because I believe that that should be the primary
14 motivator for all of us. We are on the trajectory; I
15 think everyone would agree. The key driver of mitigating
16 that crisis is going to be prevention, I believe. If you
17 believe that, then we should use all of our pre-
18 symptomatic risk information to maximize our ability to
19 focus our prevention efforts and improve outcomes across
20 the population.

21 "Genetic risk factors" is a term that I really
22 like because it embeds all of our understanding of

1 environmental risk factors into what we are going to be
2 talking about today. They are not so different, genetic
3 risk factors and environmental risk factors.

4 These genetic risk factors can be used to
5 refine risk in a clinical setting in addition to other
6 types of risk factors. We need a new delivery vehicle
7 for these types of genetic risk factors. You can look at
8 someone and say they are obese or they smoke. You can't
9 look at someone and say they harbor a 9P risk variant,
10 and you can't place that type of genetic testing into the
11 traditional monogenic testing environment because it is
12 not geared to do that.

13 We have done a lot of monogenic disease
14 identification, and here are a couple of examples. We
15 have also, in my group, identified the alleles that drive
16 several dozen common complex genetic disorders. This was
17 a paper we published in Science. It was the first paper
18 that used over 500,000 SNPs to paint the genome and
19 identify chunks of the genome that co-segregated with
20 disease or were enriched in people with diseases versus
21 without diseases. We went on to continue to flesh out
22 the Alzheimer's story with another allele that seems to

1 be withstanding replication by the community.

2 We do this also on a national level. I chair
3 an NIH-funded consortium. This is funded by 15 NIH
4 institutes. It provides these types of genome scanning
5 and interpretive analyses for the entire scientific
6 community, essentially. We have done over 400 projects.
7 Many of those are whole genome association studies.

8 The point here is that we come from an
9 understanding of the technical nuances from which this
10 information is derived.

11 I just couldn't stomach going out and raising
12 another \$1- or \$2 million to do another one of these
13 whole genome association studies without an
14 implementation infrastructure waiting on the back end.
15 It seemed like a frivolous exercise to me.

16 Now, don't get me wrong. On the therapeutic
17 side this is incredibly useful information. But on the
18 risk assessment side there was no infrastructure. So I
19 have taken a sabbatical from TGen to found Navigenics,
20 along with David Agus, to understand how we can use this
21 hard-wired risk information to alter the natural course
22 of common chronic diseases so that we don't see these

1 explosive rises that are anticipated. This happens to be
2 Alzheimer's disease, but this same curve can be drawn for
3 any of the common chronic age-related diseases.

4 Really, the only way to alter the course of
5 this massive trajectory, looking at millions of people
6 costing the healthcare system trillions of dollars, is
7 through early detection and preventive strategies. This
8 vision came about that I already articulated where we get
9 a genomic sample early in life, we fully sequence the
10 genome for both common and rare variants, and de novo
11 variants, do holistic copy number analysis, sift through
12 all of the epigenetic modifications and sequence the
13 mitochondrial genome, and push all of that information
14 together.

15 Remember, we are building the infrastructure to
16 do this. The interpretation doesn't exist yet for the
17 vast majority of these.

18 [Then we would] put all of that into a big
19 computer and push a button and get a rank-ordered list of
20 your predispositions that you can then practice
21 preventive medicine around.

22 Now, for a few common complex conditions the

1 information does exist and is robust. I would posit for,
2 for example, disorders like age-related macular
3 degeneration, hemochromatosis, Alzheimer's disease, we
4 have captured a significant amount of the genetic
5 contribution and continue to do this.

6 But we recognized we are building a new
7 industry, so from the very outset we understood that
8 there were ethical concerns, there were counseling
9 learnings that hadn't been done yet, clinical paradigm
10 shifts, et cetera. So we really, from the very
11 beginning, from the inception of this company over two
12 years ago, built a gold standard team, from the board of
13 directors to our clinical advisory board, really
14 understanding how does medicine need to evolve or change,
15 or should it, and how do we interface with the medical
16 community in the appropriate way.

17 The scientific advisory board, folks like David
18 Botstein, Isaac Kohane, Nick Schork, and others, are
19 really trying to guide us through this complex science to
20 really understand how to provide genetic counseling.

21 This can be very important information to an individual.

22 So we have, for example, the past two presidents of the

1 National Society for Genetic Counselors on our taskforce.

2 Then, of course, policy and ethics. An
3 important component of that is Paul Slovic, who is a risk
4 communication expert. So, do you communicate risk as 1
5 percent or one over a hundred. How do you use colors.
6 How do you use words to maximize the accuracy of the risk
7 information that you are providing to someone.

8 We have also taken great pains to build a team
9 of genetic epidemiologists in-house -- so, genetic
10 epidemiologists and epidemiologists -- to vet all of the
11 literature that comes down the pike with respect to I'm
12 going to call this validity.

13 These are [what] we are calling our curation
14 criteria, but we believe that these are more practical
15 curation criteria than, for example, the Venice criteria
16 because, without a loss of accuracy of significance, they
17 allow us to really click through studies and identify
18 what is real and what is not by fully reading those and
19 then implementing those on our risk assessment panel.

20 From day one we have decided quality is of the
21 utmost concern. I should mention that for the first year
22 and a half of the company's existence all we did was try

1 and understand the regulatory environment. We probably
2 spent over \$10 million just trying to understand how we
3 would click into the established environment. We feel
4 like we have really digested and understood how to do
5 that.

6 This is an example of that. From day one we
7 decided we needed a CLIA-certified laboratory with
8 extremely stringent QC and QA parameters. We are
9 measuring quality on a per-SNP basis across the
10 population with respect to Hardy-Weinberg, equilibrium
11 checks, et cetera.

12 Also, we have put a lot of effort into
13 understanding how we use retrospective case control data
14 -- given that this is a germ line constitutive insult, if
15 you will -- to go from odds ratios to relative risks. We
16 have muddled this out and we feel like we can do this
17 fairly accurately. I can point you to our website where
18 all of our information is fully transparent.

19 You have seen some of this this morning as
20 well, but given the current common risk variants that we
21 have, how much predictive power do we have. What you see
22 are a bunch of ROC curves. These are all generated by

1 downloading the primary data from Framingham and Wellcome
2 Trust and other data sources and actually applying our
3 algorithms onto those data sets to understand the AUCs
4 and ROCs. These are also going to be available.

5 I would like to segue now into talking about,
6 just briefly, a common argument, that these genetic
7 effect sizes are so low you shouldn't bother with them.
8 The genetic effect sizes that we are talking about --
9 odds ratios or relative risks of between one and five,
10 let's say -- are exactly on the same scale and order of
11 magnitude of the environmental risk factors that our
12 public health community has commonly messaged.

13 The next argument is, these haven't been
14 studied enough. If you look at some of these papers that
15 have been published, for example the 9P variant that
16 predisposes to myocardial infarction, that has been
17 studied in close to 17,000 people. That is a fairly
18 large data set. It has been replicated twice, again in
19 data sets of a thousand individuals each.

20 So I would say the numbers here are often on
21 par with environmental risk factor studies. But what you
22 see here in black are homozygote odds ratios for the

1 genetic risk factors that Navigenics is testing for. In
2 gray you see the heterozygote risk effect sizes. The
3 triangles indicate the environmental risk factors that we
4 have culled from the literature as being valid for the
5 common diseases we are testing. You see there are all in
6 the range of between one and 10.

7 A practical example and then I will end. This
8 is the state of the art of clinical reassessment for
9 myocardial infarction that is practiced in the primary
10 physician's office. The physician will test your blood
11 pressure, probably do a cholesterol test, and ask you if
12 you smoke, if you exercise, or if you have type II
13 diabetes. Based on this information, generally if you
14 have three of these things, you go on a statin and you
15 are told "You better be careful or you are going to have
16 a heart attack."

17 Very rarely are these things plugged into the
18 Framingham Risk Calculator. In the real world, if you
19 have two of these you are probably going to get a heart
20 attack. Here is a statin. If you have one of these, go
21 home and watch yourself and be good.

22 But these are the effect sizes that we are

1 talking about that are driving clinical decision-making.
2 Now if you add in the two vetted genetic associations,
3 you see that the effect sizes are exactly on par with
4 this. So to say we are not going to use that information
5 because it has the word "genetics" in it, or we are not
6 going to use that information because there is nothing
7 you can do about the genetic variant, I don't quite buy
8 that argument because there are lots of things you could
9 avoid to balance out your genetic load.

10 We have decided we need professional access to
11 counselors and physicians. The whole reason we went DTC
12 in the first place was a conscious decision so that we
13 would minimize the possibility of health insurance and
14 life insurance discrimination. Now that we have gotten
15 GINA we are relaxing that a little bit and building out
16 our physician channel. You will see a lot of that moving
17 forward.

18 But basically, we are trying to use genetic
19 information to motivate behavior change, and there is
20 evidence of this being published. For example, the
21 Reveal study shows minimal distress to people who got
22 their E4 results. This is a wonderful study by Aspenwal,

1 et al, that was just published that shows people who are
2 at high risk for melanoma based on a family history then
3 got genetic testing. Those who got genetic testing went
4 and got screened more often than those who just had a
5 positive family history, showing that the word "genetic"
6 can motivate behavior change.

7 I will end with this slide saying that
8 Navigenics doesn't make tools, we don't decide on what to
9 do in the clinic after a person gets their screen done,
10 but we believe we can digest all of the information that
11 is in the literature accurately and provide that in a
12 transparent and accurate way to an individual so that
13 they can use that risk information moving forward.

14 I should say we will be turning on monogenic
15 testing very shortly for hundreds of genes and the
16 capability to capture rare variants and common variants
17 for common disease moving forward, which hopefully will
18 explain more of the heritability that Dr. Collins talked
19 about.

20 We have ongoing clinic trials with the Mayo
21 Clinic, for example, to understand how this information
22 changes an individual's outlook and how best to

1 communicate it, as well as with Boston University and Bob
2 Greene there. So, thank you very much.

3 MS. AU: Thank you, Dr. Stephan.

4 [Applause.]

5 MS. AU: Our next speaker is co-founder of
6 23andMe, Linda Avey. Prior to 23andMe, she developed
7 translational research collaborations with academic and
8 pharmaceutical partners for Affymetrix and Perlegen
9 Sciences. She also spent time at Spotfire, helping
10 scientists understand the power of data, visualization,
11 and applied biosystems during the early days of the Human
12 Genome Project.

13 Welcome, Ms. Avey.

14 I would like to remind the Committee members
15 that there is information about the companies in Tab 4 of
16 your briefing book.

17

18

19

20 **Presentation by Linda Avey**

21 **23andMe**

22 [PowerPoint presentation.]

1 MS. AVEY: Thank you, everyone. It is really
2 great to be here in person. I think last time I was on
3 the phone. It is always better to be in person. I think
4 we are going to have some problems here with Adobe
5 Acrobat, but we will try to get through this.

6 As you all heard, especially from David's
7 wonderful presentation -- that was really fantastic -- we
8 are really on the very forefront of a journey that I
9 think a lot of us are going to take together. That is
10 why I'm so encouraged to see all of you here today,
11 willing to really have a conversation with us as we
12 embark on this experiment, really. That is really the
13 way we look at this.

14 23andMe is really a new way to do what we hope
15 will be a very effective tool in research: the ability
16 to really engage with consumers for the first time in a
17 very large-scale and Web-based way to conduct genetics
18 research.

19 What we embarked upon with 23andMe last
20 November was to enable individuals to get access to their
21 genomes really for the first time in a very broad way.
22 What we see ourselves as a company doing is providing an

1 interface to that genome. What we like to do is tell
2 people that this is really the early stages. We have
3 been doing these genome studies now just for a very brief
4 time really, when you look at the history of research and
5 the discovery of the DNA molecule, all the way through
6 the great work that Francis and others did in sequencing
7 that wonderful molecule, now being able to give people
8 access to it and give them dynamic tools and ways to
9 really interact with that information we think will
10 really move and change the field of how we are going to
11 conduct research.

12 What we have done is we have this system now
13 where you can order our service through sending in a
14 saliva sample. We extract the DNA out of that. We work
15 with a CLIA facility. Then we generate this set of about
16 600,000 data points for you.

17 As Teri Manolio so beautifully pointed out -- I
18 would love to have that slide where she has all the
19 chromosomes and all the papers -- 2008 really has been a
20 watershed year in GWAS. Having come from Affymetrix and
21 Perlegen and seeing all of these wonderful tools that
22 companies like they and Illumina have created, we are

1 really now see the fruits of the labors of a lot of the
2 researchers who have been doing these studies and have
3 been publishing all these great results.

4 What we have done is we have created this
5 interface where, especially through what we call our gene
6 journal, our scientists really go through and comb
7 through that literature, read through those papers, and
8 then they come up with a white paper that goes through
9 the criteria that we use of how do we present this
10 information to consumers who have very little
11 understanding of genetics to begin with.

12 So we have this transparent way of coming up
13 with what we call established research versus preliminary
14 research. The reason we did this is that we first
15 started out with 14 of what then was the ER category, and
16 we left it at that because we felt like we really wanted
17 to stay with these studies that we thought were going to
18 pass the test of time.

19 But right after we launched we found everybody,
20 including our scientific advisory board members, one of
21 whom is here, really wanted more information. The minute
22 you get access to this, the next thing you want to know

1 is what more can I learn about myself.

2 We took that into account, and we decided that
3 we would open up the category a bit more, but we wanted
4 to make sure that we put the caveats around that. There
5 are more studies coming out, and we don't pick these
6 people. The whole thing about learning from your
7 mistakes; I don't know who did this study but it did get
8 published, I think, maybe even in Science. So we just
9 are a reflection of what is going on in the research
10 community, and we give that information out to consumers.

11 We don't judge based on what the phenotype is or
12 necessarily what the category was. We want to be really
13 honest and open about these studies.

14 The star system that we employ now with our
15 gene journals really gives people, hopefully, the idea
16 that if it only has one star you really have to take it
17 with multiple grains of salt. At one point we were going
18 to have salt shakers and have multiple salt shakers, but
19 you have to run this in front of people and when they see
20 more of something they think that means better. So that
21 didn't work.

22 So it is really this idea that we are taking

1 all of this information out to consumers and hopefully
2 putting it in the right light, that this is all new and
3 there is a lot more to learn.

4 Unfortunately, you can't see it, but when you
5 look at one of our gene journals, and we have maybe three
6 genes associated with something, we leave the line open
7 because we think there could be a lot more genes filling
8 in those slots. Obviously, there is still a lot of
9 research that is going on, a lot of it funded by the NIH,
10 and we want to be a reflection of that and say let's
11 continue to fill in these gaps. Let's get a broader
12 picture and a better understanding of the genetics that
13 we are discovering, and then be able to incorporate that
14 eventually, hopefully, into our overall care.

15 We do think that in discussions, especially
16 like these, that we do need other definitions of things.
17 Would this be considered a genetic test per se. When
18 you are getting your whole genome, do you call that a
19 test or is it just information. If we have 580,000 data
20 points and we only know about maybe 40,000 of those, or
21 whatever the number is, what do you consider those other
22 data points. We think of them as information about you

1 that runs around in your cells and now you just have
2 access to it through your computer, which is a different
3 way of looking at it.

4 Then, the other idea is what is prognostic.
5 What are all these definitions, and how can we work
6 together as a community to come up with a better
7 regulatory means of reviewing it.

8 Going back to the slide that Teri showed, now
9 that we have our gene journal filled up with all of those
10 great studies, we try to keep that very current. We have
11 people who go through the literature, as I said, and we
12 now have about 78 of these gene journals. I think about
13 25 of them at this point now are of the established
14 research category, and then the bulk of them are what we
15 consider the preliminary research.

16 As new studies come out on SNPs that might be
17 in this preliminary category and they look like they have
18 moved into the ER, or the established research, category
19 we do make those changes. That could explain why David
20 saw a bit of a shift in his risk profile, because these
21 things do change.

22 He brought up a very good point. I point at us

1 and I point at the other people that are in this industry
2 together, this very nascent industry, that we really need
3 to do our job to work with other organizations to create
4 standards. There are things that we can do, we think, on
5 assumptions that we make. David noted that in his
6 slides, that we do make different assumptions when we
7 come up with these risk profiles, but we should just come
8 together as an industry and say we are going to just
9 settle on the same assumptions. That is a pretty
10 straightforward thing for us to do.

11 So we definitely plan to do that. We are going
12 to actually be working with the Personalized Medicine
13 Coalition, Ed Abrams and his group. They will take the
14 charge on this as a neutral body to help organize all the
15 thoughts and the desires of these companies and bring
16 this together and hopefully come up with a set of
17 standards. What they are planning I think is by the end
18 of the year, so you can stay tuned. We are really
19 excited about where that will go.

20 I had planned to go through one of our gene
21 journals, but you don't have to pay any money if you come
22 to 23andMe and just set up a demo account. We have the

1 ability to show all of the data and all of the tools that
2 we have at your disposal when you sign up as a customer,
3 and we have done this through the family called the
4 Mendels. It is a family of eight people over three
5 generations: grandparents, parents, and then three
6 children.

7 One of the things we really felt was important
8 from the beginning is allowing people to come together as
9 families and even friends and compare certain things
10 about your genome. We have seen a remarkable uptake in
11 the amount of sharing that people are doing, both at the
12 basic level, which is more just sharing things like your
13 maternal group assignment, your Y chromosome markers, or
14 how much you compare to someone overall holistically
15 across your genome. But it has been phenomenal to see
16 that really going on and how many people are really doing
17 that.

18 You can look through the slides that we have on
19 data security. That is something we take very seriously
20 for our customers. We just feel like we wouldn't have a
21 successful company if we didn't stress the privacy of the
22 information that we are generating for our customers.

1 That is a very important aspect of what we are doing.

2 What I want to touch on is something new that
3 we have just introduced. Every month, practically, we
4 have a new release of our software and our website where
5 we have new tools and new features that are coming out.
6 Within the last month or two what we did release is the
7 ability now to do surveys with our customers.

8 We started out with fairly straightforward and
9 simple ones: are you left- or right-handed. Even with
10 that one we took a survey that has been used and
11 validated by epidemiologists. It has been really
12 fascinating to see this because not only do we say do you
13 write with your right or left hand or do you throw a
14 ball, but it is how do you sweep with a broom, how do you
15 open a jar.

16 I found out myself that I'm right-handed but
17 with a moderate preference for left-handedness. I would
18 have had no idea that I did that. When you sweep, if you
19 have your right hand on the top of the broom, or if you
20 open a jar with your left hand, I'm sort of guessing but
21 those are the things. I have talked to other people who
22 ended up being right-handed that they had different

1 answers on those surveys.

2 So we are trying to take these validated
3 surveys and move them onto the Web. This is something
4 that we are looking out to the research community and
5 working with epidemiologists to develop these and see
6 what kind of results can we get. We think starting out
7 with things like eye color, handedness, can you roll your
8 tongue, simple things like that that will sort of help us
9 prove this model of can we do research in a Web-based
10 format like this. We don't know. It is really a new
11 experiment that we want to embark upon.

12 So far we are really happy with the number of
13 people who are responding, and it is partly because these
14 surveys appeal to pretty much anyone because we all, or
15 most of us anyway, can write and we sweep, although it
16 shocked me how many people don't even know how to hold a
17 broom.

18 So it is really, then, the next step that we
19 are excited about. We just had a study funded by the
20 Michael J. Fox Foundation to do a Parkinson's study.
21 This is going to be far more in-depth, obviously. The
22 Parkinson's Institute in Sunnydale has been studying

1 Parkinson's disease for many years. They have developed
2 a lot of their own tools for diagnosis.

3 They are very interested in looking at how can
4 we first move these validated instruments online but
5 then, beyond that, what can we do to develop some tools
6 that might be using Web 2.0 and also using new
7 technologies that would enable any kind of movement
8 disorders people are having that we could measure over
9 the Web.

10 This is really out there, but this is the kind
11 of thing that Michael J. Fox really is interested in
12 funding, the things that are beyond what we see in the
13 more typical research paradigm. We have had meetings now
14 with SRI, the Stanford Research Institute, where they are
15 working on the Wii game for measuring people's motor
16 skills. We have met with Qualcomm. They are getting very
17 interested in health and how different mobile
18 technologies can be used for understanding, measuring,
19 and monitoring disease.

20 So we are at the early stages, but we are
21 really quite excited about this study. Since then we
22 have had a lot of people coming forward who are

1 interested in submitting grants and doing work with us.

2 The whole point is that once we get a number of
3 people in our database and they do show that they are
4 interested in volunteering information about themselves,
5 we think this could be a very interesting mechanism for
6 conducting that type of research.

7 One of the other things we think is really
8 important when you look at people who do surveys online
9 is they want immediate feedback. That is one of the
10 things we do. When you fill out a survey at 23andMe, you
11 find out how do you stack up to the other people in our
12 database. So it is this instant feedback that we find
13 that people get a lot of satisfaction out of.

14 So that is an aspect that we always plan to
15 have. You will find out are you of the 12 percent that
16 are right-handed with a moderate preference for left or
17 are you more just all completely right-handed. Those are
18 the types of things we are interested in sharing.

19 Just to finish, really what this goes back to
20 is the interest in translation. How do we translate all
21 of these wonderful studies that are going on with all of
22 this great information. How do we get that into the

1 clinic. It does seem to be a bit of a gap that we have
2 in our current system of making this mechanism happen.

3 The NIH has been great to come up with the
4 CTSA, this new funding mechanism that is forcing major
5 universities to come together with their clinical side
6 and say "You have to work together or you are not going
7 to get the funding at this level anymore."

8 I think on the consumer side we can help match
9 that and meet that and work together but let consumers be
10 more of an active participant. They get their data and
11 they get access to this information because they want it.

12 Actually, there, I guess, are some studies that [show
13 that] some people, if they are asked as part of a
14 research study, think they should get their data. I
15 think it has been pretty obvious that that is going to be
16 the case going forward.

17 If that is the interest of consumers, then we
18 want to be here to give them some kind of an access and
19 some sort of an interface to that information. The
20 overall goal is to improve health care and to work
21 together and work with you. We are really excited about
22 continuing this conversation. So, thanks again.

1 [Applause.]

2 MS. AU: Thank you, Ms. Avey. Our next
3 presenter was supposed to Kari Stefansson, but he is
4 stuck in Iceland, not Switzerland.

5 [Laughter.]

6 MS. AU: So, Jeff Gulcher will be presenting
7 for Dr. Stefansson. Thank you. Welcome.

8 **Presentation by Jeff Gulcher, M.D., Ph.D.**

9 **deCODEme**

10 DR. GULCHER: I'm a little shorter than Kari
11 and have less hair.

12 Kari and I founded deCODE about 12 years ago.
13 The goal was to try to find genes for common disease that
14 might help in predictive diagnostics and also in
15 targeting novel drug pathways, finding out which pathways
16 might be most important.

17 We set up in Iceland so we could focus on one
18 population. We have collected about 140,000 Icelanders
19 with informed consent specifically for diseases that we
20 ask them about. We also have collected about 230,000
21 non-Icelandic samples from around the world: Europe, the
22 U.S., Asia.

1 That is very important because when we make
2 discoveries in Iceland with this very large cohort we
3 want to be able to rapidly replicate and determine
4 whether or not there is validation outside Iceland. We
5 have a whole host of Caucasian, Asian, and African
6 cohorts to do that.

7 We started doing linkage studies using our
8 genealogy database. That is how we made our discovery
9 for TCF7L2 and for the 8Q region for prostate cancer
10 initially. We have expanded and added genome-wide
11 association data using the Illumina platform from 370,000
12 to a million SNPs for an individual, and we have
13 genotyped about 45,000 Icelanders now with these high
14 density systems to allow us to do a combination of
15 linkage family-based studies or genetic association
16 studies.

17 The whole goal of deCODE from a risk diagnostic
18 point of view was to make available some of the
19 discoveries that we and others have made for common
20 diseases, just picking diseases where we thought the
21 relative risk compared to the general population might be
22 high enough to have an impact on prevention and early

1 detection, at least in some cases and some niches.

2 That was the reason why we launched disease
3 risk tests for individual diseases like myocardial
4 infarction, type II diabetes, glaucoma, prostate cancer,
5 and atrial fibrillation and stroke. We thought the risk
6 ratios were high enough to perhaps have an impact in
7 certain circumstances.

8 These of course are using only markers that
9 have been validated or replicated in six to 60 different
10 populations around the world. In some cases it is only
11 in Caucasians, in other cases it crosses ethnic lines.
12 We make that clear in our reports.

13 Physicians are already using some of this
14 information in their practices to help risk stratify
15 certain patients in certain circumstances. For example,
16 the type II diabetes gene that we discovered, TCF7L2, we
17 include that in a complement of four type II diabetes
18 genes that decode T2. We are about ready to upgrade that
19 to eight genes.

20 But already TCF7L2 has been shown in a
21 prospectively collected sample cohort, the DPP study of
22 pre-diabetics, to further double one's risk of converting

1 to type II diabetes within a short period of time. The
2 absolute risk of an overweight or obese prediabetic who
3 is homozygous for TCF7L2 converting is about 50 to 70
4 percent within three to four years. That is based on the
5 DPP study and the DPS study that was done in Europe as
6 well.

7 Here is a special niche for a diabetes variant
8 that has been widely replicated in 60 different cohorts
9 but has a certain potential clinical utility of
10 identifying patients who have prediabetes who are at
11 especially high risk for converting. The baseline
12 conversion rate is about 30 to 35 percent. This further
13 doubles that on top of, obviously, the risk factors of
14 prediabetes itself and obesity and being overweight.

15 ADA has recently addressed this issue of trying
16 to identify prediabetics, number one, and encouraging
17 those patients to lose weight. Then, for those who fail
18 to lose weight who have additional risk factors for
19 conversion, those patients might benefit from
20 pharmacologic management with Metformin and now,
21 recently, with Actos.

22 When it comes to personal genomics, is there a

1 role for a direct access to consumers with or without
2 their physicians. We saw a way, like the other
3 companies, to make that accessible. Not to say that
4 individuals work with this information in a vacuum. We
5 encourage them to talk with genetic counselors. We do,
6 by the way, offer genetic counseling and have done so for
7 the last six months of our service for free. But we also
8 encourage them more specifically to work with their
9 physicians because we think they actually have a much
10 bigger role to play when it comes to prevention or early
11 detection of cancer or other diseases.

12 We have been able to pick and choose some of
13 our discoveries to add into some of these diagnostic
14 tools and risk genetic tools. We have been putting
15 together large systems of information to allow us to do
16 the replications.

17 For deCODEme, we offer about 30 diseases now.
18 Since there was a large discussion yesterday at the HHS
19 meeting about analytical and clinical validation, I want
20 to convince you that analytical validation for genetics
21 is a lot simpler than analytical validation for CRP or
22 even LDL cholesterol measurements. It is because you can

1 document the accuracy of your genotyping, whether it is
2 individual SNP genotyping or an Illumina array, for
3 example, like we use.

4 You actually have 15-fold sampling for Illumina
5 array. You have 15 beads that are assessing the
6 genotype. So there is redundancy that you can make use
7 of in your quality control. We think we are compliant
8 with CLIA, and we are required to do so under our
9 certificate of registration with CLIA. The accuracy can
10 be measured. It can be documented through repeated
11 testing and matching the gold standard that the FDA sets
12 for genotyping, which is sequencing, which we do for all
13 the variants that we annotate, the 100 or so variants
14 that we annotate.

15 Then we do quarterly proficiency testing, which
16 is also a requirement of CLIA.

17 On top of that, we do clinical validation and
18 document those clinical validations that we define the
19 way FDA defines it. That is, replicating the markers and
20 demonstrating that those markers are consistent across
21 populations. That is not a formal requirement by CLIA,
22 surprisingly, but that is something we voluntarily add.

1 In addition to our analytical validation reports, we send
2 the clinical validation reports also to CMS as part of
3 our demonstration that these results are reliable.

4 When it comes to the genetic markers that we
5 annotate at deCODEme or the individual disease tests,
6 they are all well validated. We don't have any
7 preliminary data or diseases where there might be one or
8 two studies. They need to be replicated widely before we
9 put them into our risk classification.

10 I should emphasize the relative risk. It is
11 not just that these markers replicate. The important
12 thing also when it comes to clinical validation is how
13 you assess the appropriate relative risk to attach to a
14 particular genotype. What is that based on. Is that
15 based on just a few hundred patients; is that based on
16 thousands of patients.

17 Most of these variants are actually based upon
18 data sets that we use that include up to 10,000 patients
19 and controls. In some cases it may be 5,000 patients and
20 30,000 controls, in other cases 12,000 or 17,000 patients
21 and another 30,000 controls. So the bases for these
22 assessments of relative risk are actually based on data

1 sets that are much larger than are used for FDA approval
2 or diagnostic tests that are approved by the FDA.

3 Then the question becomes what can you do with
4 that information. Can you combine that information
5 reliably, in a reliable and consistent manner. What we
6 do is we convert each one of these variations, these odds
7 ratios, into a relative risk, risk compared to the
8 general population, to have a consistent reference
9 population to attach that risk.

10 Then we simply multiply those risks together,
11 because we and others have demonstrated that for the vast
12 majority of these diseases, from the various studies that
13 Teri mentioned, you see no interaction whatsoever, even
14 with these large, large data sets. When we combine our
15 data sets with others, we fail to find significant
16 interaction terms to suggest that these are either
17 redundant or synergistic interactions. Therefore it fits
18 a multiplicative model, and we think we are justified at
19 multiplying these relative risks together in a
20 multiplicative model way. That can be useful to assess
21 the risk for a particular individual.

22 When it comes to the clinical validation and

1 the replication, just since Dr. Khoury had questioned
2 that yesterday, let's take prostate cancer. These
3 markers have been replicated in large numbers of cohorts
4 even in our initial discovery papers, where we have large
5 numbers of patients. You can see at the bottom there are
6 3,500 patients versus 14,000 controls, and there are five
7 or six different Caucasian cohorts that we have in the
8 United States and Europe. Also, on 17q, another 3,500 to
9 14,000 controls, and so on.

10 There is Chromosome 8X discovery. The patient
11 population is 10,000 patients, 28,000 controls. I just
12 put this up as an example. We are in a different era now
13 than we talked about yesterday. Now, to even get
14 published in Nature or New England Journal, you have to
15 have wide replication.

16 That is the new standard today that was
17 encouraged by Dr. Collins and others over the years. Now
18 that standard exists and you can't even publish these
19 discoveries until you have replication in your seminal
20 study.

21 I should mention that many other groups have
22 replicated these markers as well beyond our studies, for

1 example NCI and U.K. Cancer. But when you take these
2 relative risks together, you can multiply these
3 individual relative risks, genotype-specific relative
4 risks together. Just like Dr. Collins did in the fusion
5 study and in his genome-wide association study on
6 diabetes with his colleagues, you can multiply these and
7 come up with a composite genetic risk for that particular
8 individual that maps out across a general population like
9 this.

10 These are the eight markers across a general
11 Caucasian population. About 10 percent of the general
12 Caucasian population has an average risk of two-fold,
13 compared to the general population.

14 Lifetime risk for prostate cancer is 16
15 percent. That would translate to a lifetime risk of 32
16 percent in the absence of other risk factors.

17 What are the other risk factors for Caucasians.

18 Nothing but family history. The common variants are
19 independent of family history. Less than 5 percent of
20 the general population has a family history of prostate
21 cancer, so that is not necessarily the best screening
22 tool when it comes to risk for prostate cancer. But if

1 you have this, you have another 10 percent. You have
2 doubling of risk of prostate cancer that is independent
3 of their family history.

4 We try to communicate these results in a clear
5 and consistent manner. We describe it in terms of
6 relative risk because we think the patients and the
7 physician can understand that much better than trying to
8 create a table of odds ratios. They don't need even need
9 to know what a SNP is and they don't need to know much
10 about Mendelian genetics because these are risk factors.

11 These are not Mendelian determinative risk factors.
12 These are actually risk factors that are much more
13 analogous to LDL cholesterol.

14 So if you can give a reliable risk score for
15 that individual, they can incorporate that with the
16 environmental and other risk factors that they use on
17 their daily basis. They also convert this into a
18 lifetime risk.

19 When it comes to consistency among the three
20 different companies, you did see from David Duncan's talk
21 that actually, on the face of it, if you look at the
22 relative risks, we are actually fairly consistent across

1 the three different companies. He already mentioned that
2 the accuracy of the genotyping seems to be very high.
3 But when it comes to combining the markers, we are doing
4 it in a little bit different way.

5 We are getting together with PMC to come up
6 with an industrial standard, so to speak, and getting
7 feedback from academia so that we try to do it in a
8 consistent way. But already it is actually fairly
9 consistent.

10 The differences and the variation seem to be
11 more in those rare instances where you are using a
12 surrogate that is a little bit different for the original
13 marker, the marker that was initially reported.
14 Although, those are still well validated, those extra
15 surrogate markers.

16 From that standpoint, I think there is a role
17 for additional consistency, but already I think the
18 results are quite consistent across the different
19 platforms. Some of this depends on whether or not they
20 have updated the latest prostate cancer genes, for
21 example, in their profile as fast as some of the other
22 companies.

1 I gave some examples, but I think David Duncan
2 already did a nice job demonstrating the comparison.

3 Are these tests useful today? That is the
4 other debate. Francis says that maybe only 10 percent of
5 the genetic variance or less, or a few percentage points,
6 are accounted for, and that is debatable. We agree,
7 though, that the vast majority of genetic information has
8 not been captured by this.

9 But, is it still useful to identify those at
10 highest risk or not with these tests. We would contend
11 that even for the heart attack gene, the MI gene, that we
12 and others have discovered, it appears to have an effect
13 that is independent of your Framingham score. When you
14 talk about LDL cholesterol by itself, it has very little
15 impact on the AUC. The AUC is still only 55 percent or
16 less with just LDL cholesterol. To push up the AUC you
17 have to combine it with conventional risk factors.

18 This is a major risk factor that is not being
19 accounted for by the Framingham score and has the
20 opportunity of moving a low-risk patient, based on ATP3
21 criteria, up to intermediate risk, or from intermediate
22 risk to high risk.

1 There was a recent prospective study done by
2 Stephen Humphries [in the U.K.] that showed in this 15-
3 year prospectively collected cohort that adding these
4 genetic markers on 9P actually reclassified 15 percent of
5 the patients that were originally classified with just
6 Framingham according to the ATP3 criteria. About 5
7 percent of those patients overall were in the
8 intermediate category and went from intermediate to high
9 risk category. So it shows how it can actually have some
10 utility.

11 What would you do differently. As a physician,
12 you would target the LDL cholesterol to a different level
13 based on that risk. That is what is recommended by NCEP3
14 guidelines.

15 I gave you my example on prostate cancer
16 yesterday. For atrial fibrillation, we discovered these
17 two markers which are very strong and by themselves
18 double one's risk for atrial fibrillation. Twenty-five
19 percent of us in this room have these high-risk
20 genotypes.

21 What is interesting is these are by far the
22 strongest-acting stroke genes. If you do a genome-wide

1 association study for stroke, and our publication will
2 come out in the next few weeks on that, it turns out
3 these by far are the strongest-acting genes. Why is
4 that. Only 15 percent of stroke is due to atrial
5 fibrillation. That is what is thought to be. But it
6 turns out that there is much more atrial fibrillation
7 that contributes to stroke, especially in the cryptogenic
8 stroke category -- that is stroke of unknown cause -- and
9 even in large vessel stroke categories that was not
10 realized until we did these studies.

11 Now we are doing a very large observational
12 study to demonstrate this, but we estimate that 150,000
13 stroke and TIA patients are misdiagnosed as having a
14 different type of stroke or a stroke of unknown cause
15 where they really had intermittent atrial fibrillation
16 that is asymptomatic and that can be picked up by extra
17 cardiac monitoring.

18 If you applied our genetic test and you did the
19 extra cardiac monitoring for a few extra weeks when the
20 patient is discharged from the hospital, based on
21 prevention, putting the patient on the correct drug,
22 moving them from an anti-platelet to Warfarin if they do

1 indeed have atrial fibrillation on monitoring, that can
2 save the healthcare system \$1 billion a year.

3 Finally, for those who didn't see my case study
4 yesterday, this is me, 48 years old. I have no business
5 testing my PSA, at least based on guidelines, even though
6 my father had late-onset prostate cancer that was
7 considered benign. According to the guidelines, family
8 history only counts if your father was younger than 65.
9 But I was compulsive enough to get it at age 42, with low
10 normal.

11 When I got my prostate cancer test results back
12 using these eight markers that I showed you, my relative
13 risk was 1.88 compared to the general population.
14 Calculated lifetime risk for a white male would be 30
15 percent based on this.

16 I also had extra markers that suggested that I
17 am more likely to have the aggressive form rather than
18 the non-aggressive form of prostate cancer by about 1.3-
19 fold, not dramatic. That prompted my primary care
20 physician to get another PSA test.

21 This time my PSA was still in the normal range.
22 Zero to four is normal range. But my PSA was high normal

1 at 2.5. The anagram is coming up later.

2 My high genetic risk prompted my primary care
3 physician to refer me to a urologist. He was concerned
4 enough to do a biopsy and found that I have prostate
5 cancer with a Glisson score of 6. That is intermediate
6 grade. I will have that taken out by Bill Catalona in
7 two weeks.

8 Now, this is just anecdotal of course, but once
9 again, can we try to improve the sensitivity and
10 specificity of the biomarkers that we are using today,
11 which we all agree are not perfect. Can we improve that
12 specificity and sensitivity by adding extra genetic
13 information, just like family history is already being
14 used to guide these types of managements. Thank you very
15 much.

16 [Applause.]

17 MS. AU: Thank you, Mr. Gulcher. Our next
18 speaker is George Church. Dr. Church is founder of Knome
19 and the director of the Personal Genome Project. Dr.
20 Church is a professor of genetics and the director of the
21 Center for Computational Genetics at Harvard Medical
22 School. He has been at the forefront of DNA sequencing

1 technologies, including the development of the first
2 genomic sequencing method, in collaboration with his
3 dissertation advisor Walter Gilbert in 1984. His
4 research continues to foster high throughput technologies
5 for molecular biology.

6 Welcome, Dr. Church.

7 **Presentation by George Church, Ph.D.**

8 **Knome, Inc.**

9 [PowerPoint presentation.]

10 DR. CHURCH: Thank you. I want to thank all of
11 these government agencies, as well as companies that we
12 work with very closely, and also disclose possible
13 influences that we have had.

14 From the discussion so far, I wanted to say
15 that when we say "personal empowerment requires prior
16 validation," which was a conversation that came up
17 earlier, one of my take-homes here is that a lot of what
18 we are doing in the Personal Genome Project and at Knome
19 and to some extent in my advisory role at 23andMe is
20 research. It is empowering people to do research rather
21 than empowering them to influence their medicine right at
22 the moment.

1 I think that is incredibly important in the
2 sense that there is a very strong attitude among many
3 people, certainly not everybody, where we want to learn
4 about the world at some risk to ourselves. We will
5 explore the planet and risky areas of the planet
6 individually. We will look at investments. We will look
7 at the Internet. These are all risky environments in
8 different ways that affect your quality of life, and they
9 are probabilistic decisions that aren't necessarily any
10 more complicated or less complicated than genetics, and
11 they are moving targets.

12 This is the other end of the spectrum, I think,
13 from the big four or five we are talking about here
14 today, where we have various ways that people are doing
15 their own genetics. Many people know about the
16 genographic project, which is mainly ancestry. But in
17 addition, Hugh Rienhoff was on the cover of Nature for
18 trying to understand his daughter's illness, and he is
19 doing this basically in his home.

20 But what is happening is that there are people
21 that, rather than hiding from their personal genomics,
22 for which there is no cure, they are embracing it, they

1 are becoming activists, and they are saying we can do
2 something for our family by doing research on our
3 ourselves and people like this, ranging from my colleague
4 Doug Melton, whose family has diabetes, to Hollywood
5 blockbusters about lipid biochemistry that Nick Nolte
6 became, representing Augusto Adone and his son. Nancy
7 Wesler. We have already heard about Michael Fox and so
8 forth.

9 Next slide. So, in this context of course, all
10 of those and some of the people we have heard about today
11 are saying privacy is not their top concern. But even
12 when it is, there are many ways that privacy is
13 compromised when you put things anywhere other than in a
14 vault. You can have a laptop theft where 26 million
15 veterans' data got out. You can get a case where a 15-
16 year-old person wanted to know his anonymous sperm donor
17 father and took a cheek swab and did a genealogy which
18 narrowed it down to an individual that he found and
19 confirmed was his father.

20 There are many, many ways that data get out,
21 and it is unrealistic to overpromise. We certainly want
22 to try to make it as private as possible.

1 When we talk about the research landscape here,
2 we have standard research on the far right here, where we
3 have open access as long as there is no trait data, such
4 as the 1,000 Genome Project HapMap, and we have various
5 types of approaches that are increasingly returning data
6 back. We have already heard about the Reveal study with
7 my close colleague and so forth.

8 But typically, the data are kept de-identified
9 or safe from the individuals that donated it.

10 At the other end, you have it only available to
11 the individuals with marginal ways of getting it into the
12 public domain. Then we are exploring ways in the middle
13 here where we can make it both publicly available,
14 connecting DNA and traits, and yet not overpromise on
15 privacy, particularly recruiting people who have passed
16 an exam with 100 percent on the questions. That is Item
17 No. 4 here.

18 One of the goals of this project, which has had
19 IRB approval since 2005, is to really try to get ahead of
20 the curve. Of course, the curve has well caught up with
21 us at this point. But the idea is to bring technology to
22 bring down the cost of not just the coding sequence but

1 the regulatory data -- by "regulatory," now we are
2 talking about RNA regulation, not the kind of regulations
3 we are talking about here -- that Teri mentioned. Maybe
4 6 percent is coding in GWAS studies, but closer to 90
5 percent in the rarer diseases that populate online
6 Mendelian inheritance.

7 We want full subject participation, which is
8 not unusual in this context. We have multiple samples to
9 make sure we have the identity. We have open access. We
10 have a trait questionnaire. We have stem cell RNA I will
11 mention in a moment, and we have now IRB approval to
12 scale up to 100,000 individuals.

13 We are focusing on sequencing, and I think Ryan
14 Phelan will talk in a little while. This is one of many
15 tests that constitute the best of the genetic
16 diagnostics, but this illustrates that, in contrast to
17 the big three that are producing chip-based analyses,
18 when you really want to go into detail on a test like
19 BRCA1 and BRCA2, you are typically talking about
20 sequencing, not chips. That is not necessary forever,
21 but that is typically the practice now.

22 DNA Direct has something where you actually

1 will see causative alleles which change the reading frame
2 for tumor-suppressor like BRCA1. This has to be very
3 carefully interpreted at the DNA sequence level because
4 the consequences are very serious even the preventative
5 sense, where people will do a bilateral mastectomy if
6 they trust the interpretation of the data.

7 Now, we have alluded to but haven't really
8 touched on yet this next generation sequencing, which has
9 changed our perspectives of what is possible
10 tremendously, possibly by a factor of 1,000 drop in
11 price. You will see this in a later slide.

12 There are at least two classes of chemistry.
13 We are trying to produce a platform that will support
14 multiple versions of each of these classes of chemistry
15 based on DNA polymerase or ligase. Rob Mitra helped
16 worked on the polymerase version in 1999, and a couple of
17 companies, Illumina and Intelligent Biosystems, use this.

18 The same thing can be done for ligase. We are
19 using fluorescently colored monomers or multimers which
20 can be discriminated by these enzymes. This is something
21 that Jay Shendure and Greg Porreca developed.

22 Now, in addition to those commercial

1 instruments, we have an instrument which is kind of at
2 the fringe in between academic and commercial which we
3 call a polonator which is intended to be an unusual model
4 and which is completely open-source hardware, software,
5 wetware. We are just opening it up to the community so
6 they feel empowered to change any part, and it is
7 intended to be easily modular.

8 Now, maybe only 5 percent of the research
9 community will want to change it, but that 5 percent will
10 greatly aid the other 95 percent.

11 So this is \$155,000, which is about four times
12 less than our previous contribution to the applied
13 biosystems solid device, which is \$600,000, and similar
14 to even lowering in prices of the reagents and reagent
15 use.

16 Next slide. What does that kind of technology
17 result in. It results in plummeting costs which are
18 faster than the already very rapid Moore's Law for
19 Computing. Moore's Law for Computing is about a 24-month
20 improvement in service for a given price point for
21 computers, and this is more like a six- to 12-month
22 doubling time, going from a fairly low estimate cost of

1 \$100,000 per million base pairs towards the end of the
2 Human Genome Project, plummeting -- this is a logarithmic
3 plot, as you can see -- down so that we are getting close
4 to \$1,000 a genome very, very soon. Multiple
5 technologies are going along this pathway at slightly
6 different points.

7 We can see how this plays out in the consumer
8 market here in the genographic project, which is arguably
9 the most popular out. Two hundred thousand people have
10 done it. It has a very high price tag per base pair or
11 per bit of information, but still people are very curious
12 about their ancestry and they are willing to pay a lot,
13 \$99 for 12 bits of information.

14 DNA Direct has very high quality and medically
15 actionable information, mostly done with DNA sequencing
16 technology which historically has been expensive but has
17 been plummeting, according to this plot here.

18 We are already familiar with these. Then, the
19 Personal Genome Project has a cluster of four points here
20 because we are not just doing genomics, we are doing
21 coding regions, regulatory, microbiomics, and so forth.
22 But they all have roughly similar price per mega base

1 pair.

2 Then Knome is the only company that really
3 offers full genome sequencing. It is currently \$350,000
4 and likely to go down on that same curve very soon.

5 So it is not just genomes, as David mentioned
6 in his talk earlier. There are environmental components
7 which are very important. When you say "personal
8 genomics," you should be thinking about the regulatory
9 elements which might be less expensive and more
10 interpretable if analyzed at the RNA level.

11 Some of the environmental components can be
12 measured either by measuring the microbiological
13 components, allergens, microbes, viruses, or their impact
14 on the immune system, which, rather than being a spike of
15 microorganisms, it might be clear from the system will be
16 a longer term persistence leading to traits. So we don't
17 just go from genome to traits. We go through this
18 regulatory and environmental filter.

19 Next slide. In order to get at some of these
20 RNA regulatory interactions with the environment, in the
21 Personal Genome Project we have included multiple cell
22 types from adults whether they are healthy or diseased,

1 and we don't do it by assaying all of the different
2 tissues from the PGP volunteers. Even though they are
3 really gung ho, they really draw the line at a thousand
4 biopsies.

5 [Laughter.]

6 DR. CHURCH: Instead we take one biopsy from
7 the skin from which we have established stem cell lines,
8 and we are making these available to the community, from
9 which you can reprogram to almost any tissue you want.
10 This is of course a very fast-moving target as well.

11 We want to be able to do biology on these
12 individuals as well as inherited germ line genomics. At
13 the extreme of that is looking at the microbiological
14 components in general, viruses and bacteria, and not
15 necessarily the whole genome but selected parts. Just
16 like we might want to do different assays for the
17 inherited genome that go beyond SNPs, we might want to go
18 beyond SNPs for microorganisms.

19 Here we have studied the resistance settlement
20 to 18 different major classes of antibiotics over 140-
21 some days in some of the Personal Genome Project
22 volunteers. A big solid blue means that each of these

1 isolates along the X-axis is resistant to multiple
2 antibiotics along the Y-axis. This was a surprising
3 result and was actually an outlier both for this
4 individual and for other individuals done on the same
5 day. But this is the kind of background information that
6 you could do by highly targeted analysis of microbiomics.

7 MS. AU: Dr. Church, could you just wrap it up
8 in about 15 seconds?

9 DR. CHURCH: The next slide is the last slide.

10 The questions I wanted to add to the questions
11 that were given are, how do we fund these association
12 studies in education. Is there a role for direct-to-
13 consumer companies. How do we celebrate and incentivize
14 the best protocols, not just scare the worst and
15 reinforce the oldest.

16 What about do-it-yourself genetics; is that
17 going to be completely outside the direct-to-consumer we
18 have been talking about. There is this risk of gene
19 information. There are many other things that people do
20 that are probabilistic that I mentioned. There is the
21 risk of not educating. I don't think anybody is
22 seriously considering that. What kind of model do we

1 have. Is insurance an interesting model, where healthy
2 people like David still have a finite risk. Thank you.

3 MS. AU: Thank you.

4 [Applause.]

5 MS. AU: I will turn it back to Steve and Rick
6 for a little bit. Ms. Phelan is going to talk about the
7 Secretary does his thing.

8 **Introduction of the Secretary**

9 **Steven Teutsch, M.D., M.P.H.**

10 DR. TEUTSCH: As all of you can see, we are
11 extremely fortunate today to be joined by Secretary
12 Leavitt. As many of you know, the Secretary has shown
13 enormous initiative in the area of personalized health
14 care, for which we are very grateful.

15 This Committee, Mr. Secretary, has been working
16 very diligently for a long time, and we are really
17 grateful that the report and efforts that we have done
18 have reached your office and are getting the kind of
19 scrutiny that we hoped they would. We really appreciate
20 your leadership.

21 For those of you who aren't aware, the
22 Secretary was formerly governor of the State of Utah and

1 born and bred in Utah, so it is a particular privilege
2 for me to introduce you to the group. We look forward to
3 having your thoughts. Thank you.

4 **Remarks by the Secretary**

5 **Michael O. Leavitt**

6 SECRETARY LEAVITT: Steven, thank you very much
7 for your leadership of this group. I had a chance to
8 meet with Reed Tuckson a couple of months ago at the
9 interchange, and I didn't have a chance to publicly thank
10 him but I want you all to know I appreciated his service.

11 I expressed it to him directly.

12 Thanks for indulging my unscheduled visit. I
13 did want to just come and thank you for your service.
14 This is an area of quite particular interest to me. We
15 are struggling with so many different issues where there
16 is a need for balance and finding that place between
17 fostering innovation and, at the same time, giving people
18 the sense of confidence that they need.

19 It is so seldom that we are ever close to the
20 curve or ahead of the curve, and I think many of the
21 issues that you are dealing with are on the leading edge
22 of the curve. There is a good possibility we will be

1 able to stay somewhat ahead in terms of the policy
2 decisions.

3 I was very pleased and appreciative of all the
4 work that went into the passage of GINA, and I want to
5 acknowledge my great admiration for Francis Collins. As
6 I'm sure all of you know, he is not only going to be
7 leaving the panel but also HHS. He is going to go on to
8 do great things and we will all have a chance to see him
9 and be involved with him.

10 Francis, I just want to tell you how much I
11 have valued our relationship. I have told you that a
12 number of times privately, and I want to say it publicly,
13 too.

14 DR. COLLINS: Thank you.

15 SECRETARY LEAVITT: Thanks for all your
16 contributions.

17 I will just mention, in the same spirit of
18 staying ahead of the curve on these policy issues, today
19 it just happened on my desk landed an FDA release. The
20 U.S. Food and Drug Administration has approved a novel
21 genetic test for determining whether patients with breast
22 cancer are good candidates for treatment with the drug

1 Herceptin.

2 We are going to see a lot of this. We [need
3 to] have the capacity to stay ahead of the policy issues
4 related to it, and there are so many still to be dealt
5 with. I have spent a lot of my time as Secretary dealing
6 with what I think is going to be a convergence, a
7 convergence of information available electronically that
8 we can use to provide patients with unparalleled
9 information about the quality and the cost of their
10 health care. I think that will lead us into an era where
11 we will be able to make judgments on the effectiveness of
12 drugs and effectiveness of different procedures.

13 I was in Singapore a few weeks ago and saw a
14 collaboration that is going on there with NIH. We were
15 looking at the various generations of being able to
16 sequence and essentially lay out an individual genomic
17 profile. It again called to my mind how rapidly this is
18 changing. We talked about the speed with which that
19 could be accomplished over the course of just a few
20 years.

21 It is evident to me that at some point this
22 will be very common. If we don't have the ability to

1 manage this information in a standardized way, if we
2 don't have the capacity to protect the privacy of those,
3 that there will be a great opportunity lost.

4 I think we are ahead of this curve, and so I
5 want to thank all of you for being willing to struggle
6 with these quite significant dilemmas. It is of great
7 value to me as Secretary, and it will be to future
8 Secretaries.

9 Steven, I didn't want to disrupt the meeting
10 too much. If there are subjects that your colleagues
11 would like to inform me on directly --

12 [Laughter.]

13 **Question-and-Answer Session**

14 SECRETARY LEAVITT: This would be a very good
15 time to do that. If they have a question or suggestion,
16 I would love to interact a little with your group.

17 DR. TEUTSCH: Please do. Comments or
18 questions? As you can see, the topic is of great
19 interest. We have a standing room crowd. Please take
20 advantage of this opportunity to engage the Secretary.

21 SECRETARY LEAVITT: In this kind of a crowded
22 council generally there is a fight.

1 DR. TEUTSCH: So far so good.

2 [Laughter.]

3 DR. TEUTSCH: Julio.

4 DR. LICINIO: Yes. I have a comment. I'm
5 Julio Licinio from the University of Miami. As we have
6 seen, particularly in today's meeting, there has been a
7 lot of advancing genetic information being made by the
8 private sector directly to consumers. The special
9 academic health centers and the more traditional side of
10 medicine have been a little more hesitant. The two sides
11 look at each other with some degree of suspicion.

12 Do you have any ideas or thoughts of how we can
13 move ahead with 1) the direct-to-consumer approach, and
14 2) the traditional medical approach?

15 SECRETARY LEAVITT: I won't resolve that today.

16 [Laughter.]

17 SECRETARY LEAVITT: But I do think that that is
18 the type of question I was talking about. How do you
19 give people the sense of security they have, at the same
20 time allowing innovation to go forward. I believe that
21 if people are given information that is high quality and
22 that is consistent they will use it in a way that will

1 drive their own interest and, in doing so, will drive the
2 quality up and the cost ultimately down. I think having
3 the capacity for consumers to have access to it is going
4 to be of enormous importance.

5 I don't want to weigh in on either side of that
6 today except to just acknowledge the struggle and to say
7 I think it is a positive struggle because it is in the
8 tension between those that both sides of the debate will
9 ultimately be improved.

10 I don't have anything beyond that to offer
11 except to say the struggle is good. It will create a
12 positive outcome because both sides have legitimate
13 interest and legitimate points of view. I think that it
14 is often the case that consumers are underestimated in
15 terms of their capacity to sort through these things, and
16 I think there is often a sense of well intended
17 protection that we want to provide that sometimes can
18 constrain progress. Somebody needs to be pushing the
19 envelope a little, and yet, at the same time, someone
20 needs to have the brake on just enough to keep us on the
21 road.

22 DR. COLLINS: Mr. Secretary, can I ask you a

1 broad, overarching question? First of all, thank you for
2 your very kind comments a moment ago. It has been an
3 absolute privilege to be part of this Department and to
4 serve under your leadership. To have someone as the
5 Secretary who has such an interest and such a fund of
6 knowledge about personalized medicine has been just truly
7 gratifying, and I think I speak for all the people on
8 this Committee in saying so.

9 SECRETARY LEAVITT: I have had great teachers.

10 DR. COLLINS: One of the reports this Committee
11 put out was on reimbursement for genetic tests. I think
12 as we are both excited about the potential here of
13 personalizing prevention, we are also wondering how that
14 is going to get implemented in a circumstance where, at
15 the present time, our medical care system seems to be
16 more devoted towards reimbursement for actual disease
17 than it is for covering the possibility of prevention.

18 At the same time, I think many economic models
19 would say we have to change that if we are going to do
20 something to rein in what is otherwise a really scary
21 curve of what proportion of our GDP is going to go to
22 health care.

1 So, from where you sit, can you project at all
2 what the opportunities are here for taking the science,
3 which is putting us in a position to do a better job of
4 prevention, and actually putting that into an economic
5 framework that will enable implementation across the
6 board, not just for people who have a lot of their own
7 personal resources to invest in this with their own
8 pocketbooks but as a more general public health strategy.

9 SECRETARY LEAVITT: I will just say it has to
10 happen. It is just a function of, really, when people
11 are confident enough in the science and we have an
12 economic model that will demonstrate the capacity for
13 this to provide long-term savings.

14 I'm aware of this issue. I get lots of mail
15 about it.

16 [Laughter.]

17 SECRETARY LEAVITT: I suspect some from people
18 in this room.

19 I know CMS is still wrestling with this. I had
20 a briefing and a conversation not long ago where they
21 laid out what they saw as the competing considerations.
22 But it must have happen, and it ultimately will. I just

1 think it is a function of when are we confident enough
2 with the science and when does the economic model warrant
3 it.

4 One of the things that gets into this
5 discussion that is unfortunate in my mind is the way the
6 federal government scores its budget. We don't score
7 prevention very favorably. We don't give credit in the
8 development of budgets in the scoring model for good,
9 thoughtful, preventative measures. I believe that has to
10 change across the board, and that will be part of what
11 happens. It is not likely to happen in the next 197
12 days, but I think it will happen.

13 DR. TEUTSCH: Paul.

14 DR. BILLINGS: Mr. Secretary, thank you for
15 your work on GINA as well. I was going to ask you how
16 this Committee can be optimally useful to you in your
17 last months of tenure and how you think we might be
18 ultimately useful to the incoming Secretary.

19 SECRETARY LEAVITT: The report about the
20 oversight of genetic testing, that is a very useful tool.
21 It helps very much for a secretary to have a place where
22 he can toss a difficult question and say "Give me the

1 best solution here." I think you can expect that the
2 work of this panel will just increase. There is an
3 endless number of thorny, difficult policy issues that I
4 believe I and future secretaries will be addressing to
5 this group for solutions.

6 So I would just say what you can do is just
7 show up and give your best advice, because there is going
8 to be a lot of it required.

9 DR. TEUTSCH: Muin.

10 DR. KHOURY: As a fellow federal employee, we
11 probably haven't met, Mr. Secretary. I'm Muin Khoury
12 from the CDC National Office of Public Health Genomics.
13 I just wanted to thank you for your leadership the last
14 few years. I was going to follow up a bit on the
15 oversight report since the Committee has really worked
16 hard over the last few months and gave you that report.
17 There is lots of good information.

18 It is befitting that you would show up in a
19 session that we are talking about personal genomic
20 services because the field is moving so quickly. As you
21 started saying, we need that balance between innovation
22 and also, at the same time, high quality information to

1 the consumers and the need for oversight and the federal
2 government to do just the right thing, not too much of
3 one thing versus the other.

4 I was wondering whether or not there is going
5 to be any movement on that report during the next few
6 months.

7 SECRETARY LEAVITT: The places that it helps
8 the most are in the debate we just talked about where you
9 have money decisions being made based on the movement of
10 science. There is little question in my mind, as I
11 suggested, that we have to ultimately deploy a model
12 where we can use these tools in prevention. The issues
13 really will boil down to when does the science reach the
14 point that we can reliably depend on it and when can we
15 get government policies aligned with it.

16 I don't know how close we are to that delta
17 because there is a lot of thought being given to it other
18 places in the Department. I don't want to either bias
19 or, for that matter, improperly inform about it. So I
20 won't say more except that I do want you to know that the
21 report is both useful and being used and moving us toward
22 the point where those cross in making that determination.

1 DR. FITZGERALD: Thank you, Mr. Secretary, for
2 coming and being with us today. A quick question,
3 somewhat logistical, very practical, but I think one that
4 is becoming more and more important to this Committee as
5 we do exactly what you say, which is to try to address
6 the multiplicity of issues.

7 Logistically, in the past our responses have
8 tended to be focused on these larger reports, which I'm
9 sure are very helpful to you if you have insomnia.

10 [Laughter.]

11 DR. FITZGERALD: But it might be also useful if
12 we focus on perhaps more brief responses, more directed
13 responses, things that might have to be done in between
14 our semi-annual meetings.

15 The question that we have been wrestling with a
16 little bit yesterday and we will wrestle with a little
17 bit today is, are there other ways in which we could get
18 information to you in a timely manner, perhaps in a more
19 focused way. Would that be helpful?

20 [I would just] say, too, Rick and Greg have
21 been wonderful in giving us direction from your office
22 and helpful advice on how we could best serve the

1 Department of HHS.

2 So I'm just wondering, is that something that
3 we should be looking at more or are these reports really
4 the way we should be focusing our efforts?

5 SECRETARY LEAVITT: Let me be truthful about
6 this. The executive summary of a report is the most
7 important thing to me.

8 [Laughter.]

9 SECRETARY LEAVITT: I don't wade through the
10 depth of things I don't understand. I do find the
11 summaries very useful.

12 To be honest with you, where I turn is to Rick
13 and Greg, who are very much involved in helping me have
14 the information I need and in some cases defining the
15 questions I need to ask. So let's pose that to them.

16 [Laughter.]

17 DR. CAMPANELLI: The question was are the
18 longer versions of these things or are there more
19 targeted things. I think right now I would say that for
20 our tenure here that we have a lot to chew on in the
21 report that you have given us, and we are chewing on
22 that.

1 But I think it is a good question looking
2 forward. I think we certainly have the kind of
3 relationship developed that we have where we could come
4 to you directly with particular questions, and in the
5 past sometimes we have. We have targeted more specific
6 questions.

7 From my perspective, and Greg, I don't know if
8 you would want to say anything more about that, we really
9 would avail ourselves of both of those methods if it was
10 convenient for the Committee to look that way.

11 SECRETARY LEAVITT: I would be interested to
12 hear Greg's response, but while he is coming up near a
13 microphone --

14 [Laughter.]

15 SECRETARY LEAVITT: I have found that these
16 larger reports often anticipate questions that haven't
17 yet been posed, or at least they are not mature or ripe
18 yet in terms of policy. Generally, I will end up with a
19 policy question that frames up an issue, and it is very
20 helpful to have one of my colleagues to say "We had a
21 very thoughtful group look at that. The SACGHS has
22 actually examined it and here is what they said." They

1 may then extract some thoughtful piece from that report
2 and I get it in a two-pager and they write it at a level
3 I can understand it.

4 So I don't think you should assume that because
5 it is big and thick that at some point in time the
6 essence of matters related to direct policy don't find
7 their way to the Secretary. They do.

8 DR. DOWNING: Now you have revealed all of the
9 secrets about how it works.

10 [Laughter.]

11 DR. DOWNING: I think the one part that is not
12 told is the community that develops within the
13 organizations and across the agencies. This forum, this
14 leadership, and your vision I think has created, at least
15 in my 15 years of public service, a very unique
16 opportunity to share not only the science and the
17 technology but the human elements of what these important
18 challenges present.

19 It is still a little fuzzy sometimes as to how
20 the decision processes ultimately work, but I think that
21 what we have tried under this initiative is some new ways
22 in which the confluences of the challenges that face

1 every agency, and in many aspects the corners of the
2 Department that I didn't even know of, collectively get
3 to a common place.

4 Our first conversations that we had with you
5 almost two or three years ago now really were all about
6 that, and the visit to the mountain, and how to bring the
7 community to a new place of understanding. It won't be
8 the happy place that everyone envisioned in their own
9 dreams but the aspects of getting there collectively.
10 That journey is a really important part of the story.

11 I think we have valued this forum as an
12 important way to ask critical questions and learn from
13 all of you and to help us keep in front of everything
14 that is coming. I think in your role [as] the Secretary
15 to convey that to those who follow, that will be an
16 important asset for that challenge of science and
17 technology and the aspects of human health.

18 SECRETARY LEAVITT: You referenced the
19 mountain. When I was governor of Utah, Steven, out
20 behind the state capital there is a peak. It is called
21 Ensign Peak. You know well, then, that it is a favorite
22 place for people to hike. At lunch often, for my

1 exercise, I would leave the state capital and hike to the
2 top of this peak. It was about a 45-minute forced march
3 and your heart was pumping pretty good by the time you
4 got to the top.

5 But it wasn't just the exercise I enjoyed. It
6 was being able to be up high enough that you could see
7 the entire expanse of the valley in the Salt Lake City
8 area. You could see freeways and schools and
9 universities. You could see hospitals and houses and
10 playgrounds. You could just see the way all the forces
11 of a society have to come together to make a community.
12 It felt like a great place to think because you could
13 just see so much of the way things interact.

14 I would say that has been the significant
15 privilege of being governor of a state or being Secretary
16 of Health. It has allowed me to see enough of the
17 confluence of different things that a picture of how this
18 is going to unfold begins to form in one's mind.

19 I can see this, and I know you can, too. It is
20 a much different future than we now live in, but it is
21 happening one dot at a time. The key is figuring out how
22 to connect them all. We spend a lot of time with

1 electronic medical records. Why? Because it is the
2 electronic medical record that allows us ultimately to
3 have the quality information and the ability to provide
4 the personalization that will ultimately use this tool.

5 Moving all of these along in parallel isn't a
6 neat, clean process. It doesn't happen in an orderly
7 way. But you can begin to see it form up. Having the
8 capacity as you come to a point where you have to connect
9 two or three of these dots, having a group like this to
10 be able to not just have a place to toss questions but to
11 have a body of thinking that can inform various parts of
12 it as it comes together, is a very important thing.

13 I think my message here is don't think you are
14 just responding to a question from the Secretary. What
15 you are doing is creating a body of information and
16 thinking that will connect a lot of different parts of
17 this system as we move into the future.

18 I'm disrupting your meeting longer than I had
19 intended to.

20 DR. TEUTSCH: Thank you so much for coming, Mr.
21 Secretary.

22 SECRETARY LEAVITT: Thank you.

1 DR. TEUTSCH: It is a privilege, and we do
2 appreciate all your leadership and support of all the
3 agencies. You should know that the staff that support us
4 is equally terrific.

5 SECRETARY LEAVITT: Thank you, thank you.

6 [Applause.]

7 **Personal Genome Service Providers (Continued)**

8 MS. AU: We will continue on with the session.
9 Thank you, Dr. Church. I'm sorry we abruptly stopped
10 you.

11 Our next speaker is Ms. Phelan. I want to
12 thank her for holding her session while the Secretary was
13 able to come visit with us for a while.

14 Ms. Phelan is the founder and CEO for DNA
15 Direct. She has been a strong consumer health advocate
16 for the past 25 years, having started the first medical
17 library for consumers in 1978. Previously, she was the
18 founding director of Plain Tree, a nonprofit consumer
19 health care organization, and founder of Direct Medical
20 Knowledge, an extensive consumer health website which was
21 acquired by WebMD.

22 We thank you, Ms. Phelan, for being here.

1 **Presentation by Ryan Phelan**

2 **DNA Direct**

3 [PowerPoint presentation.]

4 MS. PHELAN: Thank you. It is an amazing honor
5 to be here today and a pleasure to hear Secretary Leavitt
6 speak as well. Thank you for allowing me to be here
7 today.

8 I started this company now, DNA Direct, in
9 2004, and I spoke with many of you here in this room in
10 the year before I actually developed it, trying to think
11 through everything from the ethical issues involved to
12 the clinical and scientific issues to actually the
13 challenges of doing Internet commerce. It is really a
14 pleasure to see over this period of time how much has
15 changed in our industry and really, I believe, how
16 progressive society is in moving in a positive way.

17 The mission of DNA Direct is clearly to bring
18 the power of personalized medicine to patients,
19 consumers, and providers. As mentioned in my
20 introduction, I have been involved in health care
21 information really for 30 years. I started the first
22 medical library for consumers in 1978. This truly is an

1 extension of a belief and a commitment I have to helping
2 consumers take an active role in their health.

3 We launched in 2005 by working with a very
4 distinguished and important group of scientific advisors
5 who really looked at how could DNA Direct innovate in
6 this whole field without innovating around the science
7 but innovating around the delivery medium which we were
8 using, which was virtual medical genetic testing, and
9 using standard clinical protocols but Web-enabling them.

10 That meant that we would have everything done
11 under the medical oversight of our medical director. It
12 meant that with his cooperation we would create very
13 clearly guidelines for our genetic counselors and follow
14 that same procedure from beginning to end, starting with
15 informed consent, all the way through the test
16 facilitation and the interpretation.

17 DNA Direct now currently works as well with
18 healthcare providers significantly as an extension of
19 their healthcare services.

20 The physician is at the core of a lot of what
21 we do. We do not always facilitate the test. Much of
22 the time we work with physicians where we are doing

1 purely just the counseling and interpretation services.
2 Of course, DNA Direct is never the lab in any of this.
3 We work with all CLIA labs, depending on the type of test
4 that we are offering.

5 Our medical genetic testing ranges from
6 everything from standard prenatal testing to carrier
7 testing, to currently drug response testing. I'm sure
8 Herceptin is something that we will be adding to that
9 list with the new FDA approval.

10 We look for, in everything we do, whether or
11 not this is a test that is going to be clinically
12 actionable. Is there something that a consumer can do
13 with this information. We spent yesterday defining what
14 that really means and how these terms can be used and how
15 they can be confusing. But I think we really all know at
16 the end of the day whether or not something has medical
17 and healthcare significance.

18 I'm going to just walk you through a few slides
19 very briefly about how our testing works. For any of you
20 who have seen our site, anytime anyone goes through a
21 testing area there is significant pre-test information to
22 really help consumers understand the pros and cons of

1 testing. DNA Direct is clearly committed to making sure
2 people know when a test is actually going to be helpful
3 to them and when it might not.

4 Our questionnaires actually do two things.
5 One, they determine where testing might be appropriate,
6 but secondly, the information we glean from that
7 regarding personal or family medical history for a
8 condition is used to actually build a personalized Web-
9 enabled report with their test result.

10 All of our customers' medical charts and
11 questionnaires are reviewed, along with the test result,
12 by a medical geneticist before we release any result to
13 our consumers.

14 From the patient side, testing is easy and can
15 be facilitated with anonymity with a unique identifier
16 that is sent to a CLIA-certified lab.

17 All of our tests are provided with clear
18 transparency regarding our pricing. DNA Direct does not
19 market any lab fee. We pass that cost directly on to our
20 customer, then charge for our interpretation and
21 consulting services.

22 This is just an example of a report for, in

1 this case, a BRCA result that is positive. The test
2 result, as I mentioned, is customized based on their
3 family history. All of our BRCA customers go through an
4 in-depth pre-test phone consult as well with our
5 counselor as well as the online experience. Obviously,
6 we want to identify that the right person is getting the
7 right test and that it is really appropriate, and that
8 phone counseling is going to work for this particular
9 patient.

10 For many of our customers, one of the things
11 that we are constantly talking about is whether or not
12 they would prefer to see an in-person genetic counselor.

13 We have a network of over 60 genetic counselors that are
14 affiliated with DNA Direct where we can refer somebody if
15 we actually think that a phone consult is not as
16 appropriate as an in-person.

17 All of our reports include a physician letter
18 that is two- or three pages. We heard that from some of
19 the physicians yesterday. The last thing they want from
20 a patient is a ream of useless information. What they
21 want is really specific, easy-to-read fast information
22 about the clinical guidelines and the significance of the

1 test for that patient.

2 All of our data is secured from the get-go of
3 the company. We look very clearly at SSL protocols to
4 make sure that we set up the system. Our genetic data
5 regarding a result of a patient is kept totally separate
6 from any Ecommerce transactions. So basically, the
7 shipping, billing, and personally unique identifiers are
8 kept in one server and another where all genotype and
9 phenotype correlations are.

10 Just a few minutes about why consumers use our
11 service. First of all, they often come to us because
12 they have had a problem obtaining a genetic test for one
13 reason or another. Sometimes it is purely geography.
14 Everyone in this room knows that there is a dearth of
15 genetic expertise out there, and as consumer awareness
16 increases around this there will continue to be this
17 bottleneck of services.

18 For some, they referred us to a physician
19 purely because a physician doesn't want to be doing the
20 interpretation. Physicians are not paid in their eight-
21 minute visit to be really taking significant time to do
22 the kind of consulting or counseling around family

1 history and everything else.

2 Some purely want anonymity. I suspect that may
3 change somewhat with GINA, but the truth is anonymity is
4 not just about genetic discrimination. Sometimes it is
5 about personal privacy.

6 I thought I would take a second and explain our
7 experience with customers. First of all, 46 percent of
8 our customers have a personal family history for the
9 condition that they are testing. It is a very large
10 number. Eighteen percent have a personal diagnosis with
11 the condition. Twenty-one percent have a known family
12 mutation. A combined 53 percent have both.

13 A really important take-away here is 34 percent
14 of our customers across the board -- since we started the
15 company it has been anywhere from 35 to 40 percent --
16 test positive for a mutation.

17 Now, for those of you who are geneticists in
18 this group, you will probably recognize that in a
19 traditional genetics clinic positive mutation rates
20 probably run much closer to 5 to 10 percent in the
21 general public. By the way, what that means is DNA
22 Direct is testing appropriate people for clinically valid

1 tests.

2 I would like to end on this one slide, which is
3 something that I showed yesterday. Unfortunately, this
4 Adobe Acrobat Reader is not reading this correctly, but
5 those little square boxes, or rectangles, were meant to
6 be check boxes. What I'm trying to do here, and I'm
7 really trying to help the Committee think through this
8 whole field of genetic testing, [is show] that not all
9 genetic tests are equal.

10 I started at the bottom of this triangle with
11 serious diagnostic testing, like Huntington's disease.
12 Most of us could agree that that is probably best
13 facilitated in a bricks-and-mortar setting with in-person
14 evaluation by genetic experts and very clear physician
15 oversight.

16 But as you go up this ladder, you have to go up
17 this ladder and start to think [more broadly] about the
18 implications for genetics. Right up the ladder I say
19 predictive testing for serious health concerns. These
20 may not be 100 percent predictive like Huntington's, but
21 they certainly have very clear clinical indications.
22 BRCA is just one example.

1 At DNA Direct, we believe, obviously, that this
2 can be done with a genetic consult by phone. It does not
3 have to be done in person. In fact, DNA Direct is under
4 contract with Moffett Cancer Center where we do, for part
5 of their research with black African women, all of their
6 phone consult for pre- and post-test consulting as part
7 of their research trial.

8 The academic centers already are acknowledging
9 that phone consults can work very well and Web-enabled
10 education is clearly going to be a wave that is moving
11 and integrating into health care.

12 As we go up this ladder, we look at genetic
13 screening for very common things, carrier testing, risk
14 screening, risk assessment, drug response for
15 pharmacogenetics for Warfarin or Herceptin. That
16 probably doesn't need to be done in a physician's office.

17 If we want to look out of the box at this, we might want
18 to say that a healthcare insurance plan could be looking
19 at how to target particularly select populations and
20 making sure that they could get a genetic test easily
21 facilitated through the Web or through phone as
22 appropriate.

1 But as we continue go up this ladder to genome-
2 wide arrays and to full genome sequencing, I think that
3 is where we really have to start to think out of the box.
4 Our company doesn't provide those services, but what we
5 do is provide support services for anyone who has had
6 information gleaned from one of these tests who may have
7 a personal or family history where they want to test
8 further.

9 But what I think is important here as we look
10 at this area is we have to question whether or not there
11 should be a different guideline for people who are
12 engaging in genome-wide arrays or full sequencing for
13 different purposes than clinical genetics.

14 I think that this is something that we are not
15 just wrestling with here in the U.S. I just came back
16 last week from the United Kingdom's Human Genetics
17 Commission. They too are wrestling with these same
18 issues that every one of you as Committee members are
19 thinking about. They are calling for a voluntary code of
20 practice regarding the delivery of genetic information
21 services.

22 One of the things they were very clear to say

1 is this is a regulated industry, whether you are in
2 England, Europe, or the U.S. We clearly saw that with
3 the recent California cease and desist letters. There is
4 regulation going on here. The question is, what kinds of
5 further regulation are needed and is there a place, and I
6 believe there is, for creating a best code of practice
7 that I believe industry here, right now, is working very
8 closely together to try to move this field ahead in a
9 really responsible manner.

10 I appreciate your time. Thank you.

11 [Applause.]

12 MS. AU: Can I ask all the panel members to
13 come up to the front? We are going to have 30 minutes
14 for the Committee to ask questions of the panel. I ask
15 that you keep your questions concise and single questions
16 so that everyone can have a turn, or else Yvette will be
17 on you.

18 I think Kevin had his hand up.

19

20 **Roundtable Discussion with Personal Genome Service**

21 **Providers**

22 DR. FITZGERALD: I thought I would be way down

1 the list. First of all, thank you all very much for your
2 presentations. I'm limited to one question. However, I
3 didn't hear any limit to the number of comments I could
4 make. No, I'm only kidding.

5 [Laughter.]

6 MS. AU: You heard the "concise" part.

7 DR. FITZGERALD: First of all, let me thank you
8 for hearing over and over again a desire on your part to
9 be engaged in a conversation. One caveat, though, which
10 I think is important to acknowledge on both sides is in
11 any conversation there is always the possibility, and
12 with this group the probability, that you will hear
13 something you don't want to hear or that you don't like
14 to hear. But that is part of being in a conversation.

15 So the idea I think in the end is that we are
16 all hopefully on the same page and that is, as many of
17 you have mentioned, to try to improve health care, to get
18 much of this to the public in a way that the public can
19 use this information to better improve their health and
20 their lives.

21 To that end, I would like to make one
22 suggestion to Professor Church. On the slide you used

1 where you have all the various researchers and people who
2 are involved in this because of personal influences in
3 their own family, their children or themselves, with the
4 disease, it might help to achieve that balance that
5 Secretary Leavitt was mentioning if you also include a
6 picture that came from a Wall Street Journal story on
7 December 15th, 2007, about a father who is giving his
8 seven-year-old son who has cancer 44 pills a day, most of
9 which are not prescribed by the doctor, because he is
10 using this very information that is available on the
11 Internet.

12 So it does work both ways. I'm not saying that
13 you stop something just because someone can abuse it. On
14 the other hand, you don't do something just because you
15 can because there has to be that balance.

16 On that end, a question. Many of you are
17 involved in for-profit enterprises. I am not against
18 for-profit enterprises per se. However, this is health
19 care. This is not selling cars. So my question to all
20 of you is, would you sacrifice your fiscal bottom line of
21 your company in order to achieve the goals that you say
22 that you have, which is improving the health care of all

1 people, particularly in the area of access to your
2 services?

3 MS. PHELAN: I would answer that from DNA
4 Direct very clearly. From an investors' perspective, we
5 have always sacrificed the bottom line. I believe that
6 that is true because we are constantly thinking about how
7 to provide a quality service in a responsible manner, and
8 that is not always about an ROI.

9 MS. AVEY: From the 23andMe perspective, that
10 was something very important early on when Ann and I
11 started the company. We felt very strongly that we
12 needed to stay in control of the company. For that
13 reason we didn't take the typical venture capital
14 investment. We were lucky to have supporters like Google
15 and Genentech come forward and give us more of a
16 strategic investment as opposed to them wanting to
17 control anything we were doing. They really support what
18 we do, but they don't have short-term objectives as far
19 as financial reward. Obviously they are doing very well
20 So it is good for us because it gives us the
21 control. It is only Ann and myself and Esther Dyson on
22 our board. We control the company. We feel very

1 strongly that we need the runway and the time to do this
2 right. We don't have to have short-term objectives
3 coming at us from other investors.

4 As I mentioned yesterday, we did consider
5 trying to have a not-for-profit arm of the company to do
6 things in parallel with what we are doing on the for-
7 profit side, but you do find when you try to explore
8 that, first of all, it is very hard from a tax
9 perspective to have two different companies or two
10 different sides of a company. It is also hard when you
11 want to do research in a very big, global way to try to
12 separate out the two.

13 So we did think long and hard about that, and
14 so I do feel very strongly that we are going to have a
15 social mission. We want to do continuing research. We
16 are going to look for funding to help people pay that
17 can't afford it.

18 I think there is a lot of that incentive and
19 that drive within the company, but we also have to hire
20 engineers and they won't come to work for a not-for-
21 profit, typically. That is our challenge.

22 DR. GULCHER: We are involved in a publicly

1 funded project where we are doing the genotyping at cost
2 with a 1 million-chip array for several hundred
3 individuals in a cardiovascular project. That hasn't
4 been announced yet. But we are also providing back to
5 the participants their deCODEme results on a voluntary
6 basis because the researcher thought it would be very
7 useful to give something back that would profile these
8 patients beyond the research aspects of the study, which
9 are unrelated to deCODEme.

10 That is one example where we are doing it at
11 cost and providing that information and looking,
12 regardless of whether or not the patients have the
13 ability to pay, to see is this an interesting
14 demonstration of how people might use this information in
15 parallel with the research aspects of the contract.

16 DR. STEPHAN: I have been working in the
17 academic, nonprofit space for the last 15 years doing
18 research to identify the genetic drivers of disease.
19 Implementing genotyping as a service just doesn't fit
20 within the academic, nonprofit model, for the most part.

21 I would throw back across the fence to you what
22 proportion of the service-based medical infrastructure is

1 not for profit. Physicians operate on for-profit models.
2 All diagnostic companies operate on for-profit models.
3 I wouldn't say that that is a negative per se associated
4 with this space.

5 MS. AU: The next question is from Julio.

6 DR. LICINIO: I have a question to do with not
7 really the accuracy. I'm in a medical school. You teach
8 people and you use whatever research is out there towards
9 health care. This type of information is coming from
10 whole genome association studies and genes that we didn't
11 even know existed before these studies, like one of the
12 obesity genes that came in Science last year. These
13 things come up, and it is interesting but it is not yet
14 something that we apply in health care.

15 Then you have the direct-to-consumer
16 information. The paper is out there in Science. We had
17 a discussion about this yesterday. There are even things
18 that come out in Science, Nature, and the New England
19 Journal of Medicine with a lot of known replication.

20 When the microarray data that came out was on
21 the cover of Nature, the best microarray for cancer
22 identified in different profiles, there was the same type

1 of work in New England and not a single gene overlapped.

2 There were different profiles. Sometimes, even when the
3 work is replicated, the relative risk is often different
4 in the different populations.

5 So it is very interesting and kind of a cutting
6 edge science, but is it really that relevant to health
7 care? You can come up with examples that can be very
8 compelling. Because of, maybe, a smaller risk you look
9 at people more closely and then you find something. But
10 very often in medicine, and we know from the CT scans,
11 you find little things and then you look and look and
12 look, and the person goes through a lot of procedures,
13 and there was nothing to begin with. They go through a
14 lot of unnecessary procedures. Overall, there may not be
15 a positive balance.

16 This information that you all put on the
17 websites is for a person's informational use only. It is
18 very disclaimed in the deCODEme site and any of the other
19 sites that it is only for your own information and this
20 should not delay anything you are going to do with your
21 doctor or interfere with anything you are going to do
22 with your doctor.

1 So, is this really ready for consumer-based
2 health care, and do people understand the difference
3 between provision of information and actually what is
4 important for their medical care?

5 DR. GULCHER: You hit a lot of points there.
6 When you talk about the validation and the replication, I
7 think most of our companies are putting things on that
8 have been widely replicated. We are not just talking
9 about "Why do you say that?"

10 Take the atrial fibrillation genes. There are
11 12 different populations now where those have been
12 replicated, just to take one example. That is not just
13 us discovering these. In the first publication that we
14 published we had to replicate in four other populations,
15 and then since then other groups have independently done
16 it.

17 The point is, they have already been replicated
18 in multiple independent populations, which is the new
19 standard for publication in some of those journals.

20 So I can't speak to microarray data, but at
21 least in human genetics Dr. Collins and others have set
22 standards, or suggested standards, that finally the

1 editors are abiding by for replication, because of that
2 major problem that you brought up.

3 When it comes to what is the relative risk
4 level that needs to be achieved to be clinically useful,
5 that is really up to the physicians themselves. By the
6 way, we are not saying that this is just personalized
7 information that is just for recreation. We emphasize in
8 every other paragraph that you should talk to your
9 physician about this information. All the companies do
10 offer genetic counselors, so clearly we are not just
11 doing this for recreational purposes.

12 But the disclaimers are this is not a
13 diagnostic. This does not mean you are going to get
14 atrial fibrillation. It is a risk diagnostic. People
15 need to be aware of that. Consumers need to be aware of
16 that, and physicians as well. They are not
17 determinative. That is why you see all that language
18 that says this is not a diagnostic, it is a risk
19 diagnostic or a risk genetic test, or whatever you want
20 to call it.

21 When it comes to relative risk, at what level
22 do you achieve a particular threshold for one of the

1 guidelines? Take breast cancer, for example. The late-
2 onset form. Let's not talk about the early-onset form.
3 The late-onset form of breast cancer. When you have a
4 lifetime risk of 20 percent or greater the ACS has
5 suggested that you do MRI screening in addition to
6 mammography. That is a risk that is defined not specific
7 to treatment. It is simply a lifetime risk. This is one
8 of the additional risk factors that can contribute to
9 lifetime risk beyond just family history.

10 So I think we are feeding into risk, which then
11 feeds into established professional guidelines.

12 DR. LICINIO: I would like to just say
13 something. Trust me, I am as much for this type of
14 information as a person can be. I'm not using this to
15 attack the area. But the website says the genetic
16 product is for informational purposes only. It is not
17 medical advice and it is not a substitute for
18 professional medical advice, genetic counseling,
19 diagnosis, or treatment. You must seek the advice of
20 your physician or other qualified health provider with
21 any questions you may have regarding the genetic aspects
22 of a medical matter, and you must not disregard

1 professional medical advice or delay in seeking it
2 because of the results of a genetic scan or anything you
3 have read on the deCODEme site.

4 The website also states that deCODEme is an
5 anonymous information service. It is not a medical
6 service, not a genetic test, and it is not designed for
7 medical decision-making. Therefore, it is not covered by
8 health insurance companies.

9 That is what I find a little confusing.

10 DR. GULCHER: It is not reimbursed, certainly.
11 It is not a substitute for your physician or a genetic
12 counselor. A lot of those are self-evident things. But
13 it is very important to inform the consumer that we are
14 not making a diagnosis for them.

15 Just because they are at lower risk of atrial
16 fibrillation and they have palpitations or have a stroke,
17 that doesn't mean that they should not be evaluated for
18 atrial fibrillation. I think that is the major point.

19 DR. KHOURY: First, thank you. This is a
20 wonderful beginning of conversation. I want to quote
21 something that Kari Stefansson said in April in one of
22 the newspapers. He said that "Every college-educated

1 person in the U.S. should have this test within the next
2 five years. We cannot afford not to." That seems to be
3 a little bit different from the kind of thinking we are
4 talking about here.

5 But the idea behind all of this is that I think
6 this information is not ready for primetime. I think
7 part of the problem is the concept of lost in
8 translation. When you talk about validation, you mean
9 replication. When I talk about clinical validity, that
10 is a very different concept. It is the ability to
11 predict the health outcomes that we are trying to predict
12 here, whether it is risk factors or diagnostic tests,
13 predictive value, clinical sensitivity or specificity.

14 When you talk about value to consumers, I talk
15 about clinical utility, I talk about the balance of harms
16 and benefits. In order to do that, we need research.
17 When I heard Linda just talk now, I thought she was a
18 research enterprise, not somebody who is selling me a
19 test for a thousand bucks.

20 That is the kind of stuff we need to do. We
21 need to figure out what this information means for
22 clinical validity and how it is going to improve health

1 outcomes without providing harms.

2 Your own personal example is a powerful
3 anecdote. It is a hypothesis-generating anecdote. It
4 doesn't prove clinical utility. It means that we need a
5 clinical trial to show whether we follow up a hundred
6 people like you or not, whether the deployment of a
7 prostate cancer-specific test would do more good than
8 harm on a population level.

9 I think we have a problem in lost in
10 translation. We need to get together to speak the same
11 language of what we mean by clinical validity and
12 utility. In order to do that, I think the dialogue has
13 just begun.

14 So, no question for me. Thank you.

15 MS. AVEY: I would just comment to Muin that
16 that is exactly why we wanted to start this company. I
17 think this year has been different than what I was
18 experiencing when I was with Perlagen where we just
19 couldn't find cohorts large enough to study. It was so
20 hard. We went to multiple centers. You would have to do
21 a consortium self-study. Then you would have different
22 diagnostic criteria used in each one, so you never quite

1 knew do we really have the same phenotype in all these
2 groups.

3 [We need] to have a centralized way to collect
4 all of this information. Eventually, if people are
5 willing through maybe Cleveland Clinic, who has now
6 partnered with Google Health, we can start pulling in
7 this phenotypic information through a health record that
8 is very standardized across many, many people and across
9 many different, diverse groups. We are really hoping
10 that merging that now with genetic information in a Web
11 2.0 environment is going to be very powerful. That is
12 the goal, but we need to work with all the organizations
13 to make it happen.

14 MS. AU: We have Rochelle, Marc, Mara, Jim,
15 Kevin, Paul Miller, Mike, Gurvaneet, and Francis on the
16 list. So, Rochelle.

17 DR. DREYFUSS: I think my question is short and
18 I think it is mainly aimed at George. It is back to the
19 question of costs. I'm curious whether you are affected
20 by patents on any of the things that you are
21 investigating. When you do the whole genome sequence I
22 assume you go through the BRCA gene alleles as well. How

1 much does the cost of licensing add to the total cost?

2 DR. CHURCH: I think the effects of patents are
3 certainly there, not so much in the instrumentation yet.

4 I think some of the chip manufacturers have stayed away
5 from certain IP issues. It has been somewhat limiting.
6 Hopefully we will be able to get this straightened out.
7 Maybe one of the other panelists can [speak to this.]

8 DR. DREYFUSS: How about on the sequences
9 themselves?

10 DR. CHURCH: I think that is the only place
11 where I see any limitations [unless] a large screen of
12 the whole genome results in you going and getting a
13 confirmed, CLIA-approved test on a very specific allele.

14 For example, if you did a PGP or 23andMe and
15 then it went to Ryan's DNA Direct to get a myriad BRCA1
16 test, that would not be threatening because that would
17 actually increase their market. I have talked to them
18 about that, and they seem to be comfortable with it right
19 now.

20 So far I don't see it as a huge barrier, and
21 there is certainly an incentive to develop sequences and
22 technology that is presented by the patent process which

1 I think is very positive. It could become a problem, but
2 it isn't right now.

3 MS. AU: Marc, are you there?

4 DR. WILLIAMS: Yes, I'm here. I wish I could
5 say I was on top of Ensign Peak because I have been there
6 and it is a wonderful place to look out and think.

7 A couple of comments and then one question.
8 The first one is that we have been talking a lot about
9 new knowledge and the research. Muin very nicely
10 summarized that point. I think the issue that I would
11 raise is that the model that we are looking at here is
12 really the potential of funding research on the issues of
13 how important these things are using clinical revenue.

14 That is certainly not something new. We have
15 danced around this before. But I think we at least need
16 to be honest about the fact that we are looking at non-
17 traditional research funding mechanisms to be able to
18 learn about things that we don't currently know about,
19 and some of that is now coming directly from the
20 consumer. I think that we just need to be up front about
21 that.

22 The second point is that there have been

1 comments made in the presentations and in the responses
2 to the questions that risk information is linking to
3 establish professional guidelines. While that is true, I
4 think we have to realize that what we are talking about
5 here is clinical plausibility, not validity or utility in
6 the sense of really understanding that there is an
7 evidence base that suggests that this genetic information
8 that is associated with other risk factors, family
9 history, environment, et cetera, in fact does impact risk
10 and in fact does argue for different modalities.

11 We can say that we think if we got people on
12 Warfarin because of their genetic risk for atrial
13 fibrillation that that would save a billion dollars a
14 year for the healthcare system. That is all well and
15 good, but it is completely assumption-based.

16 The reality is, if we put a lot of people on
17 Warfarin and it doesn't work, we are going to add cost to
18 the system related to the complications of using a
19 medication that, even if we used pharmacogenomic
20 information to better dose it, is still going to result
21 in people with thrombosis and bleeding.

22 So I have a question, and I apologize that,

1 because I haven't been able to log into the Webcast, I'm
2 not sure exactly who I'm directing it to. But it was the
3 presenter that gave the personal anecdote relating to
4 prostate cancer. Because it is a personal question, I
5 think you can fairly say "I don't want to answer it."

6 But you mentioned in your presentation that you
7 brought this information to your primary care physician,
8 who then acted on the information. The question I have
9 is, how much education did you need to do as an informed
10 consumer to teach your primary care physician what to do?

11 Because I would hold that it would be extraordinarily
12 unusual that your primary care physician was in fact
13 positioned to be able to use that information.

14 DR. GULCHER: Before I answer that personal
15 question, I did mention in the talk yesterday that we
16 have a preventive cardiologist that recommends some of
17 these individual tests for MI and type II diabetes in his
18 preventive cardiology practice. For some patients he
19 even recommends that they get deCODEme for all that,
20 primarily for him to assess the cardiovascular aspects.

21 So he has a patient who comes in with a PSA of
22 three whom he had been evaluating for other risk factors.

1 He said, go to your urologist. I don't know anything
2 beyond the heart. Most cardiologists will freely admit
3 that, and they are proud of it.

4 But he goes to the urologist, who says, "I'm
5 not going to do anything. You are 55 years old. You are
6 in the normal range."

7 But then he gets his deCODEme report later and
8 his cardiologist says, "Well, you don't see any increased
9 risk for cardiovascular, but what about this prostate
10 cancer?" He sends him back to the urologist, and the
11 urologist goes ahead and does the ultrasound-guided
12 biopsy. He has even more cancer and a higher grade than
13 I have.

14 Once again, another anecdotal example, but here
15 is an example where it is an incidental finding by a
16 cardiologist who has no business thinking about cancer.
17 But yet, it probably led to some useful intervention,
18 which represents how, really, things should be if we
19 could move from intervention to prevention.

20 So from my own personal experience, I did have
21 to show him the descriptions of what we put on our
22 reports. As I showed you in one of my slides, we try to

1 make it simple for the physician, emphasize these are
2 risk factors, these are not Mendelian, determinative
3 genetic factors. We give them a risk. We describe the
4 bottom line stuff at the top. We give them the more
5 detailed part of the bottom.

6 But we convert that into a lifetime risk. We
7 show the assumptions of what we think the baseline risk
8 is, and the big differences among the three companies
9 actually are more on that, which we are simply quoting
10 some contradictory literature out there in epidemiologic
11 studies. But the relative risks themselves in some cases
12 will lead to more intensive intervention. In other cases
13 it will just be done the standard way, which is ignore
14 the PSA.

15 So I did have to show him some of the reports
16 and whatever, but I did not put a gun to his head to
17 suggest that he send me to a urologist.

18 MS. AU: Mara.

19 MS. ASPINALL: Thank you. Let me first start
20 by saying thank you all for your openness and
21 transparency today and yesterday, and being willing to
22 very freely be a part of this and engage in this

1 discussion. I do think that this is, as is personalized
2 health care, an industry in its adolescence. It is only
3 through these types of discussions that we can get into
4 adulthood, whatever that looks like.

5 I want to go back a little bit to I think it
6 was about 18 months ago when the Secretary and HHS issued
7 their report on personalized health care. It combined
8 personalized medicine with the IT arena. The Secretary
9 mentioned it today in terms of the electronic medical
10 record. A lot of what you all are talking about is both
11 a healthcare company but fundamentally an IT company, a
12 data-oriented company, that is focused on providing
13 information.

14 So, two questions related to that. The first
15 one is the fundamental one that hasn't come up yet.
16 [Using] David's example from this morning, how do you
17 reconcile the different results he got from different
18 areas?

19 One of the biggest challenges that we have in
20 diagnostics, and perceived probably throughout the
21 healthcare environment but particularly scrutinized right
22 now in diagnostics, is getting different results from

1 different labs and ensuring that does not happen. So
2 HER2 testing standards and ensuring that it doesn't
3 matter what lab you go to, if you are getting the same
4 test you will get the same result.

5 I will add I think critical for the respect and
6 the recognition of the key role diagnostics play is a
7 fundamental confidence in the system itself. It relates
8 back to what this Committee did in terms of having
9 standards and having regulations to ensure that a
10 physician can choose and a consumer can choose to do the
11 test or not, but to believe that no matter who they get
12 it to is a reputable lab or a reputable genomics company
13 and they will get the same result.

14 So my first question is how you reconcile
15 David's experience and how important is that. I know you
16 have talked about some industry-wide initiatives, but I
17 would like to hear more about that.

18 Then, secondly, how does it relate to the
19 electronic medical record. Does the consumer need to
20 hold that and, five years later, remember that it
21 happened? Are the healthcare systems, not individual
22 physicians but the systems, ready to take this data so

1 when you get a test five years from now you can get your
2 metabolism score and say -- and I don't know if you all
3 do metabolism -- "I don't want to prescribe this at this
4 dose because the system gives me a clue that says we have
5 to do it differently"? Or, is that burden today on the
6 consumer?

7 It is those two pieces but very much, I think,
8 related to the issue of data and validity of the data.

9 DR. GULCHER: Just to be clear, when David
10 Duncan was going through his results, whatever overlap
11 there was in terms of the actual testing of what he
12 called the raw genetic data, there was no discrepancy, at
13 least with respect to the three services. Is that right,
14 David? The actual genotype calls. Did you find any
15 errors among the three companies? Were there any errors?

16 MR. DUNCAN: No.

17 DR. GULCHER: No differences.

18 I'm just putting that out there. We seem to be
19 able to measure things correctly. Now the question is
20 can we actually annotate them correctly.

21 There are different ways of converting from
22 odds ratio to relative risk. Dietrich does it

1 differently than we do it, a little bit. In some cases
2 that leads to a different result. In many cases it
3 doesn't matter. He thinks of the control populations as
4 being super controls, I think, and we think of it as
5 being more population controls in terms of our own
6 studies and other things.

7 We will get together on that. We have been
8 brought together by Ed from the PMC, and I think we can
9 agree, especially with some of your input, on what those
10 standards should be in terms of annotation and combining
11 the markers together. I think we would welcome that
12 feedback. I think that would give greater clarity, with
13 or without a Good Housekeeping Stamp of Approval. I
14 think that would certainly go a long way to addressing
15 that because I think that is the vast majority of the
16 variation among what you saw in terms of the annotations.

17 DR. TEUTSCH: We are going to need to wrap this
18 up very soon, so I ask the next few folks to ask very
19 succinct questions, and I'm looking for very succinct
20 responses.

21 MS. AU: Can I just follow up? Electronic
22 medical records, are they integrated? Are the systems

1 ready to do that?

2 MS. AVEY: I think, at least the way we are
3 envisioning it, that we will look to companies like
4 Google and Microsoft, who are doing the heavy lifting of
5 merging or creating PHRs that will sit on top of the MRs.
6 They have already announced partnerships. I think
7 Kaiser is working with Microsoft and Cleveland Clinic has
8 announced an arrangement with Google. They will become
9 the standard of an individual. If they say "I want my
10 PHR. I want my own personal health record," they would
11 be able to draw that up through their clinical center if
12 there is a partnership there.

13 Then they are also working with places like
14 Long's and Walgreen's. You can pull up all your
15 prescription information as well and store it in one
16 place where you control it.

17 We don't think genetic data itself makes sense
18 to transfer into a PHR. It is more about what is a
19 report that could sit on top of that data that would be
20 easily transferable into that record. Then the patient
21 would have the decision to say "I want to port this back
22 over to my doctor."

1 We envision someday a two-way communication
2 going on from genetic information to PHR to EMR.
3 Something like that we think is pretty workable, but
4 obviously it is very much in the early stages.

5 MS. AU: I'm sorry. We are just going to take
6 two more questions, one from Paul and one from Francis,
7 because he gets the honor of asking questions.

8 [Laughter.]

9 DR. MILLER: I don't know why I'm getting to
10 ask a question.

11 I want to briefly go back to this research
12 enterprise aspect because I'm really interested in that
13 and particularly, Linda, your focus on that. How you
14 communicate information with your customers I think is
15 interesting, and your next steps that you are trying to
16 do.

17 My question is, because you are communicating
18 this kind of information and doing these studies through
19 your database, are you subjected to federal human
20 subjects regulations? Do you have IRBs and informed
21 consents, and should you? Or is it simply we are going
22 to look at your data and then we will send you an Email

1 back and tell you what we found. How does that play out?

2 MS. AVEY: Luckily, when I was at Perlagen I
3 had to manage all of the OHRP work that we did and all of
4 the oversight that we had as a company, so I was very
5 familiar with IRBs. I had to go crack the whip to get
6 all the scientists to go through the training. I went
7 through the training.

8 We have implemented the same thing at 23andMe,
9 where we have all of our scientists going through OHRP
10 training. We have it all put away in a booklet.
11 Everybody has to do that before they can even look at any
12 customer's data, which is all de-identified. They don't
13 have any means to really find out who these people really
14 are.

15 We have talked to an IRB. We do have a consent
16 form, so all of our customers do go through this consent,
17 whether or not they read it. With some of the stuff, we
18 bullet it out and put it in bigger letters so they read
19 the really important parts that we think they should see.

20 We have talked to a commercial IRB, and this is
21 a new model for them, just like for you and for the
22 world. They need to really sit and think about it.

1 Because we don't have a protocol necessarily that is well
2 defined, it is hard for them to get their heads around
3 what exactly we are doing.

4 But it is going to be an ongoing discussion
5 like we have with you, and we are eager to see if we can
6 move that along. We have every intention of doing that.

7 George can comment on how long it took him to get his
8 consent form through the IRBs that he has worked with.

9 DR. CHURCH: It was one year to get the initial
10 IRB in and 3.5 years to get the scaled-up version. I
11 think that is reasonable considering the changes going on
12 here.

13 MS. AU: Francis gets the last question.

14 DR. COLLINS: Thank you for that great
15 opportunity.

16 [Laughter.]

17 DR. COLLINS: It will be quick. It follows up
18 on a comment that Jeff made in his presentation and then
19 came up already in the discussion. By the way, this has
20 been a very useful panel. Thank you all for coming and
21 talking about what your own plans, hopes, and dreams are.

22 Jeff, the thing I'm concerned about with regard

1 to the follow-up of findings is not only a circumstance
2 where you have to educate the healthcare provider to take
3 action but the concern where the healthcare provider
4 takes unnecessary actions on the basis of not quite
5 knowing what this means, perhaps having some trouble
6 understanding risk factors in a quantitative sense, and
7 as always, worrying about possible litigation if they
8 don't then order every possible test.

9 In addition to your two anecdotes, one of them
10 being yourself, where a prostate cancer genetic test
11 resulted in some valuable information, one worries about
12 how many other biopsies got done that were really not
13 indicated on people whose PSA was very low, whose
14 relative risk factor was quite small, and whose family
15 history may have been negative, and who may have been 42
16 years old at the time.

17 To what extent, in your own thinking about how
18 this all plays out as either a benefit or a risk to the
19 public, is this an issue that there is going to be a
20 tendency to follow up on modest risks by ordering more
21 tests? I'm a physician, so I can say denigrating things
22 about physicians, I guess, and denigrate myself at the

1 same time. Oftentimes physicians, without being quite
2 sure what to do, just figure, "Well, we had better look
3 into it."

4 My professor in medical school way back when
5 said all non-indicated tests will be abnormal.

6 [Laughter.]

7 DR. COLLINS: That is often said but a true
8 story. Then you have to do more tests to follow up on
9 that.

10 So, what are your thoughts about that
11 specifically, Jeff, since you have gone into a very
12 specific example?

13 DR. GULCHER: Right. I think that is why we
14 try to emphasize these are clinical risk factors like
15 other clinical risk factors. Family history is a
16 clinical risk factor. Environment, other conventional
17 cardiovascular risk factors, or whatever. Physicians are
18 using them on the basis of what are those risks
19 conferring to their particular patient in the context of
20 the general population risk.

21 That is why we try to convert these to relative
22 risks and emphasize if it has been demonstrated that

1 these risk factors are indeed independent of other
2 cardiovascular risk factors, the conventional ones, and
3 independent of CRP and LPPLA2 and Lp(a), which has also
4 been demonstrated in a cohort for cardiovascular markers.

5 If that is the case, you can do what physicians
6 have been doing for a century: multiply independent risk
7 factors together to define a composite risk. Then you
8 act on that.

9 If that, for example, converts an intermediate
10 risk patient based on ATP3 criteria and you multiply it
11 by a risk factor of 1.3, if you are homozygous for 9P,
12 some patients are going to get bumped up into the high-
13 risk category. The LDL cholesterol level may be chosen
14 by their physician, not by us but by their physician, to
15 perhaps be at a lower level, 100 milligrams per deciliter
16 instead of 130 milligrams per deciliter. That is one
17 example of where it can potentially modify the risk
18 factors up or down.

19 We are not saying that you are acting only on
20 this genetic test. You are acting on that genetic test
21 in the context of the other risk factors because this is
22 just another clinical risk factor test.

1 DR. COLLINS: That would be true if every
2 physician was thinking in exactly this kind of
3 quantitative way. I guess what I'm wondering about is to
4 what extent do you or do the other companies feel the
5 responsibility to try to help physicians in that
6 circumstance not overreact, even as you are promoting, of
7 course, the value of this information. We all understand
8 why you need to do that. How do you do so in such a way
9 that doesn't cause healthcare providers to somehow attach
10 even greater significance to these findings than they
11 should and therefore to carry out a whole bunch of
12 follow-up tests that are actually unnecessary?

13 DR. GULCHER: So you are concerned that a
14 physician might act on a relative risk of 1.1, for
15 example just because he says it is a little bit bigger.

16 DR. COLLINS: Yes.

17 DR. GULCHER: I think you are exactly right.
18 We try to lead people through these risks and put it in
19 context of other risk factors in our reports, but we
20 don't come out and say "Don't do anything for this
21 patient who has a relative risk of 1.1" because he may
22 have other risk factors. The whole point is to emphasize

1 combining this information to the other information that
2 you are already routinely collecting on those patients.
3 It is the sum total of that composite risk that I presume
4 in many cases, not all cases, is guiding physicians in
5 their practice.

6 I mean most physicians. I disagree with
7 whoever mentioned that most physicians aren't using
8 Framingham. They are using a Framingham score and using
9 the ATP3 criteria to risk stratify. These primary care
10 physicians are doing so.

11 I think it fits well into a paradigm that
12 already exists. We are already using family history for
13 common disease like prostate cancer and breast cancer.
14 It is totally analogous to that but independent of family
15 history.

16 DR. TEUTSCH: We need to wrap up the session.
17 I would like to thank the panel and everyone for engaging
18 in a very lively and open discussion.

19 As all of you are aware, we are running a bit
20 behind.

21 [Laughter.]

22 DR. TEUTSCH: Which is fine because we have had

1 good discussion this morning. We appreciate the extras
2 that got added onto our schedule.

3 Just so you know, what we are going to do is we
4 are going to hear from Kathy Hudson and then we are going
5 to have a break for lunch. We are going to roll the
6 discussion that we planned to have just among the
7 Committee into the discussion on the priorities this
8 afternoon with Paul.

9 Let us give a round of applause to all of our
10 fine panelists.

11 [Applause.]

12 DR. TEUTSCH: The public comment period will
13 begin right after lunch. I expect that will be around
14 1:25 to 1:30. I apologize to all of you. Hopefully you
15 can all stay so we can be the beneficiaries of your
16 thoughts.

17 MS. AU: Our next presenter is a frequent
18 visitor to SACGHS, Dr. Kathy Hudson. She is the founder
19 and director of the Genetics and Public Policy Center,
20 located in Washington, D.C. Dr. Hudson's presentation
21 will explore the public policy considerations for this
22 emerging field of direct-to-consumer personal genome

1 services.

2 As soon as we get her talk cued up on the
3 screen, she will drive us through this.

4 **Public Policy Issues Surrounding Personalized Genome**
5 **Services**

6 **Kathy Hudson, Ph.D.**

7 [PowerPoint presentation.]

8 DR. HUDSON: I want to thank you for inviting
9 me. I recognize that many of you are probably suffering
10 from low blood sugar, and so snack carts will be coming
11 through the aisles. Snack boxes are available for \$5,
12 wine and beer for \$3.

13 [Laughter.]

14 DR. HUDSON: What I would like to do in the
15 next 15 minutes -- I will go as quickly as I can -- is to
16 put a little context around what you have heard already
17 today. I will talk explicitly about some of the tensions
18 that have been coming up recurrently over the course of
19 the last day and a half. Then I will talk about some of
20 the critical policy issues that I think we are facing
21 today.

22 The whole genome association studies and the

1 kinds of companies that we heard from today really fall
2 at the end of an evolutionary continuum in the kinds of
3 tests that have been available directly to consumers over
4 the last decade or so. Of course, the focus that we have
5 heard today has been on health-related genetic testing.
6 Beyond looking at SNPs, we can sequence entire genomes,
7 as we heard from George earlier today.

8 The companies that we heard from are really
9 only a subset of the companies that are offering health-
10 related testing services in this space. This is a now
11 outdated slide. We have a more updated version available
12 now, I think, on our website where we categorize the
13 companies in terms of what kinds of tests they are
14 offering and focusing mostly, again, on health-related
15 testing.

16 So, how do we look at this new paradigm in
17 genetics. I think what we have heard recurrently and
18 what we read about in the newspapers and in the medical
19 journals is sort of this tension between the old precepts
20 of genetic medicine and this new concept of personalized
21 genomics. I want to go through these precepts really
22 quickly because I think they are important in what we

1 have been hearing over and over again as some of the key
2 stress points.

3 The first is that genetic testing requires pre-
4 and post-test genetic counseling. The second is that you
5 need a healthcare provider in all genetic testing. In
6 the olden days genetic tests for non-actionable
7 conditions were considered the highest risk. So when the
8 predecessor to this Committee put tests into categories
9 of what was most high risk and therefore warranted the
10 highest degree of oversight, it was highly penetrant
11 tests for which there was no intervention available. I
12 think we actually might flip that today. And, that
13 genetic information is special.

14 I think we can challenge all of these precepts
15 today. First of all, in terms of pre- and post-test
16 genetic counseling, there are too many genes, not enough
17 genetic counselors, it is too expensive, and a model that
18 was really built on reproductive genetic testing and
19 Huntington's disease may not fit with the kind of testing
20 that is available today.

21 In the olden days we had odds ratios of greater
22 than five and they were extremely rare. Today we have

1 these teeny tiny odds ratios and they are proliferating
2 like crazy.

3 The second precept, no testing without a
4 healthcare provider as an intermediary. As Ryan
5 mentioned earlier, not all genetic tests are created
6 equal. They pose different risks for interpretation and
7 for intervention. It may not be a viable model given
8 high levels of consumer interest. There are inconsistent
9 state laws, and I will come back to this, about who can
10 offer a genetic test.

11 Third, about actionable or non-actionable
12 information, we have heard a reference to the Reveal
13 Study in which results were provided back to people about
14 their Alzheimer's risks and in fact there was no
15 demonstrable increase in anxiety, jumping off of bridges,
16 et cetera. So people can handle this information where
17 even there is nothing they can do about it.

18 In fact, I think we really need to focus our
19 attention on the validity of tests where there is
20 something you can do about it. If you are going to take
21 a drug or not take a drug, have surgery or not have
22 surgery, the validity of those tests is of utmost

1 importance.

2 Lastly, genetic information as being special.

3 I think we in genetics of course think genetics is
4 special, but we worry that the public thinks it is
5 special, genetic exceptionalism or determinism. I think
6 that while that may have been at one point true among the
7 general public it is no longer true. When we did a
8 survey recently of nearly 5,000 people and asked about
9 genetic information versus risk information, generic
10 information, there were no discernible differences
11 between people's appetite for that information and
12 concerns about that information.

13 In the old days we had this very systematic way
14 of translating genomics or translating biomedical
15 research into health impacts, and now we are leapfrogging
16 over some of those essential steps, or what we used to
17 view as essential steps. We are in the midst of a wave
18 of creative destruction which is making many of us
19 uncomfortable.

20 So, what should our response be. We could get
21 our genomes done, and some of us may have done that. We
22 could start our own company, although we may be a little

1 late.

2 [Laughter.]

3 DR. HUDSON: And as someone said yesterday, you
4 have to be blond.

5 We could ignore the companies. As one well-
6 known genomicist said, they are just a nuisance. We
7 could insult them, and that has certainly been done in
8 some quarters. Or we could support needed policy
9 changes.

10 We have heard a lot about the promise of DTC
11 genomic and genetic testing. I won't go through that. I
12 want to focus a little bit on some of the concerns that
13 have been expressed about DTC genomic and genetic
14 testing, about consumers not being able to understand it,
15 about consumers being especially vulnerable, about
16 consumers getting tested without thinking about their
17 family members, and forgoing standard treatments or
18 getting unnecessary treatments, as Francis brought up in
19 the last panel discussion.

20 The essential point I want to make here is that
21 this is all knowable information. Instead of speculating
22 about this, this is knowable information and we should be

1 supporting studies to actually get this information.

2 On the more policy side of the equation, there
3 are concerns about the adequacy of privacy protections,
4 about the validity of the tests, about the competency of
5 the laboratories, about the evidence to support the
6 claims that are being made, about the protection for
7 research participants, and actually, an issue that I
8 don't think has come yet in this meeting. With DTC
9 testing especially with buckle swabs where you are
10 sending it off and there is no person in front of you,
11 there is a possibility for surreptitious testing of
12 somebody without their permission.

13 This Committee knows well that there are
14 enormous gaps in the oversight of the quality of genetic
15 tests and has made some really fantastic recommendations
16 in terms of policy actions that are now before the
17 Secretary. I won't go over those because those are very
18 familiar to you.

19 I will point out on the slide that I mentioned
20 that there is no HHS authority over false claims being
21 made by companies. There has been no FTC enforcement
22 action, although I do understand that there are now some

1 investigations underway. That is an interesting new
2 development.

3 An additional problem that we have in terms of
4 oversight of genetic testing is some lack of clarity in
5 terms of who is authorized or should be authorized to
6 order and interpret tests, the limited applicability of
7 HIPAA, and the limited applicability of the Common Rule
8 for Protection of Research Subjects.

9 I will spend just a second now talking about
10 protection of research subjects. In the late '70s we put
11 in place the Common Rule for the Protection of Human
12 Research Subjects. That was really based on principles
13 of beneficence, justice, and respect for persons. We may
14 need to go back and reevaluate whether or not a model
15 that was somewhat paternalistic and protective of
16 physical harms really fits in today's biomedical research
17 context.

18 But it is important to note that the common
19 rule does not necessarily extend to all research that we
20 would care about, including some that is being conducted
21 by DTC companies.

22 Outside of the federal government, which I

1 believe plays a very important role, especially in
2 quality and in making sure that claims are consistent
3 with the evidence, there is certainly an opportunity for
4 professional groups and industry to develop guidelines.
5 Those have the advantages of being flexible and those
6 groups having the right expertise, although they may have
7 some internal conflict of interest. Those guidelines
8 would be voluntary. That means that not everybody has to
9 participate and abide by the rules.

10 There have been a number of professional
11 statements. I'm particularly fond of this one, since I
12 was involved in its creation, from the American Society
13 of Human Genetics which really says that some tests are
14 appropriate for being offered directly to consumers but
15 we need to make sure that the tests are accurate and
16 reliable and that the claims that are made about them are
17 also accurate.

18 ACMG, a few months ago, took a different
19 position and recommended that healthcare providers be
20 involved in ordering and interpreting all genetic tests.

21 This was basically putting a stake in the ground, saying
22 we, the medical geneticist community, need to be involved

1 in this testing.

2 More recently still, the American Medical
3 Association actually provided an interesting
4 recommendation and provided some interesting
5 clarification, I think, about what does it mean to have a
6 doctor involved. The AMA recommended that states
7 restrict the performance of clinical and laboratory
8 genetic testing to individuals under the personal
9 supervision of a healthcare professional. That is not
10 somebody you have talked to that has signed off on your
11 requisition. It is somebody who is actually overseeing
12 your care.

13 The states have always had a role in laboratory
14 testing and have recently made the entire scenery more
15 interesting. They administer the Clinical Laboratory
16 Improvement Acts, and they can impose higher standards
17 than CLIA requires, such as New York. Ann Willey is here
18 from New York. The states [also] determine who is an
19 authorized person to order and receive laboratory tests.

20 This is an evaluation that we did some time ago
21 on the state laws regarding who is an authorized person.

22 The dark purple states are those where an authorized

1 person is usually not defined, which means everybody is
2 an authorized person. [That] means I'm an authorized
3 person, so I can order my own clinical laboratory
4 testing.

5 Lavender is limited, and you will notice that
6 California and New York are both limited. I will come
7 back to that. In the whitish, DTC is not permitted at
8 all. A healthcare provider must be involved.

9 Where DTC is permitted, as I mentioned, the
10 state laws are usually silent on the issue. Where DTC is
11 not permitted, and one example is Georgia, they are very
12 clear that it has to be a licensed healthcare provider
13 who is authorized by law to use the findings. So I as a
14 consumer am not authorized by law to use the findings.

15 Then there are the mixed states. Both
16 California and New York fall into this category. This is
17 an excerpt from the California statute. There are
18 prohibitions with exceptions, and it is the exceptions
19 that put it into the limited allowance.

20 In the absence of federal leadership in a
21 number of areas in genetic testing, particularly
22 oversight of quality, the states have stepped in. We

1 have seen headline after headline after headline about
2 the states trying to step in and protect their citizens.

3 What are the policy options as we move forward.

4 We could take the stance that is a buyer beware
5 marketplace and consumers should be informed about what
6 to take into consideration as they consider buying these
7 testing services. We could demand transparency, as was
8 embedded in the Oversight Report in terms of a genetic
9 testing registry which would include information and
10 evidence that supports the test, how it is performed, and
11 its characteristics. We could require third party
12 review.

13 We could be taking action against false claims.

14 I believe that we could do that both at the federal and
15 state level and perhaps in a voluntary way outside of
16 government.

17 We might want to think about creating a
18 category of laboratory-developed tests that would be the
19 moral equivalent of over-the-counter drugs. If we can
20 now go into the drugstore, and we know what we have, and
21 buy that drug over the counter without a physician,
22 aren't there also tests that should be similarly

1 accessible to us without having to make an appointment,
2 go see the doctor, and by the time you have actually
3 gotten to the doctor and taken time off work the thing is
4 resolved. I think we might want to think about this as a
5 new mechanism.

6 HIPAA. People within the Beltway know what a
7 HIPAA-covered entity is, which is sort of pathetic.

8 [Laughter.]

9 DR. HUDSON: Companies that do not bill
10 electronically are not HIPAA-covered entities. So, at
11 least federally afforded and state-enforced privacy
12 protections usually don't go with the information. I
13 think these companies, particularly 23andMe, selling a
14 service at the same time that they are conducting
15 research raise interesting and provocative issues about
16 what we need to do to protect research subjects or
17 research participants in this new age of personalized
18 genomics.

19 That was really speedy. Now we can hopefully
20 go to lunch pretty quickly. I want to thank my funder,
21 the Pew Charitable Trust.

22 [Applause.]

1 **Question-and-Answer Session**

2 MS. AU: Because Dr. Hudson was so speedy, we
3 can take one or two questions. Kevin.

4 DR. FITZGERALD: You have to have quick hands
5 around here.

6 [Laughter.]

7 DR. FITZGERALD: Thank you, Kathy. Again, as
8 always, very thought-provoking, so I have a question.
9 You mentioned in the statement that you were one of the
10 authors of that safety should come first. You also
11 mentioned that there is a great deal of evidence we need
12 to have in order to make decisions that we don't yet
13 have. Is it then logical to conclude that much of what
14 is going on now you think shouldn't be going on because
15 we don't have the evidence to decide what is safe and
16 what isn't?

17 DR. HUDSON: I think it is hard to know. The
18 actual answer is it is hard to know. If you look at some
19 tests that are being offered, you can't tell what the
20 gene is, you can't tell what the variant is, you can't
21 find any publications. In some cases, the disorder that
22 is being tested for doesn't exist in the scientific

1 literature. It is just very difficult to know because we
2 are not demanding the kind of transparency that we really
3 need.

4 DR. FITZGERALD: Right. If you are saying
5 safety first and we don't know, does that mean don't do
6 anything?

7 DR. HUDSON: There are a couple of interesting
8 models. One is, we could put in place this genetic
9 testing registry tomorrow. It is not that complicated.
10 I think we need to move ahead expeditiously with putting
11 that in place.

12 Secondly, I think that we could have tests on
13 the market where we either haven't had a chance to
14 evaluate them or we don't yet quite have all the evidence
15 and collect evidence as we go forward. It is approval
16 with additional evidence collection.

17 There are ways where we could address the
18 pursuit of the perfect not denying us some of the good
19 tests that are available out there.

20 I should say there is a whole slew of tests
21 that have been out in clinical practice and validated for
22 a very long time that we need to figure out some

1 mechanism of just grandfathering those in. We know what
2 they do, we know how they behave, and we know the
3 molecular biology and cell biology underlying them.

4 MS. AU: Thank you, Dr. Hudson. I'm sure we
5 will see you back here again.

6 [Laughter.]

7 MS. AU: Back to Steve.

8 DR. TEUTSCH: Great. Thanks, Kathy. Thanks,
9 Sylvia, to you for organizing this session.

10 [Applause.]

11 DR. TEUTSCH: Obviously, a stimulating and
12 important area. We will be talking more about it later
13 this afternoon.

14 For now, since you and Kathy graciously got us
15 done by 10 to one, let's plan to meet back here at 1:20.

16 We will have a half hour. There is a cafeteria down the
17 hall for those of you who don't have a boxed lunch. Then
18 we will take up the public comments. Thanks, all.

19 [Lunch recess taken at 12:54 p.m.]

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

AFTERNOON SESSION

[Reconvened at 1:32 p.m.]

Public Comments

DR. TEUTSCH: Good afternoon. We have come to the public comment part of our meeting. This is, as all of you know, one of the critical things that we do at every meeting. The Committee uses this as an opportunity to obtain input from the public and get their suggestions so that they can inform our deliberations on a wide variety of health and societal issues.

We, as always, greatly value the input that we get from the public. As you can see from our earlier discussion yesterday, we received an enormous number of comments which were extremely helpful in shaping our priorities, so we will get back to that.

We have four individuals who have indicated that they plan to speak. We will take them one at a time. Each of them will be speaking for five minutes. We very much appreciate all of your thoughts and input, and I think we have copies of your full statements which will be made part of the meeting record.

Let's start with Michele Schoonmaker, who is

1 the director of government affairs of Cepheid and
2 representing the Association for Molecular Pathology.
3 Michele, we have appreciated your input in the past and
4 look forward to your comments.

5 **Comments by Michele Schoonmaker, Ph.D.**

6 **On Behalf of the Association for Molecular Pathology**

7 DR. SCHOONMAKER: Great. Thank you. Good
8 afternoon, Mr. Chairman and members of the Committee.
9 Thank you for the opportunity to speak with you. I am
10 Michele Schoonmaker, representing the Association for
11 Molecular Pathology.

12 AMP is an international medical professional
13 association representing approximately 1,500 physicians,
14 doctoral scientists, and medical technologists who
15 perform laboratory testing based on the knowledge derived
16 from molecular biology, genetics, and genomics.

17 I will be providing comments on high priority
18 areas of focus for consideration by the Committee in the
19 coming year. My comments today will briefly summarize
20 the more detailed written statement that we have
21 submitted for your review.

22 AMP recommends that the following topics be

1 considered for Committee review with development of
2 recommendations. First, we encourage the Committee to
3 investigate the current mechanisms for funding outcomes
4 research for clinical diagnostic tests. Specific areas
5 to consider include implementation and performance of
6 tests in clinical practice settings, the impact of the
7 physician ordering practices and patient decision-making
8 on test utilization, and the impact of test
9 interpretation on patient management and family decision-
10 making.

11 Second, coverage and reimbursement decisions
12 are increasingly made based on the comparative
13 effectiveness of various treatments. Genomic information
14 may identify population subgroups that contradict
15 aggregate population study findings and challenge
16 population-based treatment decisions. The Committee
17 should explore the role genomics will play in this
18 emerging trend in health policy research.

19 Third, we recommend that the Committee survey
20 the clinical decision support tools currently under
21 development and explore future needs for the integration
22 of genomic information into the clinical decision support

1 tools, including the development of standards and
2 specific clinical services.

3 In addition, the Committee should evaluate the
4 current oversight and policy needs to overcome systematic
5 barriers and challenges for the integration of these
6 tools into the patient care setting.

7 Fourth, we request that the Committee continue
8 to examine the structure and consequences of non-
9 traditional genetic testing. Important aspects include
10 an understanding of how non-traditional genetic testing
11 will be used by the lay public and an understanding of
12 how these test results will be interfaced with
13 traditional genetic medical practice.

14 The development of appropriate quality
15 assurance measures and practices to validate the quality
16 of non-traditional laboratory test results or integration
17 of these laboratories into the current regulatory
18 oversight is critical to the utilization of this
19 information in conventional clinical evaluations and
20 treatment decisions.

21 Finally, we request that the Committee continue
22 monitoring oversight efforts in reimbursement and

1 coverage for genetic tests. SACGHS has released several
2 influential and important reports on both of these
3 issues, and we encourage continued efforts to work with
4 stakeholders within and outside of HHS to implement your
5 recommendations to improve the quality of genetic tests
6 and to achieve appropriate reimbursements for providers
7 of the genetic tests.

8 On behalf of AMP, I would like to thank the
9 members of the Committee for their time and attention.

10 DR. TEUTSCH: Thank you, Michele. Any
11 questions or comments for Michele?

12 [No response.]

13 DR. TEUTSCH: That is great. As you know, we
14 take all those issues very seriously and look forward to
15 seeing how we can help move some of those agendas
16 forward. Thank you for your input.

17 DR. SCHOONMAKER: Great. Thanks.

18 DR. TEUTSCH: Our next presenter is Amy Miller.
19 Great. Welcome. Amy is the public policy director for
20 the Personalized Medicine Coalition. We look forward to
21 what you have to say. Good afternoon.

22

1 **Comments by Amy Miller, Ph.D.**

2 **Personalized Medicine Coalition**

3 DR. A. MILLER: Thank you, Chair and members of
4 the Committee. I am Amy Miller, public policy director
5 for the Personalized Medicine Coalition. PMC represents
6 all stakeholders in personalized medicine, from the
7 academics who do the research to the medical institutions
8 that put it into practice, to diagnostic companies,
9 pharmaceutical companies, insurance companies, and we
10 even have among our members ex officio government
11 officials who work with us to make good policy happen.

12 We are a consensus-reaching organization. We
13 don't vote. That gives us a unique place in the world of
14 personalized medicine. Much like this group, we have all
15 the stakeholders coming together to talk.

16 Although PMC has submitted to SACGHS where we
17 think your priorities should go, what I wanted to talk
18 today with you about was the space of consumer genomics.

19 As a couple of the speakers have already mentioned, PMC
20 met with the leading companies to discuss the possibility
21 of working together towards standards of operation and
22 basic guidelines about how these companies should act.

1 There are a number of issues that need to be
2 discussed. We feel that we are at the very beginning of
3 this conversation. Yesterday and today and the previous
4 SACGHS meeting in particular have started to air a number
5 of questions that go unanswered or that we need to have
6 answered by all the different constituents in
7 personalized medicine. PMC has agreed to work with the
8 companies on convening the stakeholders in personalized
9 medicine to talk about consumer genomics and to build on
10 the work that HHS began yesterday and that this group is
11 continuing today and move it forward, possibly.

12 We see the output of that effort as possibly
13 being some basic guidelines for operating in this space.

14 We see the possibility of a consumer guide in selecting
15 these services, and we see the possibility of a physician
16 education tool, be it as simple as a brochure or as
17 complex as a report.

18 We are at the beginning, as I mentioned, of
19 this conversation. We are also at the beginning of what
20 PMC is looking to do in fostering this conversation and
21 coming to a consensus around this issue. So, thank you.

22 Also, I should mention we will keep the SACGHS

1 apprised of what we are doing, of course.

2 DR. TEUTSCH: Thank you. Any comments or
3 questions for Amy? I have a question for you. Since
4 there is a clear interest on the part of advising the
5 Secretary on these issues, how do you see what PMC is
6 trying to do to integrate with the more public and
7 governmental functions so that there is some common set
8 of guidance?

9 DR. A. MILLER: PMC does have ex officio
10 government people on our committees, so we do have
11 representation in our organization. We will work with
12 those members and possibly reach out to some other
13 government members who don't often participate in the PMC
14 process.

15 We will work with the Secretary's Personalized
16 Healthcare Initiative to make sure that the work that
17 began yesterday moves forward. We will revisit what is
18 written in the report that you recently published and
19 revisit this conversation to make sure that all the
20 questions raised here today are part of our deliberations
21 moving forward.

22 We are also open to, in answer to your

1 question, any government official as well.

2 DR. TEUTSCH: Mara.

3 MS. ASPINALL: I'm involved in the PMC, but it
4 sounded like several of the panelists earlier talked
5 about working with PMC and getting a number of groups
6 together. Is it time to describe what that is and what
7 role you anticipate that playing?

8 DR. A. MILLER: We are at the beginning of the
9 conversation, actually, in terms of planning what we are
10 thinking about doing. I think our goal is to bring
11 together all the constituents around this issue and do a
12 PMC-type event. What we have done in the past is issue a
13 brief on a topic, convene everybody in a conference, talk
14 about it, and then do some sort of post-meeting product.

15 In this case, it could take a number of forms: a
16 consumer education guide, an M.D. education guide, and
17 guidance for the industry on operation.

18 But we are at the beginning of the
19 conversation.

20 DR. TEUTSCH: Thank you very much.

21 DR. A. MILLER: Thank you for your time.

22 DR. TEUTSCH: We appreciate your suggestions.

1 Our next speaker is Rick Carlson. Rick, are you here? I
2 didn't think I saw you. Is someone here representing
3 Rick?

4 [No response.]

5 DR. TEUTSCH: Taking that as a no, then we will
6 move on to another friend of the Committee, Ann Willey,
7 who is the director of the Office of Laboratory Policy
8 and Planning at the Wadsworth Center at the New York
9 State Department of Health.

10 **Comments by Ann Willey, Ph.D., J.D.**

11 **New York State Department of Health**

12 DR. WILLEY: First, I want to thank the
13 Committee for this opportunity. Some of what I'm going
14 to say is known to the Committee but I wanted to put it
15 in the context of speaking to the issue of these entities
16 that are now marketing direct-to-consumer marketing
17 and/or direct-to-consumer access of whole genome
18 profiling of some kind, and the relation to the New York
19 State regulatory program.

20 New York has been mentioned several times over
21 the last couple of days, some of it correctly, some of it
22 with some perhaps erroneous implications.

1 The New York State Clinical Laboratory
2 Reference System has been responsible for the oversight
3 of clinical laboratories performing analytical testing on
4 specimens collected in the State of New York since 1964.

5 The categories of testing covered are specified either
6 in the enabling statute or in its implementing
7 regulations.

8 The clinical laboratory permit requirements
9 include personnel standards, credentialing of the
10 laboratory director, physical facility inspection,
11 proficiency testing, test authorization requirements and
12 result reporting standards, and business practice
13 requirements, among others.

14 Category-specific standards are stated in our
15 regulations and/or in our interpretive standards, which
16 are issued by the program. Standards for genetic testing
17 related to cytogenetics were first added in 1972 for
18 genetic testing, including biochemical genetics and
19 molecular or DNA-based genetic testing in 1990. Other
20 genomic types of testing, which might include nuclear
21 DNA, RNA, or gene expression profiles, are also covered
22 in other categories such as molecular oncology.

1 Key elements of the oversight of our genetic
2 testing labs include the training and experience of the
3 responsible laboratory director in the relevant areas of
4 genetics and the performance of tests that are generally
5 accepted in laboratory medicine -- these are tests which
6 were in general use prior to 1976, clearly not those we
7 are talking about today -- or approved by the FDA as
8 cleared or approved in vitro diagnostic devices, also not
9 the kinds of tests we are talking about today.

10 The only other alternative is that the assay
11 must be approved by the department.

12 Since 1990 the department has reviewed all
13 laboratory-developed genetic tests as to their analytical
14 validity and clinical validity prior to their approval
15 for addition to the test menu of any permitted lab.

16 Genetic testing based on a single genome
17 sequence or gene product detection or multiplexed assays
18 detecting multiple targets concurrently, including those
19 used in the various genome profiles, are all subject to
20 similar review standards.

21 The recent explosion of Internet marketing of
22 various genetic profiling assays for individualized

1 genome information systems have raised new paradigms for
2 patient or consumer access to such lab analysis. The
3 Department routinely monitors the Internet for entities
4 purporting to offer laboratory services of any kind. Lab
5 services in our system are defined as the performance of
6 an analytical analysis on specimens derived from the
7 human body and the reporting of individualized results
8 for almost any purpose.

9 We don't limit it to diagnosis of disease and
10 health assessment. The measurement of any component in a
11 biological specimen gets defined as a lab test.

12 All such entities that we identify on the
13 Internet are routinely notified that in order to offer
14 their services in New York the testing entity must seek
15 and obtain a clinical laboratory permit from the
16 Department and meet all relevant requirements and
17 standards. Just as an aside, these requirements apply
18 regardless of the physical location of that entity
19 anywhere in the world. If they receive a specimen from
20 the State of New York, they are subject to New York
21 requirements.

22 We have sent 31 entities purporting to offer

1 some type of genetic testing services notices that they
2 must seek permits in the last year. These letters
3 indicate that in the absence of such a permit the service
4 cannot be offered in New York. It is slightly different
5 than the cease and desist type of letter that was sent by
6 California.

7 That is 31 labs offering genetic tests. We
8 send hundreds of these warning letters with the new age
9 of the Internet.

10 I do have the list of the 31 entities with me.

11 I thank Kathy Hudson for reminding us that the major
12 entities we have heard from in the last two days are not
13 the major problem in this arena. There are a huge
14 number, and I'm going to go home and add two more
15 tomorrow.

16 [Laughter.]

17 DR. WILLEY: There are more and more of these
18 entities purporting to offer some kind of genomic
19 profiling.

20 Unfortunately for the major players that we
21 have been hearing from today, all of whom have indicated
22 their full intent to comply with whatever requirements

1 and regulations that are put forth, there are many that
2 have no intention of complying. The only way a
3 regulatory program can make the distinction is by forcing
4 all players through the same keyhole, if you will. It is
5 a process that has some burden and delays and problems
6 with it.

7 Although over 150 laboratories hold New York
8 State permits for various genetic testing menus, none of
9 the major entities marketing consumer access to genetic
10 profiling or their contract laboratories currently hold
11 New York State permits for that purpose.

12 The Department is in discussions with several
13 of the entities that wish to offer these services in New
14 York, and the issues under discussion include the
15 requirement for the submission by the testing laboratory
16 of the necessary assay descriptions, analytical
17 validation data, and documentation of the clinical
18 validity for the use of these genetic markers in advising
19 the client about health issues. This may be the easiest
20 issue to resolve, depending on the variety of marker to
21 be tested and the known clinical associations for those
22 markers.

1 The second item is the resolution of the
2 business relationship between the marketing entity, the
3 data management and interpretation process provider, and
4 the testing laboratory. Within the constraints of New
5 York law related to corporate practice of medicine, which
6 is prohibited, direct billing requirements for
7 laboratories -- the lab that does the test bills the
8 patient -- and inducements, those between the laboratory
9 and the ordering entity, there can be no inducement, no
10 payment, no contractual arrangement between the
11 individual requesting the test and the laboratory. These
12 are complex and often circular issues and have not yet
13 been easy to resolve.

14 The third item is the physician-patient
15 relationship between the person authorized to order the
16 test and the person tested, and the relationship of that
17 provider with the marketing entity, the data management
18 and interpretation entity, and the laboratory.
19 Laboratories, under New York State permit, are prohibited
20 from performing testing on New York residents except as
21 requested by a person authorized by law to use those test
22 results. For those kinds of tests, that is generally a

1 healthcare provider with an established provider-patient
2 relationship with the tested individual.

3 The New York program views these genome
4 profiling scenarios as no different than any other
5 clinical laboratory genetic testing menu and expects the
6 providers to comply with all applicable permit and
7 business model requirements. We remain open to working
8 with all interested providers of such services through
9 the permitting process. Thank you.

10 DR. TEUTSCH: Thank you, Ann. Any comments or
11 questions for Ann? Obviously a topic of considerable
12 interest.

13 DR. FITZGERALD: Ann, just one quick
14 clarification. Thanks again for updating us on things.
15 So, is it the case that in New York right now there are
16 no direct-to-consumer organizations that have made these
17 arrangements yet with New York State? Did I hear you
18 correctly?

19 DR. WILLEY: There are entities which market
20 direct-to-consumer marketing that are not providing
21 direct access testing. DNA Direct offers its services in
22 New York. They are not a laboratory, but all of the

1 laboratories that they use for their monogenic gene,
2 disease-specific testing for a New York resident must be
3 New York-permitted, and they are.

4 But for genome profiling -- they all know it --
5 Navigenics, deCODE, and 23andMe do not yet hold permit.
6 Some of their contract laboratories where that is the
7 mode of testing have submitted. We haven't finished the
8 review process for the analytical and clinical validity
9 of the assays that they intend to include in those
10 profiles.

11 But the biggest stumbling block at the moment
12 are the business relationships between these
13 intermediaries and the laboratory: who is collecting the
14 money; who is paying the lab; who is providing the
15 counseling; who is a physician; who is a counselor; what
16 are all these relationships. That is the biggest
17 stumbling block at the moment.

18 DR. FITZGERALD: Excellent. Thank you.

19 DR. TEUTSCH: Thank you, Ann. We will look
20 forward to hearing how all of this proceeds in New York
21 and in California.

22 Thanks to all of you. I think the Committee

1 should all have your comments in their folders. We
2 appreciate all of the public input each time.

3 This afternoon we have two additional things.
4 We are going to get, first, an update from Barbara Burns
5 McGrath on the Education and Training Taskforce. I will
6 turn it over to her, and then we will wrap up with a
7 discussion on the priorities and the follow-up on this
8 morning's discussion. Barbara.

9 We are scheduled until 2:05. If you can do by
10 2:10, it would be lovely. If you can.

11 DR. McGRATH: We will all watch the clock
12 together.

13 **Presentation of Proposed Action Plan of SACGHS Taskforce**
14 **on Education and Training**

15 **Barbara Burns McGrath, R.N., Ph.D.**

16 [PowerPoint presentation.]

17 DR. McGRATH: I'm going to be talking 10 or 15
18 minutes about the Education and Training Taskforce. We
19 will show the membership in just a moment.

20 This is an issue that has resurfaced a lot in
21 the last two days. Yesterday when we did our priority
22 scanning, we did a little look and there were four topics

1 that explicitly listed genetics education and training of
2 the work force that rose to the hot level on the scan,
3 the 3.5 level and above. So it was very high on the
4 priority list.

5 Yesterday afternoon and today the topic kept
6 recircling on even the ones that didn't meet the 3.5
7 level yesterday. I was noticing there were other areas
8 that were throughout the priority areas that I think also
9 come under this rubric. One is consumer access to
10 genomic information. Health disparities can be looked at
11 through this lens. The electronic health record and
12 personal health record, public health applications of
13 genomics, and coverage and reimbursement all have aspects
14 that I think have some attachment to the notion of
15 genetics education and training.

16 In yesterday afternoon's session and this
17 morning that issue came up a lot again, and it got to
18 have a sense that whatever the question was where there
19 was a particular dilemma the answer was better training
20 for professionals and consumers was the answer to it.

21 I think this topic was one that was identified
22 with the first SAC group when it was originally formed in

1 2002, I think it was. It has resurfaced now, and I don't
2 think it is going to go away. I think we are ready to
3 roll up our sleeves and work on this one.

4 These are the committee members. There are
5 going to be a few changes, but basically that is who has
6 been working on it so far. We are always looking for
7 more members, so if you are intrigued, contact us.

8 Today's purpose is to talk about two pieces of
9 information we have, and these are under Tab 5 in your
10 booklet. [We will] go over the revised taskforce charge
11 that we worked on last time. We will talk about our
12 activities, and we will present the draft action plan.
13 The goal for today is to reach a consensus on both of
14 those documents, the taskforce charge and the action
15 plan.

16 As a quick update, at the last meeting there
17 was a discussion and it was suggested that we narrow the
18 scope of stakeholders. The original list was pretty long
19 and broad. We were asked to consider various education
20 mechanisms and modalities to be more creative than just
21 thinking about post baccalaureate training or whatever,
22 to focus on issues specific to genetics and actionable by

1 HHS, to narrow it not to things like health literacy but
2 to issues around genetics and things where the federal
3 government has a role to play, and of course, aim for
4 actionable outcomes.

5 I'm going to read the draft charge. As I said,
6 it is on Tab 5. I will read it fairly quickly. This is
7 asking for your approval on the wording on this. We can
8 talk about all of this at the end of this session so we
9 know we have time.

10 This is the draft charge: "Advances in
11 genetics and genomics are leading to a better
12 understanding of disease processes and improved
13 application of genetic testing to guide health decisions.
14 With increased integration of genetics into other
15 medical disciplines however, health professionals with or
16 without training or expertise in genetics are challenged
17 to keep pace with this dynamic and rapidly evolving
18 field. Education will have to address the growing
19 importance of genetics in common diseases, which likely
20 will require more knowledge and understanding about risk
21 assessment and communication. In addition, the
22 accelerated growth of direct-to-consumer genetic services

1 highlights the need for informed decision-making.

2 "To realize the benefits of genetic
3 technologies and protect against potential harms, the
4 education of healthcare professionals, the public health
5 work force, and the general public is critical. For
6 these reasons, the Secretary's Advisory Committee on
7 Genetics, Health, and Society has formed a taskforce to
8 build on the findings of the Committee's 2004 resolution
9 on genetic education and training of health
10 professionals."

11 Our draft charge then, following these aims,
12 was one that has been modified by the Committee over the
13 last few months. On the screen you can see the changes
14 but in your booklet you will just see the final revised
15 one. I will read this out loud as well. This is the
16 draft charge that will give us our marching orders.

17 "The taskforce is charged with developing a
18 plan to identify the education and training needs of
19 health professionals, the public health work force, and
20 the general public in order to optimize the benefits of
21 genetic and genomic services for all Americans. This
22 plan will also outline the steps required to meet these

1 needs and evaluate the efficacy of educational and
2 training efforts. This plan includes but is not limited
3 to the following activities:

4 "1) Assembling evidence to determine which
5 recommendations from the 2004 SACGHS education resolution
6 were implemented and which ones require additional
7 efforts;

8 "2) Identifying the education and training
9 needs specific to genetics and genomics for healthcare
10 professionals;

11 "3) Identifying the education and training
12 needs of the public health work force;"

13 No. 4 got scrapped.

14 "5) Identifying the education needs of patients
15 and consumers to assist them in informed decision-making
16 about the use of genetic services and enhance their
17 understanding and utilization of results and how these
18 results impact decisions about prevention or treatment;

19 "6) Identifying effective educational tools
20 that can be incorporated into electronic health records,
21 personal health records, and clinical decision support
22 systems that would enhance the appropriate integration of

1 genetic and genomic technologies throughout the
2 healthcare system without adversely impacting privacy,
3 access, and work flow. In addition, identify gaps where
4 such tools do not currently exist and develop
5 recommendations on how to address these gaps;

6 "7) Assessing the use of evaluative research
7 methods to determine the efficacy of genetics and genomic
8 education and training." No. 8 got scrapped as well.

9 What I would like to do is hold your thoughts
10 about any changes you might want with those until I go
11 through some of our activities to see if that informs
12 some of your comments.

13 The group had a conference call in March. We
14 discussed these new changes of limiting the focus and
15 broke ourselves up into three workgroups focusing on that
16 narrower scope. We were going to focus on health
17 professionals as one group, public health providers, and
18 consumers and patients as the third group.

19 Chairs were selected for each of those
20 subgroups. George Feero is heading the group with the
21 health professionals, Joseph Telfair is heading the group
22 with public health providers, and Vince Bonham is heading

1 the group with consumers and patients.

2 Each workgroup then met on conference calls
3 with their own group. The whole committee was then
4 divided into these groups, and each group met
5 independently to talk about their own action plans, as
6 these were seen as fairly independent.

7 Then on June 3rd, about a month ago, the chairs
8 and I and Cathy Fomous had a common conference call to
9 see if we could integrate the activities. We came up
10 with an action plan.

11 The main part of the action plan of course is
12 to produce a report -- we are aiming for 2010 -- that
13 will identify the gaps and make recommendations to
14 address them.

15 We developed an integrated framework for how to
16 achieve these goals and the decision was made at that
17 point to present to you using a clinical case model to
18 highlight all the needs of the various groups. The
19 reason we chose a clinical case model for this was that
20 we were looking for some way that could integrate all the
21 different perspectives. We wanted to have a way of
22 telling the story about what education and training is

1 needed and the gaps in a more compelling or evocative way
2 than just writing up a list of recommendations or
3 competencies.

4 So we came up with a case model way to put a
5 face on the experiences of the various groups, coming up
6 with specific cases that would highlight different lenses
7 that would be used to look through these story lines.

8 The frameworks that we have chosen have a
9 couple common themes. They will each need to address the
10 needs of the various audiences. We are trying to
11 identify different types of testing, different stages of
12 testing, and different settings, and then how the
13 education or training can best be provided and evaluated
14 in meeting all of these needs.

15 We came up with an initial list of seven
16 potential case studies that we think might meet those
17 needs and help us highlight the educational and training
18 needs of the three groups we have identified. These are
19 patient diagnosis of a single gene disorder, a family
20 history of a common disease, a case with a newborn
21 screening situation, some pharmacogenomic testing,
22 direct-to-consumer testing, population research, and

1 media reporting of research results, and designed a case
2 study that highlights each one of those situations.
3 These are in draft form and open to discussion.

4 Each workgroup designed their own plan for how
5 they want to address their needs for their own specific
6 group. You have the longer versions in your booklet, but
7 [I will] just highlight a few of them.

8 The Health Professionals Group is planning at
9 this point to start with summarizing the literature and
10 then mapping the existing federal ecosystem, with a plan
11 of doing a survey of key professional organizations to
12 identify their priorities.

13 The Public Health Provider Group is approaching
14 it at this point by identifying a subset of public health
15 providers to do an assessment of their needs. They plan
16 to review competencies and then assess how the
17 competencies are being met or what gaps are in there.

18 The Consumer and Patient Workgroup is also
19 starting with a literature review and mapping existing
20 activities and then consulting with experts in the field
21 of genetics and education to identify the gaps.

22 The next steps of our taskforce or the

1 workgroups will be executing their action plans. We are
2 hoping to have draft findings by spring of 2009 so we can
3 assemble a draft report for public comment by next summer
4 and the revisions and final report by early 2010, which
5 is fairly ambitious, but that is what we are aiming for.

6 I would like to lead a discussion on getting a
7 consensus on the two documents that we showed at first.
8 Now that you understand the scope of the group, see if
9 you think that those two documents represent accurately
10 what we should be doing. The first one is the draft
11 charge. Paul.

12 **Discussion**

13 DR. BILLINGS: I have a couple of questions. I
14 was particularly struck by the creation of a public
15 health work force group, or subgroup, or whatever you are
16 calling it, distinct from health professionals. Can you
17 talk a little bit about how that came about and why that
18 separation was made?

19 DR. McGRATH: Joseph is head of that group.
20 Unfortunately, he left. But we can add the rest of the
21 committee members with it.

22 It was identified that the healthcare providers

1 were point-of-care persons, physicians, nurses, genetic
2 counselors, that whole group of point-of-care. The
3 public health providers were more like state officials
4 involved in things like newborn screening policies and
5 things like that. Does that make a reasonable
6 distinction?

7 DR. BILLINGS: So, if I was a public health
8 physician, I would be a healthcare provider and not a
9 public health work force person?

10 DR. McGRATH: That is why it is one committee
11 instead of three. That is why we will be using case
12 studies. There is going to be overlap. You may wear one
13 hat in one situation and another hat in another one and
14 have different educational needs for different ones. If
15 you were doing newborn screening, you would have to know
16 a lot more about state policies and things like that
17 versus if you were providing care at a community clinic.
18 That was the thinking. Does that make sense?

19 DR. BILLINGS: I think if you are going to
20 include broad constituencies outside of, let's say,
21 traditional providers and patients, then you have
22 hospital administrators, you have legislators who are

1 writing legislator, you have judges doing healthcare law.

2 You are opening it up to a larger group. I'm just

3 curious how you are thinking about the scope of it.

4 DR. McGRATH: Of that one group?

5 DR. BILLINGS: Yes.

6 DR. McGRATH: That last group you just listed
7 is one that we eliminated last time, but we did keep it
8 in public health people.

9 Any other thoughts?

10 DR. AMOS: We have heard a lot from industry
11 this morning, different companies. It seems to me that
12 there may be some need to educate up and coming new
13 companies on what are the expectations for scientific
14 rigor that is going to be required for the general
15 genetics community to accept their technologies. Was
16 there ever any discussion about working with industry?

17 DR. McGRATH: I think in the early discussion -
18 - and those of you who are here, jump in -- that was in a
19 long list of groups that could easily be included. We
20 kept coming back to point-of-care notions and limiting it
21 to that. That was just a decision made. The line had to
22 be drawn someplace.

1 DR. AMOS: I just think that you might actually
2 remove some of the roadblocks and actually may be able to
3 be a part of the process of getting more clinically
4 relevant, useful diagnostic tests out there by working
5 with industry closely.

6 DR. McGRATH: Sherrie first.

7 DR. FITZGERALD: Is this -- oh, I'm sorry.
8 What?

9 DR. McGRATH: You are not Sherrie.

10 [Laughter.]

11 DR. FITZGERALD: I'm speaking for her. No, no.

12 DR. HANS: Just a quick comment. I'm struck
13 with the Public Health Providers Workgroup that perhaps
14 you don't have the range of expertise that you need on
15 the group. You might think about trying to get some
16 additional members on there from outside the current
17 committee, either from CDC colleagues who are involved in
18 the education of public health providers or groups like
19 the American Public Health Association.

20 It is a particular diverse group of
21 practitioners, and I think you need that expertise on the
22 workgroup itself in addition to contacting those groups

1 and getting information from them. I think there is a
2 knowledge and understanding that isn't captured in the
3 current workgroup.

4 DR. McGRATH: That is a good suggestion. We
5 had made a decision, but we can certainly revisit that,
6 of keeping the workgroup to that size and having a really
7 robust communication using people like that as
8 consultants rather than having them on the group
9 themselves. It is something to revisit. Thank you.
10 Yes.

11 DR. FITZGERALD: You have DTC down here,
12 though, under different settings. So you will be
13 addressing that issue?

14 DR. McGRATH: Yes. I was just thinking about
15 adding a response because the idea of the case study
16 around DTC is it is from the lens of the consumer. The
17 case study will be of a person going through that
18 experience. But the way we are envisioning writing it,
19 they will come in contact with various people who also
20 have educational needs. That will allow us to cast that
21 web a little bit wider. That is when I was thinking
22 maybe that is the place to bring in some of the industry

1 perspective but not as the sole focus. Is that what you
2 were getting at, Kevin?

3 DR. FITZGERALD: To some extent. It is not
4 just direct-to-consumer testing, though.

5 DR. McGRATH: Right, right, right. One more.
6 Rochelle.

7 DR. DREYFUSS: I have a very tiny quibble with
8 the original statement of goals. You used the words
9 "increased understanding of genetic testing" and then you
10 talk about the need for education. If we understand it
11 more, do we need the education? You might just want to
12 say an increase in production of genetic information
13 rather than increasing understanding.

14 For somebody who has never seen this before, it
15 is kind of confusing.

16 DR. McGRATH: Good. Thank you. Any other
17 comments so we could reach consensus on those two?

18 DR. TEUTSCH: With those changes I assume that
19 we have consensus on the charge. The one thing that we
20 of course have to still pick up is the information that
21 we get from the priorities process. Obviously, Paul is
22 going to talk a little bit about that as we get back to

1 that. But there are issues there that we will be looking
2 to this committee to incorporate as well.

3 Cathy?

4 DR. FOMOUS: Rochelle, just to go back to
5 clarify what you are wanting, this is in the paragraph
6 that talks about the specific charge?

7 DR. DREYFUSS: Yes. The fourth line down. I
8 just saw it on the slide.

9 DR. FOMOUS: I'm just trying to find where you
10 want the change or what you want exactly.

11 DR. DREYFUSS: I don't have it in front of me.
12 If you could just go back to the slide of the charge.
13 It was right at the very beginning. Keep going back.
14 "Leading to a better understanding of disease."

15 DR. FOMOUS: Oh, the very first sentence.

16 DR. DREYFUSS: Yes. It just looks like we all
17 understand it.

18 DR. FOMOUS: So, what would you prefer?

19 DR. DREYFUSS: "Better information" or "more
20 information." Maybe it is fine, but it looked to me like
21 if there is better understanding why do you need more
22 education.

1 DR. FOMOUS: So it is leading to more
2 information about disease processes.

3 DR. FROSST: You could probably sub in the word
4 "insight" in there.

5 DR. DREYFUSS: Yes, that's good.

6 DR. FOMOUS: I didn't hear that. Put
7 "insight"?

8 DR. FROSST: Use the word "insight" instead of
9 "understanding."

10 DR. DREYFUSS: Thank you.

11 DR. TEUTSCH: All right. Very good. Thanks so
12 much, Barbara. We appreciate your doing that. You will
13 have your work cut out for you.

14 Now we return to yesterday morning's discussion
15 on the priorities and, obviously, a bit of the discussion
16 that we didn't have this morning on the personalized
17 genomic services. We will have Paul lead this. Sylvia
18 will chip in as we need to. Paul.

19 **Continued Discussion of Plan for Next Steps**
20 **in Priority-Setting Process**

21 **Paul Wise, M.D, M.P.H.**

22 DR. WISE: Thank you very much. The first

1 thing to recognize is that we have already achieved what
2 we had set out for this Committee to achieve for this
3 session, which, number one, is to review and approve the
4 process that we have been using to set priorities, and
5 number two, general consensus and some very helpful
6 suggested revisions to the categories worthy of further
7 exploration as issue briefs as part of the priority-
8 setting activities for this Committee in the fall,
9 setting up decisions that will have to be made at the
10 December meeting.

11 There are obviously some time rearrangements
12 that were required in shifting gears a bit for this
13 discussion, but in fact it makes quite good sense to
14 collapse the discussion of these priority setting
15 activities with the discussion of the personalized
16 medicine and direct-to-consumer genetic testing issues
17 basically because they have tended to converge in the
18 sense that our discussions yesterday clearly identified
19 these areas, personalized medicine and DTC, as very
20 important issues for this Committee to address and
21 perhaps to address in a very focused way in the years to
22 come.

1 Also, there was very much the sense yesterday
2 that we want to make sure that we act quickly on at least
3 one, perhaps more, of these central issues to address
4 during a time of transition these kinds of issues, that
5 converging with the obvious need to discuss in some
6 detail the presentations that were made today.

7 My suggestion is that as we move forward with
8 the discussion we clearly address the issues that were
9 presented as part of the conversation in today's sessions
10 but we do so with an eye on how we should address
11 personalized medicine and direct-to-consumer genetic
12 testing as a Committee. We [should] address it, consider
13 it, and discuss it with an eye on how it should fit into
14 our priority setting activities over the next few months
15 to ensure that we have a voice at a critical time of
16 transition but also that we have a thoughtful, aggressive
17 voice in setting that agenda at a time that is
18 particularly important and that our voice is strategic in
19 nature.

20 Let me just open the conversation up at this
21 point to any comments or guidance. Yes, please.

22 DR. AMOS: Yes. This is my question from

1 before. Actually, it is a comment. I want to talk about
2 standards a little bit. I think Secretary Leavitt made a
3 very important point as to the competence in the science.

4 I think that what I'm struggling with, and I think most
5 people would be too, is the different companies that are
6 offering different things and the rigor of the science.

7 The general public doesn't understand that just
8 because you repeat something 16,000 times, your precision
9 of your assay may be really great but it may have no
10 relevance to what is really there. With genomic testing
11 it is a little different. It is a little cleaner than
12 proteomics or something like that.

13 But at the same time, these methods have not
14 been rigorously evaluated. I will give you an example.
15 In just a "simple" diagnostic test for troponin for MI,
16 we ran a round robin of all the different companies to
17 determine what was the absolute value that each of these
18 diagnostic tests that were FDA-cleared, marketed, and
19 being used in the clinic all the time. I think it was
20 about 10 companies that we ran. There was about a 130
21 percent CV in the results.

22 The AACC saw that. They asked us to develop a

1 standard for troponin. We developed a complex troponin-C
2 standard that brought the CV down considerably to
3 something a little better because the companies were able
4 to recalibrate.

5 That has not been done for most diagnostic
6 tests. It was done for cholesterol. It was done for
7 calcium. NIST has about 30 clinical chemistry standards
8 that are out there and we work with the Joint Committee
9 on Traceability of Laboratory Medicine, part of the
10 International Bureau of Weights and Measurements, to try
11 to develop ways to harmonize results across diagnostic
12 testing.

13 I think there are about 140 or so standards
14 that are available internationally for different
15 diagnostic tests, but there are thousands of different
16 diagnostic tests that are run. So from one standpoint,
17 what is going on with this technology is fairly
18 consistent with what is going on in the rest of the field
19 of diagnostics.

20 So, how do we, as a Committee, try to interject
21 some sound science in this to enable people to make good
22 decisions?

1 I will make one personal comment with regard to
2 what Kathy Hudson said about making sure that people who
3 are competent and know how to use the information have
4 the test and can take action. To Kevin's point about the
5 father whose kid with cancer was given 44 different
6 drugs, I have had firsthand experience in that. I'm all
7 for information. I was able to use information off the
8 Internet to save the life of my daughter, after having
9 gone to all the great medical institutions in the State
10 of Maryland [where] they couldn't figure out what it was.

11 I'm all for information, but I guarantee you
12 that if it wasn't for the fact that I could not prescribe
13 medicines myself I might have killed her. When your kid
14 is in a situation like that, be it genetic or anything
15 else, you are just absolutely grasping for information to
16 try to do something to help your kid.

17 A person who has that information should not be
18 their own physician. That is my personal statement.

19 DR. TEUTSCH: Thank you. Comments or
20 questions? Kevin.

21 DR. FITZGERALD: I'm curious. I understand the
22 process and all. Have you already gotten a sense of

1 different categories you are going to put some of these
2 topics in, considering whether they are going to be
3 large-scale reports or more targeted kinds of, I don't
4 know, white papers or letters to the Secretary or
5 something? Is that still open?

6 DR. WISE: That is still open. Our approach
7 was not to define what would be the most appropriate,
8 effective action steps but rather to define what areas of
9 content were likely to be of greatest importance to the
10 Committee's work over the next few years.

11 I'm pretty confident that our general sense was
12 that personalized medicine and direct-to-consumer issues
13 should be part of that deliberation and would be
14 included.

15 Now, how we approach that I think is really the
16 focal point for this conversation now. We don't have a
17 lot of time, but the hope is to provide some guidance to
18 the various members of the various taskforces,
19 particularly ours, to what would be the most appropriate
20 way to address these issues.

21 Now, it may be that the most appropriate focus
22 of the work for our group and related groups over the

1 next few months is merely to articulate what in fact are
2 the central questions that are likely to be most
3 important to this group moving forward. That may in fact
4 be bringing in the best science. There are other
5 tensions that we have heard.

6 For example, we have heard quite a bit about
7 the push in modern medicine for greater and greater
8 standardization in clinical decision-making, getting
9 clinical discretion out of the encounter. But we have
10 greater and greater standardization coming, smacking head
11 on with what we are calling personalized medicine, which
12 implies a grave departure from standardization.
13 Everything is personalized.

14 We have a clash between a culture of regulation
15 in health care and a culture of regulation in IT. What
16 we heard today is really IT.

17 So I could see our work would be, over the next
18 few months, really articulating what the central
19 strategic questions are for this Committee, not in
20 general but for this Committee, and bringing it quickly
21 back to the Committee for further work. Paul.

22 DR. BILLINGS: Paul, one of the themes that has

1 seemed to me to come up in the last two days more clearly
2 than before, and was certainly being made earlier in this
3 discussion, was around the issue of standard setting.

4 Now, we have talked about standard setting
5 specifically in the direct-to-consumer motif, but
6 actually, standard setting of course is broad and goes
7 for all aspects of the genomic and genetic enterprise.
8 It could go for the translation of evidence from
9 association studies into clinical practice. It could go
10 for standards for how you evaluate when a methodology
11 like sequencing is appropriate in the clinical setting.
12 It could go quite broadly. It is a theme.

13 I was thinking particularly of what the
14 Secretary said about things that are valuable to him. A
15 committee like ours talking about standard setting, even
16 as we instructed FDA in our last meeting, we could also
17 help them with their standard setting.

18 I wonder whether that is a theme somehow that
19 we ought to incorporate more broadly in one or more of
20 these topic areas.

21 DR. AMOS: Paul, I just want to comment on
22 that. You said that personalized medicine is not

1 conducive to standardization, but you have to define what
2 you mean by that. What I'm talking about is actually
3 standardizing the measurements, and there are ways to do
4 that. These measurements could be used universally.

5 I'm talking about establishing measurement
6 infrastructure, standard reference materials, standard
7 reference data, standard reference methods, that will
8 enable people to actually do direct comparisons over time
9 and space of their measurements. Those are critical.

10 DR. WISE: I would agree. I would just point
11 out that many people talk about standardization [not as]
12 standards used in the laboratory but rather standards of
13 use in the clinical encounter. So it is very helpful
14 that you point out these distinctions. It may be that we
15 need to address both or pick and choose. But again,
16 articulating what the central strategic questions for
17 this Committee really are I see as some of the work that
18 will need to get done over the next few months.

19 Muin, do you have a comment?

20 DR. KHOURY: Since we don't have that much
21 time, Steve, do you want to say something?

22 DR. TEUTSCH: It seems to me that some of this

1 is going to get fleshed out in the issue briefs as we get
2 through each of them. I think our task for the next half
3 hour is, we basically had clusters that we agreed to.
4 Are there any that we need to move on? Some of them are
5 going to move to the Education Group that they can begin
6 to act on.

7 Are there others here that we feel we can tease
8 out that should move separately from the overall priority
9 process, [while] obviously integrated with it, because we
10 believe that they deserve, if you will, a more rapid,
11 more in-depth look between now and December?

12 I see Mara and then Kevin. Muin, I'm sorry.
13 Do you want to integrate that first?

14 DR. KHOURY: No, it's okay.

15 DR. TEUTSCH: So Mara and then Kevin. I'm
16 sorry.

17 MS. ASPINALL: I'm going to suggest, consistent
18 with the discussion yesterday, that the DTC personal
19 genomics issues are ones that we should move on
20 immediately. They came up high in all of the voting
21 relevant to a lot of people, [as evidenced by the]
22 standing room only [audience] today, and are, I think, a

1 very relevant issue.

2 I would separate those DTC issues from
3 personalized medicine more broadly and really would
4 suggest that the personalized medicine and personal
5 genomics continuation of what we started today would be
6 one of the issues that is a priority moving forward
7 consistent with the process -- I guess I'm going out on a
8 limb here -- that we would prioritize as one of the
9 issues to discuss.

10 DR. FITZGERALD: Just following up on that, I
11 agree DTC is obviously going to be very, very important.

12 Maybe we need to break that out from personalized
13 medicine. My sense was there are a lot of issues
14 embedded in DTC, and that may require a larger, more
15 broad, comprehensive report.

16 Maybe we could break out some of the issues
17 that were highlighted, ones I think we have already
18 addressed in previous reports which will obviously be a
19 part of any larger reports that come down the pike on
20 personalized medicine or DTC: issues like informed
21 consent, privacy and confidentiality; issues like
22 coverage and reimbursement; and issues like clinical

1 utility. Set some kind of clear delineation of what we
2 are talking about in those areas in response to questions
3 that have come up or issues that have arisen since the
4 latest rounds of reports even just a month ago.

5 That I think we could do in a brief, focused
6 period of time. DTC itself, in at least my sense from
7 today, is fairly complex. That may require a more in-
8 depth kind of stroll.

9 DR. BILLINGS: I would second what Mara said
10 about separating topics of personalized medicine from
11 DTC. Personalized medicine is, in my view, quite a
12 different kettle of fish than all the issues that are
13 brought up by DTC. That is one thing I would want you to
14 do.

15 DTC, by the way, isn't just one thing. We had
16 several models of DTC up at the podium there today.
17 Aside from reviewing what currently are the controls and
18 standards of the activity, I'm not sure we can get
19 anything done in six months on DTC, actually.

20 DR. WISE: Muin. I'm sorry. Gurvaneet.

21 DR. RANDHAWA: This is a process question
22 because I think we are coming up with topics without

1 having a list of the topics we agree we will be working
2 on. Are we thinking of collapsing some topics together
3 for issue briefs. Are we going to separate some things
4 for higher priorities. That might be useful for us as a
5 starting point.

6 DR. WISE: We could put up the slides.
7 Basically, the comments and suggestions from yesterday
8 have been attached to the different categories so that
9 when the issue briefs are put together those are part of
10 the consideration.

11 I don't think there was a sense that we should
12 be collapsing a lot into what really started off as a
13 relatively small group of potential issue briefs but that
14 there may be some rearranging or inclusion of some issues
15 that were suggested yesterday that were not on the
16 original clusters that I presented. But it didn't appear
17 to be anything major. Clearly, we will make it available
18 to everybody so you can see.

19 DR. RANDHAWA: The reason I'm raising that
20 point is, if we wait for the issue briefs, we will be
21 waiting for the next meeting. I think part of the
22 discussion we had yesterday was shall we act in the

1 interim on one or two high priority topics or issues. If
2 we have a sense from the group in terms of what are those
3 more or less central issues that we should immediately
4 move on, then that might help us and we don't have to
5 spend time making issue briefs on them.

6 DR. WISE: If it is the sense of this group,
7 then we will do that. It sounds like a suggestion has
8 been made that we see direct-to-consumer genetic testing,
9 at least initially, as somewhat distinct from use of
10 genetic testing in personalized medicine. We can
11 certainly conform to that.

12 Then we would move very quickly, as best as
13 possible, to articulate some proposed approaches and get
14 it to the Committee long before December so that we could
15 begin to make some headway on these issues that we expect
16 will be voted as high priority issues in the December
17 meeting and we don't just wait around to do that. Muin.

18 DR. KHOURY: I guess December would be post-
19 election. Essentially, this is our last meeting before
20 the new administration, or maybe it will be in limbo for
21 a while. Coming back to the list of eight clusters --
22 and I wish you could put them up -- genetics, healthcare

1 reform, ensuring the clinical utility of genetic
2 information, public health applications, consumer access
3 to genetic information -- that is where DTC fits in --
4 informed consent, coverage and reimbursement, education,
5 then genetics, minorities, and health disparities, we
6 have already one taskforce that is doing its work on
7 education. Another one is Evaluation. What will happen?

8 MS. ASPINALL: We have to figure it out.

9 DR. KHOURY: We have to figure out what to do
10 with that. Then our job is finished, essentially.

11 So, are we just buying time between now and
12 December so that the issue briefs can be developed and
13 then we formulate our point of attack for the next
14 administration? Is that what I'm hearing?

15 If that is the case, then I think we may be
16 missing a couple of opportunities for more immediate
17 action. I don't think the last couple of days' worth of
18 discussion should go unnoticed by this Committee. If
19 anything, at the minimum the Committee could, or should,
20 consider writing a letter of some sort to the Secretary
21 expressing some kind of issue with these personal
22 genomics, if you want to.

1 The other thing is, three years ago a group of
2 feds, like FDA, CDC, and the FTC, put together some
3 consumer alerts. That was prompted by discussions of
4 this Committee as to what the federal government should
5 do.

6 In other words, you guys can decide what you
7 want to do. You can bide time while the issue briefs are
8 being developed and we can discuss things in
9 subcommittees and/or act on a couple of things. They
10 don't have to be big things but more placeholders at the
11 end of the administration.

12 Now, mind you, there is the Oversight Report,
13 the Pharmacogenomics Report, and a whole bunch of other
14 products that this Committee has put in front of the
15 federal government, which is pondering what to do with
16 it. I'm trying to push us to do more rather than less.

17 DR. WISE: Let me just respond. I have
18 Robinsue next and a few others. Obviously, Steve can
19 talk whenever he wants.

20 I would not characterize what we are suggesting
21 or what we would like to do as buying time. It is quite
22 the opposite. Number one, we have an arena of past

1 activities, past proposals, and past work that has not
2 been acted upon by this administration. There may be
3 elements of those things that we want to push in a
4 variety of different ways in the very near term. That is
5 being discussed.

6 We also have our issue briefs in the eight
7 general areas that we are going to work to move ahead on.

8 But again, there may be a few, probably one or two, like
9 what you suggest and we have been hearing about the last
10 couple of days, that deserve closer, more intense
11 attention. We would then utilize the education ones by
12 the Education Committee and perhaps the personalized
13 medicine and/or the direct-to-consumer genetic testing by
14 the Evaluation Committee or a new group that might be put
15 together to take on one of these, depending on what
16 decisions would need to get made.

17 But the idea is that we would utilize whatever
18 infrastructure already exists or create whatever new
19 infrastructure exists, to move these issues forward
20 quickly connected to this priority setting process. We
21 would never buy time.

22 DR. TEUTSCH: A couple things. One is I think

1 we are trying to get ourselves positioned for the next
2 administration. One of the things that I have asked
3 staff to do, and hopefully we can get agreement from all
4 of you that we should actually do that, is to pull
5 together all the recommendations that we have made
6 historically so that we can have them in front of the new
7 administration. You will get to see a letter in December
8 to make sure that we have the right issues highlighted so
9 that we can move forward with that.

10 I'm hearing that there is a desire to move
11 reasonably expeditiously on at least a couple of the
12 other issues that we have heard about here: the
13 personalized genomic services and probably DTC. We are
14 already pretty well positioned to take on that. The
15 Evaluation Taskforce we already know has a good name.
16 That can begin to take on that issue. We could form
17 another taskforce if people would like to deal with the
18 DTC issues, if that is a priority that we think we need
19 to get in a more concrete way more than we can do in just
20 an issue brief before the December meeting.

21 That is one way we could proceed if people
22 would like. If they have other priorities, we can do

1 that, too. But that is one suggestion about how we might
2 begin to move this so we are a little bit more prepared
3 to actually take action at least beginning in December.

4 DR. WISE: Robinsue, do you have a comment?

5 DR. FROHBOESE: Thank you. The comment I was
6 going to make has already been made, so I pass. Thank
7 you.

8 DR. WISE: Paul.

9 DR. MILLER: I just want to reiterate Steve's
10 idea and go one step further. I think we can have staff
11 pull together the pending recommendations from the other
12 reports and put it together in an annual report or in a
13 memo from the Committee that goes both to the internal
14 HHS transition committee and that is part of the process.

15 Not that I'm giving out jobs, but I think it
16 would be helpful not just to pull out the pending
17 recommendations but to somehow prioritize them. Group
18 them in a way that they become really useful and helpful.

19 We heard from the Secretary today about the executive
20 summary. If it is going to be a four-page memo of
21 nothing but bullets that this little Committee sitting in
22 the corner of HHS wants the new Secretary to go through,

1 it is going to go in the pile.

2 But to the extent that we can really shape
3 those recommendations [by] prioritizing them in terms of
4 short-term and long-term goals, low-hanging fruit,
5 however we do it, but to really present those
6 recommendations in a way that will become particularly
7 useful for the transition team for the incoming
8 administration, I think that would be really valuable.

9 DR. WISE: Mara.

10 MS. ASPINALL: I would also agree. To go back
11 to the comments about doing DTC in six months,
12 prioritizing it now and setting the priorities for the
13 next administration to focus on may not be the be all and
14 end all that we do on DTC. It is not easy, so it would
15 be some real work. But I do believe we can, between now
16 and then, prioritize the issues within DTC to be able to
17 identify what we believe HHS should be looking at going
18 forward.

19 That doesn't say that after that we don't have
20 a fuller report on other issues. I think it is very
21 consistent with what Steve said about going back. We are
22 giving them, as Paul said, the executive summary. This

1 is what we have done in the past and this is what we
2 think your high priorities are that haven't been resolved
3 that we still think are out there.

4 In terms of new issues, here are the new issues
5 you see, but we don't have all the answers now. We just
6 want to make sure in the first 30 days of the new
7 administration that it is on the radar [of the transition
8 team] and SACGHS is looked at as a proactive, up-to-date,
9 current organization that can help them look at it. So
10 it also forms the ability to say come to us, we would
11 like to participate.

12 DR. TEUTSCH: Listening to what Paul just said
13 about putting together a report, it is not just a
14 compendium. It is going to be structured and focused so
15 that it has impact. There is a lot on your plate
16 already. Is that something that we should be
17 incorporating into this, or do we need to tease that out?

18 DR. MILLER: I thought it made sense given the
19 clustering.

20 DR. TEUTSCH: Yes. You said as a basis it
21 needs to be coordinated. We could form another group to
22 actually take on the task because it is taking the

1 historical stuff and moving it. What is your sense of
2 that?

3 DR. WISE: My sense is that I think it is
4 appropriate for our committee to do it, and I will do my
5 best. However, it will require significant staff support
6 since I haven't been here for any history.

7 [Laughter.]

8 DR. TEUTSCH: We will get revisionist history.

9 DR. WISE: That's right. I could make it
10 really interesting.

11 [Laughter.]

12 DR. WISE: I think that it is precisely the
13 kind of aggressive, strategic voice that needs to come
14 from this Committee during the transition period. I
15 think our committee will work with the other committees
16 and certainly with Steve and the staff to put that type
17 of voice together in the short term. Thank you, Paul.

18 Kevin and Michael.

19 DR. FITZGERALD: Just quickly, following up on
20 what Mara just said, I don't think it is going to be
21 onerous to at least raise certain issues like we heard
22 today. Again, much of this is already indicated in

1 earlier reports. In order not to reinvent the wheel,
2 what I would recommend, too, is talk to Andrea and talk
3 to Marc and other people on the other reports so that we
4 can potentially pull out succinct pieces.

5 We are talking about standards in the Oversight
6 Report. We are talking about clinical utility in all of
7 the reports. We are talking about direct-to-consumer
8 advertising in the Oversight Report. All these things
9 are already there. All we need to do is, if we are going
10 to put a letter together, just probably boil them all
11 down and focus on raising them.

12 MS. ASPINALL: I think that is right, but I'm
13 talking about a slightly different tweak on that. Do
14 exactly as you said and highlight the issues. The new
15 administration is going to have tons to read and lots to
16 do. We just want to get to the top of the list and
17 remind them of what we have done so they don't ask us to
18 redo it, et cetera. There is a lot of overlap in people,
19 so it is not as if we are starting completely fresh.

20 I would still suggest DTC consumer genomics
21 wasn't fully raised in the past reports and that we add
22 that to at least the priority list so it includes some of

1 the key issues from the past -- we talked about
2 reimbursement and oversight today -- with maybe at least
3 one new issue at the same time.

4 DR. WISE: Thank you. Michael.

5 DR. AMOS: The thing that impressed me over the
6 last couple days is Muin's comments and Jim's comments.
7 All the geneticists around the table have specific
8 comments about the science that is being used for the
9 direct-to-consumer testing. I would think a short
10 statement from the Committee stating the validity of the
11 science that is being used. Compare it to good science
12 or bad science, whatever you want to say.

13 But [talk about] is this good science and what
14 are the issues. We heard from Teri today about the whole
15 genome analysis overall. What can people believe based
16 on good science.

17 DR. WISE: Jim.

18 DR. EVANS: Believe it or not, I think there is
19 one thing that the whole Committee can probably agree on.
20 I think that says something very powerful about where
21 our priorities are. That is that over and over during
22 the last two days what we have heard is there is a lot of

1 excitement among the Committee for issues related to
2 personal genomics and DTC. Let's just say what some of
3 these outfits are doing.

4 But there is also great concern that a bedrock
5 principle of all of ours, which is clinical utility and
6 evidence-based medicine, could get lost in the shuffle.
7 I think that if we are going to highlight something, it
8 is very worthwhile, in an enthusiastic way. Say this has
9 great promise [while] highlighting adherence to evidence-
10 based medicine and not putting the cart before the horse.

11 I would just throw that in there as perhaps
12 something that deserves a very high priority in a brief
13 letter.

14 DR. TEUTSCH: It seems to me that, based on
15 this discussion, there is obviously a need to pull
16 together the information we have heard the last few days.
17 Obviously, the Secretary is interested and we can get
18 that to him at least in a form of what we think are some
19 of the core issues, highlighting some of what Jim said.

20 Maybe what we could do is draft Sylvia into
21 pulling that together, as she pulled together the last
22 session, for us to look at. It would be good to get

1 something to this Secretary, since it is of keen
2 interest. Then that will hopefully begin to set some of
3 the framing for some of the work that we are going to
4 need to do in more detail later.

5 DR. EVANS: Not to sound too iconoclastic, but
6 does it make sense to send something more to this
7 Secretary?

8 DR. DREYFUSS: He could be the Secretary
9 continuing.

10 DR. EVANS: The whole purpose of this
11 conversation is to get pertinent things ready for the
12 next Secretary.

13 DR. TEUTSCH: Those would not be mutually
14 exclusive.

15 DR. MILLER: There may be things that this
16 particular Secretary, if there is something really easy
17 and low-hanging fruit, might want to do on the way out.
18 He might be able to shake one or two other things out.

19 DR. TEUTSCH: Particularly if there are things
20 that we have already recommended that we can remind him
21 of. Sylvia.

22 MS. AU: I definitely need volunteers to help

1 me. All those people I helped write reports for.

2 [Laughter.]

3 MS. AU: I volunteer Marc.

4 DR. WILLIAMS: I'm listening.

5 [Laughter.]

6 MS. AU: You just volunteered, Marc. Kevin?
7 Kevin just volunteered.

8 DR. WISE: Steve, are there other issues?

9 DR. TEUTSCH: Are you good?

10 DR. WISE: I'm good if you are good. I just
11 wanted to thank everybody for all your help over the last
12 few months.

13 DR. TEUTSCH: Those are the clusters. You have
14 them behind you now. You are framed by them, Paul.

15 DR. WISE: Yes. For the rest of my life.

16 DR. TEUTSCH: The Committee will be fleshing
17 out the details of what is in them.

18 DR. BILLINGS: Steve?

19 DR. TEUTSCH: Yes, Paul.

20 DR. BILLINGS: If I heard the process that just
21 went on properly, we are going to maybe draft something
22 like a letter on DTC that Sylvia is going to do, right?

1 DR. TEUTSCH: Right.

2 DR. BILLINGS: Paul is going to continue in his
3 wise way to do his thing.

4 There is another topic on this, that being
5 coverage and reimbursement, which has been the focus of a
6 good deal of work by this Committee already again. That
7 is an area which we may want to also make. The Committee
8 has generally been in agreement that reform of the
9 coverage and reimbursement system is a good idea. Might
10 we want to draft something instructive to this Secretary
11 and the next Secretary about that as well?

12 DR. WILLIAMS: This is Marc. Can I get in?

13 DR. TEUTSCH: Sure.

14 DR. WILLIAMS: Okay. Related to the topic,
15 actually, that was just brought up and from what Sylvia
16 was tasked to do and which I have now apparently
17 volunteered to do, it seems to me that we could take the
18 recommendations from the various reports that have been
19 done over the tenure of this Secretary and essentially do
20 a progress report, which is to say where are we on each
21 of these recommendations. Are they still relevant; are
22 they being continued in another workgroup; are there

1 issues that still need to be resolved; or are there
2 things that are carrying forward.

3 It seems to me that that would be a very
4 pragmatic document to develop that could be valuable not
5 only for our current Secretary in terms of things that
6 might be attainable within the short time frame remaining
7 but would also be valuable to the incoming Secretary to
8 say here is the work that has been done, what are the
9 things that we want to target going forward.

10 That is Point No. 1. Point No. 2 relates to a
11 comment that was made earlier that I think is important
12 to address and not let stand. That is the issue relating
13 to standardization versus personalization. These are not
14 incompatible, and they are not antipode. In quality
15 improvement, the idea is to use techniques of
16 standardization called mass customization, where
17 basically you use evidence-based information to customize
18 care to the individual but do it in a very standardized
19 fashion. There are a number of institutions, including
20 ours, that have done this very effectively.

21 But what this relates to in terms of our
22 current discussion is having the evidence base, as Jim

1 Evans has just said. So really, I think that the key to
2 personalized medicine will be to use informatically based
3 mass customization approaches that are going to take
4 advantage of robust evidence bases that really let us
5 know what it is we need to do.

6 DR. WISE: Thank you, Marc. It sounds like we
7 have some good guidance.

8 DR. TEUTSCH: We have some guidance and we have
9 some of the people on the workgroup. Paul, I think that
10 some of the things that you are talking about, to the
11 extent that they relate to this, we can certainly pull in
12 on reimbursement. There are all the issues that we have
13 discussed on reimbursement for genetic counseling and
14 things like that which are clearly not resolved.

15 But beyond that, it seems to me we roll it into
16 the larger document that we are talking about next time
17 because, clearly, those are salient issues that are going
18 to be on the table.

19 Other thoughts and guidance before we wrap up?
20 Just to be clear, Sarah is saying "How many do we have
21 here?"

22 [Laughter.]

1 DR. TEUTSCH: We have two letters. One Sylvia
2 is going to begin to draft that is going to try to pull
3 together what we have heard over the last couple of days
4 on personal genomic services. We will try and link that
5 to some of the things that this Secretary can do with the
6 six months he has remaining.

7 Then we are going to, in a broader sense, go
8 back over all of our recommendations that we have made
9 and begin to look at framing those in a way that we could
10 present them to the new administration. Paul is going to
11 be working on that, along with some of the things that we
12 need to highlight as being new priorities that we believe
13 should be the focal point for them and will hopefully be
14 the focal point for our work going forward.

15 DR. WISE: Right. Both would have to attend to
16 what Paul Miller suggested in that it is not a whiny
17 laundry list of things.

18 [Laughter.]

19 DR. WISE: But the idea is that we have a
20 strong, aggressive, thoughtful, coherent voice at a time
21 that is likely to be highly chaotic.

22 So I'm done. Do you want to close the meeting?

1 DR. AMOS: I just have one quick question. Is
2 somebody from the Committee or the staff going to try to
3 probe and find out what would be the best avenue to
4 communicate with the next administration? I think that
5 would be useful.

6 DR. TEUTSCH: It would be helpful, particularly
7 if we knew what the new administration was going to be
8 before November. But I do think we have to figure out
9 how to best communicate with them as the time gets closer
10 and we have a better understanding of who they are and
11 what their real interests are.

12 That is in fact why we have tried to delay this
13 process for making final decisions today. Paul Wise
14 could have taken us to the point of casting things in
15 concrete, but we thought we really should be informed by
16 our best knowledge about what the new administration is
17 likely to be interested in and how we might cast things.

18 DR. AMOS: Because there are people sitting
19 around the table that have some history.

20 DR. TEUTSCH: Those of you with history, if you
21 could let us know so we can capitalize on that history
22 later on.

1 What would you like to say, Mara?

2 MS. ASPINALL: Maybe not today, but just to
3 clarify what, if anything, in the midst of this
4 discussion is necessary from the Evaluation Taskforce.

5 DR. TEUTSCH: Clearly, in two minutes we are
6 not going to be able to do that. But there are a lot of
7 items that are on Paul's list that we need to revisit and
8 have that discussion.

9 MS. ASPINALL: We will do that.

10 DR. TEUTSCH: Genetics and the healthcare
11 system is a large part of that.

12 MS. ASPINALL: Right. That is consistent with
13 what we have said in the past. I just want to clarify
14 that. We will have the issue brief and the description
15 for the December meeting.

16 DR. TEUTSCH: Yes, that's fair enough.

17 **Concluding Remarks**

18 **Steven Teutsch, M.D., M.P.H.**

19 DR. TEUTSCH: At the next meeting we have a
20 couple of things on the agenda. The main item that Jim
21 Evans has just been waiting to talk to us about again is
22 the Patents Committee, which hopefully will not only have

1 a good draft for us to look at but also a set of
2 recommendations, options, considerations, whatever they
3 get cast as, for us to wrestle with.

4 DR. MILLER: To that we will all be naysayers.

5 DR. TEUTSCH: We will need to review the
6 recommendations that we want to take forward to the next
7 administration, so we will talk about that. Obviously,
8 this time I think we have come a long way in our priority
9 setting process, so thanks very much to Paul. I think we
10 have had a rich discussion on personalized genomic
11 services.

12 I don't know if Scott Boyle or Greg are still
13 here, but thanks to them for hosting us yesterday at the
14 meeting. Thank you for all of that. That stimulated,
15 obviously, a lot of discussion not only yesterday but
16 again today.

17 Thanks to Sylvia. That was a terrific session
18 this morning talking to us about how we cast the right
19 balance between innovation and protecting the public,
20 examining the scientific experience, looking at the
21 people who actually provide the services, how they think
22 they are serving the public good, creating business

CERTIFICATION

This is to certify that the attached proceedings

BEFORE THE: **Secretary's Advisory Committee
on Genetics, Health, and Society**

HELD: **July 7-8, 2008**

were convened as herein appears, and that this is the official transcript
thereof for the file of the Department or Commission.

SONIA GONZALEZ, Court Reporter