

1 Centers working with Rutgers University. I  
2 mean, there is a lot of organizations at the  
3 state levels that would be wonderful partners  
4 for FDA.

5 But my question before, is it  
6 because of lack of funding or because of the  
7 logistic processing you are having difficulty?

8 MS. RICE: Well, for the Center for  
9 Devices, I can tell you it is there are both.

10 All of what you said is a struggle. The  
11 money that we get to do these kinds of things  
12 and to get outreach, you know, again, things  
13 get prioritized and a lot of times, whether  
14 you end up with that money in a particular  
15 fiscal year or you don't. And decisions based  
16 on other things going on in our center. And  
17 then process itself is a long and drawn out  
18 process. And we tend to want the information  
19 today, the answers to the questions we keep  
20 putting out here. And for us to do it, could  
21 take years to get those answers. So, what  
22 happens is other things take over.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SELIGMAN: I think -- well hold  
2 on a second. The other thing I think is a  
3 challenge for us is that we, FDA is embedded  
4 in a larger healthcare system. There are  
5 other federal agencies, like the Agency for  
6 Healthcare Research and Quality. The Centers  
7 for Disease Control that really have a broader  
8 mandate when it comes to looking at the way  
9 healthcare is practiced, the way information  
10 is delivered, the effectiveness of various  
11 public health approaches, when it comes to  
12 changing behavior, influencing the way  
13 information is taken and translated into  
14 appropriate practice. So, I think that part  
15 of it may be just, I think from our sense,  
16 that historically FDA's role and mission has  
17 been somewhat narrower. And we have, in many  
18 ways, seeded the, not necessarily seeded but I  
19 think recognize that there are other agencies,  
20 associations, both in the public as well as  
21 private sector, as well as in academia who  
22 have both the responsibility, expertise, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 resources to do this kind of work, where we  
2 basically haven't.

3 DR. DAVIDSON: We actually do a lot  
4 of research that we haven't been able, you  
5 know, in the limits of these short  
6 presentations been able to share with you.  
7 And many of you at the table actually are very  
8 familiar with a lot of the research that is  
9 done at our center. I will say that the time  
10 constraints are enormously frustrating. The  
11 process that you have to go through to get  
12 your research done to give you information  
13 when the next issue arises is tedious, very  
14 tedious.

15 CHAIR FISCHHOFF: Mona, and then  
16 David and then --

17 DR. KHANNA: Then John. Right?

18 All right. I have a comment and--  
19 actually two comments. Two different subjects.

20 I wanted to respond to Marielos,  
21 what you said about screening for breast  
22 cancer and I don't think that that is an FDA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue. It is more of an issue of medical  
2 associations and competing medical specialties  
3 offering different recommendations. And  
4 breast cancer has turned out not to be that  
5 simple either because you probably have all  
6 heard recently of the guideline that came out  
7 that women should not do self breast exams.  
8 And there is the clinical breast exams, there  
9 is a mammogram, there is an ultrasound. So  
10 all of this is, as you know, is dependent on  
11 family history and personal history.

12 Just as confusing is the screening  
13 for colorectal cancer with your fecal occult  
14 blood testing, colonoscopy, sigmoidoscopy,  
15 digital rectal exam, and we could go on and  
16 on.

17 So unfortunately, that is a medical  
18 issue more than an FDA issue.

19 The comment that I was originally  
20 going to make refers to a little bit of what  
21 Dr. Goldstein was saying where we have to  
22 understand the needs of the population and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then taking off on Dr. Peter's presentation  
2 where less is more. I have found that making  
3 the transition from practicing medicine to  
4 practicing medical journalism was most  
5 difficult in one sense that is directly  
6 related to this and that was you have to  
7 select the information that you present, not  
8 compress it. In medicine and in most of what  
9 we do, we try to do our due diligence with  
10 research, get all the different viewpoints,  
11 get all of the different professionals,  
12 expertise, etcetera, and then make our  
13 decision based on all of that. Perhaps what  
14 we need to do is select different pieces of  
15 information that we deem are most important  
16 instead of cramming everything into the  
17 message. And I think Dr. Peters, that is what  
18 you were trying to say. That is the technique  
19 that is also effective for journalism, is  
20 selection not compression.

21 DR. SMITH: You know, the common  
22 theme throughout all of your issues was risk-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 benefit. And I think sort of following up on  
2 what Mona just said, you know, it really hit  
3 home on the communication issue that Ellen  
4 talked about and how we tend to use our  
5 perspective on what we communicate versus what  
6 the audience needs to hear.

7           And there have been so many cases  
8 in the food industry where what happens is an  
9 alarmist view of communication of gee, we have  
10 evidence that something is a concern and there  
11 is a risk. And you know, a recent one is  
12 trans fat, which we have known about for years  
13 but it has been more recent that the  
14 communications come out and the public tends  
15 to get very alarmist and we, as the food  
16 industry react to that and so we take trans  
17 fat out. And a lot of people put other fats  
18 in, saturated fat, and you know, a good  
19 example is well, gee, margarine is bad for me  
20 because it has trans fat so I will eat butter.

21       And you know, we don't have that balanced  
22 communication of the good fat, bad fat, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 moderation is really one message that I think  
2 we, as a food industry, all across and  
3 including the FDA as part of the food  
4 industry, has really missed that moderation  
5 issue that it is not just taking today's  
6 science that says trans is bad and that is the  
7 news. But the news really has to be what the  
8 consumer really needs to hear versus what we,  
9 as scientists know should go out there. So, I  
10 think it really hit home and probably  
11 affecting all of your communications here in  
12 that whole moderation issue.

13 CHAIR FISCHHOFF: Musa and then  
14 Mike, and then Dr. Seligman.

15 MS. MAYER: Sorry. One can't see  
16 it. That is why we are struggling with it.

17 So, Dr. Peters listed first,  
18 actually, among the potential barriers to  
19 effective communication insufficient,  
20 uncertain, and changing information. What I  
21 have been thinking about and dealing with most  
22 over the last years is the safety of various

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 drugs and, specifically, drugs used for the  
2 treatment of cancer.

3 And one of the things that has  
4 hampered FDA most, I think, has simply been  
5 the inadequacies of the passive adverse event  
6 reporting system they have had, which are  
7 about to be remedied, we hope, anyway, in some  
8 important ways. And so during the break, I  
9 asked Dr. Seligman if he would talk a little  
10 bit about the Sentinel Program and share that  
11 because it is an issue that gives me a lot of  
12 hope that we may be, that we may actually have  
13 better information to communicate and that  
14 that may really help the whole process a great  
15 deal.

16 DR. SELIGMAN: Yes, I would  
17 actually be happy to talk about it but since  
18 we are nigh on lunch, is this something you  
19 would like me to do now or later? I can spend  
20 five or ten minutes talking about the Sentinel  
21 Initiative and what it consists of and what  
22 our hopes are for it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1                   But the two second synopsis is  
2 basically to work with healthcare  
3 organizations that have databases that we  
4 could use in a sort of distributed fashion to  
5 really get at data that we currently don't  
6 have access to, which is how frequently  
7 products are being used, for what indications,  
8 how often adverse events are being observed,  
9 abnormal laboratory values, etcetera. And  
10 really get at the kinds of population-based  
11 information in a rapid fashion that would  
12 really improve the evidentiary basis for our  
13 recommendation. So that is it in, sort of,  
14 two sentences.

15                   The Sentinel Initiative has a  
16 webpage on a website that describes it in  
17 great detail. But I couldn't agree more and I  
18 think that was a point that Ellen made in her  
19 presentation, which is, you have got to have,  
20 I think it was the first point you made,  
21 actually, which was you have got to have good  
22 information and good evidence. And we have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 always been hampered, particularly in a post-  
2 market environment, with information that was  
3 very difficult to interpret and weigh against  
4 information that was collected in the course  
5 of clinical trials.

6 CHAIR FISCHHOFF: Let me say since  
7 we will be, we would like to start the public  
8 hearing punctually at 1:00. So let me sort of  
9 call the conversation now. Let me encourage  
10 people in the audience who would like to speak  
11 to come and to see Lee during the break. And  
12 then perhaps we will pick this up right after  
13 lunch, both about the data opportunity and  
14 then, in some sense, the communication  
15 obligation that will go with the data  
16 opportunity provided by the Sentinel.

17 Somebody found a pair of glasses in  
18 the men's room, bifocals, very attractive.  
19 And there is a place for lunch across the  
20 street.

21 (Whereupon, at 12:03 p.m. a lunch recess was  
22 taken.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 payment of your travel, lodging or other  
2 expenses in connection with your attendance at  
3 the meeting.

4 Likewise, FDA encourages you, at  
5 the beginning of your statement, to advise the  
6 Committee if you do not have any financial  
7 relationships. If you choose not to address  
8 this issue of financial relationships at the  
9 beginning of your statement, it will not  
10 preclude you from speaking.

11 We are fortunate now to have three  
12 members of the public speaking to us. I would  
13 like each person to come to the microphone, to  
14 state his or her name, and to speak directly  
15 into the microphone over there. And we would  
16 like to keep your comments to no more than ten  
17 minutes, at a maximum. Okay, thank you.

18 The first person is Dr. William  
19 Maisel, Director of the Medical Device Safety  
20 Institute also the Director of the Pacemaker  
21 and ICD service at Beth Israel Deaconess  
22 Medical Center in Massachusetts. Please.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAISEL: Good afternoon. Thank  
2 you very much for having me here today. My  
3 name is Dr. William Maisel. I direct the  
4 Medical Device Safety Institute at Beth Israel  
5 Deaconess Medical Center and I am privileged  
6 to be here today on behalf of the Heart Rhythm  
7 Society. My travel and lodging has been paid  
8 by the Heart Rhythm Society to be at this  
9 meeting, although I am not being paid for my  
10 time today. Could I have the next slide,  
11 please?

12 What I hope to do in the brief time  
13 allotted to me is to give you a little bit of  
14 background of what the Heart Rhythm Society  
15 is, what type of patients we take care of, and  
16 why we think we are relevant to the discussion  
17 that is going on today. I would like to  
18 describe the Heart Rhythm Society experience  
19 with product notifications that have affected  
20 some of the devices we use every day in our  
21 practice. And probably one of the most  
22 important messages I hope to deliver and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 convince you of is that medical devices are  
2 different and I would like to explain why and  
3 why the communication to patients with medical  
4 devices may not be the same as communication  
5 for other devices.

6 We will talk about the terminology  
7 for medical device issues and, obviously,  
8 communication. And then I, of course, want to  
9 address what the panel is here to address  
10 today, which are emerging issues. Next slide,  
11 please.

12 The Hearth Rhythm Society is the  
13 international leader in science, education, in  
14 advocacy for cardiac arrhythmia professionals  
15 and patients and the primary information  
16 resource for these people on heart rhythm  
17 disorders. We represent approximately 5,000  
18 hearth rhythm specialists and cardiac pacing  
19 in electrophysiology, which is the management  
20 of heart rhythm disorders. And arrhythmias  
21 are the leading cause of heart disease  
22 related death with sudden cardiac arrest,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 claiming hundreds of thousands of American  
2 lives each year.

3 We also have millions of additional  
4 patients that have implanted cardiac rhythm  
5 management devices like pacemakers and  
6 implanted defibrillators. There are literally  
7 millions of patients in this country alone  
8 that have heart rhythm disorders and there are  
9 likely several people in this room who have  
10 heart rhythm disorders. Next slide.

11 So these are the type of tools that  
12 we use in our daily practice. There are  
13 implanted pacemakers and defibrillators which  
14 are "permanent implants." They treat very  
15 slow or dangerously fast heart rhythms. They  
16 are really amazing devices that have amazing  
17 technology and have been proven to save lives.

18 We do cardiac ablation procedures,  
19 where we pass catheters up into the heart to  
20 treat or cauterize the heart muscle to prevent  
21 or get rid of abnormal heart rhythms.

22 AEDs are automatic defibrillators

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which have saved enumerable lives in airports  
2 and hopefully there is one somewhere in this  
3 buildings.

4 And we also, obviously, use  
5 medication. So we are not just about devices.

6 We have many patients who take medications  
7 both for other heart-related issues and their  
8 co-morbidities. Next slide, please.

9 So, I think it is probably self-  
10 evident that devices are different than  
11 medications or food or what have you but there  
12 are certain characteristics that are  
13 particularly important to consider. Number  
14 one is that they may be a permanent implant.  
15 A device might be implanted in a patient and  
16 that patient is going to have that device  
17 forever. And sometimes removing the device is  
18 dangerous. Sometimes it has potential  
19 complications, including the potential to die  
20 from attempted removal of a device. And so  
21 the words we use to describe product issues  
22 with medical devices needs to be carefully

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 considered.

2 I mentioned that they have  
3 sophisticated technology which benefits many  
4 of the patients. And because these are  
5 permanent implants, they inevitably will  
6 experience what we consider "normal wear and  
7 tear." They wear out over time. For example,  
8 a pacemaker that is connected to the heart via  
9 lead or a wire will undergo five hundred  
10 million heartbeats over about a 13 year period  
11 back and forth, back and forth. So these  
12 devices will, inevitably, have performance  
13 issues and that is part of their normal life  
14 experience. And so we need to be very careful  
15 when we start talking about performance issues  
16 for medical devices. Next slide.

17 So, I chose the word recall to  
18 highlight one of the examples here but it is  
19 really pretty amazing that we use a single  
20 word to describe the "recall" of products from  
21 the market for the FDA ranging from pet food  
22 to tomatoes to heparin, all of which can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 easily be taken off a shelf without any harm  
2 to anyone. And we use the exact same word to  
3 remove that device in the bottom right, which  
4 is an implantable defibrillator that is  
5 connected to the heart via a wire that has  
6 approximately a one percent mortality to  
7 remove the device. And so we need to be very  
8 careful with the terminology that we use.  
9 Next slide, please.

10 The other problem is that the words  
11 we use mean different things to different  
12 people. And so to the FDA, the word "recall"  
13 and this is from their regulations, is "the  
14 firm's removal or correction." So it doesn't  
15 require that the device be removed. There may  
16 be a way to mitigate the problem without  
17 removing the device. And to the FDA, that is  
18 what they mean. And if you read any of the  
19 FDA recalls that have affected heart rhythm  
20 devices, they are always very careful to say  
21 it does not necessarily mean your device needs  
22 to be removed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   But patients don't hear that. What  
2 they hear is my device is recalled. Next  
3 slide. And what they tell their family and  
4 their doctor and what they understand is that  
5 I need my device removed. And so just using  
6 that word recall affects the clinical  
7 interaction between a patient and a physician.

8                   And I have sat in the office with literally  
9 hundreds of patients who have had devices  
10 recalled. And they all come into the office  
11 thinking they need surgery to remove their  
12 device. They don't get it.

13                   And it is a communication issue.  
14 And if you just go on the internet, I chose  
15 dictionary.com, it is no wonder they don't  
16 understand what recall means because recall  
17 does mean return of goods or a product. It  
18 doesn't mean to the general population removal  
19 or correction. And so we need to be careful  
20 about the terminology. Next slide.

21                   So, we are talking about emerging  
22 and uncertain risks today. And it is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 challenging issue because there often is not a  
2 line in the sand. It is often very difficult  
3 to decide when we are business as usual, when  
4 we are seeing a normal amount of product  
5 performance issues, and when there is an  
6 emerging or uncertain risk. And we could draw  
7 another line for recall or product advisory  
8 above emerging risk. And those lines are  
9 blurry. And that is probably the most  
10 challenging issue here. Next slide.

11 And so, as has already been well  
12 outlined by some of the speakers this morning,  
13 it is a balance. You need to decide when it  
14 is worth notifying and when you shouldn't  
15 notify. And these are some of the factors  
16 that we think are important to consider.

17 Certainly, on the side of  
18 notification is informed consent. Many  
19 patients want to know about what is going on  
20 with their devices and the performance,  
21 although we would argue and we have advocated  
22 as a society, that physicians should be doing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this before the device goes into the patient.

2 We routinely recommend that our implanting  
3 physicians tell patients this is a complicated  
4 device. The device is designed to work at a  
5 certain success rate and there may be product  
6 performance issues that develop over time. It  
7 is still beneficial for you to have the  
8 device. And so that can mitigate the need to  
9 notify over some of these low risk emerging  
10 issues, if patients are already understanding  
11 that that could occur.

12 Obviously, if you notify and that  
13 will facilitate additional reports or data  
14 collection, or accelerate getting an answer  
15 about a problem, that would be worthwhile and  
16 it may improve patient care. But it  
17 definitely increases patient anxiety when you  
18 notify. It may not turn out to be a true  
19 performance issue, so that is unnecessary  
20 patient anxiety. It can have an adverse  
21 impact on industry. And by that, I don't just  
22 mean their bottom line financially but in an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 industry where there may not be many  
2 suppliers, it can make it difficult to get a  
3 product implanted into a patient who really  
4 needs a product. It can mitigate how quickly  
5 they are willing to bring new products to the  
6 market. And so, we need to be very careful  
7 and not unnecessarily notify.

8 And then it may adversely affect  
9 patient care when notification results in  
10 patients coming into physician offices  
11 demanding to have their device come out, even  
12 when you try to reason with them. And it  
13 happens because patients get anxious. Next  
14 slide.

15 The Hearth Rhythm Society has dealt  
16 with this issue over several years. And in  
17 2005 and 2006, we had an ongoing discussions  
18 with the FDA, with industry, with physicians  
19 and patients to address many of the issues  
20 that the panel is discussing today. And the  
21 culmination of that was this report in October  
22 2006 in the medical journal Heart Rhythm. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was recommendations from the Heart Rhythm  
2 Society Task Force on Device Performance  
3 Policies and Guidelines. And obviously, I  
4 don't have time to go through the entire  
5 document, which is on the Heart Rhythm Society  
6 website. But there were a couple of important  
7 messages that are relevant today.

8 One is, it was recommended that the  
9 term recall be eliminated in public  
10 communications concerning implanted devices  
11 because of the reasons I have already spoken  
12 about. We talked about standardizing  
13 physician and patient communication. And in  
14 fact, we have a template for the type of  
15 information, the type of data that physicians  
16 and patients want from the FDA and from  
17 manufacturers. How many devices have been  
18 implanted? How many have failed? What type  
19 of failures were observed? What is the rate?  
20 What is the anticipated rate? A lot of very  
21 basic simple, data-driven information that we  
22 want when we are dealing with these issues.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And we also recommended direct patient  
2 notification for important issues.

3 Now, we have the advantage of  
4 having implanted registered devices. And  
5 although there are hundreds of thousands, if  
6 not millions of devices, we do have contact  
7 information. And in fact, this was instituted  
8 last year for the first time in bulk, where  
9 170,000 patients were directly notified by a  
10 manufacturer about a product performance  
11 issue. You can give the message to the person  
12 who needs to get the message. You can give  
13 them the information that they need and you  
14 can deliver the message directly to them  
15 without necessarily alarming people.

16 One of the things that happens is  
17 if you see in the news pacemaker recalled, we  
18 have millions of pacemaker patients. They may  
19 not get that it is a certain brand and a  
20 certain model and doesn't affect all of them.

21 And so you create this huge wave of anxiety  
22 among a number of patients unnecessarily.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 Next slide please.

2 This is a brief portion of the  
3 clinical recommendation and perspective that  
4 we included on our one-page form. So, the  
5 form includes specific issues about data. But  
6 it is very important not to stop with just  
7 data or to say contact your physician.  
8 Patients don't like just being told to contact  
9 their physician. It can be weeks, sometimes  
10 before they can get an appointment or get on  
11 the telephone. And if we have thousands or  
12 hundred of patients calling, it is very  
13 difficult, even if we want to do the right  
14 thing, to get back to them.

15 And so we think that it is very  
16 important to give some recommendations, even  
17 if the recommendation is that there are no  
18 recommendations, but to explicitly say what  
19 you want done. For us, it is things like  
20 verify normal device function at the next  
21 normal follow-up or as soon as possible,  
22 etcetera. Next slide, please.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   So, to conclude, timely accurate  
2 communication is critical. We think that  
3 efforts to standardize and develop terminology  
4 by product type, and we think medical devices  
5 or certainly permanent implanted medical  
6 devices are an important subgroup, to better  
7 communicate the intended message should be  
8 undertaken. And hopefully, you don't leave  
9 here with the idea that medical devices are  
10 different and you work on everything else and  
11 decide that medical devices are too tough to  
12 tackle. I think we would argue that they are  
13 one of the most important areas to tackle  
14 first.

15                   It should be data driven. Survey  
16 specific audience, such as patients whose  
17 lives literally depend on their device, to  
18 determine which terms best convey the intended  
19 message. And there is an important role here  
20 for medical societies, and certainly the Heart  
21 Rhythm Society has and will continue to be  
22 available, but whenever possible, include the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 professional society in delivering your  
2 message, particularly if it is not an hour-by-  
3 hour thing, but you have a 24 hour or 48 hour  
4 window, when you are going to issue a press  
5 release. We have had much more success, and  
6 we have done this with the FDA where the Heart  
7 Rhythm Society either simultaneously issues a  
8 statement or it has sometimes even been in  
9 concert with the FDA to provide a clinical  
10 perspective. Here is the information and the  
11 Heart Rhythm Society recommends A, B and C to  
12 give physicians and patients some reassurance  
13 that some knowledgeable people are working on  
14 the problem.

15 So, I very much appreciate your  
16 time and would be happy to answer questions  
17 now or later. Thank you.

18 CHAIR FISCHHOFF: Thank you. We  
19 have time for one or two questions. Mona?

20 DR. KHANNA: What term would you  
21 prefer instead of the term recall?

22 DR. MAISEL: We have recommended

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 terms such as safety alert, which doesn't have  
2 the implication of product recall, of removing  
3 a device. So that would probably be our  
4 preferable term.

5 DR. PETERS: I have always got to  
6 check to see if it is on. You talked about  
7 direct patient notification, after first  
8 notifying physicians. Did you evaluate how  
9 well that message worked, evaluate  
10 comprehension of it, reactions to it?

11 DR. MAISEL: That is an excellent -  
12 - we spent a lot of time thinking about how to  
13 deal with this issue. And it is a very  
14 complicated issue that I am sure you will  
15 wrestle with as well.

16 Here are some of the factors that  
17 go into that. Number one, major companies  
18 have an obligation, financial obligation to  
19 not withhold information. So we have asked,  
20 requested the opportunity as a physician to  
21 have a little window to go to our patients and  
22 contact them quickly about the information,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 without them getting it on the front page of  
2 some newspaper. That can't happen because the  
3 companies are obligated to publicly report  
4 that information that could affect their  
5 financial bottom line as soon as it is  
6 available. They can't withhold that  
7 information.

8 So, we have advocated that, when  
9 possible, physicians receive a letter and have  
10 a window of about seven days to contact their  
11 patients to call them into the office to tell  
12 them what is going on before the patient gets  
13 the letter. And that is what we did this last  
14 time around.

15 In talking to the company that  
16 orchestrated that and in my own experience, it  
17 was highly successful, at least with regard to  
18 reducing patient anxiety. These are  
19 complicated issues. The patients don't walk  
20 away with perfect understanding of the issue  
21 but they really appreciate being thought of.  
22 They very much appreciate being in the loop,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rather than talked at. They feel like they  
2 are invested and someone is thinking about  
3 them. And so I don't mean to imply that that  
4 is the perfect and only method of  
5 communication because the message will be  
6 lost, but it was definitely beneficial.

7 CHAIR FISCHHOFF: Do you have a  
8 follow-up?

9 DR. PETERS: It is not quite what I  
10 asked. I was wondering whether you actually  
11 evaluated how well consumers comprehended the  
12 message that you sent, how much they trusted  
13 the source of the information, versus perhaps  
14 some other source, the extent to which you  
15 actually tested the message and its affects.

16 DR. MAISEL: We have not formally  
17 conducted testing on the message delivery.

18 CHAIR FISCHHOFF: Marielos?

19 MS. VEGA: As a physician, has your  
20 experience been different with different  
21 populations, like the elderly, Hispanics,  
22 etcetera?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MAISEL: It is definitely  
2 different. It varies by those things.  
3 Certainly age is probably the biggest  
4 predictor of response. Elderly patients often  
5 have trouble getting the details of the  
6 message and will often rely on their physician  
7 for management of their problem or they will  
8 have family members come in. Young patients  
9 are researching on the internet and come in  
10 with printouts of news articles and  
11 information they have downloaded from the FDA  
12 and industry. And so there is a very  
13 different process that goes on, based on the  
14 age of the patient.

15 DR. GOLDSTEIN: Yes, thank you for  
16 your presentation. I was just wondering,  
17 because you mentioned there were some examples  
18 where there was a good partnership, where the  
19 message was crafted together. And I wonder if  
20 you could, if not tell us about those specific  
21 examples subsequently, share those examples of  
22 a better process so that that might serve as a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 template for the future. That is the first  
2 question. And then I have another question if  
3 there is time to allow him to respond.

4 DR. MAISEL: So I would rather not  
5 get into device-specific or company-specific  
6 responses at this meeting right now. I would  
7 be happy to talk to you offline about that.  
8 But I would more describe it as we had a  
9 meeting of the minds with FDA and industry  
10 that was published in 2006. And since then,  
11 there has been a nice progress in how that  
12 process has worked. But I will give you  
13 specific examples offline.

14 DR. GOLDSTEIN: And the second part  
15 of the question was about the partnership it  
16 sounds like your organization has with the  
17 patients that are receiving these medical  
18 devices. So, if you could say more about how  
19 that works and what you have done as a society  
20 to make sure you are getting as much patient  
21 involvement in this process as possible.

22 DR. MAISEL: One of the benefits we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 have of implanting permanent devices of the  
2 FDA's thoughtful decision to require  
3 registration of these devices is that when a  
4 device is implanted, before the patient leaves  
5 the operating room, the device is registered  
6 with the manufacturer, as required by the FDA.

7 There are other permanent implants to which  
8 this applies. And so that supplies patient  
9 information, addresses, those sorts of things.

10 The patient is certainly notified that this  
11 is happening. The patient can certainly have  
12 the opportunity to opt out of that process. I  
13 have never had a patient opt out. And that  
14 allows this contact. Now patients move,  
15 physicians move, so it is not perfect but it  
16 certainly allows the opportunity. We also  
17 have our devices and many other implanted  
18 devices are developing automated technology to  
19 communicate without the patient needing to do  
20 anything. So, bedside monitors that allow  
21 wireless transmission and updates over the  
22 telephone, those sorts of things that allow

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the ability to keep track of patients and  
2 devices. And as I said, there are permanent  
3 implants that have that ability as well, not  
4 just our devices.

5 DR. GOLDSTEIN: Okay, actually --

6 CHAIR FISCHHOFF: No, no. I want  
7 to make certain there is time for other  
8 speakers.

9 DR. GOLDSTEIN: Okay.

10 CHAIR FISCHHOFF: We were sort of  
11 getting -- oh, please. Dr. Maisel, wait, one  
12 more question. And I think we were getting  
13 off the communication topic.

14 DR. PALING: I would like to say  
15 that everything, and I want to say everything  
16 in your presentation I heartily endorse. This  
17 is the sort, in my mind, of self-evident issue  
18 that we sometimes can be too academic to  
19 understand the implications of. I say this  
20 with no discourtesy intended whatsoever to my  
21 dear colleagues at the FDA. Every single one  
22 I have met, I greatly admire.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   But hearing Ellen's excellent  
2 point, have you done -- I would ask this  
3 question more importantly, isn't it self-  
4 evident that if the FDA uses words in its  
5 communications with general public that do  
6 not mean what the general public overall  
7 means, then that is tantamount to a  
8 discourtesy, unless there is some legal reason  
9 why that should not be the case.

10                   So, I would just want to put on  
11 record my hearty endorsement of the simple  
12 reality, the basic communication to the  
13 general public should use words in the manner  
14 that the general public expects those words to  
15 be used. And to that degree, I would, of  
16 course, encourage you to keep doing what you  
17 are doing.

18                   DR. MAISEL: Thank you.

19                   CHAIR FISCHHOFF: Thank you very  
20 much. Our next speaker is Jennifer Wilmes  
21 from the National Fisheries Institute.

22                   MS. WILMES: Hello to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Committee. Thank you very much for the  
2 opportunity to speak with you again. You may  
3 remember me. I am a registered dietician with  
4 the National Fisheries Institute and I spoke  
5 in February.

6 Today I am here to discuss an  
7 additional challenge, in addition to the  
8 challenges that have been brought forth by the  
9 different Centers within FDA. I wanted to add  
10 the challenge, which is also an opportunity of  
11 consistency across agencies. The success of  
12 FDA communication is contingent upon the  
13 either the amplification or muddling of  
14 messages from other agencies. In the case of  
15 seafood, the intertwinement of communication  
16 is particularly unavoidable, as the advisory  
17 is co-authored by FDA and EPA.

18 As a case study of mixed messages,  
19 I want to bring your attention to a website  
20 released August 1 of this year, just earlier  
21 this month, by the environmental protection  
22 agency, called Fish Kids. According to EPA's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Assistant Manager for Water, Benjamin  
2 Grumbles, the new website is a fun way for  
3 kids and parents to learn about the importance  
4 of fish in a healthy diet and how to choose  
5 the healthiest fish to eat.

6 While this seems, at face value,  
7 consistent with FDA's communication about  
8 fish, the content within Fish Kids strays  
9 dramatically from a science-based imbalanced  
10 benefit-risk approach promoted by FDA.  
11 Nowhere on the site can kids find any  
12 information on why they should eat fish or  
13 what the tangible benefits of eating fish  
14 would be. The site focuses on warnings  
15 throughout, accentuating the negative without  
16 ever highlighting the benefits.

17 The site's audience of eight to  
18 twelve-year-old children is exposed to phrases  
19 such as "mercury can damage growing brains in  
20 kids so they don't develop normally" and "we  
21 have to be careful when we eat these fish  
22 because some of them contain the chemical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mercury that damages growing brains in  
2 children and others have chemicals like PCBs  
3 that cause cancer."

4           There is no body of research  
5 showing brain damage in children from  
6 consumption of commercial fish. And likewise,  
7 this claim is not scientifically cited on the  
8 Fish Kids website. The use of these  
9 unsupported claims can frighten young children  
10 about mercury in fish and unnecessarily warn  
11 them away from a low total fat high protein  
12 food rich in omega-3 fatty acids at a time  
13 when the harmful effects of childhood obesity  
14 are all too clear.

15           Most importantly for this panel,  
16 communication initiatives like Fish Kids  
17 deteriorate the ability for any well done,  
18 well tested communications from FDA to do  
19 their job. I challenge the committee to  
20 consider not only the persuasive potential of  
21 FDA communications but that of sister agencies  
22 messaging in the same space and to examine the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 possible need for greater interagency  
2 coordination.

3 And I am happy to take questions.

4 CHAIR FISCHHOFF: Okay. Thank you  
5 very much. So we have time for a couple of  
6 questions.

7 I will ask a question.

8 MS. WILMES: Okay.

9 CHAIR FISCHHOFF: Do you have  
10 evidence that kids have these negative  
11 reactions?

12 MS. WILMES: We are not aware of  
13 Fish Kids being tested. We are not aware of  
14 any testing that happened with Fish Kids. We  
15 are currently in the process, it is a brand  
16 new website, of reaching out to EPA. We think  
17 it is imperative that the website was tested  
18 and should not be exposed to children, if it  
19 hasn't been. And I think there is also a  
20 question of is this even an appropriate  
21 audience, eight to twelve-year-olds, to be  
22 messaging a somewhat sophisticated benefit-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 risk concept to. So, I think that that needs  
2 testing at that level in the first place and  
3 then the actual website, itself.

4 CHAIR FISCHHOFF: Okay, thank you.

5 MS. WILMES: You're welcome.

6 CHAIR FISCHHOFF: Oh, wait, one  
7 more. I keep calling people back to the  
8 microphone. Thank you.

9 DR. PETERS: Thank you for your  
10 presentation and I just wanted to comment that  
11 there is some scientific evidence about the  
12 ability of children to understand benefits and  
13 risks. Now, it is at a pretty early stage but  
14 it would be valuable to think about that. And  
15 then, of course, the presentations of benefits  
16 and risks has to be done well.

17 MS. WILMES: Exactly.

18 DR. PETERS: But there shouldn't be  
19 a worry that children of say eight to twelve-  
20 years-old would not be able to understand  
21 well-designed communication.

22 MS. WILMES: Right. And I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 the emphasis is on well-designed. It is the  
2 actual word choice that, you know, had it not  
3 been tested, could be concerning.

4 CHAIR FISCHHOFF: Wait.

5 DR. PETERS: Not quite, almost. I  
6 would also just add to that there is some data  
7 around adolescents' ability to understand  
8 this.

9 And I would also add that I agree  
10 with you completely about the need to  
11 empirically evaluate these messages. That  
12 really is critical because you want to know,  
13 not just what people read but what they  
14 understand and how they are using that  
15 information. But it is also the case that, I  
16 think, eight to twelve-year-olds are, in some  
17 ways, ideal audiences. In some ways, they are  
18 the people who, as they become educated, they  
19 bring that education and knowledge, if it is  
20 done well, into older age groups and they can  
21 educate their own children. So, in some ways  
22 it is almost the perfect audience, I think.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. WILMES: Yes, my issue isn't so  
2 much with audience. I mean, I think that the  
3 audience needs to be explored. The take away  
4 from my comments are consistencies in the  
5 words that we are using and the ways that we  
6 communicate about these things across  
7 agencies. And that would need to be adapted  
8 if, you know, if FDA is communicating to  
9 pregnant women and that is their goal while  
10 EPA's goal is young children. But we don't  
11 want kids to be coming how saying different  
12 things than their parents are hearing.

13 So, I think that the need for  
14 consistency is really an opportunity to  
15 increase the persuasive potential of the  
16 communications.

17 CHAIR FISCHHOFF: Thank you.

18 MS. WILMES: Okay.

19 CHAIR FISCHHOFF: And our third  
20 speaker is Ronald Barrett? I'm sorry. From  
21 NIH.

22 DR. BARNETT: My name is Ron

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Barnett. I am a science policy analyst at the  
2 National Institutes of Health. I want to  
3 thank the committee and the FDA for opening my  
4 mind this morning to the complexity of risk  
5 communications. I did quite a bit of reading  
6 when I was in grad school about risk  
7 communications, even cited Dr. Fischhoff on my  
8 dissertation. So, but obviously things are  
9 much more complex than they were 20 years ago.

10 But I am really here not as a  
11 policy analyst so much as a cognitive  
12 psychologist. And my question has to do with  
13 the role or potential role of visual  
14 communications in the risk communication  
15 process. We know from multi-media learning  
16 that, in many cases when verbal knowledge and  
17 verbal communications, written communications  
18 are complimented by pictures that are related  
19 to the semantic base of the textual  
20 information, that people learn information  
21 better, in general. That the information is  
22 more memorable. It is more retrievable.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           We also know that we live in a  
2 culture that is somewhat biased against using  
3 pictures to communicate as if somehow it is  
4 more primitive. It is not as sophisticated as  
5 verbal language. All we need to do is look at  
6 the typical PowerPoint presentation, with the  
7 possible exception of a couple this morning,  
8 one being there were some good examples from  
9 the one we just saw from the Heart Rhythm  
10 Society of using pictures to compliment  
11 textual information.

12           So my question is, does the  
13 committee have any knowledge base in this area  
14 that could inform the FDA on the role of using  
15 pictures along with text to communicate risk  
16 communications? And if not, would you might  
17 speculate on its potential value? Thank you.

18           CHAIR FISCHHOFF: I guessed we  
19 would have a response or two. Linda.

20           DR. NEUHAUSER: I am glad you  
21 brought that up. And it makes me nervous  
22 about my upcoming presentation with just not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 enough pictures of it. But there is a fair  
2 amount of literature. Let's say there is an  
3 increasing amount of literature, you know, a  
4 growing body of literature, not substantial,  
5 that looks at issues of graphic literacy and  
6 cognition and so forth. And it is completely  
7 in line with what you are saying. So the mix  
8 of text or pictures or in particular photos,  
9 realistic photos, there is a lot to be said  
10 for that.

11 My own experience of working with  
12 diverse audiences to co-develop communication,  
13 almost the first thing that they ask for is a  
14 lot of pictures, a lot of photos, in  
15 particular, to be linked with the text and  
16 illustrate that with small stories that go  
17 with them. So, the combination of text and  
18 narrative or stories and pictures seems to be  
19 quite a powerful combination.

20 Obviously in the private sector,  
21 advertising agencies and the like, they have a  
22 great deal of expertise in mixing graphics and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 texts. They have to. So, thank you for  
2 bringing that up.

3 DR. PALING: I too, thank you and  
4 for a different reason. I have a difficulty  
5 speaking about it, as you can tell from my  
6 voice. My background, among other things, is  
7 that I was a television producer. So, I tend  
8 to be quite strong in my feelings about the  
9 efficacy of visual communications. And I,  
10 along with several other people, have given a  
11 substantial amount of time to improving  
12 healthcare communication by using visual aids.

13 I have been asked at this meeting,  
14 not to bring my own materials into the  
15 discussions. And so I would say to the simple  
16 answer to your question, is there, at least I  
17 feel that I am very well informed upon this  
18 field, and I hope FDA will strive to find from  
19 the many available resources, good visual  
20 communications to try and overcome some of the  
21 ongoing difficulties in effective  
22 communication.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I couldn't be any more bland than  
2 that.

3 (Laughter.)

4 DR. SLEATH: I just wanted to call  
5 your attention to the pictogram literature  
6 that was used, I have not looked at the  
7 literature in a long time, but used to help  
8 convey messages about prescription medications  
9 to patients. And part of the problem that was  
10 run into is that people interpret them  
11 differently and so they have to be very  
12 carefully tested. Especially culturally,  
13 things can be interpreted differently. And  
14 so, just, and I believe the United States  
15 Pharmacopeia right across the street was  
16 involved quite a bit with some of its testing.

17 So that is another body of literature that is  
18 available.

19 MS. MAYER: Well, John Paling can't  
20 speak about his work but I can speak about his  
21 work because I just, actually, recently used  
22 one of his tools in a training module for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 healthcare advocates that has to do with being  
2 able to give patients, a physician being able  
3 to give a patient a visual representation of  
4 what a certain number in a thousand actually  
5 represents. It is in the context in this  
6 training module of a discussion on numeracy  
7 and the difficulty people have with processing  
8 figures that have to do with risk or benefit,  
9 for that matter.

10           And it is just such a powerful tool  
11 because two in a thousand means an entirely  
12 different thing when spoken and when presented  
13 on a page of a thousand little figures. It is  
14 such a powerful tool, it is difficult to -- I  
15 don't have an academic background, so I don't  
16 have the wherewithal to talk about why that  
17 processing is different. But it is profoundly  
18 different and I thank you for bringing this  
19 up.

20           DR. PETERS: In addition to some of  
21 the excellent work that John does in sort of  
22 the applied world, actually teaching people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 how to do this, there is also some more  
2 academic work out of Peter Ubel's group at the  
3 University of Michigan, Peter Ubel, Angie  
4 Fagerlin, there is a series of people who are  
5 doing work, looking at things like tables  
6 versus pictographs, for example.

7 One of the things they find, which  
8 is sort of congruent with an issue I brought  
9 up earlier is that people, at least in this  
10 one study that they have, believe that tables  
11 are more effective at communicating  
12 information. But they actually do understand  
13 the gist of the information better if a  
14 pictograph or some other more visual form of  
15 communication is used.

16 So there are lots of issues. I  
17 would actually agree with what Linda said.  
18 There is science around this but it is  
19 actually fairly new and lots of work to be  
20 done. But potentially some things that we can  
21 use here, in terms of helping the FDA to  
22 figure out at least some of what is known and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 how to apply it. So, thank you.

2 DR. BARNETT: And I would just add,  
3 there is also evidence in health-risk behavior  
4 chains that tailoring material based on, first  
5 of all, low literacy groups respond more to  
6 visual cues, obviously, than those that are  
7 high literacy when low literacy can't even use  
8 the written materials. And then even evidence  
9 that when you tailor based on ethnicity, it is  
10 helpful to show photographs or videos of  
11 people from the same background, that that  
12 actually has an impact on the reception of the  
13 message, as well as even on the subsequent  
14 health behavior. So, we can bring that  
15 literature and I can help some of that. I am  
16 sure others in this group have access to that  
17 literature, too.

18 DR. BRUHN: In a few minutes I get  
19 a chance to talk about some of my work. And  
20 again, as you have described here, pictures  
21 are very important. They lead people to read  
22 something. In our focus group research we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ask, what would make you to read this or look  
2 at it. And it is the pictures that lead them  
3 to do that.

4 I am going to be handing out a  
5 sample of one of the things that we have  
6 developed and there will be a picture of it on  
7 the slides. Regretfully, when we went from  
8 the brochure we developed for our audience to  
9 a web-based version of that brochure, the  
10 photographs were reduced or the line drawings  
11 were reduced because the Communication  
12 Services decided that there were too many  
13 pictures and that if things were going to be  
14 downloaded by the public, that the number of  
15 pictures would reduce the time of the  
16 download. And our pictures were in color and  
17 they felt that might also reduce the time.  
18 So, sometimes there are technological  
19 restraints that prevent the use of pictures or  
20 photographs as much as one would like.

21 But, so I don't have research that  
22 indicates comprehension is so much better if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you have pictures or no pictures. But I have  
2 heard repeatedly that the use of pictures  
3 increased the likelihood that someone would at  
4 least read the material and catch a message.

5 CHAIR FISCHHOFF: Would you like --  
6 everybody just agreed with you. You didn't  
7 get a chance -- would you just like to add  
8 another comment?

9 DR. BARNETT: No. I just thank you  
10 very much.

11 One thing, if the committee is not  
12 aware of Hans Rosling and his work with  
13 pictorially depicting large numbers, Google  
14 Hans Rosling. He is a public health official  
15 from Scandinavia. He does marvelous things  
16 with representing numbers.

17 The other is a recent book called  
18 Made to Stick by Heath. And the question they  
19 try to address is why are some sayings like,  
20 "Where is the beef?", why are those kinds of  
21 sayings so memorable in a culture or in a  
22 society? What are the characteristics of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those kinds of statements? It is called Made  
2 to Stick. It has a big piece of tape on the  
3 front of it. So, you can't miss it. Thank  
4 you.

5 CHAIR FISCHHOFF: Thank you very  
6 much.

7 So let me make the -- so I think  
8 what we should do now, here is my proposal,  
9 since we are a little bit early, let's have  
10 the 2:00 session. I think the people who are  
11 going to be here at 2:00, let's guess that  
12 they are here by 20 to 2:00.

13 Let's have our 2:00 session and  
14 then let's ask our colleagues from FDA who  
15 were here earlier to join us again at the  
16 other table and then for us to have a general  
17 discussion. I think that probably would be  
18 best rather than to, probably the best way to  
19 do it. Okay?

20 And so our first speaker will be  
21 Christine Bruhn.

22 DR. BRUHN: Thank you. I am really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pleased to have an opportunity to talk with  
2 you about some of the communication activities  
3 that we have engaged in. And this title is  
4 persuasive communication. But I don't know if  
5 I, as a person from the University can really  
6 say persuasive is right. Our goal is to allow  
7 people to act in a manner consistent with  
8 their personal values. So, I may choose one  
9 thing, someone else may choose another and we  
10 need to respect their wish to do that.

11 Our goal as part of the university  
12 and I think a goal of FDA might be to make  
13 people aware of the science-based information  
14 about a particular issue, its risks and its  
15 benefits, so that a person can make as  
16 informed choice as is possible and then they  
17 choose to do or not do, based upon their  
18 personal value system.

19 So, it might sound inappropriate  
20 but I think the first step in communicating is  
21 to listen. One needs to listen to understand  
22 where the public is coming from, where that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 target audience is coming from, what  
2 information they want to know, what concerns  
3 they have, where their knowledge base is.

4 As one of our earlier speakers, Dr.  
5 Peters pointed out, sometimes we misrepresent  
6 whether other people know. We take the base  
7 of knowledge, what our base of knowledge is,  
8 or even the knowledge of our friends and start  
9 from there. And what we really need to do is  
10 look at who our target audience is and begin  
11 the knowledge with where they are and then  
12 take them to the next step.

13 We need to determine their  
14 information sources. So that we know how to  
15 reach them and also others like them in this  
16 target audience. And it might not be the  
17 source one thinks it might be. Then we need,  
18 of course, to develop and then deliver the  
19 message and to evaluate its effectiveness.

20 I will strive to look at each of  
21 these aspects or will show you some examples  
22 of each of these aspects and indicate then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what challenges I see in the future for FDA  
2 and for communication in general.

3 My first two examples are fairly  
4 straight forward. I am going to be looking  
5 first of all, at safe handling of produce and  
6 secondly, at safe handling of foods in  
7 general. The first one on produce was a  
8 project I did with a colleague. Safe handling  
9 of foods in general for a high, at-risk  
10 audience was professional colleagues at other  
11 universities who I believe did one of the best  
12 jobs of communication that I have seen. When  
13 I give examples and I talk about the best of  
14 the best, I talk about this particular group's  
15 program.

16 And then last, I would like to  
17 finish with a more controversial topic,  
18 something that has great potential but is  
19 often misunderstood.

20 I provided for the panel there a  
21 copy of the brochure that we have prepared and  
22 I have extra copies over here from the sides.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1       There is plenty of brochure copies. There is  
2       just a few magnet copies.

3               So this first project is consumer  
4       handling of fresh fruits and vegetables. This  
5       was a project funded by FDA in the end of the  
6       90s, I think. And it was generally about, it  
7       was in response to a safe handling consumers -  
8       - I don't know if it particularly said  
9       consumer. I think it just said a call for  
10      research proposals on food safety in general.

11      And it was a program that a colleague of mine  
12      at the university who was a microbiologist and  
13      I did jointly. My aspect was to identify  
14      consumer handling practices. We wanted to  
15      start where the public was. So we are going  
16      to express some changes but we feel those  
17      changes are more likely to be adopted if they  
18      are just small changes from what people are  
19      already doing.

20              And then my microbiology colleague  
21      evaluated the effectiveness of washing  
22      produce. People use the whole range of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 methods. We chose the ones that were most  
2 commonly used and seemed most intuitive to our  
3 public and she evaluated to see how well they  
4 worked.

5 And then together, we developed  
6 science-based recommendations on how people  
7 should be handling produce. And then we  
8 evaluated these guidelines by using focus  
9 groups again to go back and determine how this  
10 information was understood.

11 So again, focus groups were used at  
12 the beginning to assess current practice,  
13 knowledge, attitudes. Then this was all  
14 quantified by a mail survey nationwide that  
15 included questions on handling, questions on  
16 convenient sources of information, how they  
17 wanted to have the information presented to  
18 them, if they had a reminder piece and so  
19 forth. And then focus groups again at the end  
20 to refine the publication. I have in the  
21 packet for the committee the publication on  
22 refining the brochure.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   This is the brochure that you have.

2           And notice the pictures. Line drawings in  
3 this case but that was cheaper for us than  
4 photographs and maybe easier to come up with  
5 and maybe more visible, perhaps, on a black  
6 and white publication. Every variation in  
7 print size, print style, boldness, italics,  
8 pictures, including red in some spots, these  
9 all came from interactions with our target  
10 audience, our consumers about what was  
11 meaningful for them, what would make them  
12 look.

13                   I don't have the front page for you  
14 but we wanted to start out with pointing out  
15 how nutritious fruits and vegetables are. We  
16 didn't want to scare people away from a safe  
17 product. But we also wanted to give them, to  
18 have the feel that there are bacteria in this  
19 world. And some bacteria is harmful, and  
20 some are neutral, and you don't want the  
21 harmful bacteria there. So the brochure's  
22 focus is to help the people protect themselves

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 from harmful bacteria, while still enjoying  
2 the benefits of fruits and vegetables.

3           Sometimes you find out things you  
4 never would have guessed as a health  
5 professional. For example, we were going  
6 through these focus groups really well. Man,  
7 we had it all down. And then someone says,  
8 should I even wash the produce from my own  
9 garden? Of course, was our response. But  
10 their response was, well I didn't put any  
11 pesticides on it. And I suppose our response  
12 as we talked about us was, well, do you have  
13 birds in your garden? Are their birds in the  
14 back yard? Are there maybe snails? Do you  
15 have a pet? How about a dog, or a cat, or  
16 even a duck? And all of these things can  
17 transfer microbes, including dust.

18           So that is why we added in red  
19 there, wash all fruits and vegetables,  
20 including organically grown, farmers market  
21 and homegrown produce. So, sometimes what is  
22 obvious to you is not obvious to them. They

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wanted specific details. Should I wash it  
2 every time? Yes. Always wash fruits and  
3 vegetables. How long should I wash it or how  
4 should I wash it? And so forth. So, the  
5 details all came from interacting with the  
6 public.

7           They also wanted a reminder and  
8 that is why I have the magnets. And the  
9 magnets, by the way, were the most expensive  
10 thing. Those are 50 cents each. We used  
11 round because we wanted to be consistent with  
12 the Fight BAC! campaign. So we didn't use all  
13 of our magnetic paper but that is what we did.

14           So, how do you distribute this?  
15 What do you take from this? We distribute it  
16 online because we now have it available  
17 through our cooperative extension as part of a  
18 free educational resource. But, as I  
19 mentioned, fewer pictures. Master Gardener's,  
20 very, very popular in California, are  
21 available to anybody who wants to stop by the  
22 Master Gardener's displays, which they have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 programs and they also sometimes set up booths  
2 at the farmers market and so forth.

3 People sometimes have education  
4 materials at programs. We have included that  
5 there, referenced it. In media communications  
6 and that is an important piece. Many of us  
7 get contacted by the media frequently. And so  
8 we are able to fax the brochure to whoever the  
9 person who is interviewing us for Red Book or  
10 Ladies Home Journal or whatever, so they have  
11 a copy, too and can either refer to the online  
12 or regurgitate the information in their own  
13 style and byline as appropriate.

14 We offered it to the Fight BAC!  
15 campaign although when this was developed,  
16 Fight BAC! was having difficulty with funding  
17 and they did not express any interest. They  
18 have subsequently developed their own piece,  
19 but we did offer it to Fight BAC!. It was  
20 updated and reprinted in 2008, with additional  
21 emphasis on buying pre-washed produce.

22 This is the best of the best. So

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that is an example of a risk communication  
2 that had, I think, all of the components,  
3 tying it with science, addressing people's  
4 needs, validating that it was communicating to  
5 the people. I will talk to you more about  
6 evaluating in a few minutes.

7 This is an example of another  
8 piece. This is USDA funded, and this is my  
9 colleagues at Ohio State, Colorado State, and  
10 Washington State. It is food safety materials  
11 for persons living with HIV/AIDS. And this  
12 is, then, for the highest risk population.

13 And I pull this up for you for a  
14 couple of important pieces. First of all, it  
15 is comprehensive. You might be able to see  
16 here at the top, it is Take Control: A Hands-  
17 On Approach to Food Safety for Persons Living  
18 With AIDS. And then Eating Away From Home  
19 While Traveling, and then Protect Yourself: A  
20 Guide for Persons Living with AIDS. And then  
21 the last one, Food Safety for High-Risk  
22 Populations: A Continuing Educational Course

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for the Healthcare Providers.

2           So, it indicated then different  
3 areas where people might have questions about  
4 food safety and it also addressed the support  
5 people that assisted those.

6           This one is specific for HIV/AIDS.

7        Their grant also included developing funding  
8 for people with cancer or for people with  
9 heart disease. One of our questions that came  
10 up from the panel today is should we have  
11 specific information or should we have general  
12 information? At least for these audiences,  
13 the researchers found that if they made one  
14 brochure for all three groups, none of the  
15 groups paid much attention. It wasn't  
16 targeted to them. So, they actually came out  
17 with three publications. The same information  
18 is inside because it is the same way of being  
19 safe but it had their condition on the front.  
20        And because it had their condition, they  
21 looked and paid attention to it.

22           So, here is the cover Take Control.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1       And this is what the inside looks like and I  
2 apologize for my own inept photography. I  
3 know a professional could do better than this.

4       There are pictures. Not as many as they  
5 would have liked but there are some pictures  
6 there. There are bold headlines, "Eating Away  
7 from Home." Here are a few valuable tips  
8 bulleted and then a checklist underneath.  
9 They say what to do and they say why to do it.

10       The people wanted to know why. Why I had to  
11 change my behavior. Why can't I do it the way  
12 I had always done it before? So these pieces  
13 of information were very important to the  
14 target audience.

15               How was that distributed? Well, I  
16 know it is available to people in cooperative  
17 extension because I have seen it that way. I  
18 know it was referenced in USDA's Food Safety  
19 Educator. I don't know other ways that they  
20 are distributing it. I would like to see it  
21 being distributed through medical offices. I  
22 think that would be, if it was available for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the physicians, so every time they had one of  
2 these people with these conditions, they gave  
3 them this food safety guide, that would be  
4 quite appropriate.

5 So now my third example looks at a  
6 controversial topic. So we have talked about  
7 educating the people. But it is more than  
8 reaching the public with food safety  
9 information. Enhancing safety and quality of  
10 life is more than just consumer education.  
11 This indicates that safe handling of food must  
12 be addressed in all stages from production  
13 through consumption. And there are different  
14 activities to take place at each of these  
15 stages.

16 The one area that is being used in  
17 some commodities but not in others, is the  
18 area of the pathogen killing step. The one in  
19 yellow. You have milk in the market that is  
20 pasteurized. That is a pathogen killing step.

21 There are some people right now who believe  
22 they should be having raw milk and there are a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 number of health risks associated with raw  
2 milk. But there are some individuals who, for  
3 their personal philosophy, want to purchase  
4 raw milk. I would be happy to talk about raw  
5 milk in greater detail, and personal  
6 philosophy, and the difference between adults  
7 and children. I, of course, have strong views  
8 on this topic.

9 But I will go to another one that I  
10 have very strong views on and that is the one  
11 also in yellow. Irradiation. Irradiation is  
12 a process when food is exposed to very  
13 carefully measured levels of energy. And this  
14 energy, depending upon the amount delivered  
15 can destroy harmful bacteria. It can replace  
16 fumigants that are used to destroy insects.  
17 It can extend shelf life. It has a number of  
18 benefits, just like heating has a number of  
19 benefits. In fact, it is parallel in many  
20 ways.

21 The food is like fresh. It is  
22 considered safe by the scientific community.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It is approved by FDA because Congress  
2 established a number of years ago that any  
3 application of irradiation must be treated  
4 like a food additive and must go by petition  
5 for specific applications that FDA approves.

6 So meat, poultry, and some other  
7 foods are approved by FDA. There is a  
8 petition before FDA now for fresh cut produce,  
9 which includes leafy greens. I would like to  
10 indicate that irradiation right now is  
11 probably the only method that can enhance, to  
12 a very high degree of safety, the safety of  
13 safety leafy greens like spinach and lettuce  
14 because it destroys the microbes which could  
15 even be in side the produce but it is not  
16 approved yet for that application.

17 It is considered safe by the  
18 scientific community. However, it is  
19 controversial in that there are special  
20 interests groups that speak against  
21 irradiation. They say dangerous chemicals are  
22 formed. They say nutritional value is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 destroyed. They say it is used to clean up  
2 filthy handling operations. And they say all  
3 people have to do is cook the food.

4 The allegations that they present  
5 here are not supported by the science and the  
6 recommendation that all people have to do is  
7 cook foods ignores the risk from cross-  
8 contamination which occurs quite readily.  
9 Even though one might fully cook meat or  
10 poultry, cross-contamination can make people  
11 very ill. And of course, it doesn't pertain  
12 to fresh leafy greens, does it? Because you  
13 can't have a cooked lettuce or -- well, you  
14 can have cooked spinach but many people like  
15 salad greens.

16 So why are you speaking about this,  
17 Christine? Why is this important? Is this  
18 important because of the profound public  
19 health advantage this technology offers? A  
20 report by Robert Tauxe from the Centers for  
21 Disease Control and published in emerging  
22 infectious diseases indicated that if half of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the ground beef that is currently permitted,  
2 half of the poultry that is also currently  
3 permitted, and half of the processed meat  
4 products that is not permitted yet but there  
5 is petition before FDA and has been for almost  
6 ten years to permit processed meats, if half  
7 of these products were irradiated, then the  
8 number of foodborne illnesses related to E.  
9 coli, campylobacter, salmonella, listeria,  
10 toxoplasma, could be reduced significantly and  
11 could save 352 lives every year, based upon  
12 their estimates of foodborne illness. Wow,  
13 that is profound. Save also, of course,  
14 preventing major diseases and preventing  
15 hospitalizations. So the potential impact is  
16 immense.

17 How do people respond to this  
18 technology? So then why is risk communication  
19 needed? When people hear science-based  
20 information about irradiation, the majority  
21 will buy the product but most people don't  
22 hear this so the communication is lacking.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There is a need to have this communication.  
2 When people only hear what those opposed to  
3 the technology speak, then very few were  
4 interested in buying the technology. You say,  
5 why even ten to fifteen percent? Because some  
6 have heard and know that what the special  
7 interest groups present is not science based.

8 Parents of young children, and you  
9 might know that as one of the groups that is  
10 at increased risk, the young children, that  
11 is. But the parents of young children are  
12 least likely to select irradiated products  
13 because again, they are in that protective  
14 mode. And if they hear controversy, they go  
15 to let's do what we have always done, which is  
16 not select this product that is processed by a  
17 new technology.

18 When negative information is  
19 countered, and there are studies that  
20 illustrate this specifically, then interest in  
21 buying increases. So communication can be  
22 effective when it is delivered in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appropriate main. So that is my overview of  
2 those three technologies.

3 Now, what about extending that  
4 message, whether it be irradiation or washing,  
5 appropriate washing or other ways in food  
6 safety, messages are extended through  
7 community meetings and trainings, through  
8 handouts, through web-based messages and  
9 through a variety of media sources which the  
10 consumers use quite heavily? How do we tell  
11 if we have been effective in what we have  
12 done? These are general ways that one could  
13 assess effectiveness, the interest in the  
14 educational materials. I tell you that  
15 brochure has had a great deal of interest.  
16 But we don't really know if it has changed  
17 behavior. We have not requested and received  
18 money to measure if it has changed behavior.

19 Reported behavior is something I  
20 will show you in a moment. A change in  
21 reported behavior. Reported behavior is  
22 easier to assess but it is not necessarily

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 accurate because people say things but they  
2 don't necessarily do what they say. And the  
3 newest area of focus in consumer research  
4 nowadays is actually videotaping the public.  
5 And so they may say, oh, yes, I wash my hands  
6 before I start dinner. And then you have go  
7 the videotape on and you just watch how few  
8 people wash their hands or wash their hands  
9 when they should. So, observed behavior is  
10 the latest way that this research is going.

11 Changes in foodborne illness data,  
12 of course, that is the bottom line. That  
13 would be great to observe and to record. It  
14 is more difficult because there are so many  
15 compounding variables like, for example, our  
16 population where we have an increased number  
17 of people who are at risk for a foodborne  
18 illness. Marketplace purchases can also be  
19 observed in some areas.

20 Now, if you educate people, will  
21 they change their behavior? This is from the  
22 HIV/AIDS individuals where they have had a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 great educational opportunity. They have seen  
2 and read this brochure and then they were  
3 asked, what would you do? And would you wash  
4 your hands? Oh, I'm already washing my hands.

5 That is what the green line shows. Oh, I  
6 definitely would wash my hands. There are  
7 some over here who even though they are at  
8 highest risk are still not going to wash their  
9 hands. Incredible.

10 Would you avoid rare ground beef?  
11 Some say I am already doing it. Even more  
12 saying I will do it. Avoid raw seafood and so  
13 forth. Let's look toward the bottom. Avoid  
14 unheated luncheon meats. The issue here is  
15 listeria, which would possibly be there. You  
16 get a little bit more than 60 percent who say  
17 they are currently or definitely would.

18 Use a thermometer. That is what  
19 the Meat Institute, meat groups, and what  
20 Fight BAC! is recommending. Use a thermometer  
21 to make sure that your food is thoroughly  
22 cooked. It is an appropriate recommendation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but people don't want to do it, even those who  
2 are at very high risk. You have about 18  
3 percent who say they are currently doing it.  
4 And only half, if you add currently and  
5 definitely would, only half indicate that they  
6 would use this method.

7           So, why not? Obviously this  
8 message, even though it is the best of the  
9 best, is reaching some behaviors but not  
10 reaching others. Remember the feedback loop  
11 that we saw earlier. Some of those behaviors  
12 have to go back into the feedback loop. And  
13 here are some of the barriers that we have  
14 identified that have led people to still  
15 follow this behavior and not embrace it yet.

16           Messages are not heard by  
17 everybody. When you have to go to the web to  
18 download something from FDA, then that takes  
19 their effort, their initiation. And it is  
20 hard when people have to initiate. They might  
21 not realize they need to. Sometimes targeted  
22 programs, though targeted and very specific,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they are short-term. When the program is  
2 over, the education materials just lay in the  
3 bookcase. They don't get out.

4 People think they are already  
5 knowledgeable. And certainly on food safety,  
6 about 80 percent of the people say they  
7 already know a lot about it. There is a time  
8 delay between unsafe behavior and getting  
9 sick. That is why as we heard earlier from  
10 Marjorie Davidson that people say I already  
11 know how to do this and they don't realize, if  
12 they have been doing incorrect things, why  
13 haven't they gotten sick every time?

14 People don't follow the  
15 recommendations because they believe that it  
16 doesn't affect them or they are too busy, or  
17 it is not convenient, or it is not necessary,  
18 or they like the taste of rare meat, or they  
19 like the taste of runny yoked eggs and so  
20 forth.

21 So, in today's world, food safety  
22 education is better than it has ever been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 before. There are specific recommendations.  
2 Fight BAC! has four. And if you look on the  
3 web and look at "Handling Fruits and  
4 Vegetables," it is up to six. The guidelines  
5 are very clear. They tell you what to wash  
6 and how to wash it. They tell you how to cook  
7 it. They tell you what temperature to use.

8 Sometimes some recommendations are  
9 specific by age or health conditions like  
10 pregnant women, and listeria, and avoiding  
11 specific foods. Messages are presented  
12 nationwide and certainly Fight BAC! does that.

13 But consumers don't know all of the specifics  
14 of these messages. They are listed on the  
15 Board but they haven't looked them up because  
16 they think they already know. People don't  
17 follow all of the recommendations. Education  
18 is not sufficient and that is why I wanted to  
19 throw irradiation in there for you because  
20 people think they are cooking their meat  
21 adequately. It is brown on the inside and it  
22 is darn hard to put the thermometer inside, so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they are not going to check it.

2 And I have got a project going  
3 right now where we are having people cook  
4 their ground beef. It is brown. It is black  
5 on the edges. Flames are coming up. It is  
6 really skinny. I stick my thermometer inside,  
7 it is 142. The temperature is 160. That is  
8 the recommended temperature. I have never  
9 known that. I never used a thermometer. I  
10 don't own a thermometer. Here is a  
11 thermometer. Thank you. But will they still  
12 use it? It is hot, inconvenient, and they  
13 think vision is enough.

14 So what are our needs? Our needs,  
15 I think, for FDA, and for this agenda in total  
16 is sustained educational programs. It is not  
17 just for two years or three years or while we  
18 have got the grant. It has got to be  
19 continued. We need endorsement and  
20 encouragement by physicians, health providers  
21 and others who are trusted parties. At my  
22 HMO, my gynecologist, OB/GYN person says and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are you taking calcium supplements? I respond  
2 by saying I'm drinking milk. But wouldn't it  
3 be great if they also said and do you have a  
4 meat thermometer? And do you test the inside  
5 of your thermometer? And here is a brochure  
6 for you. And I'll bet you have always  
7 wondered the best way to wash fruits and  
8 vegetables. And here is a brochure for you.  
9 Trusted people sharing that food safety is  
10 important.

11 We need models of appropriate  
12 behavior. If you are into watching the Food  
13 Channel and if you are a food safety expert,  
14 you just about cringe because there are so  
15 many examples of what people shouldn't do. We  
16 need a program to encourage what people should  
17 do and honor chefs who use thermometers and  
18 who wash their hands and follow appropriate  
19 action.

20 We need timely regulatory approval  
21 of innovative technologies like irradiation.  
22 And we need regulatory oversight of false and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 misleading claims. If the public hears it, if  
2 the public sees it, if the public reads it,  
3 they think it is true. And often they don't  
4 pay a great deal of attention about who said  
5 it. "They say that it causes cancer." Would  
6 the FDA approve something that causes cancer?

7 I mean, let's be reasonable and use our head.

8 So, we need stronger interactions  
9 with the federal trade commission and others  
10 so that the messages that are under approval,  
11 regulatory approval can be tested and  
12 validated for accuracy.

13 So thanks for letting me get all of  
14 my enthusiasm and dedication, some of it at  
15 least, off my chest.

16 CHAIR FISCHHOFF: Our next speaker  
17 is Linda Neuhauser.

18 DR. NEUHAUSER: Good afternoon  
19 everyone. And I would invite anybody who  
20 wants to stand up for a moment and, you know,  
21 get the blood sugar rising, if you wish,  
22 because I know it is hard around 2:00 to keep

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 your attention focused. Great.

2 My name is Linda Neuhauser and I am  
3 really delighted to be here. And I wanted to  
4 start off by saying how much I appreciate the  
5 FDA's commitment to doing better with  
6 communication and how heartened and impressed  
7 I was this morning to hear about all of the  
8 efforts that are going on. So, bravo to the  
9 FDA.

10 I am going to talk about what we  
11 are calling right now is persuasive  
12 communication. But like Dr. Bruhn, I am not  
13 quite so sure if that is the right word for  
14 it. I do believe that our job is to empower  
15 people with science and the best we can do.  
16 And then they will make an informed decision  
17 which, if we are doing our job correctly,  
18 should go in the right direction.

19 What I am going to address today  
20 are three questions. First of all, I am going  
21 to be talking about whether communication,  
22 mass communication in this case to the public,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether this actually is successful in  
2 changing people's behaviors in a positive way.

3 Secondly, what are some of the barriers we  
4 have to doing better with communication that  
5 is intended to influence people to change  
6 behaviors? And third, how can the FDA improve  
7 communication?

8 For the last one, I am going to  
9 propose a different process of developing and  
10 delivering communication and also have three  
11 recommendations, one of which deals with  
12 building capacity within the FDA as Deputy  
13 Commissioner Torti talked about this morning.

14 So, just an overview. And the  
15 reason I am going back to the fundamentals is  
16 there is often a lot of debate in the health  
17 communication around mass communication,  
18 about whether it actually works or not. And  
19 we know that it at least works sometimes. But  
20 does it work enough to make it worth our  
21 while?

22 And so looking at about four years

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of evidence about the impact of health  
2 communication, mass communication, what we see  
3 is there is modest but positive effects. We  
4 do see that those effects are less positive  
5 among vulnerable audiences who might face  
6 barriers related to literacy, language,  
7 culture, or disability. And it is less  
8 effective for complicated issues such as the  
9 ones we are discussing that relate to risk  
10 communication where it is a complex mix of  
11 risks and benefits and perhaps numeric  
12 information.

13 It is more effective when there are  
14 multiple channels that reinforce each other  
15 and a number of people have talked about that  
16 today, the need to not look at the FDA as the  
17 only place that might get this information out  
18 but one of the places with many other  
19 partners. And as we have all heard multiple  
20 times today, we as yet have very little  
21 information about the impact of FDA  
22 communication.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So, a question might be, how come,  
2     if we have been studying mass communication  
3     for all of these years and doing it, investing  
4     billions of dollars in it, how come it is not  
5     more effective? And I would argue that a key  
6     reason is that we have built it on a very weak  
7     theoretical foundation. And a traditional  
8     model of health communication might look  
9     something like this, in which experts would  
10    take scientific findings and develop factual  
11    generic one-way messages that are delivered to  
12    the public in the hopes that people will learn  
13    and will change ways in a positive direction.

14       And so there are many theoretical models like  
15    that and the whole enterprise of mass health  
16    communication has really been built up with  
17    this kind of guidance.

18           Now, a new review is that this is a  
19    weak approach. That, actually, scientific  
20    information in and of itself has very little  
21    meaning and is not persuasive until it is  
22    actually socialized to fit within the context

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of people's lives. I like to say we have  
2 messages to send to scientists and people have  
3 lives to live and we really don't link those  
4 two very well. So, our job is to do better  
5 with that, to socialize information.

6 One of my favorite books, by the  
7 way, is a book called The Social Life of  
8 Information published in 2000 by Brown and  
9 Duguid. And it is quite an eye-opener, if you  
10 are interested in why factual approaches just  
11 don't seem to be that effective.

12 I would suggest that a better  
13 underlying model for our mass communication of  
14 the type that FDA typically does would be  
15 something called a social ecological model.  
16 And you could see a lot of different levels  
17 here. And one of the reasons it might be  
18 useful to have, is because it not only  
19 acknowledges the diversity of individuals but  
20 it also acknowledges the influences of the  
21 multiple context in which they live.

22 So, if you just pause for a minute

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and look at this graphic and think about the  
2 recent contaminated vegetable issues,  
3 salmonella in vegetables, and then just try to  
4 think about how many of those social levels  
5 were engaged, were not engaged at influencing  
6 individuals about what they should know and  
7 what they should do. So, I think we could do  
8 a lot better by having multiple layers of  
9 influence.

10 I am going to address, in terms of  
11 barriers, two issues. One is looking at the  
12 concept of health literacy. And the reason I  
13 selected that was because health literacy is  
14 an emerging concept that seems to bring  
15 together a lot of factors that make a very  
16 large group of people in the United States  
17 quite vulnerable to being able to access, to  
18 read, to understand or act on health  
19 information. The whole area of health literacy  
20 is about 15 years old, so there is quite a  
21 body of literature around this.

22 Health literacy and literacy in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 general is measured by the national assessment  
2 of adult literacy. This is a national survey  
3 that takes place very ten years. The most  
4 recent one was in 2003. And the survey  
5 measures for components for literacy, so  
6 health literacy, people's understanding of  
7 health concepts and being able to deal with  
8 those, prose, being able to look at texts,  
9 documents, being able to deal with the  
10 document itself, and then quantitative or  
11 numeracy skills. And you can see here that  
12 for each of those four components, that the  
13 population is divided into four levels of  
14 skills.

15 So, there is below basic. There is  
16 basic, intermediate and proficient. These run  
17 across the bottom here. The two most  
18 important levels to think about are the lower  
19 two. The below basic and the basic. But I  
20 would suggest that even the intermediate level  
21 in which there are quite a few people, is  
22 still one in which people lack skills to deal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with a lot of the information that we are  
2 talking about here that relates to risks and  
3 benefits across a wide variety of products  
4 that the FDA has authority over.

5 So, the below basic and basic. We  
6 will start off there. Those might be groups  
7 that you could call lower literate. And so  
8 just to look at the numbers here, we have  
9 about 93 million Americans that would fall  
10 into either below basic or basic skill levels.

11 And again, take a chunk of those in the  
12 intermediate. Because until you get to the  
13 highest level which is called proficient, you  
14 are not really sure that people can handle the  
15 kinds of complex information we are talking  
16 about here. Tables, graphs, maybe having to  
17 deal with ratios and complex information.  
18 That really comes up at the higher level  
19 there. So 93 million people may well be cut  
20 off from most of the information that we are  
21 delivering to them today.

22 To get a sense of what does this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 mean in terms of skills, this list here talks  
2 about the skills that you might be able to  
3 expect from somebody scoring at any one of  
4 these levels. I would like to draw your  
5 attention to the intermediate level right now.

6 And at that level, that is the third level,  
7 people should be expected to understand the  
8 timing of their medications.

9 Now, to put this in a context of  
10 the problem in the United States, only one-  
11 third of the population actually adheres to  
12 taking medications in the prescribed way.  
13 Only one-third. That is a very, very poor  
14 outcome. And it is not so surprising when you  
15 see that the bottom two levels would not even  
16 be able to be expected right now with the kind  
17 of information they are getting to be able to  
18 accomplish that task. And a certain chunk of  
19 those who are in the intermediate level. So,  
20 we have a very big challenge.

21 This is an exercise that I would  
22 like us all to take for a moment. Some of you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 may have seen this. But if you haven't, I  
2 think it is very hard for highly literate  
3 people, and I would suggest that most people  
4 in this room are probably in the top three to  
5 five percent of literacy in this country. So,  
6 this will give you sense of what it is like to  
7 experience low literacy.

8           What I would like you to do is to  
9 read this. And a tip is that the words are  
10 written backwards. So, just take a moment and  
11 see if you can do it.

12           So, I will call on Dr. Ostrove.  
13 Now, you are sitting in the physicians'  
14 office. I have given you enough time. You  
15 are at the pharmacy. You have only 30 seconds  
16 to ask me a question. Did you understand  
17 that?

18           DR. OSTROVE: Uh --

19           DR. NEUHAUSER: Do you feel  
20 embarrassed having to talk to me about it?

21           DR. OSTROVE: Oh, yes.

22           DR. NEUHAUSER: Okay. So imagine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the shame, the frustration you feel, when you  
2 are handed something that is beyond your  
3 capabilities of understanding and you are  
4 expected to make something out of this. And  
5 it might be a life and death situation for  
6 you.

7           So, how big is the gap between  
8 people's abilities, in this case to read, and  
9 the kind of information they get? Well, it is  
10 huge. So, the average American reads between  
11 a seventh and eighth grade level. And it is  
12 important to know that the average American  
13 has a high school education. So one of the  
14 findings from the health literacy research is  
15 people read about three to four levels below  
16 their last completed grade and that literacy  
17 falls off with age. We heard something about  
18 that earlier. About two-thirds of older  
19 adults would be in the lower two levels, or  
20 the lower literacy level groups.

21           And an important 20 percent read at  
22 or below the fifth grade level but most of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 health information that is given out through  
2 governments is at the tenth to twelfth grade.

3 I just had an experience of analyzing the  
4 USDA's food pyramid website and looking at the  
5 level of that information. It was intended to  
6 be around a seventh grade but it actually  
7 ended up that parts of it were even at grade  
8 23. So, again, it is not enough to have the  
9 intention to do something at a lower literacy  
10 level, you actually have to have a good  
11 process and we will get to that in a minute.

12 I don't want to talk much about  
13 risk communication because we have heard about  
14 that and will hear more about that this  
15 afternoon and tomorrow. But I just wanted to  
16 comment that overlaid over the problems of  
17 people's challenges with literacy at the base  
18 are the added demands of risk communication  
19 because it is so hard to understand, it  
20 changes a lot, you need trust, it is laden  
21 with emotions and values, and requires quite a  
22 bit of numeracy and it may be quite variable,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 according to your cultural background.

2 I did want to point this out  
3 because I think this is a useful maxim. This  
4 is Vincent Covello, one of the risk  
5 communicators. And he says in high concern  
6 situations, many of those the FDA deals with,  
7 people want to know that you care before they  
8 care what you know. So, when you look, this  
9 came up at an earlier meeting, I think in  
10 February, where there was a comment. We were  
11 looking at the press releases sent out by the  
12 FDA and seeing if there might be ways to  
13 improve them. And one comment was that in  
14 none of the press releases could there be  
15 found an emotional expression of caring,  
16 except the one dealing with melamine in pet  
17 food. And I can't remember, if anybody here  
18 can remember it, it was something like we  
19 know that Americans are very concerned about  
20 their animal's health. Was that it John?  
21 John found that. Thank you, John. And this  
22 is so important to have emotional connection.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       Again, one of the reasons for having a  
2 trusted humanized spokesperson from the FDA  
3 that can do a lot to get across that caring.

4               And then one last comment about  
5 risk communication messages. Looking at the  
6 scientific literature, there has been a lot of  
7 debate about whether high threat or high fear  
8 messages really work. And if you look at sort  
9 of meta-analytical reviews about this, I find  
10 it interesting that it seemed, the conclusions  
11 right now seem to be that high threat messages  
12 are really successful, if they are connected  
13 with high efficacy information, like here is  
14 what you can do now, keeping in mind, as  
15 people have said earlier, just a few messages,  
16 feasible things that people can do and so on.

17               So, taking all of this information  
18 in, are there things that we can do to improve  
19 our communication? And right now, I am  
20 talking about mass communication, pretty much  
21 textual communication, either printed or on  
22 the internet. And these are some of the tips

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here. We have talked about some of these, but  
2 the first one here underlined, of course, is  
3 to co-design with the target audiences. Now,  
4 this is my story. I have spent the last 20  
5 years working to try to turn around the  
6 classic health communication way of doing  
7 things into something different that is user  
8 and audience focused so that the people who  
9 are the intended beneficiaries are actually  
10 the ones who come up with and co-design the  
11 information along with the scientists or  
12 educators. This is the only thing, in my  
13 view, that actually works to get through all  
14 of the complex issues that we have talked  
15 about because we have talked about many  
16 things.

17 We have talked about people's  
18 challenges to be able to read and comprehend.

19 We have talked about their challenges with  
20 the way that issues make them feel with their  
21 underlying values. Culture, language,  
22 etcetera. There are so many issues that it is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hard to quantify those and put those into some  
2 kind of normative cookbook recipe for doing  
3 things. And what I have found is that the  
4 only way to deal with that complexity is to  
5 actually get those people with you, work with  
6 you, design and test together.

7           And the bulleted points here are  
8 just some of the things that have come out  
9 from the literature and from experience, all  
10 of which have already been mentioned today.  
11 Ron Barnett's pictures, and stories and so  
12 forth, the emotions, putting information into  
13 small chunks. Think of USA Today. It is very  
14 easy to read the front page of that paper  
15 compared to say The Wall Street Journal and so  
16 forth. Focusing on behavior rather than too  
17 many facts and the like.

18           So, here is a proposed process for  
19 doing developing communication in a different  
20 way. And this starts out a lot differently  
21 from having experts take scientific findings  
22 and saying okay, now we are going to develop a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 message. We are going to send it out to you  
2 the public.

3 In this one, we would start out  
4 much as Dr. Bruhn said, to think about what  
5 are the actual communication objectives and  
6 audiences. And Dr. Ostrove brought that issue  
7 up this morning. You know, as the FDA, what  
8 should we even think about when we are  
9 considering some piece of scientific  
10 information that should be communicated to the  
11 public?

12 Then the next step would be to  
13 actually get the intended audience, once they  
14 are defined, to assess them, maybe to survey  
15 them. Focus groups, whatever, find out what  
16 they think about a particular issue and see  
17 where their knowledge is, where their  
18 attitudes are, where their behaviors are, just  
19 like Dr. Bruhn did with the hand washing and  
20 so forth. You know, where are we right now?  
21 Where do we think people should go in terms of  
22 changing their behavior?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   The next step, which usually  
2 doesn't happen in most communication  
3 development, is to set up a participatory  
4 design process with those intended audiences.

5       And I would say here, give a special  
6 attention to the lower literate groups. One  
7 of the things that really bothers me when I  
8 look at the literature is that we have a lot  
9 of scientific evidence about communication.  
10 But if you look closely at it, you will find  
11 that almost never have people taken the lower  
12 literate groups and involved them in testing  
13 messages and so forth.

14                   In one of the government websites  
15 that I examined, I saw that in the background  
16 information it had been tested and found to be  
17 very usable. When I looked deeper, it turned  
18 out that the group that actually examined it  
19 was college students. So, college students  
20 said a website was easy to use. Well, I would  
21 assume so. So, we need to pay particular  
22 attention to the more vulnerable groups here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And then the process goes on to  
2 interactively develop.       So, you have  
3 educators, scientists, various stakeholders,  
4 maybe policy makers, working with the intended  
5 audiences in the same room to develop  
6 messages, ideas, communication strategies. On  
7 the side, or combined with that, have the  
8 folks who will actually deliver the  
9 communication, those could be people from the  
10 media, they could be spokespeople from an  
11 agency, they could be professional groups,  
12 seafood industry, public information officers.

13       There is all kinds of groups who could get  
14 the messages out that the FDA would think are  
15 valuable. Have them get together to figure  
16 out systematic ways to deliver this  
17 information.

18           Then the process continues  
19 iteratively until all of the groups are  
20 satisfied with the way it is. It is  
21 implemented, then it is evaluated and then  
22 there is always a sense it is going to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 revised again because if you evaluate it  
2 correctly, something will always be found that  
3 could be improved.

4           Finally, the whole process needs to  
5 be redone to adapt communication for other  
6 languages and cultures. I won't go into  
7 details about adaptation versus translation  
8 but it is an extremely important issue. I  
9 would just say that literal translations do  
10 not work. They are not respectful. They  
11 often lead to miscommunication and can be  
12 dangerous. So, an adaptation with people of  
13 the particular language group understanding,  
14 of course, that for example in Spanish, there  
15 are many linguistic variations and you have to  
16 bring folks like that together from Puerto  
17 Rico or Mexico, wherever, to make sure you  
18 have a sort of neutral Spanish.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701