

1 to that.

2 My own comments were meant to be a
3 compliment, and I think that Dr. Cnaan's
4 comment was to see that this additional
5 information was included before the other
6 medications, and you concurred that that
7 recommendation has already been given to you.

8 So we would like to also affirm
9 that recommendation coming from this
10 Committee, in addition to wherever else it
11 came from, and so then yet another
12 recommendation this Committee might make is
13 that the information known about the zero to
14 24-month group also be included in the
15 labeling.

16 Would this Committee like to make
17 that recommendation?

18 So yes, we would. And so can you
19 move to the last slide then about the question
20 posed to the Committee?

21 Yes, Dr. Rosenthal?

22 DR. ROSENTHAL: I just have one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very nitpicky point. In the label under the
2 adverse events section, under cardiovascular,
3 migraine is listed, and I just wanted to know
4 whether Dr. Dure put you up to that. As far
5 as I'm concerned, migraine is in his system,
6 and if there's a cardiac migraine, we would
7 call that angina. So maybe just a
8 clarification.

9 CHAIRPERSON RAPPLEY: Okay. So the
10 question is, or the statement is, given the
11 information on Slide 44 and 45, that the FDA
12 will continue to monitor medication errors
13 related to name confusion, will continue
14 standard ongoing safety monitoring for
15 lamotrigine, and will take the recommendations
16 under advisement made by the Committee this
17 morning.

18 Those in support of that, please
19 raise your hand.

20 Any opposition?

21 So there is consensus on that.

22 Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Do you all have any
2 questions?

3 Okay.

4 CHAIRPERSON RAPPLEY: Moving on to
5 Ambien. Given that we are an hour behind at
6 this point in time, if we could, again, keep
7 our questions and comments focused, and ask
8 our presenters to focus on those informations
9 on the slide that are particularly relevant,
10 we will read each slide as it comes up.

11 DR. MURPHY: And could I have the
12 people from the division also introduce
13 themselves at this point so we won't have to
14 interrupt the flow? So if you would --

15 DR JILLAPALLI: I am Dr. Devanand
16 Jillapalli with the Division of Neurology
17 Products. I am the acting team leader for
18 sleep products. I have training in adult
19 neurology.

20 DR. DAVIS: I'm Dr. Carol Davis,
21 and I am a clinical reviewer in the Division
22 of Neurology. My residency was in PM&R.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. DURMOWICZ: Great. I'm now
2 going to present the one year adverse event
3 review for Ambien, or zolpidem tartrate. My
4 presentation, again, will include background
5 drug information, drug use trends, information
6 from the pediatric exclusivity studies,
7 labeling changes secondary to the pediatric
8 exclusivity studies, and additional relevant
9 safety information in labeling.

10 I'll also present adverse event
11 since approval in one-year post exclusivity.
12 I will conclude with a summary.

13 Ambien, or zolpidem tartrate, is a
14 sedative hypnotic in the imidazopyridine
15 class. Sanofi Aventis is the sponsor.
16 Zolpidem was originally approved on December
17 16th, 1992, and pediatric exclusivity was
18 granted on November 20th, 2006. The labeling
19 changes secondary to the pediatric exclusivity
20 study occurred on March 28th, 2007. Ambien is
21 only indicated in adults for the short-term
22 treatment of insomnia characterized by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 difficulties with sleep initiation.

2 This slide provides information
3 about the use of zolpidem in the out-patient
4 setting over the three-year period December
5 1st, 2004, through November 30th, 2007,
6 reflecting the two years of use before and one
7 year of use after the granting of pediatric
8 exclusivity on November 20th, 2006.

9 The overall use of zolpidem is
10 increasing in adults, approximately 15 percent
11 since exclusivity. However, the overall use
12 in pediatric patients is decreasing,
13 approximately five percent since exclusivity.

14 Patients zero to 16 years of age
15 accounted for less than one percent of the
16 total dispensed prescriptions, which is
17 approximately 51,000 prescriptions per year
18 over the three-year period, and patients zero
19 to 16 years accounted for less than one
20 percent of the total projected patients who
21 filled a prescription for Ambien, which is
22 approximately 25,000 patients over the one-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 year period post exclusivity.

2 General practice, family practice,
3 doctors of osteopathy was the top prescribing
4 specialty for Ambien. The top diagnosis code
5 in patients six to 11 years was sleep
6 disturbances, and in patients 12 to 16 years,
7 sleep disturbances and depressive disorder.

8 A written request was issued in
9 July 2006 to study the safety and efficacy of
10 zolpidem in children with ADHD associated
11 insomnia. A pharmacokinetic study in 64
12 patients two to 18 years of age was conducted
13 to inform the clinical trial, and use doses of
14 0.25 milligrams per kilogram per day to a max
15 of ten milligrams per day.

16 The clinical study was a Phase 3,
17 double blind, randomized placebo controlled
18 parallel group study comparing the efficacy
19 and safety of zolpidem to placebo in 201
20 pediatric patients with ADHD associated
21 insomnia for eight weeks. The results of the
22 study showed that zolpidem did not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 significantly decrease latency to persistent
2 sleep compared to placebo.

3 The safety data from the clinical
4 trials presented in this slide, there were no
5 deaths. Psychiatric and nervous system
6 disorders comprised the most frequent
7 treatment, emergent adverse events.

8 As you can see, dizziness occurred
9 in 23.5 percent of the zolpidem treated
10 patients versus 1.5 percent of placebo;
11 headache 12.5 percent in zolpidem treated
12 patients versus 9.2 percent of patients who
13 are treated with placebo; and hallucinations
14 occurred in 7.4 percent of patients treated
15 with zolpidem versus zero percent in the
16 control group.

17 In the adult trials, the incidence
18 of hallucinations was less than one percent,
19 and dizziness was one to five percent.

20 Labeling changes secondary to the
21 pediatric exclusivity studies occurred in
22 March 2007 to reflect that zolpidem did not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 decrease sleep latency, to describe
2 psychiatric and central nervous system adverse
3 events, and to indicate that safety and
4 effectiveness in pediatric patients have not
5 been established.

6 Within the highlight section of
7 labeling, changes were made to warnings and
8 precautions and use in specific populations,
9 and within the full prescribing information of
10 labeling, changes were made to warnings and
11 precautions, Section 5, use in specific
12 populations, Section 8, and patient counseling
13 information, Section 17.

14 Safety information in the current
15 labeling secondary to the pediatric
16 exclusivity studies includes information in
17 the highlights section under use in specific
18 populations. Under pediatric use, the
19 labeling states that safety and effectiveness
20 is not established.

21 Hallucinations, incidence rate, 7.4
22 percent, and other psychiatric and/or nervous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 system adverse reactions were observed
2 frequently in a study of pediatric patients
3 with attention deficit hyperactivity disorder.

4 Subsections 5.6 and 8.4 of the full
5 prescribing information section of the
6 labeling is referenced.

7 Within the full prescribing
8 information, information from the pediatric
9 exclusivity study is included in three
10 sections of labeling. Under Section 5,
11 warnings and precautions, two subsections has
12 information. In Subsection 5.3, labeling
13 describes the incidence in the clinical trials
14 of hallucinations in adults and in pediatric
15 patients.

16 In Subsection 5.6, labeling states
17 that safety and effectiveness have not been
18 established in pediatric patients, and the
19 clinical trial in patients with ADHD is
20 briefly described, specifically stating that
21 zolpidem did not demonstrate decreased sleep
22 latency compared to placebo, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hallucinations were reported in 7.4 percent of
2 zolpidem treated patients, compared to zero
3 percent of the patients who received placebo.

4 Within the pediatric use section,
5 again, labeling states that safety and
6 effectiveness of zolpidem have not been
7 established in pediatric patients. The study
8 is described, and includes the total number of
9 patients in the study, the patients treated
10 with zolpidem versus those treated with
11 placebo. The study results are stated that
12 zolpidem did not significantly decrease
13 latency to persistent sleep, and the
14 psychiatric and nervous system disorder
15 incidence within the treatment group and
16 within the placebo group are reported.

17 Section 17.4 includes a medication
18 guide which states that Ambien is not for
19 children.

20 Additional relevant safety
21 information included in labeling is included
22 in the warnings/precaution section, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 includes the need to evaluate for co-morbid
2 diagnoses. So your anaphylactic and
3 anaphylactoid reactions, abnormal thinking and
4 behavior changes, withdrawal effects, central
5 nervous system depressant effects, worsening
6 of depression or suicidal thinking, and
7 cautions used in special populations.

8 Ambien is a Category C drug in
9 pregnancy, and all of the important adverse
10 events are listed in warnings and precautions.

11 Of note, Ambien does not have a
12 boxed warning or a contraindication other than
13 a known hypersensitivity to the ingredient.

14 Moving on from the pediatric
15 exclusivity to the post marketing reporting,
16 this table provides the adverse event reports
17 since marketing approval. As you can see, in
18 pediatric patients zero to 16 years of age,
19 there were 134 total reports, 77 from the
20 United States, 107 serious adverse events, 57
21 from the United States, and 15 death reports,
22 11 of those being from the United States.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The adverse events reports of death
2 since marketing approval are summarized in
3 this slide. Of the 15 crude cases of death
4 identified, two are duplicates, and I'd like
5 to refer you to your handout that has an
6 integrated death summary table to describe all
7 of the deaths that were reported.

8 Six cases were excluded secondary
9 to hearsay, accidental ingestion,
10 inappropriate maternal dosing or overdose. Of
11 the remaining seven reports, two were cases of
12 suicide, both 15 year old and 17 year old
13 males. Both had a history of suicide attempt
14 and/or a mental health disorder. One report
15 was of a cardiomyopathy, and there were four
16 reports of congenital abnormality, or neonatal
17 complication.

18 Of note, all of these four reports
19 noted that the patient was exposed to multiple
20 medications in utero.

21 This table actually presents the
22 information of adverse event reports during

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the one-year post exclusivity period.
2 Pediatric patients zero to 16 years of age, a
3 total of 20 total reports, eight from the
4 United States, 18 serious adverse event
5 reports, seven from the United States, and
6 four reports of death, one of those being from
7 the United States.

8 To review the fatal adverse events
9 reported since one year post exclusivity, four
10 reports of death in patients zero to 16 years
11 were included in the crude counts of the
12 adverse event reports. After further
13 evaluation, two of the reported cases were
14 excluded secondary to misuse or abuse or
15 accidental ingestion of zolpidem, and an
16 additional report of a 17 year old was found.

17 The 17 year old was a male who died
18 of an apparent suicide. His past medical
19 history was significant for anxiety, insomnia,
20 and psychiatric treatment. The patient's
21 diary revealed suicidal thoughts, and a gender
22 identity disorder. Although the patient was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reported to have taken zolpidem on a regular
2 basis, the drug screen was positive for
3 caffeine only.

4 The second death report was of a
5 pregnancy termination at approximately 23
6 weeks of gestation secondary to multiple
7 anomalies and malformations. The preliminary
8 autopsy results suggested a neurological cause
9 for the deformities, and this mother was noted
10 to be on multiple medications.

11 The third report was of a newborn
12 male who was born at home and presented to the
13 emergency department approximately one hour
14 after birth in respiratory arrest. The
15 resuscitation was unsuccessful. This mother
16 was reportedly a chronic substance abuser who
17 used multiple medications.

18 So here we've got presented
19 information about the serious adverse event
20 reports in the one-year patient, and this
21 includes patients zero to 17 years of age.
22 There were 13 unique reports identified, which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 includes the three previous reports of death
2 that we've discussed.

3 Of these 13 reports, six reports
4 were of neurologic or psychologic events.
5 Five reports were of congenital abnormalities
6 or neonatal complications; one report of a
7 hypersensitivity reaction; and there was one
8 generic complaint.

9 Of note, there were no new serious
10 unexpected events identified.

11 So further information about the
12 neurological or psychological serious adverse
13 events. All the reports were in the
14 adolescent population. The first report was
15 the suicide that we discussed previously.
16 There was also a report of a patient with
17 seizures, tetany, extrapyramidal effects, and
18 dystonia; a report of seizure, and a patient
19 was also on a weight control medication; a
20 report of an adult drunk, a report of
21 delirium, and a final report of dizziness,
22 palpitations and hallucinations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Further analysis of the seizures in
2 patients zero to 17 years was unrevealing.

3 Looking further at the congenital
4 abnormalities, fetal malformation or neonatal
5 complications, two of these reports were the
6 death reports we described previously. In
7 addition, there's a report of a term neonate
8 who experienced respiratory failure at the
9 time of birth, a term neonate with talipes
10 equinovarus, and a fifth report of a neonate
11 with glandular hypospadias.

12 Of note, there was exposure in
13 utero to multiple medications for all of these
14 patients, and no pattern of malformation or
15 teratogenicity was noted.

16 The hypersensitivity reaction was
17 an adolescent who developed a rash after the
18 first dose of Ambien, and after the second
19 dose, the patient developed a rash, vomiting,
20 and throat swelling shut. Of note,
21 anaphylaxis is a labeled event.

22 The generic report was of an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adolescent who reported a lack of effect when
2 switched to the generic form.

3 So in summary, due to the pediatric
4 exclusivity studies, labeling has been changed
5 to reflect that, compared to placebo, zolpidem
6 treatment did not significantly improve sleep
7 onset, and was associated with increased risk
8 of neurologic and psychiatric adverse
9 reactions, particularly hallucinations in
10 pediatric patients with ADHD.

11 No unexpected adverse events were
12 identified during the one-year pediatric
13 exclusivity review. The FDA recommends
14 returning to routine standard safety
15 monitoring for all patients.

16 Does the Advisory Committee concur?

17 And I also would like to
18 acknowledge the people who have helped us with
19 the presentation and the background
20 information.

21 CHAIRPERSON RAPPLEY: Open for
22 discussion. Alex.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. RAKOWSKY: Dr. Durmowicz, can
2 you go back one slide, please?

3 DR. DURMOWICZ: Sure.

4 DR. RAKOWSKY: So the labeling
5 change is just the first point, or are you
6 also saying that you have to state the known
7 expected adverse events were identified? Is
8 that in the label, the way it's written?

9 DR. DURMOWICZ: Can you repeat the
10 question? I'm sorry.

11 DR. RAKOWSKY: In that first major
12 bullet, you have two sub-bullets.

13 DR. DURMOWICZ: Yes.

14 DR. RAKOWSKY: Is that second sub-
15 bullet actually in the label now?

16 DR. DURMOWICZ: No, I don't believe
17 so. I'll defer to the division. I don't
18 believe that statement is in the labeling.

19 DR. RAKOWSKY: Okay. Because the
20 way it's written, it sounds as though you've
21 added that to the label.

22 CHAIRPERSON RAPPLEY: No, that's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comment to us as a Committee; is that correct?

2 DR. DURMOWICZ: Yes, that's our
3 comment to you.

4 DR. RAKOWSKY: Okay.

5 CHAIRPERSON RAPPLEY: Further
6 discussion? Dr. Rosenthal.

7 DR. ROSENTHAL: You know, in terms
8 of the pediatric use section on the label, a
9 statement is made that safety and
10 effectiveness have not been established. It
11 seems like, not only has the effectiveness not
12 been established, and I realize that,
13 depending on how the studies were powered,
14 this may be too strong of a statement, but it
15 seems like we really didn't see any
16 effectiveness in terms of that, and we really
17 did see some adverse effects.

18 And so I'm wondering whether the
19 language doesn't need to be stronger about not
20 using this agent, you know, for the indication
21 in which it was studied.

22 DR. DURMOWICZ: I'll defer the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question to the division.

2 DR. JILLAPALLI: I'm sorry. We
3 recognize that there are folks, healthcare
4 providers, that might find the use of this
5 drug in certain pediatric patients other than
6 those in ADHD, and so we labeled it to provide
7 the information that we obtained from the
8 study in the ADHD population.

9 And we at that time felt that
10 perhaps using much stronger language would
11 discourage the use in those pediatric patients
12 where it might be useful.

13 DR. ROSENTHAL: So is there
14 evidence of efficacy of this agent in the
15 pediatric population in any context?

16 DR. JILLAPALLI: No, we do not have
17 any evidence of efficacy.

18 DR. ROSENTHAL: So this is seeming
19 a little bit like the discussion that we had
20 around cough and cold medications, where we
21 really don't have any evidence of efficacy,
22 but we do have some evidence of risk.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. JILLAPALLI: That's correct.

2 CHAIRPERSON RAPPLEY: Dr.
3 Notterman.

4 DR. NOTTERMAN: Just to round out
5 that discussion, however, the labeling change
6 does indicate that a study was performed, and
7 that it failed to demonstrate efficacy, and in
8 fact -- I'm referring, I'm sorry, to page 319
9 in the briefing book, which is the label.

10 So the label now does indicate that
11 a study was performed and it failed to
12 document efficacy, and that, in fact, there
13 were serious adverse events, notably
14 hallucinations and other psychiatric
15 disturbances.

16 Am I correct that this is a change?

17 DR. MURPHY: Yes. This was new
18 information put into the label as a result of
19 these studies. Am I saying that incorrectly?

20 DR. DURMOWICZ: No, I think that's
21 correct.

22 DR. MURPHY: Yes. It's back to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what Marsha was saying earlier. You know,
2 this is part of the initiative. The studies
3 got done, and they showed they didn't work.
4 So the question that seems to be on the table
5 is, well, we know it's being used off label,
6 should there be any more emphasis on the
7 adverse event part of this?

8 And I think you're trying to
9 address, well, it's described.

10 So I'm going to be quiet now, but
11 the answer is, yes.

12 DR. NOTTERMAN: And if I'm correct,
13 the use has been decreasing. There's a 29
14 percent decrease, if I remember correctly.
15 Let me see -- a 13 percent decrease in use,
16 zero to 16, from baseline to post exclusivity.

17 I'm asking these questions because
18 I want to understand if this process is
19 actually working the way that we hope it's
20 working.

21 DR. MURPHY: We think that this is
22 an example of getting informative negative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 labeling. The tension I'm hearing is some
2 people might have wanted more on the adverse
3 events, but we think that this was a very
4 positive step, reflects the legislation of
5 getting this kind of information in the label.

6 DR. ROSENTHAL: Can you clarify for
7 me how the contraindication section works? I
8 mean, it seems like hypersensitivity to any
9 agent is a contraindication, and there are
10 very select other ones, but you know, it seems
11 to me that there may be something that could
12 be added to that section for agents where
13 there seems to be an imbalance between the
14 effectiveness and the risks.

15 DR. MATHIS: I'll actually take
16 that one for you.

17 Good. I'm glad you asked a
18 question. They told me you were supposed to
19 ask -- or I was supposed to ask you a question
20 yesterday. Well, this is reversed.

21 So the contraindication section is
22 a section where there's databased evidence

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that the product should never, ever be used in
2 a given population, where the risks always
3 outweigh the benefit.

4 So I think, in a situation like
5 this where you have hypersensitivity, I
6 believe that you have to have a documented
7 case of hypersensitivity to include that in
8 labeling under the contraindication section of
9 labeling.

10 So you'll see some drugs that don't
11 have that in there, although I imagine it's
12 always a possibility with any product. Now,
13 the other extent is, if you're talking about a
14 situation where, in the population where it
15 was studied, you had adverse events, so you
16 don't want the product approved in that
17 population, because there may be some patients
18 within that population for whom the benefits
19 would outweigh the risks, that wouldn't rise
20 to the level of a contraindication.

21 But that is why it's so important
22 to put that negative information into the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 labeling, so that way people who are trying to
2 use this product can understand the amount of
3 adverse events associated with the product
4 balanced against the efficacy.

5 And I think if you were to ever see
6 this product come in in another formulation,
7 we would have to go through the discussion
8 with PREA, and consider whether or not we
9 would want this product studied again in the
10 pediatric population, in this pediatric
11 population. And I think if we were basing it
12 on the data that we have now, we would
13 probably consider a waiver based on safety,
14 and then that information would be
15 incorporated into labeling, the safety
16 concerns.

17 CHAIRPERSON RAPPLEY: So in
18 summary, the concerns that were noted in the
19 presentation were incorporated into the label
20 in language as strong as the agency would use
21 under these circumstances.

22 Ms. Vining.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. VINING: Just a question. That
2 line that says, safety and effectiveness has
3 not been established in pediatric patients,
4 has been a common line in labeling for
5 decades, and now we have new information. But
6 I think some confusion is that that line
7 remains even though new information is added.

8 Is there a way, given the new law
9 that's in place, to change that tag line so
10 that it is not confused with drugs that don't
11 have safety and efficacy?

12 DR. MATHIS: We have been able to
13 do that. One of the issues that comes up
14 frequently is that the regulations actually
15 call for that language, but it calls for that
16 language or other appropriate language, and I
17 think a lot of times people read that as
18 requiring that language in this section of
19 labeling.

20 So as we have been moving forward,
21 we have been addressing that and trying to
22 remove it. I think in this case it may be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 situation where there weren't two adequate and
2 well controlled studies. I'm not sure if
3 that's the case or not, but we're addressing
4 that.

5 DR. MURPHY: I think the other
6 thing, and I don't think it applies here, but
7 just in general to answer your question is
8 that, particularly if there are a number of
9 indications, you don't want to take out the
10 fact that it just hasn't been studied, you
11 know, because you're providing the negative
12 information so they can see it was negative
13 there, but you don't want to always completely
14 remove that statement.

15 I mean, we've been told it's not a
16 helpful statement, and we understand that.
17 But we can't wordsmith it, if you will. So
18 there needs to be some indication in the label
19 if there are other indications besides the one
20 that you actually got studied, that you still
21 remain with no approved indications for
22 pediatrics, if you will.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. VINING: But would there ever
2 be an opportunity to say safety and
3 effectiveness for, whatever, compulsive
4 disorder, has not been established so that you
5 tie it directly to the indication versus more
6 blanket statement?

7 Because it appears to be more
8 blanketed.

9 DR. MURPHY: In this case, because
10 you don't have a bunch of other indications,
11 you could have done that.

12 Sometimes, as Lisa was beginning to
13 allude to, they will not want to say it quite
14 as strongly because there is, particularly in
15 those inconclusives, or the situation which
16 you described previously where we think the
17 study - there may be trends - you think that
18 they just didn't get something right about it,
19 and you don't want to close the door
20 completely. In other words, you want to say,
21 in this study done this way, it didn't show
22 any effect, without coming out and saying, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, it's not been shown to be effective for
2 children.

3 Do you see what I'm saying?

4 So that's why the divisions really
5 do struggle with how to relay to the public
6 their level of evidence without having to
7 repeat the whole trial. I think your
8 complaint is that we've had this language
9 forever, and it really isn't useful, and why
10 can't we get it out?

11 I mean, that's sort of it.

12 CHAIRPERSON RAPPLEY: And I think,
13 further, that language sort of perpetuates the
14 thought that we hardly ever study anything in
15 children anyways, so we can never draw any
16 conclusions about children.

17 So that language has been with us
18 perhaps too long, and if we have an
19 opportunity to revisit that, I think that
20 would be important, because people do fall
21 back on that as well. What can we ever know
22 about children, so we just have to use it, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, in an idiosyncratic way.

2 DR. GOLDSTEIN: So just to follow
3 up on that, maybe Dr. Mathis could explain the
4 difference between the labor and delivery
5 statement and the pediatric use statement on
6 page 361.

7 Labor and delivery, it says, Ambien
8 has no established use in labor and delivery,
9 whereas the pediatric statement, the safety
10 and effectiveness have not been established.

11 Thank you.

12 DR. MATHIS: It actually goes to
13 the regulatory requirements under labor and
14 delivery. And that section of labeling is
15 specific for drugs that are approved in that
16 process, and Ambien is not approved in the
17 process of labor and delivery.

18 The pregnancy and lactation section
19 would be, hopefully it would have more
20 information in it than the labor and delivery.

21 CHAIRPERSON RAPPLEY: And a final
22 comment from Ms. Celento.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. CELENTO: I guess I just wanted
2 to ask for clarification on the fact that the
3 med guide specifically says Ambien is not for
4 children, and it does say that actually under
5 what is Ambien. It does not say it under who
6 should not take Ambien, but it's in the med
7 guide that Ambien is not for children, and I
8 understand it may not be in the label because
9 you want to give doctors flexibility, but
10 there just seems to be some inconsistency.

11 DR. MURPHY: Well, I had the same
12 question, because -- is there even a med guide
13 for this product?

14 There is. Okay, and that's just
15 telling us what the statement says in the med
16 guide. Okay. So back to her question, that
17 there seems to be an inconsistency between the
18 two.

19 DR. JILLAPALLI: We recognize that
20 there is some inconsistency. The med guide is
21 more geared to patients and parents of
22 children, and it's more difficult to explain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to them that, in a certain segment, in certain
2 circumstances, that the benefits of the drug
3 may actually outweigh the risk, and that's
4 something that we've left the healthcare
5 provider to make that sort of risk-benefit
6 decision, and discuss that with the parents of
7 the children.

8 DR. MURPHY: So you weren't here
9 maybe for some earlier discussions where the
10 Committee was looking for a stronger
11 statement, and I think they found it in your
12 med guide. So the issue here is, if the med
13 guide is making statements such as Ambien is
14 not for children, why could we not put it in
15 other places?

16 I mean, is that sort of what I'm
17 hearing from the Committee?

18 What Lisa is saying, we have
19 certain regulatory language we're supposed to
20 use, but again, the other part of the label is
21 written in language that's for physicians.
22 This is written for general public, and so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some of it is just, maybe the general public
2 forthrightness language is more informative
3 than the physician language.

4 DR. MATHIS: I think there may be a
5 nuance here that we're missing, and one is
6 that, first of all, I'm not sure, does anybody
7 know if the indication says specifically what
8 it says on the slide, which is adult only
9 short term-treatment of insomnia?

10 Is this verbatim from the label?

11 DR. DURMOWICZ: I'd have to double
12 check that. Do you know?

13 DR. MATHIS: Somebody double check
14 it, because if it does say --

15 CHAIRPERSON RAPPLEY: I can read
16 from the label right here, "Ambien is
17 indicated for short-term treatment of insomnia
18 characterized by difficulties with sleep
19 initiation. Ambien has been shown to decrease
20 sleep latency for up to 35 days in controlled
21 clinical studies." No mention of age.

22 DR. MATHIS: Never mind.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. DURMOWICZ: In a previous
2 version of labeling, this is the most -- for
3 the medication guide, this is the most recent
4 version -- there was actually more wording in
5 the parent guide, and the division maybe could
6 be able to comment on that. And I think
7 sometimes we feel that less is more, and so
8 instead of kind of a longer paragraph in the
9 medication guide, it was shortened with the
10 most recent labeling change.

11 CHAIRPERSON RAPPLEY: So I think I
12 want to clarify this question, and not to
13 prolong the discussion, but the division felt
14 it was important enough to put in the med
15 guide, Ambien is not for children, but they
16 felt that they should not consciously decide
17 to not put that strong a message into the
18 labeling for health professionals. Is that
19 true?

20 DR. JILLAPALLI: I think in
21 principle that's true.

22 DR. MURPHY: I think you all have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 heard previous discussions about, you know,
2 the more language we put in, the more the
3 physician is restricted, and so if the
4 evidence is such that you want to say, it is
5 not for children, then you are putting any
6 physician who ever wants to or needs to use
7 Ambien in a very difficult position.

8 So that's the balance here in that
9 it gets back to, we're trying to get products
10 studied, yes, we want them studied, but we
11 also understand the practice of medicine is
12 never going to be able, or is always going to
13 have a need for physicians to have some
14 leeway.

15 If you put in the statement in the
16 labeling, and it is in the med guide, I
17 understand that, but if you put it in the rest
18 of the physician part of the labeling, it's
19 not for children. It notches it up a little
20 bit. So that's the balance.

21 You could say that you think it
22 should be notched up. What we're trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 explain, to Ms. Celento's very observant
2 comment, was that they didn't make that. They
3 decided to leave it one way in the medication
4 guide, and leave it differently in the other.

5 Do you all have anything to add?

6 CHAIRPERSON RAPPLEY: Dr. D'Angio.

7 DR. D'ANGIO: I'd just add one
8 thing. I think that maybe it is appropriate
9 to leave the physician language the same as it
10 is. The discussion that we're having is based
11 on one study, and I think, to make the
12 decision that it never should be used in
13 children, or to get close to that, may be more
14 of a conclusion than anyone could make on the
15 basis of one study.

16 CHAIRPERSON RAPPLEY: Shall we
17 consider the question then that, given that
18 the labeling was changed to reflect the
19 comments on the Slide 19, that the FDA return
20 to routine standard safety monitoring for all
21 patients.

22 Those who support this, please

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 raise your hand.

2 And those opposed?

3 So by consensus, we support this.
4 We still have two more to do before lunch, and
5 the reason we're pushing this is because we
6 have a full afternoon.

7 So I would once again ask our
8 presenters to try to highlight what's
9 important for us to take home from the slide,
10 because we will commit to reading the slide
11 that's presented to us, and then our questions
12 to be very focused.

13 Thank you.

14 DR. MURPHY: I'm going to ask our
15 division representative to go ahead and
16 introduce herself.

17 DR. LINDSTROM: I'm Dr. Jill
18 Lindstrom. I'm a dermatologist, and I serve
19 as a clinical team leader in the Division of
20 Dermatology and Dental Products.

21 CHAIRPERSON RAPPLEY: Please start.

22 DR. BROWN: Okay. Hello and now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 good afternoon. I am Patricia Brown, a
2 medical officer in the Division of Dermatology
3 and Dental Products and will be presenting the
4 one-year post exclusivity adverse event review
5 for terbinafine. The drug brand name is
6 Lamisil, and the active ingredient is
7 terbinafine hydrochloride. The therapeutic
8 category is antifungal and the sponsor is
9 Novartis Pharmaceuticals Corporation.

10 The original market approval was
11 1992 for the prescription topical cream, which
12 was switched to over the counter in 1999.
13 Tablets, topical solution, topical gel were
14 approved 1996, 1997 and 1998, respectively.
15 The oral granules formulation was approved
16 September 28th, 2007.

17 A pediatric written request was
18 issued December 28th, 2001 to study an age
19 appropriate formulation of oral terbinafine in
20 the treatment of tinea capitis. The pediatric
21 written request was amended several times.
22 The applicant submitted data from a number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies, including a PK study and a safety and
2 efficacy study.

3 Pediatric exclusivity was granted
4 December 4th, 2006. The indications for the
5 various products are as follows: the oral
6 granules is for tinea capitis in patients four
7 years and older; tablets, onychomycosis in
8 adults; topical cream, tinea pedis, tinea
9 cruris, and tinea corporis in patients 12 and
10 older; topical solution, tinea versicolor in
11 adults; topical gel, tinea pedis, tinea
12 cruris, tinea corporis, and tinea versicolor
13 in adults.

14 Pediatric use accounted for
15 approximately two percent of the total
16 dispensed oral terbinafine prescriptions in
17 the out-patient setting. There were no
18 dispensed prescriptions for Lamisil oral
19 granules in either adult or pediatric
20 populations during the study period. The
21 study period was December 1st, 2004 to
22 November 30th, 2007.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Of note, oral granules was approved
2 September 28th, 2007, and the product launch
3 was delayed.

4 Exclusivity studies for Lamisil
5 oral granules included a PK study, single and
6 multiple dose in 16 children, aged four to
7 eight years and diagnosed with tinea capitis.

8 There were also two randomized, six week
9 active controlled studies. The active control
10 was griseofulvin.

11 These studies evaluated safety and
12 efficacy in 1,549 subjects age four to 12
13 years, diagnosed with tinea capitis. Of
14 these, 1,042 subjects were exposed to
15 terbinafine and 507 were exposed to
16 griseofulvin.

17 In the pharmacokinetic study,
18 systemic exposure showed high interindividual
19 variability. In general systemic exposure in
20 children was similar to adults.

21 In the pivotal studies with regard
22 to efficacy, terbinafine achieved superiority

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 over griseofulvin in one of the two pivotal
2 studies. When subgroup analysis by species of
3 fungal organism was performed, in both studies
4 for *T. tonsurans*, terbinafine was more
5 efficacious than griseofulvin.

6 However, in both studies for *M.*
7 *canis*, griseofulvin was more efficacious than
8 terbinafine. It should be noted that the U.S.
9 prevalence of *T. tonsurans* is 90 to 96 percent
10 and *M. canis* is one to five percent.

11 With regard to safety, the pivotal
12 studies showed generally similar profiles of
13 adverse events for both terbinafine and
14 griseofulvin.

15 Regarding the pediatric population,
16 exclusivity studies resulted in approval of a
17 new formulation, Lamisil Oral Granules,
18 approval of a new indication, tinea capitis,
19 and labeling with information on usage,
20 dosing, adverse events, clinical pharmacology,
21 and clinical studies.

22 This slide summarizes the warnings

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and precaution section for the pediatric
2 formulation. Most of the information,
3 however, came from the adult label. The
4 following have been reported: cases of liver
5 failure, some leading to death or liver
6 transplant; severe neutropenia; Stevens
7 Johnson Syndrome and toxic epidermal
8 necrolysis; lupus erythematosus.

9 This slide summarizes the adverse
10 reaction section. Adverse events greater than
11 one percent in the pediatric pivotal trials
12 included nasopharyngitis, headache, pyrexia,
13 cough, vomiting, upper respiratory tract
14 infection, upper abdominal pain, and diarrhea.

15 This is not an exhaustive list.

16 Adverse reactions seen during post
17 approval use for all formulations include
18 thrombocytopenia, agranulocytosis,
19 pancytopenia, anemia, myalgia, rhabdomyolysis,
20 acute pancreatitis, and hair loss.

21 Pediatric exclusivity has not
22 impacted the number of reported medication

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 errors. However, name confusion has occurred.
2 Lamisil has been confused primarily with
3 Lamictil. This is a well documented error,
4 and interventions have been implemented that a
5 previous speaker has alluded to. The Lamisil-
6 Lamictil name pair has been added to the
7 Institute for Safe Medication Practices and
8 Confused Drug Names list. There has been an
9 extensive educational campaign, and in 2007
10 the RxSafety Advisor was instituted. This is
11 a software program that alerts the pharmacist
12 to look alike and sound alike names. We will
13 continue to monitor.

14 This slide shows the pediatric
15 adverse events in the one-year post
16 exclusivity period. So this is one year.
17 It's a smaller time period than we will be
18 talking about in the next slide. These are
19 crude counts for reports of all sources from
20 the data, pediatric exclusivity, December 4th,
21 2006, through January 4th, 2008. U.S. reports
22 are in parentheses.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Of note, serious adverse drug
2 experiences are defined per CFR 314.80, which
3 include death, life threatening
4 hospitalization, disability, congenital
5 anomaly, and other serious and important
6 medical events.

7 It should be pointed out that the
8 category of "other" is based on the reporter's
9 judgment of what is serious, and note for the
10 pediatric age group zero to 16 years, there
11 have been a total of seven events of which
12 four were U.S. reports, and all were
13 considered serious.

14 In contrast to the previous slide,
15 this shows the pediatric adverse event since
16 marketing approval, 1992 to the end of the
17 study period. Crude counts of errors reports
18 for all sources reveal in the pediatric age
19 group a total of 84 adverse events. Forty-
20 eight are U.S. Of these 80 were serious; 45
21 in the U.S.

22 One pediatric death has occurred.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This was a case of in utero exposure to
2 terbinafine. The infant died after being
3 diagnoses with Trisomy 13. This event is not
4 likely to be related to terbinafine exposure.

5 This slide shows the strategy used
6 by OSE to narrow the 47 serious cases since
7 market approval in 1992. Remembering the
8 earlier slide, two slides back, there were 80
9 crude count cases of which 77 represent non-
10 duplicated reports. Of these 77 cases, 30
11 were excluded, 29 for various reasons such as
12 drug ineffective, medication errors, no
13 temporal relationship, and one was excluded
14 for miscoded age.

15 This leaves 47 remaining cases that
16 will be discussed in the following slides, and
17 the next few slides discuss the serious
18 pediatric adverse events since marketing
19 approval in 1992. Skin reactions totaled 16
20 events. These are in the labeling. These
21 have included the following, some of which
22 required hospitalization: skin rashes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 erythema multi-forming, Stevens Johnson
2 syndrome, toxic epidermal necrolysis, skin
3 striae, hives, pruritus, and alopecia.

4 Neurologic events totaled five
5 cases. These have included single reports of
6 seizure or shaking spell, headache and neck
7 pain, mental impairment, walking difficulty
8 which might have been related to skin rash,
9 and somnolence. Only headache is labeled.

10 There were five cases of
11 gastrointestinal events. Of these, abdominal
12 pain, vomiting and diarrhea are labeled. A
13 non-labeled event was hematochezia in a three
14 year old after three weeks of oral
15 terbinafine. This event resolved after
16 discontinuation.

17 Hematological events totaled three
18 cases and included leukopenia,
19 thrombocytopenia and anemia, and neutropenia,
20 all of which are labeled events.

21 Musculoskeletal events totaled two
22 cases and included myalgia and rhabdomyolysis,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 both of which are labeled.

2 Continuing, hepatic events totaled
3 two cases, both of which are labeled. One was
4 a case of fatigue and upper abdominal cramps.

5 In this case GPT was elevated and
6 hepatosplenomegaly was noted. The other case
7 was one that included increased bilirubin and
8 alkaline phosphatase levels.

9 Renal and urinary events totaled
10 two cases, both unlabeled. These consist of
11 single reports of nephrotic syndrome and
12 incontinence.

13 Psychiatric events totaled three
14 cases, all unlabeled. A 13 year old developed
15 depression, anxiety, insomnia, nausea,
16 forgetfulness, and social withdrawal after
17 three and a half weeks on oral terbinafine.
18 The patient recovered with discontinuation.

19 The concomitant medication was
20 metoclopramide, and labeling for that medicine
21 includes depression under warnings. A 16 year
22 old with a history of depression on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 escotelpram and having a history of lime
2 disease developed worsening depression and
3 suicidal ideation after one month on oral
4 terbinafine.

5 A 16 year old developed thoughts of
6 self-harm after two months of oral
7 terbinafine. The patient recovered after
8 discontinuation of the terbinafine.

9 The category of other events
10 totaled nine cases all of which are unlabeled.

11 For oral terbinafine these included a 14 year
12 old diagnoses with ALL 12 days after a three
13 month course of terbinafine, a 13 year old
14 with increased carbamazepine level after one
15 month. The increased level resolved with
16 adjustment of the carbamazepine dose. The
17 patient completed three months of terbinafine.

18 This event was considered to be
19 unlikely to be related to the terbinafine
20 because the patient was on other medicines
21 that could have inhibited CYP450 3A4 isoenzyme
22 and the terbinafine is an inhibitor of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CYP450 2D6 isoenzyme.

2 A 14 year old developed
3 hypoglycemia after four weeks on terbinafine.

4 This resolved without discontinuation of the
5 terbinafine.

6 A five year old was noted to have
7 chest pain and breast development after the
8 first dose.

9 A ten year old developed ecchymosis
10 after two days of treatment.

11 For typical terbinafine, four
12 events were reported and no trend was seen.

13 In summary, for terbinafine no
14 safety signals unique to the pediatric
15 population have been identified since market
16 approval, 1992. Since 1992, three psychiatric
17 events were found in the pediatric population.

18 However, there was underlying illness or use
19 of concomitant medication that confounded the
20 interpretation of causality.

21 Exclusivity studies resulted in
22 approval of a new formulation and a new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 indication.

2 This completes the one-year post
3 exclusivity adverse event reporting for
4 terbinafine. The FDA will continue its
5 ongoing safety monitoring for terbinafine.

6 Does the Advisory Committee have
7 any additional comments?

8 For providing information and
9 advice for this presentation I'd like to
10 acknowledge the contribution of the following
11 individuals: from the Office of Surveillance
12 and Epidemiology, from my own division, the
13 Division of Dermatology and Dental Products,
14 from the Pediatric and Maternal Health staff,
15 and from the office of Pediatric Therapeutics.

16 Thank you.

17 CHAIRPERSON RAPPLEY: Thank you.

18 Open for discussion. Yes, Dr.
19 Dure.

20 DR. DURE: It's more a question for
21 information. It seems like your PK data for
22 the oral preparation, the 16 kids, is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 typical? Did you extrapolate from, you know,
2 the big studies that they did? I mean, it
3 just seems like a small number because there
4 was a lot of variation. There was a lot of
5 variation. The coefficients was like 36 to 64
6 percent in an individual.

7 DR. LINDSTROM: I agree that there
8 was a fair amount of variation seen. it is a
9 fairly typical number, and it does represent
10 the number agreed upon in the written request.

11 CHAIRPERSON RAPPLEY: Dr. Cnaan.

12 DR. CNAAN: Looking at the baseline
13 bullet, 8.4, which is on page 8 of the label,
14 this one is confusing to me. It describes
15 that there were two randomized active control
16 trials and it describes the side effects, but
17 then it leaves us hanging. It doesn't have a
18 statement of it worked; it didn't work. So I
19 guess I'm just a little confused why this
20 label is different from other labels when they
21 have this Section 8.4 tell the reader what to
22 think or what was found.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LINDSTROM: That's a good
2 question because this label is slightly
3 different. A reason for that difference might
4 be explained by the indication which specifies
5 that the product is indicated for the
6 treatment of tinea capitis in patients four
7 years of age and older. So really the entire
8 clinical study section would reflect the two
9 trials that are referred to in Section 8.4.

10 DR. CNAAN: Except that in the two
11 trials one was positive but the other was not.

12 DR. LINDSTROM: It depends on what
13 you mean by a positive. I take your point,
14 and yet I think that it's important to look
15 comprehensively at the data presented in the
16 clinical studies section. The outcome of
17 these two trials, I think the outcomes, the
18 data from them were to me, were very
19 interesting, and we did present the primary
20 outcome measure, complete cure from both arms
21 in all subjects.

22 But on subgroup analysis, very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interesting findings were brought out and for
2 the reasons that Dr. Brown discussed in her
3 presentation, we also included in labeling
4 subgroup analysis based on genus and species,
5 specifically based on species of the two most
6 prevalent organisms I believe both in the
7 trials and more importantly, in disease as
8 seen currently in the United States.

9 DR. CNAAN: I agree with that. I
10 looked at it and the subgroup analysis is very
11 compelling and shows consistency between the
12 two studies. I think what I'm suggesting is
13 if the 8.4 section had a line sort of pointing
14 in that direction, it would really clarify and
15 help. It's just a suggestion.

16 DR. MURPHY: And actually some of
17 them do. That was the one thing, that this
18 one doesn't refer. It refers you to the
19 adverse reactions, but it actually has
20 additional information. Sometimes it refers
21 you back to the indications, and it didn't do
22 that, and so that also would have helped.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LINDSTROM: So I hear you
2 saying you don't see a cross-reference to the
3 indication of the clinical study section.

4 Thank you.

5 CHAIRPERSON RAPPLEY: Other
6 comments?

7 Can you put up the slide with the
8 question to the Committee, please? That would
9 be the previous slide.

10 DR. BROWN: Now I've done something
11 with the computer here. If I can request
12 assistance.

13 CHAIRPERSON RAPPLEY: I can read it
14 while we're pulling it up. So one year post
15 exclusivity is completed. As a result we have
16 approval for a new indication, and we have
17 labeling changes on usage, dosage, adverse
18 events, clinical pharmacology and clinical
19 studies.

20 Given that those two things have
21 occurred as a result of the one-year post
22 exclusivity review, the recommendation is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the FDA will continue its ongoing safety
2 monitoring for terbinafine.

3 Does the Committee approve of that
4 or support that recommendation? Please, show
5 of hands.

6 Dr. Cnaan, are you supporting that?
7 Can you show hands again just so I can be
8 sure?

9 And any opposed?

10 So there's consensus on this
11 recommendation. Thank you.

12 DR. MURPHY: And they will take
13 your suggestion under consideration also to
14 have a cross-reference in that section.

15 CHAIRPERSON RAPPLEY: Yes. So let
16 the record show that we recommend a cross-
17 reference in that section.

18 DR. MURPHY: Yes.

19 CHAIRPERSON RAPPLEY: Thank you.

20 And we move now to our last, and
21 this is the presentation on Aldara, again
22 asking us to move through this concisely.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TAYLOR: Good afternoon. My
2 name is Amy Taylor, and I'm a medical officer
3 with the Pediatric and Maternal Health staff
4 in the Office of New Drugs in CDER, and I'll
5 be presenting the one-year post exclusivity
6 adverse event review for imiquimod.

7 Aldara, or imiquimod, topical cream
8 is an immune response modifier marketed by
9 Graceway Pharmaceuticals. The product
10 originally received marketing approval in
11 February 1997 and received pediatric
12 exclusivity in December 2006.

13 Aldara is indicated for the
14 treatment of clinically typical,
15 nonhyperkeratotic, nonhypertrophic, actinic
16 keratosis on the face and scalp in
17 immunocompetent adults, treatment of biopsy
18 confirmed superficial basal cell carcinoma in
19 immunocompetent adults, and treatment of
20 external genital and periana warts, condyloma
21 acuminata, in patients 12 years or older.

22 Studies in children ages two to 12

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 years with molluscum contagiosum failed to
2 demonstrate efficacy, and this is listed in
3 the labeling as a limitation of use.

4 You see here on this side the
5 approved dosing for Aldara. Aldara
6 prescriptions in the pediatric population ages
7 zero to 16 years accounted for approximately
8 21 percent of total dispensed Aldara
9 prescriptions.

10 Of the prescriptions dispensed to
11 pediatric patients, 40 percent were dispensed
12 to patients age six to ten years and 38
13 percent dispensed to patients 11 to 16 years.

14 The top diagnoses were viral warts and
15 molluscum contagiosum.

16 The exclusivity studies consisted
17 of one single and multiple dose
18 pharmacokinetic and safety study and two
19 efficacy and safety studies in pediatric
20 patients age two to 12 years with molluscum
21 contagiosum.

22 The pharmacokinetic study found

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that absorption of imiquimod following topical
2 application in pediatric patients was
3 comparable to adults.

4 The efficacy studies consisted of
5 two double blind, vehicle controlled studies
6 in 702 pediatric patients age two to 12 years
7 with molluscum contagiosum. A total of 470
8 patients were exposed to Aldara. The
9 treatment was up to 16 weeks.

10 Since the studies failed to
11 demonstrate efficacy, since the vehicle
12 clearance rates were higher than Aldara's,
13 there was no indication for molluscum
14 contagiosum granted.

15 In general, adverse events in the
16 Aldara group resembled those seen in studies
17 with adults. The most frequently reported
18 possibly or probably related adverse event was
19 application site reaction, which was 31
20 percent in the Aldara group and 20 percent in
21 the vehicle group. A decrease in white blood
22 cell count and absolute neutrophil count was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 observed.

2 Severe local reactions were
3 reported in the Aldara group with erythema
4 being the most common at 28 percent. The
5 exclusivity studies resulted in labeling
6 changes in the three sections outlined here.

7 In the indication and usage section
8 under limitation of use, the labeling states
9 that studies in children two to 12 years with
10 molluscum contagiosum failed to demonstrate
11 efficacy.

12 The pediatric use section of
13 labeling was changed to include a description
14 of the two efficacy studies and their results,
15 adverse events observed during the clinical
16 studies including severe local reactions, as
17 listed earlier in this presentation, and a
18 description of the pharmacokinetic studies and
19 results.

20 This chart lays out the AERS
21 reports received in the one-year post
22 exclusivity period. There were two pediatric

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reports received which were non-serious.

2 This chart lays out AERS reports
3 received since marketing approval. There were
4 84 pediatric reports. The reports in
5 pediatric patients since marketing approval
6 reported the uses you see here. Approved uses
7 are underlined. Viral warts and molluscum
8 contagiosum were the most common.

9 There was one pediatric death
10 reported since marketing approval. The case
11 involved a 16 year old female who committed
12 suicide by gunshot while on the third month of
13 her second course of imiquimod for viral
14 warts. Her total treatment duration was 31
15 weeks. There was no known history of
16 depression. Suicide is a labeled event.

17 There were 12 reports of serious
18 pediatric adverse events since marketing
19 approval. The adverse events are arranged by
20 system. There were three neurologic adverse
21 events as you see here, which are labeled
22 events. There were two reported cases of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 congenital anomalies. These are unlabeled
2 events, and there was one hematologic adverse
3 event also a labeled event.

4 There were six cases of localized
5 reactions. The first case involved a seven
6 year old female with a history of cerebral
7 palsy who after two applications for genital
8 warts developed extreme swelling and an
9 inability to void necessitating
10 catheterization in the emergency room. The
11 patient was also diagnosed with a viral
12 infection after developing a sore throat and a
13 low grade fever.

14 The second case involves a 15 year
15 old female with burning blisters, swelling,
16 and an inability to void after one application
17 for genital warts. She was treated with
18 topical lidocaine.

19 The third case involves a four year
20 old female with burning pain and an inability
21 to void, fever and flu-like symptoms after
22 three days of treatment for herpes. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient was hospitalized two days later.

2 The fourth case involves a 15 year
3 old female with skin burns, blisters, pain
4 upon urination, fever and fatigue after five
5 days of treatment for genital warts. The
6 patient was hospitalized and treated with
7 antibiotics for the skin burns and blisters.

8 The fifth case involves a 16 year
9 old female with burning, erosions, and
10 ulcerations after three days of treatment for
11 genital warts. The patient was hospitalized
12 after developing fever, increased white blood
13 cells, and flu-like symptoms.

14 The last case involves a ten year
15 old male with an application site abscess
16 requiring incision and drainage and
17 antibiotics after one month of treatment from
18 molluscum contagiosum.

19 The labeling states within the
20 patient counseling information section that
21 female patients that are being treated for
22 genital warts should take special care if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 applying the cream at the opening of the
2 vagina because local skin reactions may cause
3 difficulty in passing urine.

4 In summary, pediatrics accounts for
5 21 percent of Aldara use. Despite studies
6 showing lack of efficacy, off label use is
7 common, including the treatment of molluscum
8 contagiosum. Pediatric female patients have
9 reported an inability to void secondary to
10 severe local reactions during use in the
11 genital area. The Review Division is planning
12 to update this adverse reaction in the
13 labeling.

14 In addition to planning to update
15 the labeling related to severe local reactions
16 in females with use in the genital area, FDA
17 will continue its standard ongoing safety
18 monitoring for imiquimod.

19 Does the Advisory Committee concur?

20 And I would just like to thank
21 those listed on this slide for their help with
22 this presentation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Thank you.

2 CHAIRPERSON RAPPLEY: Thank you.

3 Open for discussion. Dr. Dure and
4 then Dr. Goldstein.

5 DR. DURE: A couple of years ago I
6 think Dr. Mathis gave us a lecture on the
7 label and it may not have been you, but it was
8 somebody, and I was under the impression that
9 it was a fairly highly codified document in
10 terms of what the numbers mean.

11 But this is the first time I've
12 actually seen a 1.4 limitations of use and 1.5
13 unevaluated populations, and I actually think
14 that's great. I mean, this is sort of what
15 we've been talking about all morning, and yet
16 it's not in the other divisions that we have
17 looked at, at least in their label. So I
18 don't have anything bad to say about Aldara.
19 I mean, it's a great presentation.

20 But the question is: is there not
21 a level of standardization here within the
22 agency in terms of the label? Because this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 seems pretty unique from what we have seen
2 before.

3 DR. LINDSTROM: You are correct.
4 We do have a lot of guidance or I should say
5 sponsors have a lot of guidance in how they
6 write their package insert in the CFR. Under
7 the section in the CFR that describes what
8 information should and can be included in the
9 indications and usage section, it does discuss
10 limitations of use and unevaluated uses.

11 We thought that that was
12 particularly important for this product, and
13 so I appreciate your affirmation of the
14 decision to include that information.

15 DR. MATHIS: And remember that we
16 had two adequate and well controlled studies
17 that were both negative, and I think another
18 important point to make here is that if we
19 look at the prescription practices, 20 percent
20 of the prescriptions are still happening in
21 the majority of patients with molluscum
22 despite that specific language in labeling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 saying that this product does not work.

2 So just an interesting point to
3 people who are out there practicing medicine.

4 CHAIRPERSON RAPPLEY: Well, I take
5 Dr. Dure's comment as encouraging the agency
6 to encourage that consistently in all new
7 labels.

8 DR. MURPHY: So what you're saying
9 is that when we have negative studies, that we
10 will be putting the information in the
11 pediatric section; that if we think they're
12 very strong, they're good, it's not
13 inconclusive. It's not one of those where you
14 may be -- in those situations that the
15 Committee is recommending that we look to the
16 Durum Division's use of the limitations of
17 youth section as another place where we have
18 very clear situations to define a limitation
19 of the use in the pediatric population.

20 DR. DURE: I would say also the 1.5
21 unevaluated population is we have discussed
22 this, the idea that we have drugs that are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 being used in groups that have not been
2 evaluated, and although cognizant of the idea
3 that it may not be working so well because
4 people are using the drug, but this is what
5 this Committee has been sort of looking for.

6 DR. MURPHY: So, Lisa, you're the
7 chair of the PeRC, and I think that's
8 something that you could take back to them
9 when the actions come to that Committee.

10 CHAIRPERSON RAPPLEY: And in
11 addition, the comment limitations of use is
12 right up front on page 1 when you open the
13 insert. So I'd like to affirm that, too.

14 Dr. Goldstein, did you have another
15 comment?

16 DR. GOLDSTEIN: I had two quick
17 comments. One is where exactly would the
18 additional language regarding the problems
19 with urination and voiding go because it
20 already seems to be in 17.6. Would it be
21 repeated somewhere?

22 I'm on page 545. this is the new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 label.

2 CHAIRPERSON RAPPLEY: Is our packet
3 starting on page 524 the new label?

4 DR. GOLDSTEIN: Is that the new
5 label or the old label?

6 CHAIRPERSON RAPPLEY: You don't
7 know because you don't have our packet.

8 DR. LINDSTROM: I don't have your
9 packet, but I suspect that you have the new
10 label if you are identifying it by section
11 number.

12 CHAIRPERSON RAPPLEY: It's the new
13 one because it starts with that limitation of
14 use.

15 DR. GOLDSTEIN: Okay. Then I guess
16 just to be specific you might want to consider
17 wording rather than "and may cause difficulty
18 in passing urine," "and may cause difficulty
19 or inability in passing urine."

20 DR. LINDSTROM: I apologize. Could
21 you please repeat your suggestion?

22 DR. GOLDSTEIN: Yes. The end of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the sentence says "and may cause difficulty in
2 passing urine," but actually somebody needed
3 to be catheterized. So it may cause
4 difficulty or the inability to pass urine.
5 Just a suggestion.

6 CHAIRPERSON RAPPLEY: Dr. Rakowsky.

7 DR. RAKOWSKY: Is this also going
8 to be put into the pediatric section or just
9 in 17.6, this wording? I'm not sure. Is that
10 what you're driving at also?

11 DR. GOLDSTEIN: That's what I'm not
12 clear about either.

13 DR. MURPHY: Well, it could be
14 either actually because I have to go back and
15 look and see how much of the description was
16 on the adverse events in the peds. section
17 right now. If you want additional, 536, yes,
18 it is. If you want more information about the
19 urination issue, which clearly, we presented
20 to you some pretty severe cases, and that's
21 what you're getting at, if they're actually
22 more severe, that 17.6 would be a possibility

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because that would allow you to put more
2 language in than when you're describing the
3 trials. Do you see what I'm saying?

4 DR. GOLDSTEIN: No, wherever you
5 want to put it is fine with me. We were just
6 curious as to what you were going to do.

7 And then I just had a follow-up
8 from a previous comment that I made about the
9 safety data and the use data as being
10 reported, that it might be useful to stratify
11 it according to age groups. I was wondering
12 if Dr. Murphy or Dr. McMahon or anybody else
13 on the Committee might comment on the
14 usefulness of that approach because I think
15 this is another drug where if that information
16 were given in that context, it may be helpful
17 to sort out the issues.

18 CHAIRPERSON RAPPLEY: I think we
19 did make that recommendation to the agency
20 that they consider that whenever feasible,
21 whenever the data allows it.

22 DR. GOLDSTEIN: I was just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wondering what they thought about it.

2 DR. MURPHY: I guess I would say if
3 we have enough numbers or we particularly see
4 that there's a sub-population that's
5 particularly effective, I think it's
6 definitely a good suggestion.

7 As I said though, if we get down
8 into trying to lay out, you know, every sub
9 group with one case or something, I don't
10 think it will be useful for you or us.

11 DR. GOLDSTEIN: No. Well, actually
12 I disagree on maybe some selective cases. My
13 suggestion was to use the same age groups that
14 are specified when you're applying for the
15 pediatric assessment, and I actually think
16 negative data can sometimes be helpful if it
17 appears nobody is using it in neonates. That
18 might be interesting.

19 CHAIRPERSON RAPPLEY: Dr. Kocis.

20 DR. KOCIS: Just some brief
21 comments that even improve the pediatric use
22 section, but I would remove the first section,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the first paragraph on page 536. It goes back
2 to that "have not been established," and I
3 don't think that's relevant, and make
4 Paragraph 2 safety and efficacy a positive
5 statement rather than the negative one or
6 neutral one that have not been established.
7 So it has been approved in children greater
8 than 12 with blah, blah, blah, and then the
9 final third paragraph is -- it's a long
10 paragraph and you get to failed to demonstrate
11 efficacy is the last part of that. So I would
12 put that as the fourth word. Their cream was
13 evaluated and failed to demonstrate efficacy
14 into randomized, blah, blah, blah.

15 DR. MURPHY: I think what they're
16 trying to say is this was -- actually we were
17 discussing it -- it was hard to find it. So
18 instead of having this generic statement,
19 you're just saying take the statement you have
20 and put it up front in place of that, in
21 essence, right?

22 CHAIRPERSON RAPPLEY: Ms. Celento.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. CELENTO: Just one quick
2 comment. I think it would benefit consumers
3 if you carry through the limitations of use
4 about efficacy not being demonstrated for MC.

5 If you carry that into the med. guide because
6 the med. guide is fairly descriptive about
7 what you could use this for and not use it
8 for, but MC is not listed in there.

9 DR. LINDSTROM: Oh, thank you.

10 MS. CELENTO: It's in your med.
11 guide. I'm assuming the patient information
12 section. It's page 547 for us.

13 DR. LINDSTROM: Thank you for that
14 suggestion. A clarification, that although
15 the patient information, the patient labeling
16 follows the format of a medication guide, it's
17 not actually a medication guide. It does
18 follow that format.

19 CHAIRPERSON RAPPLEY: So we don't
20 have a medication guide for this particular
21 medication, but Ms. Celento was suggesting
22 that that explicit statement about limitations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of use be included in the patient information
2 as well as in the labeling.

3 DR. GOLDSTEIN: I apologize. I
4 just want to make one more point for Dr.
5 Murphy, and that is that having an n of zero
6 in some of the patient subgroups may not
7 provide useful information in terms of
8 prescribing data, but it actually can be, I
9 think, very instructive to potential
10 prescribers and may discourage them from doing
11 something without any evidence.

12 CHAIRPERSON RAPPLEY: And maybe
13 some of that conversation can occur off line.

14 DR. MURPHY: Yes, because we're not
15 going to be putting use data into the label
16 because that will change. I didn't think
17 that's what you meant. I just wanted to
18 verify.

19 One other thing about the patient
20 information. I just want to tell the
21 Committee that just like the others have a
22 standard format, to change the standard format

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is not easy. So what you're actually
2 suggesting is trying to take the other part of
3 the labeling which went through ten years to
4 get changed and now try to insert a different
5 section into the patient --

6 CHAIRPERSON RAPPLEY: Perhaps not a
7 different section, but just a comment that
8 there is not effectiveness in --

9 DR. MURPHY: That we could do. We
10 could figure a way --

11 CHAIRPERSON RAPPLEY: This is very
12 important for patients to understand as well
13 as professionals.

14 DR. MURPHY: Yes, we can figure out
15 a place to put it, but that's what I'm trying
16 to say. To create another section would be
17 difficult.

18 CHAIRPERSON RAPPLEY: So can you
19 flip to Slide 25?

20 So in addition then to the plans to
21 change the label, which you do see reflected
22 in a new label here, the FDA will continue its

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 standard ongoing safety monitoring for
2 imiquimod, and is there support for that
3 statement?

4 Any opposition?

5 So there's consensus support.

6 DR. MURPHY: Again, I'm just going
7 to verify that you're concurring with the
8 statement because you're recommending that we
9 do update from the comments I heard --

10 CHAIRPERSON RAPPLEY: Yes.

11 DR. MURPHY: -- the local reactions
12 and particularly one specific comment, but in
13 general, the whole Committee is recommending
14 that.

15 CHAIRPERSON RAPPLEY: Yes. Thank
16 you.

17 We have to resume from lunch at
18 1:30, and that is because of our commitment to
19 the open public hearing to begin on time. So
20 I apologize to the Committee for that, but
21 when we have these very good discussions,
22 that's where it takes us. It eats into our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lunch.

2 DR. MURPHY: Do we have anybody?
3 Do we have anybody for the open public
4 hearing?

5 DR. PENA: Right now no one has
6 signed up, but it is important to start at
7 that time, Dianne.

8 DR. MURPHY: Even if nobody has
9 signed up?

10 DR. PENA: Yes.

11 DR. MURPHY: We'll follow up on
12 that because I know before we've actually
13 asked and if nobody had signed up and nobody
14 was in the audience --

15 DR. PENA: So let's talk about this
16 off line.

17 DR. MURPHY: Yes, we could do it
18 later.

19 CHAIRPERSON RAPPLEY: So lunch is
20 in the same place as it was yesterday. For
21 the new folks it's in the restaurant which is
22 just to the left as you exit the building, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's a room at the far back.

2 Thank you.

3 (Whereupon, the above-entitled
4 matter went off the record at 1:05 p.m. and
5 resumed at 1:36 p.m.)

6

7

8

9

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certified neonatologist, and he also is a
2 pharmacologist as well.

3 So he's the Director of the
4 Neonatology Unit and on faculty at University
5 of Illinois, and all of you should have
6 received a copy of his article also in your
7 briefing materials.

8 Dr. Bhat.

9 DR. BHAT: Thank you, Dr. Cope, and
10 thank you, Dr. Carlos Pena, for inviting me,
11 and I want to thank the Committee for giving
12 this opportunity to me.

13 I know your work is very tough, and
14 I want to start by saying that I started
15 neonatology in the mid-'70s when there were
16 only four drugs available for the newborns,
17 and when I looked in 2001 and 2003, I analyzed
18 the number of drugs that premature babies are
19 getting under 750 grams. It used to be 14
20 drugs before they went home.

21 So certainly we have come a long
22 way from 1975 to about 2008, and it still

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 continues to increase rather than decrease,
2 and of course, of that, 85 percent are off
3 label uses. So I think you have a lot of work
4 ahead, and I think you guys at least help me
5 to get some sleep at night when I go that
6 these drugs are probably well worked out and
7 screened by the FDA as analyzed by the
8 Pediatric Advisory Committee, and I thank you
9 for that.

10 I just want to let you know that I
11 was on the Speakers Bureau for Ovation
12 Pharmaceuticals in 2007. At present I don't
13 have any conflict of interest.

14 The main objectives for today for
15 the next 20 minutes is to discuss the
16 physiology of the chylothorax and describe the
17 management of the chylothorax and the
18 experience with the actreotide. And this is a
19 new drug that has entered into the field of
20 neonatology during the last five, six years,
21 and we had few cases. I just want to stress
22 here most of the cases that you read in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 literature are single case experiences, and in
2 the last three, four years we have four cases
3 so far which have used this drug.

4 Chyle is a lymphatic fluid that
5 contains fat, protein, and also lymphocytes
6 and enzymes, lipases and amylases. The
7 specific gravity is about 10.12 to 10.25. It
8 has got a milky appearance which is from the
9 chylomicrons if the baby is taking any fat.
10 Otherwise it will simply look like straw
11 colored fluid. The protein content is about a
12 little more than two grams, and the number of
13 cells are usually more than 1,000 cells with
14 more than 90 percent being lymphocyte,
15 predominantly lymphocytes.

16 It also contains the albumen and
17 globulin in adults. The amount of the chyle
18 produced is about two to four liters per day,
19 almost about 1.38 mL per kilo per hour. The
20 flow depends upon the oral intake,
21 particularly the fat. The higher the fat
22 intake, higher is the fluid production, and it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is usually sturine.

2 I just want to give a brief
3 description of the thoracic gut, the anatomy
4 in the next few slides. As we can see
5 thoracic gut starts around the second lumbar
6 vertebra and it ascends up by the side of the
7 aorta, passes through the aortaic hiatus, and
8 then comes through around on the right side of
9 the aorta, and then crosses over and then
10 comes from behind and joins the left --
11 actually inanimate vein between the junction
12 of the jugular and the subclavian wings.

13 If you want to look at it in a
14 little bit different way, the lymphatic
15 development, this is an excellent review
16 published in the Nature Immunology reviews by
17 Dr. Oliver, Guillermo Oliver from University
18 of Tennessee, from St. Jude's, a very nice
19 review. The top three figures actually
20 represent the piglets' embryos from anywhere
21 from 3.5 centimeters to about 5.5 centimeter
22 size, and the lower figure actually represents

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the human embryo, about nine weeks of
2 gestation already seeing very nice thoracic
3 gut and the lymphatic sacs, the jugular
4 lymphatic sacs.

5 I want to point out that mainly
6 because in certain conditions like the Down's
7 Syndrome or in conditions like the Turner
8 Syndrome you will see a tremendous amount of
9 large lymphatic sacks developing, and as one
10 can see, in this slide, this is the one
11 variation of cystic hygroma collecting in the
12 neck which is extending sometimes into the
13 axilla, and this is a beautiful diagram by the
14 Netter which we are all very familiar with,
15 just to stress you that there's a whole
16 lymphatic plexus in the subpleural region. So
17 any time there is an obstruction to the
18 thoracic duct either due to the higher
19 pressure or from the thrombosis of the veins
20 where the thoracic duct cannot empty the lymph
21 into the vein, you can have a back pressure,
22 and it can produce leakage and product as the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pleural effusion.

2 The same thing can happen when an
3 abnormal position with the hyperextension of
4 the neck. Prior to the delivery it can
5 produce an obstructions and produces a
6 bilateral pleural effusion and ascites and the
7 infant can develop actually a hydrops fetalis.

8 These are the so-called non-immune hydrops
9 fetalis, and by the way, we are seeing an
10 increasing number of these in the last 30
11 years. In the first several years I have not
12 seen this many cases of severe hydrops in
13 utero, and we are diagnosing them more because
14 we have aggressive group of perinatologists
15 who have diagnosed them very early. The
16 majority of them get delivered at 32 weeks,
17 and these are the babies, and I'll show you
18 some X-rays of these babies with really a
19 serious bilateral effusions.

20 Conunitum chylothorax, we arguing
21 about one to 1,000 deliveries. Instead of
22 increasing the number because of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 increasing diagnosis by the perinatologists,
2 post operative chylothorax, on which I'm not
3 going to dwell too much, we're seeing about
4 2.5 to about 4.7 percent of cases. There are
5 quite a few good reviews available in the
6 literature with as much as 50 to 80 cases in a
7 series. So one can really review that, and
8 that is probably the most common cause of
9 chylothorax in the postnatal period.

10 Congenital of the etiology are
11 chromosomal, from Down's Syndrome to Turner's
12 and various malformations or it could be an
13 idiopathic, postoperative from the cardiac
14 surgery or pulmonary or from the T-E fistula
15 surgery.

16 Traumatic from the birth trauma,
17 and are extrinsic or intrinsic, any kind of an
18 obstruction to the thoracic duct can result in
19 a bilateral pleural effusion and ascites.

20 Make a diagnosis by the fluid
21 composition, and it is a fusion that will
22 continue to recur, and the chest radiography

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which I will show you in a minute shows
2 bilateral massive pleural effusion, and other
3 way to diagnosis is a lymphangiography. You
4 will see the dilated lymphatics, and by
5 looking at the cell count of the fluid and
6 also by the blood counts.

7 If you follow the blood counts of
8 these babies who have bilateral chest tubes,
9 they invariably become lymphopenic, and that
10 puts them in a very high risk for developing
11 infections, and the cardiac echo just to rule
12 out, make sure that you rule out any cardiac
13 anomalies, and cardio typing is also a must in
14 many of these cases.

15 A classic picture of an infant with
16 hydrops developed in our institution just
17 about three months ago, and these are cases
18 the obstetricians usually put a pigtail
19 catheter into the pleura to drain the plural
20 fluid so that at the time right after birth
21 the baby can be easily ventilated. Otherwise
22 unless you tap them, it is very difficult.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The lungs are very noncompliant. Most of them
2 don't go to term. They had to deliver them
3 pre-term. Otherwise they will go into severe
4 hydrops and die in utero. So majority of them
5 get delivered by about 32 to 33 week so
6 gestation.

7 They give the steroids prior to the
8 delivery, tap the fluid, and then deliver
9 these infants. The majority of them have a
10 cardio type dump already prior to the
11 delivery.

12 This is the same infant following
13 the chest tube insertion. The effusion is
14 completely gone. This infant also required
15 Octreotide Sandostatin up to about nine
16 micrograms per kilo per hour. This is one of
17 the biggest doses that we have used, and this
18 baby subsequently went home. This is the
19 chest X-ray just prior to the discharge, and
20 did very well and is still doing extremely
21 well. All of the chromosome results were
22 normal.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 How do you treat? The majority of
2 the cases it's a conservative treatment, more
3 than 80 percent success rate. I'll keep them
4 without any feedings, provide total parental
5 nutrition and a diet rich in MCT once they are
6 stable. I think I want to underline that
7 maybe one of the reasons why we are seeing
8 some of the side effects, if you are too
9 aggressive in feeding these babies very early
10 and putting a lot of bacterial colonization
11 and subsequently developing the necrotizing
12 enterocolitis.

13 The other way of treating is
14 evacuation, and the last resort is octreotide
15 before the surgery.

16 Sandostatis is a cyclic
17 octapeptide. Molecular weight is about 1,000
18 and can be given subcutaneously or
19 intravenously. Bioavailability is 100 percent
20 even when it is given subcutaneously. Volume
21 of distribution is, in healthy volunteers,
22 about 13.6 liters, and you drain yourself to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about 21.6 in patients with acromegaly.

2 Elimination half-life is about 1.7
3 to 1.9 hours in the adults. No information
4 available in newborns and especially in the
5 pre-term babies.

6 Thirty-two percent of the drug is
7 excreted in the urine, and there are no
8 pharmacokinetic studies available in the
9 newborn.

10 The drug is also being used for
11 acromegaly, Cushing's syndrome, insulinomas,
12 and many of the GI disorders in the adults
13 like secretory diarrhea, Zollinger Ellison
14 Syndrome, post gastronomic dumping syndrome,
15 and a severe GI bleed. The drug has been used
16 with a good amount of success.

17 In the newborns, of course, the
18 chylothorax which is not responding to the
19 standard medical care, but by that I mean
20 keeping the baby NPO, giving TPN and putting a
21 chest tube drains and waiting for at least a
22 few days. At least if it is draining too

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 much, I will leave that chest tube, and if
2 not, if there's considerable drainage -- and
3 by that I mean more than 15 to 20 mL per kilo
4 per day -- one will definitely consider
5 treating with octreotide.

6 Severe neonatal hypoglycemia, a
7 couple of cases have been treated with a
8 nesidioblastosis. These are the babies with
9 the hyperinsulinemia. They have given
10 octreotide. One of these infants actually
11 developed necrotizing enterocolitis.

12 Mechanism of action, it decreases
13 the splanchnic blood. There are the
14 somatostatic in the sepsis, in the vascular
15 bed, as well as in the lymphatic beds, and the
16 reduction decreases the triglyceride
17 absorption. It inhibits the serotonin,
18 motilin, VIP and the gastrin, gastric
19 hormones, GI hormones. It decreases the gall
20 bladder contractility and the bile flow, thus
21 leading to development of the sludge and the
22 gall stones, reduction in the absorption of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the triglycerides from the gut, and that is
2 one of the main mechanisms why the chyle
3 formation decreases.

4 It also decreases the gastric and
5 intestinal secretions. So decreased motility,
6 decrease in the stasis in the gut can promote
7 the bacterial overgrowth and can actually
8 produce or develop necrotizing enterocolitis.

9 This is a review of the octreotide
10 from the neonates up to about three months of
11 age. I have excluded some of the later
12 surgeries, babies having surgery in five
13 months and beyond five months. I excluded
14 those case, included mostly the neonatal
15 chylothorax and some of the difurmatic hernia.

16 Most of those cases had chylothorax post
17 operatively, but basically those babies were
18 born with the neonatal, you know,
19 chylothoraxes, something like about 15, you
20 know, cases that I know of being treated with
21 octreotide.

22 And the drug has been given as an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 IV subcute, and the duration may last anywhere
2 from three days. There is no particular dose
3 that one can actually recommend. Some babies
4 respond to very small doses, and some babies
5 require doses up to ten micrograms per kilo
6 per hour, or about 240 micrograms per kilo per
7 day.

8 And most of the chylothorax, the
9 effusion stops actually at a dose between
10 anywhere from 80 micrograms per kilo per day
11 up to about 200 microgram per kilo per day.
12 There is a cessation. So one can always
13 question whether it is really the drug that is
14 stopping the chylothorax formation or it is
15 simply the duration. Since when you start
16 giving it for seven to ten days, it may be the
17 natural course of the disease, the chylothorax
18 seizes to accumulate anymore.

19 This is a study from Dr. Au with
20 the octreotide infusion. You can see with the
21 initiation of the octreotide, actually the
22 drainage actually decreases and subsequently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stops. Usually most of the clinicians will
2 taper the dose over a three to four-day time
3 once the effusion goes to less than ten mL per
4 day.

5 This is the use of Sandostatin, not
6 octreotide. This is from Beautica, published
7 in the Intensive Care in 2001. You can see
8 the dark dots indicated the Sandostatin
9 infusion rate. When they reach about ten
10 micrograms per kilo per day. Actually you can
11 see the effusion going down almost to nothing.

12 The side effects are loose stools,
13 nausea, flatulence, hypo or hyperglycemia,
14 liver dysfunction, distended abdomen,
15 hypothyroidism at least for one or two cases
16 with the transient hypothyroidism requiring L-
17 thyroxine supplementation; pulmonary
18 hypertension, one case; and also, they can
19 also produce hypotension.

20 Serious ones are the necrotizing
21 enterocolitis and a cholelithiasis, and I want
22 to spend a few minutes with the necrotizing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 enterocolitis.

2 The incidence is about .3 to 2.4
3 cases per thousand live births, but if you
4 look at the intensive care admissions alone,
5 it's about 7.7 cases, 7.7 percent of all the
6 admissions developing NEC in the intensive
7 care nursery. More than 90 percent of the
8 patients are under 1,500 grams, and the annual
9 number of cases in the United States is about
10 2,500 with the mortality up to ten to 15
11 percent, but the fulminant necrotizing
12 enterocolitis carries a mortality more than 50
13 percent. So it's a pretty lethal disease.

14 The pathophysiology, it's multi-
15 factorial. We can't pinpoint one etiology in
16 this case. Ischemia, immunity and the
17 infection are the three Is. I usually tell
18 the residents to the remember the three Is.
19 That is the infection, immunity and the
20 ischemia.

21 The previous concept was the
22 asphyxia at the time of birth, so-called

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 initiation of the diving reflex and
2 subsequently ischemia to the gut and
3 subsequently developing the necrotizing
4 enterocolitis.

5 That theory is not really very well
6 proven at this time. The more I'd say
7 intraluminal event leading to the subsequently
8 developing necrotizing enterocolitis, almost
9 all of the babies, more than 90 percent of the
10 babies are fed. Breast milk actually has a
11 protective effective. Formula definitely
12 increases the risk for developing NEC because
13 of the bacterial overgrowth is much higher in
14 the formula fed than in the breast fed babies.

15 And of course, the immature luminal
16 digestion and the bacterial proliferation are
17 the major factors. There are several barrier
18 functions, and actually Dr. Camilla Martin and
19 Alan Walker from Harvard, they have written a
20 very nice review in the Neonatal and Fetal
21 Medicine where the new theories that we are
22 looking at is the premature babies as an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 immature gut with an individual mucosal
2 function, whereas in the mature baby there is
3 the gastric acid, adequate gut hormones, and
4 there are an adequate amount of defensive
5 mechanisms that are present with the
6 commensal bacterial like the lactobacillus
7 bifidum which pre-ranks the activation,
8 subsequently prevents the activation of the
9 nuclear kappa factor B and subsequently the
10 release of the various cytokines from the
11 nucleus activation and the gene activation.

12 That is seen in the premature
13 babies who are fed formula, and these
14 interluminal events actually leads to the
15 subsequent development of the necrotizing
16 enterocolitis.

17 It's a classic picture of NEC from
18 our own unit about 20 years ago, extensive so-
19 called fominant necrotizing enterocolitis.
20 The surgeons actually did not do anything.
21 They had to just close the abdomen, bring the
22 baby back and, you know, disconnect the baby

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from the respirator. So very poor outcome in
2 those cases. If they survive, they have a
3 serious sharp bone syndrome.

4 In other cases of necrotizing
5 enterocolitis, the patchy areas of the
6 necrosis, you can see with the submucosal air,
7 subserosal air. Sometimes it is a through and
8 through necrosis with the perforation and
9 peritonitis, and the systemic sepsis.

10 So the treatment is surgical
11 treatment. I missed the octreotide. Okay. I
12 did mention -- I'm sorry -- octreotide dose.
13 I have already given that to you.

14 Surgical treatment if no response
15 to the octreotide. Some cases will require
16 actually thoracic duct ligation. I don't have
17 that experience, but I know some of our
18 colleagues in Chicago have treated the babies
19 with a several chylothorax requiring thoracic
20 duct ligation or putting a shunt or a
21 pleurectomy of the treatment. This is where
22 they put the ligation in the high up just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 before it enters the vein.

2 And I think what we need to know as
3 far as the octreotide, the pharmacokinetics
4 are not known. We don't have any idea about
5 the dose response relationships. The adverse
6 effects, we really don't have a good idea
7 also. All of the few case reports that we
8 have seen with the hypo and hyperglycemia
9 infection and the necrotizing enterocolitis
10 and gall stones.

11 There is actually a need for a
12 multi-center randomized controlled studies
13 both in the post surgical isolyzed and the
14 chylothorax. Certainly we are seeing an
15 increasing number from that point. Before
16 this drug becomes in everybody's
17 armamentarium, I think we need to have quite a
18 bit of information at this time.

19 Thank you for your patience.

20 CHAIRPERSON RAPPLEY: Thank you
21 very much.

22 DR. MURPHY: Thank you, Dr. Bhat,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very much.

2 And I know he has to leave. So if
3 there are questions. We asked Dr. Bhat to
4 present to the Committee because in the last
5 discussion there was some uncertainty and
6 confusion as to how this product is being used
7 off label in the neonates, and if you're going
8 to address the labeling, we thought it would
9 be a good idea if you understand what was
10 going on with this product.

11 And he thought he had his
12 presentation this morning. He says, oh, he
13 changed his planning once. So we'd like to
14 have you address your questions to him now if
15 you have any.

16 CHAIRPERSON RAPPLEY: Yes, Dr.
17 Rakowsky.

18 DR. RAKOWSKY: Two questions
19 actually. How commonly will this be used for
20 chylothorax, say, in the NICU that has a few
21 thousand admissions a year?

22 I'm trying to go into the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701