

1 less frequently.

2 In the next slide indicated Western Blot
3 data of monkey plasma in which the experiment was done
4 to detect any antibodies that were present in monkey
5 plasma by incubating them with strips of
6 nitrocellulose that contained Foamy Virus antigen from
7 infected cell lysate. Number 1 in each case indicates
8 the day of the blood transfer, and Number 2 indicates
9 48 months post-transfusion. This is the profile that
10 is seen for the donor animal. These bands are
11 specific for Foamy Virus infection because they're
12 antibody-specific bands for Foamy.

13 In the case of one of the recipients,
14 Recipient 1, this is the sample from the day of blood
15 transfusion, and this is 48 months post-transfusion,
16 and you can see Foamy-specific antibodies that have
17 already developed -- that actually developed much
18 earlier and you can see persistence of these
19 antibodies at 48 months post-transfusion. Similarly,
20 in the second recipient animal, we also can see Foamy-
21 specific antibodies developed and persisting for 48
22 months.

23 In the negative control animal, you can
24 see that there are background bands that were present
25 on the day of blood transfusion as well as the same

1 level persisting even at 48 months post-transfusion.
2 There was no difference in terms of increased levels,
3 and I should mention when these are lined up side by
4 side none of these bands correspond to any of the
5 bands here. Even these two bands are in between the
6 two virus-specific bands.

7 In the next slide, I'll describe to you
8 the studies that we conducted to demonstrate that
9 infectious virus can be isolated from the blood-
10 transfused animals. Monkey PBMCs were cold-cultured
11 with a highly susceptible cellline that we had found
12 in our lab -- Mus dunni cells. We have found several
13 years ago that Mus dunni cells, which is a wild mouse
14 cell line, is a fibroblast cell line, was highly
15 sensitive to Foamy virus detection, so we used that in
16 our lab.

17 And the control was Mus dunni without
18 PBMCs, and this was set up as a negative control that
19 is critical for the reverse transcriptase assay that is
20 used to determine virus production in the assay. And
21 then filtered supernatant was collected at each cell
22 passage every three to four days for testing of the
23 reverse transcriptase assay for virus production. And
24 if a culture was negative, we would continue the
25 culturing for up to 30 days. Otherwise, if there was

1 cytopathic effects seen, then we would terminate the
2 culture when the culture had greater than 75 percent
3 cell destruction.

4 The PBMC samples that were analyzed in the
5 assay were from the transfused monkeys, both of the
6 recipients, R-1 and R-2. We also used the donor
7 monkey to demonstrate virus isolation from the day of
8 blood transfer, and the negative control monkey was
9 used as a control sample.

10 In the next slide are shown the results
11 from the virus isolation study. Basically, these are
12 the days in culture and up here is the RT activity,
13 which indicates the amount of -- which correlates with
14 the amount of virus that's released into the medium.
15 So the Mus dumni cells without any PBMCs are shown in
16 the blue triangle in all of the four panels, and this
17 is the background activity. From the donor animal, we
18 found that the virus was detected after ten days of
19 cold culture. And, as you can see, it increased --
20 virus production increased rapidly once CPE started,
21 and then the culture was terminated about day 18 here.
22 And this is high virus production with high CPE here.

23 In the negative control animal, which is
24 in the diamond red here, these are cells obtained from
25 the animal at 22 weeks post-blood transfusion. Of

1 course this animal did not receive any blood, but this
2 is the time point that we used for the blood
3 transfusion animals. And here at this time point the
4 PBMCs from the negative control animal did not release
5 any virus and the animal was clean.

6 From both the recipient animals, R-1 and
7 R-2, virus could be isolated from the PBMCs of the 22-
8 week sample in both cases. However, there was no
9 virus released from the day of blood transfer that is
10 shown here in the squares in both cases, and that ran
11 along the same levels as the negative Mus dumni cell
12 control.

13 The next slide, these results that I've
14 presented to you indicate that Simian Foamy Virus was
15 transmitted by whole blood transfusion and established
16 a persistent virus infection in naive monkeys. This
17 was demonstrated by the detection of virus-specific
18 antibodies, by nucleotide sequence analysis as well as
19 by virus isolation. I should mention to you that the
20 nucleotide sequence analysis that we did was for a
21 limited region in a part of the viral genome, and in
22 that region the sequences that were present in the
23 transfused animals was identical to that in the donor
24 animal.

25 And I also wanted to mention that in this

1 study we used one donor, and we had infection in both
2 of the recipients. In another study that is still in
3 progress, we used a different donor whose Foamy Virus
4 has distinct biological properties from this
5 particular donor virus. And in that case, as of right
6 now, we do not have evidence of blood transfer of the
7 virus. So this sort of I think emphasizes the fact
8 that the transmission can occur but it might be
9 affected by other factors including the virus
10 properties itself or other properties of the host.

11 In the next slide, this is my
12 acknowledgement slide. I just want to acknowledge
13 Tanya Kramar, who has done a tremendous effort in
14 generating the data for the study, as well as the
15 wonderful Sieber Veterinary staff and also to thank
16 Jay Epstein and Ed Tabor and Yura Nakase for their
17 consultation during the studies. Thank you.

18 ACTING CHAIRMAN ALLEN: Thank you, Dr.
19 Khan. Dr. Strong?

20 DR. STRONG: Did you also monitor clinical
21 symptomology? Are there any symptoms, blood
22 chemistries, et cetera?

23 DR. KHAN: Yes. I'm sorry I didn't
24 mention that. Basically, in terms of -- there is no
25 overt symptomology in the animals. They were examined

1 regularly by physical exam and fever and all of the
2 physical parameters. In terms of the clinical
3 reports, I have not yet completely analyzed all of
4 them, but as of right now there does not seem to be
5 anything that sticks out as something being abnormal.
6 But I do have all of the results longitudinally for
7 the entire year, so we will look at each of the
8 parameters on the long list of different values and
9 then see if we see anything developing over time. But
10 there's nothing overt that we can see.

11 DR. STRONG: I think it was on one of your
12 slides you found the virus in neural tissue. Can you
13 say something about that?

14 DR. KHAN: That was from published data in
15 terms of the neural cells. There's a report by Ruccio
16 et al. in which they have shown that a variety of
17 different cells and tissue culture, including the
18 neural cells, can be infected with the virus. But
19 also, actually, virus has been isolated from the brain
20 of monkeys, so in 91 primates it seems that the virus
21 is distributed throughout the entire animal in terms
22 of the viral DNA sequences. Now, in terms of the
23 actual expression of infectious virus, that seems more
24 limited.

25 ACTING CHAIRMAN ALLEN: Other questions?

1 When it takes so long to do such a study, it obviously
2 is not a very happy question to ask but are you now
3 going to go back and look at individual components,
4 i.e. peripheral blood monocytes separated and washed,
5 packed red cells and washed packed red cells and
6 plasma?

7 DR. KHAN: Actually, the way I'm thinking
8 about addressing that -- of course it's a scientific
9 curiosity as well as a public health question. What
10 we are setting up to do is we will be evaluating these
11 cells over time that we have collected from the
12 animals. We have frozen down and preserved PBMCs and
13 we will see which cells are infected at what time
14 post-transfusion so we can get a handle what
15 population of cells are infected or are they all
16 infected? And we will be conducting some additional
17 studies in which we can get fresh samples and we can
18 see which component might be infected in fresh
19 samples.

20 In terms of -- I think once we have an
21 answer as to what cell types are infected, then I
22 think we can see whether we need to do any more
23 transfer studies.

24 MR. NAKASE: Arifa, thank you very much
25 for a nice talk. This is Yura Nakase, FDA. You said

1 what's the timeline for developing the antibodies and,
2 as you said, that takes by week 20 you see the virus
3 coming out, at least in the cell culture. When do you
4 see the antibodies developing and if they are -- and
5 I read in the literature there are neutralizing
6 antibodies that can suppress the virus -- what's the
7 timing in these animal experiments when you see
8 antibodies and when you see the virus?

9 DR. KHAN: Right. We have that data and
10 I did not sort of include all of those tables. In
11 terms of the neutralizing antibodies, we're currently
12 looking at the presence of neutralizing antibodies and
13 the titers in these animals and in the parent animal.
14 So far we don't have data on development of
15 neutralizing antibodies with time, but we just have
16 data with developing whole antibodies in Dot Blot
17 assay. I'm struggling to recall the table. I think
18 the PCR result is earlier than antibody development,
19 and I will have to go back and check it.

20 ACTING CHAIRMAN ALLEN: Other questions or
21 comments? Yes, Dr. Lew?

22 DR. LEW: Just to look at the issue for
23 while I'm wondering if it's pathogenic, are there any
24 studies that are going to aggressively look for this,
25 like in immunocompromised animals, although just the

1 thought of seeing the slide on the HIV with the Foamy
2 Virus it's quite possible that having them together
3 would make HIV less pathogenic. I mean who knows, but
4 I mean --

5 DR. KHAN: It can go either way, you're
6 absolutely right. And I think those are studies that
7 need to be done formally. I think there is heresy but
8 I don't think it's been done rigorously. Actually, we
9 have samples in the lab which we have obtained from
10 other projects that I've been doing in my lab related
11 to SIV and AIDS in monkeys, and we have some animals
12 that were Foamy-negative and some that are positive,
13 so we can go back and look at that question now to see
14 what is the relationship of the two viruses in terms
15 of expression over time -- or replication, I should
16 say.

17 DR. SANDSTROM: Paul Sandstrom, Public
18 Health Agency of Canada. Just on the issue around HIV
19 or lenti viruses and Foamy Virus, there is a recent
20 publication that came out in Journal Virology, I think
21 it was, what, two weeks ago, that showed that -- it
22 was an in-vitro system so it was done in cell culture,
23 but that the presence of persistent infection by SFV
24 increased the -- it wasn't infectivity but it was
25 actually the binding of lenti viruses to cells. It

1 was probably through Heparin celfate profile
2 proteoglycin pathways but implying that there might
3 have some effect -- that Foamy Virus infection might
4 have some effect on, I guess, the ability of envelope
5 viruses to infect cells.

6 DR. KHAN: I mean I think it's fortunate
7 that the two viruses are fairly different genetically,
8 because in cases where the viruses are related, of
9 course there's recombination and that would be an
10 additional concern. So I think these are situations
11 that need to be further studied in the co-infection.
12 Nick?

13 MR. LERKER: Nick Lerker from UC Davis.
14 Just a comment on an observation we've made on that
15 last question. In the SIV macaque model for AIDS, we
16 have the so-called fast progressors. There's
17 different courses of infection in these animals. And
18 one of the questions we wanted to know is could it be
19 possible that Foamy Virus might be associated -- or
20 co-infection with Foamy Virus associated with these
21 fast-progressing cases. So we retrospectively looked
22 at fast progressors and non-fast progressors for their
23 Foamy Virus status, and in the retrospective study we
24 did not find any significant difference in terms of
25 their SIV clinical course related to the Foamy Virus

1 status. So it did not seem to be a co-factor in the
2 common sense of that.

3 DR. KHAN: So, Nick, may I just ask you,
4 did you look at the levels of virus replication in the
5 study or how did you --

6 MR. LERKER: This was a rather crude
7 retrospective study just looking at -- it was animals
8 that had been identified as fast progressors.

9 DR. KHAN: Oh, okay.

10 MR. LERKER: We then went back and looked
11 at their stored archive serum for Foamy Virus status,
12 and so we did not look at virus loads. That would be
13 need to be done as well.

14 ACTING CHAIRMAN ALLEN: Thank you. Other
15 questions for Dr. Kahn? Okay. We'll move on. Our
16 next two presentations are from colleagues at Health
17 Canada, recent research results, Dr. James Brooks.
18 Welcome.

19 DR. BROOKS: So I'd like to thank people
20 on the Blood Products Advisory Committee for inviting
21 me to present to you the data I will today. I'm James
22 Brooks, and I work at the National HIV and
23 Retrovirology Laboratories, which is actually -- now
24 there's been a new agency created, so now we're
25 actually part of the Public Health Agency of Canada.

1 And under my other hat, I'm a clinician,
2 I'm an infectious disease doctor, and so I'm also
3 under the auspices of the University of Ottawa in the
4 Division of Infectious Diseases. So I'm here today,
5 and I'm going to talk to you about Simian Foamy Virus
6 transmission through blood transfusion. Next slide,
7 please.

8 It's been well covered before but I'm just
9 going to briefly go over Simian Foamy Virus infection
10 in humans just to highlight a couple of points just
11 from my perspective, but I certainly won't go over
12 things exhaustively, as it's been well covered by my
13 colleagues. And I'll talk a little bit about the
14 Canadian expanse with Simian Foamy Virus because I
15 think it's pertinent for two reasons: One is the
16 demographics of the exposure of people who work with
17 non-human primates, and also to highlight some of the
18 unique factors about Foamy Virus infection that we
19 think are relevant. And then I'll go on to talk about
20 the results of our blood transfusion study. Next
21 slide, please.

22 So as has been described before, that all
23 the human retroviruses that are known to have
24 originated from non-human primates, there's ongoing
25 transmission with other Simian retroviruses, such as

1 SFV, SIV and SRV. We think that SFV is important
2 because it's probably the most easily transmitted of
3 the Simian retroviruses. And the second point is we
4 have good assays to follow this. But our perspective
5 is that this is just a marker of sites where
6 retroviral transmission can occur. Next slide,
7 please.

8 As has been described previously so well
9 by Walid, these are the S Foamy Virus infections in
10 humans or publications describing Foamy Virus
11 infections in humans that have been published. And
12 this is both in the occupational setting and most
13 recently with the paper by Nathan Wolfe. I just want
14 to point out here that one of the papers that we put
15 out on cross-species retroviral transmission from
16 macaques to humans was important because the most
17 popular animal that is used by medical research is
18 either a cynomolgus or the rhesus macaque and we've
19 shown that there was an infection that did originate
20 out of macaques and was transmitted to humans. Next
21 slide, please.

22 I'll talk a little bit about what the
23 climate is of exposure to non-human primates in the
24 occupational setting, at least in our experience.
25 Next slide, please.

1 This graph represents the monkeys or non-
2 human primates in general who are registered with the
3 Canadian Council on Animal Care, which is an
4 organization that facilitates accreditation for people
5 involved in non-human primate research. And as you
6 can see, as I have more recent data that's not in this
7 slide, that there's around 2,000 non-human primates a
8 year that are registered in Canada for experimental
9 purposes. And the thing to bear in mind is that each
10 one of these animals is going to be looked after by a
11 number of people, including people who clean the cages
12 out, the people that mobilize them for experiments,
13 the veterinarians who are involved and also the
14 laboratory workers who are going to be involved in
15 analyzing the samples. And as you can see, it was
16 mentioned that most of these animals are either
17 cynomolgus or rhesus macaques. Next slide, please.

18 When you try and identify the low side
19 where people are exposed to non-human primates, it's
20 difficult information because people are reluctant to
21 divulge that they're involved in work with non-human
22 primates. What I am able to find out is that there
23 are 21 institutions that are registered with the
24 Canadian Council of Animal Care in Canada as being
25 involved in caring for non-human primates. When you

1 look at a larger scale and you look at an industry-
2 sponsored organization that is interested in promoting
3 the welfare the animals in zoos and aquariums, there's
4 another 28 institutions there that are registered, but
5 not all of those would have non-human primates. And
6 if you take the perspective of looking at any
7 institution that's involved in having animals for
8 display purposes in Canada, there's more than 100
9 institutions in Canada. Next slide, please.

10 And this is just to show you the relevant
11 data from the United States, and this was kindly
12 provided by Tom DamerCUS at the Division of
13 Quarantine. As you can see, again the predominant
14 animals that are imported into the United States are
15 both cynomolgus and rhesus macaques, and the numbers
16 again more recent data shows it's somewhere between
17 10,000 and 15,000. This is just to give you some
18 perspective on the exposure. Next slide, please.

19 And then this I'm just going to mention
20 this very briefly and what our experience was with
21 human Foamy Virus infection in the occupational
22 setting. And it's important too for the understanding
23 of what the levels were of exposure and again for the
24 macaque infection. Next slide, please.

25 As you can see, the burden of exposure is

1 very high and you can see that of the people that were
2 in the study more than 90 percent were exposed to some
3 of the fluids. In terms of the intensity of exposure
4 individually, bites were present in about three-
5 quarters of the people who were involved in the study,
6 and things such as needle sticks were still present in
7 about half of the people in the study. Next slide,
8 please.

9 And what we found was that there were
10 about two out of 46 -- well, there were exactly two
11 out of 46 participants who were Foamy Virus-positive,
12 and this represented about four percent of the study
13 population, which is consistent with other studies.
14 But, importantly, the infection, at least for the one
15 that we were able to have molecular data on,
16 originated out of a macaque. Next slide.

17 If we were to ask the question are we able
18 to define the risk based on the pattern of exposure,
19 the answer was, no, the demographics of the infected
20 and uninfected were the same, and if you look at the
21 patterns of exposure between the infected and the
22 uninfected, they were exactly the same. When we
23 looked from the perspective of what potential risk
24 that it exposed the blood supply to, we found that
25 about half of the people had donated blood and the

1 question was phrased, "ever," so it's not necessarily
2 regular blood donors. From the perspective in Canada,
3 the regular blood donation occurs in less than five
4 percent of the population. So this group would be
5 overrepresented, and it's probably due to the part
6 that because of their work in a biomedical
7 institution, there's on-site recruitment for a blood
8 donation. So they would be frequently participating.
9 Next slide, please.

10 And I won't go through this in detail
11 because again it's been covered by Walid, but this is
12 sort of the segue of where we launched into the study
13 of the blood donation.

14 I just will highlight one point about --
15 these are the paraphrasing of the questions that were
16 put out by BPAC at the last meeting, and that is:
17 does foamy virus cause disease in humans?

18 And really one of the things that I think
19 Walid brought up very well is the selection bias, so
20 that if somebody is unwell or is deceased, they would
21 not be captured by these studies that have been done
22 here in an occupational setting.

23 And then finally this is where we move
24 forward, and Walid has already discussed the study
25 here by Dr. Boneva and the limited information that is

1 currently available.

2 Next slide, please.

3 So the study design was very similar to
4 Arifa's and it's simple in conception in that we took
5 blood from a foamy virus positive donor and
6 transfused it into a negative recipient, and then what
7 we did was did a sham transfusion with saline into a
8 negative monkey and followed the out over time,
9 carefully keeping them in a segregated and foamy free
10 environment so that we could be sure that if we did
11 document evidence of transfusion or -- sorry --
12 evidence of infection in the negative monkey, it was
13 the result of the transfusion.

14 Next slide, please.

15 We did some baseline work, and we were
16 able to establish that the donor and recipient had O
17 type blood. The blood grouping in monkey is quite
18 complicated, but at least at this level we're
19 comfortable that we're compatible.

20 We also took white cells, lymphocytes out
21 of the donor animal and were able to show in tissue
22 culture that they produced infectious virus. So that
23 there was virus there that would be potentially
24 infectious to the recipient.

25 And then we also were able to show that if

1 we took the virus from the donor monkey it was able to
2 infect the white cells from the recipient monkey. So
3 there was no a priori reason why the monkey that we
4 had found that was foamy virus negative could not get
5 infected with the foamy virus.

6 Next slide, please.

7 This was our protocol in that we
8 quarantined the monkeys at minus 12 weeks, but in
9 fact, we had data going back that they were either
10 foamy virus positive or foamy virus negative,
11 respectively, for about two years before then, but
12 this was when they were sort of enrolled in putting
13 the strict segregation.

14 And then at time zero -- and the lines
15 here represent sample drawings -- for the transfusion
16 we used ten percent blood volume of the recipient
17 monkey meant to approximate about 500 mLs of blood in
18 a human, and it was citrated blood, and it was a
19 direct transfusion.

20 And then the other thing he did was he
21 harvested a lymph node at around the 16 week time
22 point.

23 Next slide, please.

24 So these are the results that we were able
25 to obtain. This is a Western Blot with a combination

1 of different foamy virus antigens that are present,
2 and it's an assay that's being well described and
3 published by others and us, and so this is the pattern
4 that you would see with the donor monkey with the gag
5 doublet here.

6 And here is the recipient that you can see
7 at minus 12 weeks and zero, at time zero. It's
8 clearly negative. This is interesting because what
9 you see here is you see a conferring of passive
10 immunity in the immunoglobulins that went across from
11 the donor monkey, and you can see that the pattern
12 here wanes at four weeks, and so they're negative at
13 eight weeks.

14 And then by nine weeks trust me. It's
15 there. There is a gag doublet here, and I'm happy to
16 show data to anybody who would look, but by 12 weeks
17 you're seeing that gag doublet, and the strong
18 evidence at that point is seroconversion in the
19 recipient monkey, and if you look at the placebo
20 monkey, you can see that they're clean throughout that
21 experiment.

22 Next slide, please.

23 When we asked the question can we find
24 evidence of the actual virus using published PCR
25 primers in a nested reaction, the answer is yes, and

1 the time points correspond here. Again, if you look
2 at the donor monkey, you'll see the bend here is
3 characteristics, 464 bases. We have sequenced this
4 particular piece of DNA. So we know it is foamy
5 virus.

6 And then if you look here again at minus
7 12 weeks, zero, one, two are negative, and then here
8 at about the eight week mark there, you see that there
9 is a strong signal, again, at nine, 12, it remains the
10 same. If you look at the placebo monkey, they're
11 negative.

12 The next slide, please.

13 And I know there's been some questions
14 about this, and this is -- Harriet Mertks and the
15 technician in my lab developed this real time PCR
16 assay, and what we were able to do is to get a pro
17 viral load on DNA extracted from whole blood, and so
18 these are copies per thousand cells, and this is total
19 cells in the DNA extracted from the blood.

20 And as you can see, this is the donor, has
21 viral load, and it ranges here between five and ten,
22 and this is the placebo. As you can see, it remained
23 at zero throughout the course of the experiment.

24 The recipient monkey here. This is right
25 at the threshold of detection. So depending on how

1 many replicates you can do, it can pop up at a very,
2 very low level at six weeks here, and then it's a
3 strong signal here at eight weeks, and it goes to
4 quite a high level here to somewhere over 40 copies
5 per thousand cells and then decreases to what we would
6 predict to be the set point here, and this is around
7 four copies per thousand cells.

8 Next slide, please.

9 We also did some preliminary immunological
10 analysis in this experiment, and you know, I'm going
11 to say it's preliminary just because the sample size
12 here is one. So it has to be interpreted with
13 caution.

14 What you see in both the recipient and
15 placebo monkey is there's a decrease in the total
16 lymphocyte count, and when I discussed this with the
17 veterinarian who was involved in the study, he says
18 it's not inconsistent with the animals being housed
19 singly. He said that in terms of the cynomolgus
20 macaque monkeys that were used in the experiment, one
21 of the most stressful things that can happen to them
22 is to be taken out of the group setting.

23 So he says this is not unexpected, and
24 once we determined that the animal had become foamy
25 virus positive, we would relax the housing

1 requirements, and with the negative placebo monkeys
2 return back to the negative colony, and so you can see
3 that once that pressure was off, then they came back
4 to baseline.

5 Next slide, please.

6 If we look at the CD4/CD8 ratio in both
7 the recipient and the placebo, the placebo monkey here
8 is just showing some gradual variation, but really,
9 you know, you could draw a line through there and it
10 looks about the same.

11 When we looked at the recipient monkey in
12 terms of what happened to this ratio of cells, you can
13 see that this is the time of transfusion here, that
14 something happens, that there's a decrease here in the
15 ratio to .8, and then there's a doubling here, an
16 inversion, as it were, up to 1.6 from the ratio, and
17 then it comes back to around baseline.

18 So as you can see, this could be either --
19 because it's a reciprocal relationship, it can either
20 be the CD4 going up or the CD8 going down.

21 Next slide.

22 So when we went back and when we just
23 looked at the data more carefully to try and figure
24 out what was going on, the pattern that we're seeing
25 here, and this is in the recipient monkey is that you

1 see that the CD4 count dropped initially after
2 transfusion here, and then by a delay there probably
3 around two weeks, then there was a fall in the CD8
4 count, and that called an inversion of the ratios, and
5 then over time they both come back to baseline.

6 Next slide, please.

7 So from this small study and the
8 preliminary data that we've been able to accumulate,
9 what we feel we've been able to establish is that
10 simian foamy virus is transmissible by whole blood
11 transfusion in the native host.

12 The second point is that there's an
13 apparent immunologic disturbance after the
14 transfusion. This could be for any number of reasons.
15 It could be related to the transfusion alone. It
16 could be related to foamy virus transmission. It
17 could be related to some other virus that is
18 transmitted, and because it's only one monkey that
19 died, I would urge you to interpret that with caution.

20 Then there wasn't a good way to present
21 this, but I will tell you because it's related to the
22 new information that there is a replication competent
23 foamy virus present at distal sites, and in this
24 experiment when we took the lymph node, we removed
25 some of the lymphocytes and isolated them and put them

1 in tissue culture and stimulated them, then extracted
2 RNA, treated them with RNAs for DNAs, and then looked
3 to find out whether we could detect evidence of
4 replicating virus with RTPCR, and the answer to the
5 question was, yes, we could at that level.

6 And next slide, please.

7 And so this is meant to address some of
8 the obvious questions that will come out of this, plus
9 some caveats, and that is which blood components
10 transmit foamy virus and will there be any
11 inactivation steps that will prevent transmission of
12 the foamy virus.

13 And then what about people who have
14 captured previously kept monkeys and pets?

15 So I'll just deal with the first two
16 points and then just give some perspective on the last
17 point.

18 Next slide, please.

19 Well, in order to show transmissibility of
20 foamy virus through blood transfusion, we only
21 required two monkeys. So that's it. It's a
22 relatively easy experiment, but to demonstrate non-
23 transmissibility is much more difficult. I'm not a
24 mathematician or an epidemiologist, but from what I've
25 been able to ascertain is that in order to show non-

1 transmissibility at a five percent level, even if you
2 had 30 monkeys, the confidence intervals at that would
3 still be ten percent. So it's difficult to show
4 absolutely there's no transmission.

5 And this is obviously made more difficult
6 by the context of relatively few foamy virus free
7 monkeys being available.

8 Next slide, please.

9 And from the perspective of pet ownership
10 of non-human primates, and this is the only data that
11 I could find that comes from the United States, and
12 there was no Canadian data that I could find.
13 Importation of non-human primates as pets was banned
14 in 1972, but before that, in these two years there
15 were more than 200,000 non-human primates imported as
16 pets.

17 I'm going to point out here that these are
18 new world primates, okay, and there hasn't been an
19 established -- it hasn't been established that there
20 is a transmission of foamy virus from new world
21 primates into humans, and there are no known
22 serological assays to detect for this infection, but
23 this is just meant to place it into some context.

24 And the next slide, please.

25 So these are the people that I'd like to

1 thank, and these are the people that work with me or
2 I work for. There's Harriet Mertks, who does all of
3 the work and did all of these experiments and did a
4 great job on them. She keeps all of the data and
5 keeps me organized.

6 And Paul Sandstrom who is my boss, who has
7 been very supportive here.

8 And Frank Buffer who is our boss, very
9 supportive.

10 But over at the Health Products and Food
11 Branch, there's Jocelin Fornier (phonetic), and he's
12 the veterinarian that's been instrumental in getting
13 this study going.

14 There's Peter Ganz who has been very
15 supportive from the perspective of the blood
16 regulators.

17 And Dr. Rouimiana Boneva, who was very
18 helpful in terms of setting up this study in the
19 beginning, and also to the people who support me as a
20 clinician-scientist in the Division of Infectious
21 Diseases in the Department of Medicine, University of
22 Ottawa.

23 Next slide.

24 I'm just going to leave you with this
25 slide because I think it's important for a perspective

1 because what it reminds me to tell you is that while
2 we were able to look for foamy virus transmission in
3 areas where it may seem likely, there are other
4 situations where it may be occurring that we have no
5 idea, and it's only a matter of where we shine the
6 light.

7 So this is Jane Goodal. This is from a
8 national newspaper, but as you can see, in sort of
9 settings, risk prolonged exposure to non-human
10 primates. You might expect transmission to occur
11 there, but this photograph there, and I got this from
12 somebody I know, this is her mother, and this is back
13 probably in the late '40s this photograph was taken,
14 and here I think this is a macaque she's got. So it's
15 unknown how many people would be like this around.

16 And here what you have is you have a
17 monkey here and a cat who are eating out of the same
18 bowl, and both of these animals are potentially foamy
19 virus infected with respect to the thing, and there
20 has been evidence in the past of transmission of
21 retroviruses between felines and non-human primates.
22 So here you're having this crucible that has been
23 created that we may not be aware of, and this may be
24 unknowable.

25 Anyway, thank you for your time.

1 ACTING CHAIRMAN ALLEN: Thank you.

2 That photograph of Jane Goodal reminds me
3 of a report I saw after the epidemic of monkeypox that
4 we had here in the United States, what a year or two
5 ago, transmitted by prairie dogs or that was the
6 prairie dogs with the vector, and there was more than
7 one picture of humans kissing their prairie dogs with
8 the explanation, "They're so cute." So transmission
9 does occur.

10 Questions for Dr. Brooks? Dr. Strong.

11 DR. STRONG: Since you brought that one
12 up, I was very impressed with the high rate of
13 donation amongst people who were infected with SFV.
14 So I wonder if we should be infecting the population
15 to increase our blood donations.

16 DR. BROOKS: Let me just clarify. Those
17 were people who were involved in the study. So that
18 was total people involved in the study, both infected
19 and uninfected.

20 Still 50 percent is still ten times better
21 than we do elsewhere.

22 ACTING CHAIRMAN ALLEN: Dr. Klein.

23 DR. KLEIN: Of the 2,000 inoculated
24 primates a year that are for medical research in
25 Canada, are a large percentage of those imported or

1 are they bred?

2 DR. BROOKS: I won't be able to provide
3 you with absolute numbers on this. There are imported
4 ones, but there are also ones that are bred. But the
5 answer is both, and the numbers for the United States
6 that I got from Tom DeMarcus, that's purely imports.

7 ACTING CHAIRMAN ALLEN: Dr. Tabor.

8 DR. TABOR: Thanks again for coming down
9 South to present your data. It has been very helpful,
10 and I know between your studies and Dr. Khan's
11 studies, it really places the SFV discussion in a
12 completely different light than if we didn't have
13 these studies.

14 And that's true even though there are only
15 small numbers of animals in each of these studies, and
16 in that connection I'd just like to say in response to
17 your statement about how hard it is to do non-
18 transmission studies in the future, this is a problem
19 that we encounter in a number of settings with agents
20 that are only transmissible to rare animals or animals
21 that are very hard to obtain for one reason or
22 another, and I'd like to at least suggest that even
23 though it may not meet statistical requirements, the
24 non-transmission studies in small numbers of animals
25 can be done as long as you have suitable isolation,

1 suitable challenge studies after the incubation period
2 has passed.

3 And, again, even though it may not meet
4 statistical criteria, it can be scientifically
5 compelling.

6 DR. BROOKS: I think that's a fair
7 comment. I agree with that.

8 DR. KUEHNERT: I just wanted to ask. This
9 question was asked before by another presenter, but
10 just about the issue about the presence of virus in
11 cells versus freely evident in plasma, and you have
12 the pro virus test you did. I'm not that familiar
13 with it. Maybe you could explain that a little bit
14 and whether all of the virus you saw was cell
15 associated or whether you saw any in plasma.

16 DR. BROOKS: So to answer the question is
17 that the provirus would represent integrated virus.
18 In terms of foamy virus, some of it may be free virus
19 which you're able to catch. The assay that we do is
20 a commercially available standard methodology for
21 extraction of total nucleic acid from whole blood.

22 Foamy virus, different from some other
23 retroviruses, it's reverse transcriptions that happens
24 early on so that a lot of the virus is already in its
25 DNA form. So it may be technically free at that time,

1 and it may be captured.

2 So my feeling is that the predominant
3 virus that we're capturing is truly pro virus. That
4 being said, the predominant virus we're capturing is
5 pro virus that's integrated into the cells. Okay?

6 I think it's an excellent point you raise
7 about presence of free virus in the plasma, and that
8 is an ongoing part of our study. So we have those
9 samples, and we're determining the best way of
10 extracting them in order to answer your exact
11 question.

12 DR. KUEHNERT: Thanks.

13 ACTING CHAIRMAN ALLEN: Yes.

14 DR. SAYERS: Will you take a question
15 from the floor?

16 DR. BROOKS: Certainly.

17 DR. SAYERS: Thanks.

18 Merlin Sayers.

19 That one illustration that you showed
20 changing CD4/CD8 ratios, revealing that the transfused
21 monkey's immune response was not the same as the same
22 as the control animal, do you think that might have
23 been a different observation if the control animal had
24 received uninfected blood rather than saline as its
25 control?

1 DR. BROOKS: I think that's an excellent
2 point you raise, and if I didn't mention it, I meant
3 to mention it, that there are a number of
4 possibilities that could explain that response. One,
5 it could be just chance.

6 Another response, it could be related to
7 just the transfusion in itself and have nothing to do
8 with foamy virus. It may be as a result of foamy
9 virus being present in the transfusion or it may be
10 because of some other virus that we transmitted along
11 with the foamy virus.

12 And so you make a valid point.

13 ACTING CHAIRMAN ALLEN: Other questions?

14 (No response.)

15 ACTING CHAIRMAN ALLEN: Okay. Thank you
16 very much.

17 Our next speaker is Dr. Peter Ganz,
18 regulatory considerations, the Center for Biologics'
19 evaluation, Health Canada.

20 DR. GANZ: Good afternoon, and again, I'd
21 like to thank the Advisory Committee and colleagues at
22 FDA and CBER for an opportunity to talk a little bit
23 about a snapshot in thinking at least of some of the
24 regulatory issues surrounding simian foamy virus.

25 Next slide, please.

1 I'd like to focus primarily on some of the
2 risk management considerations for prevention of
3 transmission through blood.

4 Next slide.

5 As James indicated, this isn't a new
6 issue, simian foamy virus certainly for Canada. We've
7 had some discussions around simian foamy virus since
8 Dr. Sandstrom's and Dr. Brooks' earlier studies, and
9 some of the data in the literature since 2001.

10 Next slide.

11 I wanted to show this slide primarily to
12 indicate that although we're talking about simian
13 foamy virus in the context of the blood system, there
14 are, I think, broader public health issues that need
15 to be addressed around simian foamy virus as well.

16 Next slide.

17 And, again, I think that certainly within
18 our board federal government mandate in Canada,
19 prevention of and managing the risks of the
20 introduction of new adventitious agents into the human
21 population is really a primary concern.

22 Next slide.

23 Just a couple of slides on the context, at
24 least, from my regulatory perspective. Almost 300
25 different viruses, rickettsia viruses, rickettsia

1 bacteria, fungi, protozoa. In helminth parasites are
2 known to infect humans as zoonoses.

3 Many zoonotic infections do not spread
4 further than the index patient, and many do not cause
5 significant disease, except in compromised hosts.

6 Next slide.

7 Risks to the public at large are magnified
8 obviously if there are vertical or horizontal
9 transmission of an agent, and also certainly there is
10 a further high risk of exposure in the population if
11 there's transmission through transfusion and
12 transplantation.

13 Next slide.

14 What are some of our general risk
15 considerations with regard to simian foamy virus?
16 Certainly there are three points to be considered with
17 regard to virulence of pathogens such as simian foamy
18 virus: time in dose of infection; the immune status
19 and genetic variation of the host and the pathogen,
20 and we heard a little bit about that in earlier
21 presentations.

22 Some of the specific issues of concern are
23 related to a well adapted host and parasite
24 relationships which tend toward increasing virulence
25 of the pathogen if we look back at other kinds of host

1 pathogen examples, and also increasing incidence of
2 immune compromised individuals in the general
3 population, I think, is an issue as well.

4 Next slide.

5 Although at present we don't have an
6 algorithm to say that if there's an infectious agent
7 identified that this is the particular path we need to
8 follow in terms of protecting the blood supply. Some
9 of the consideration certainly that apply in our
10 thinking are, you know, can the virus infect human
11 cells, and we've seen data presented earlier that,
12 yes, indeed, simian foamy virus does infect human
13 cells.

14 Can the virus replicate and produce cell
15 free infectious virus?, and again, there's data that
16 says that that's true for simian foamy virus.

17 With respect to cell types that are
18 targeted, again, the literature and in presentations
19 today, it's pretty clear that the simian foamy virus
20 has a very broad trophism, VNT lymphocytes,
21 macrophage, fibroblasts, endothelial cells, kidney
22 cells, and so forth.

23 Next slide.

24 Is the virus cytopathic or temperigenic in
25 human cells? For simian foamy virus we heard Dr. Khan

1 and Dr. Brooks mention cytopathic effects, and there's
2 literature data on that. With regard to tumorigenic
3 potential, again, as Dr. Khan mentioned, there doesn't
4 seem to be any evidence at this point, unknown.

5 Can infection lead to human disease? And
6 we've heard a couple of comments with regard to this
7 particular issue for simian foamy virus. Insufficient
8 data, certainly, and comments from presentations today
9 are that the numbers certainly are low, and in terms
10 of drawing conclusions from such low numbers is
11 difficult.

12 Can the virus be transmitted from
13 recipients? And, again, I think both the literature
14 and in data summarized today indicate that yes for
15 simian foamy virus within the non-human primate
16 context, but insufficient data for humans. Certainly
17 that's something, again, that given the low numbers,
18 one has to be cautious in interpretation there.

19 Next slide.

20 Risk to the public, you know, in general.
21 Exposure risk obviously is an issue in terms of
22 persistence and transmissibility to other humans,
23 multiple exposures, and an example I think was
24 referred to in earlier presentations as well is the
25 SIV. A number of instances where SIV has crossed into

1 humans, and again, with one of these resulting in
2 pandemic HIV Group M.

3 Another issue addressed abrupt changes
4 obviously in biological properties that may occur when
5 passing through a new species that may result in
6 altered pathogenicity or transmissibility.

7 Next slide.

8 Passage through an intermediate host may
9 provide or remove selective pressures, resulting in
10 genetic modifications in viral adaptation,
11 recombination within the host with similar viruses can
12 also alter the tropism, virulence, and drug resistance
13 patterns, and although naturally occurs because of
14 very low frequencies.

15 In a mean compromised host, exposure to
16 the virus may generally allow for persistent
17 infections, which allows for viral mutations to
18 accumulate over time, and we've had a couple of
19 questions to investigators about that particular
20 issue, and again, I think more research clearly is
21 needed in that area.

22 Next slide.

23 So in terms of sort of the broad risk
24 considerations on this particular issue, at least from
25 our way of thinking, clearly there could be no risk.

1 There may be insufficient evidence of risk, and there
2 may be some evidence of risk, and that could range
3 from both a low to a high level potentially, keeping
4 in mind certainly within the regulatory context and
5 perhaps broader than the regulatory context the need
6 to act even in the absence of clear evidence is
7 something I think that we all are -- certainly in the
8 blood system is something that drives our thinking.

9 Next slide.

10 In terms of trying to distill some of the
11 information certainly at least in our thinking in
12 Health Canada, given some of the new data that we
13 heard today and some of the data published in the
14 Lancet earlier this year demonstrating transmission
15 via transfusion, it seems reasonable for us that steps
16 should be put in place to prevent transmission of
17 simian foamy virus to the human population.

18 Next slide.

19 Now, what are the kinds of options that we
20 could look at in terms of mitigating transfusion
21 transmission risks in terms of broad spectrum options.
22 One of them clearly is a public health measure, self-
23 deferral. In other words, counseling individuals who
24 have exposure risks either high or low to not donate
25 blood.

1 Another option, donor screening and
2 deferral, and this is one that we don't as regulars
3 like to look at lightly. I guess the third bullet
4 there, impact on blood supplies really are not listed
5 in order of priority, but obviously that one is a
6 very, very important one because we all understand how
7 precarious supply issues for blood are, and clearly
8 one has to balance a theoretical risk against the real
9 risk of blood shortages. That clearly is a very
10 important consideration.

11 There are still some other, I think,
12 really difficult issues around an option for donor
13 deferral, and that is that we don't really understand
14 fully exposure risks in this particular area, and also
15 even if we were to try and identify exposure risks,
16 there is the issues around donor counseling and the
17 more complex issues around any deferral action that we
18 would consider that need to be further addressed.

19 Next slide.

20 Obviously donor blood testing is not an
21 option at this point. The tests that Dr. Brooks and
22 Dr. Sandstrom have developed in the labs, CDC, and Dr.
23 Khan's are all research tests. There are no
24 commercial tests at this point.

25 Another option is research and

1 surveillance, which really isn't an option because
2 that's why we're here today, and that's something
3 that's ongoing with regard to simian foamy virus.

4 Next slide.

5 When we're talking about potential
6 deferral measures, again, what are the types of risk
7 exposure that we could try and define at this point in
8 terms of broad groupings? Obviously we've talked a
9 little bit about occupational exposure to non-human
10 primates. That's biomedical researchers, animal
11 handlers, veterinarians or zoo keepers. These are
12 individuals which at least from the perspective of
13 time and types of exposures, scratching, biting
14 opportunities, these would be the ones that
15 potentially would fit into a high risk category.

16 Nonoccupational exposure to non-human
17 primates, we've talked. James showed some data on
18 monkeys as pets in terms of the numbers, very, very
19 rough numbers. We don't have numbers in Canada
20 certainly, and the study on the bush meat, Cameroon
21 data. So that may or may not be occupational
22 exposure.

23 Incidental exposure to non-human primates,
24 and again, we're not clear whether or not or how often
25 that occurs, individuals who may have been bitten or

1 scratched by a non-human primate. And perhaps there
2 are other risk exposures that we're not aware of.

3 Next slide.

4 In terms of the way forward, at least our
5 thinking within Health Canada is we have done some
6 initial risk assessment that has been carried out by
7 our Canadian Public Health Agency. We're refining
8 that risk assessment to consider some of the data
9 presented here today and some of the discussions from
10 your committee.

11 We're having ongoing consultations with
12 various stakeholders on this issue, including our
13 blood operators, certainly if we move forward on any
14 kind of blood deferral measures.

15 Next slide.

16 My last slide, just a series of
17 acknowledgements to staff within Health Canada and our
18 colleagues, Dr. Sandstrom and Brooks from Public
19 Health Agency, for some of the thinking around this
20 particular issue.

21 Thank you.

22 ACTING CHAIRMAN ALLEN: Thank you for that
23 careful analysis. I think that was very helpful.

24 Where at this point do you see the
25 Canadian Blood Services going in terms of addressing

1 this issue? That's probably what you were addressing
2 in your coordinated risk management efforts, but have
3 you begun to reach a decision?

4 DR. GANZ: Well, I think actually that's
5 something for them. I know we have some
6 representatives from both CBS and HemoQuebec in the
7 audience, and perhaps they are better able to address
8 their thinking on this particular situation.

9 But obviously we're looking at, as I've
10 mentioned in the slides, we are looking at a series of
11 options. One option doesn't necessarily exclude the
12 other one so that we can certainly pursue options on
13 the public health side in terms of providing advice to
14 exposed individuals to self-defer and not donate.
15 That certainly would be complimentary to more
16 stringent regulatory measures to the blood operators.

17 ACTING CHAIRMAN ALLEN: Dr. Klein.

18 DR. KLEIN: I have a narrow question and
19 a broader question. Let me ask the narrower one
20 first. Does the fact that you have universal
21 leukoregulation at all influence the steps you might
22 take in terms of safety in Canada? And this is a cell
23 associated virus like CMV or HTLV.

24 DR. GANZ: Yeah, that's a very good
25 question, Dr. Klein. And actually I was going to put

1 it up on -- I used up my one slide on leukoreduction
2 for the TSAC meeting.

3 Yeah, Canada has had universal pre-storage
4 leukoreduction sine June of 1999. We implemented that
5 particular process for a number of reasons, one of
6 which was that it may afford some risk mitigation
7 possibilities for any untoward agents transmitted
8 through white cells.

9 So, yes, there might be some risk
10 reduction already in the system, provided if the virus
11 is white cell associated, but as you know, pre-storage
12 leukoreduction isn't 100 percent effective. You're
13 only reducing the titer of white cells marginally.

14 So, again, I'm not clear at all about
15 infectious dose issues and so on with regard to this
16 particular agent. Certainly in discussions with Dr.
17 Sandstrom and with Dr. Brooks we'd like to pursue that
18 particular issue through additional research, perhaps
19 in the animal model system that James and Paul are
20 using to look at whether or not, you know, components
21 might afford different kinds of infectious dose.

22 DR. KLEIN: The broader sort of
23 philosophical question is blood transfusion is a
24 relatively small part of public health. If we all are
25 so concerned about simian foamy viruses, isn't there

1 an issue about screening the animals and perhaps
2 preventing importation of infected animals?

3 It seems like the animal handlers are at
4 much greater risk than transfusion recipients at this
5 point.

6 DR. GANZ: Yes, you're absolutely right on
7 that one. Absolutely, I think we need to look at
8 that, and that's why I actually mentioned it at the
9 start, to say that, you know, we're focusing on a
10 blood system here, but there are obviously broader
11 issues.

12 I think certainly the issue that I raised,
13 that was raised earlier in the CDC presentation and by
14 others is the issue of affording a broader opportunity
15 for a non-endemic virus to spread in the population.

16 ACTING CHAIRMAN ALLEN: Other questions.
17 Yes, Dr. Lerker.

18 DR. LERKER: If I could just comment on
19 the broader question, there is a program now underway
20 at least in some of the major research facilities
21 housing non-human primates to breed and maintain
22 colonies of animals that are free of simian foamy
23 virus, among a number of different other agents, other
24 retrovirus, other herpes viruses. It's a very long,
25 arduous process to get a usable size of a colony

1 going.

2 But if you tried to do that on imports, I
3 think most of the animals, there would be no imports
4 until things were implemented in the countries of
5 origin. But probably where you were going with that.
6 It is being discussed.

7 ACTING CHAIRMAN ALLEN: Okay. We'll move
8 on to our last formal presentation: demographics of
9 primate handlers. Dr. Nicholas Lerker.

10 DR. LERKER: Thank you very much. I'm
11 pleased to be here today to talk with the Advisory
12 Committee.

13 I'd like to try to do two things as the
14 final speaker in this series. One is to try and
15 address the issue of what kinds of numbers of
16 individuals we're talking about when we talk about
17 significant or exposure to non-human primates.

18 And then finally, I'd like to give the
19 Advisory Committee perhaps a little insight into
20 animal handling techniques over the years and how that
21 can contribute to some of the human exposures that
22 we're seeing the results of.

23 Next slide, please.

24 We know from doing individual jobs
25 specific risk assessments in our own facility and

1 others, as well as some epidemiologic data that we
2 published back in 1996, that there is differential
3 exposure to both live and awake non-human primates as
4 well as their body fluids, tissues, waste products,
5 and so this is some of the demographic data that has
6 importance in determining the significant risk
7 categories.

8 Some of the job categories that we've
9 tried to address individually are the veterinarian
10 pathologists, animal, both husbandry and health
11 technicians, biomedical researchers, behavioral
12 observers, laboratory technicians, and then pet owners
13 which don't necessarily fit into the occupational
14 program, but I put them up here for analogy to some of
15 the other categories.

16 In our epidemiologic studies, we found
17 that obviously veterinarians and husbandry technicians
18 were the most likely to handle live, non-human
19 primates, and therefore, they're at the highest risk
20 of the animal inflicted bite and scratch wounds.

21 In our epidemiologic study we found that
22 the animal health techs were significantly more likely
23 to be bitten than any other job categories.
24 Veterinarians were significantly more likely to suffer
25 body fluid exposure to mucous membrane. So there is

1 some differential risk associated with the different
2 job categories.

3 I put the proximity to primates up here
4 just for a point of discussion, and it was touched on
5 by at least one of the earlier speakers, but this is
6 significant just if you spend a lot of time around
7 primates, you will know that -- I speak from
8 experience -- that some species of primates are quite
9 adept at spitting either saliva or mouthfuls of water
10 at humans and also can throw feces with great accuracy
11 over long distances.

12 (Laughter.)

13 DR. LERKER: And as I said, I can speak
14 from experience with that, and these are primarily the
15 apes. Chimps and orangutans are quite good at that.

16 So even somebody with sort of remote or
17 distant approximation to non-human primates is not
18 totally without risk. So I'd leave it there.

19 Just one other point I'd like to make is
20 that in terms of the exposure opportunities for both
21 the primate itself and its body fluids and feces and
22 so on. The pet owner's profile resembles that of the
23 two other high risk categories, the veterinarian and
24 the animal health technicians.

25 Next slide, please.

1 Now, one of the problems is that in
2 trying to determine the numbers of people that we're
3 talking about in this discussion is these data are not
4 readily available, and the approach that I have taken
5 is to employ some enumeration methods, and what I've
6 tried to do and I'll share with you is develop a
7 sampling frame, and this is a specific initially for
8 occupational exposures, a sampling frame that is
9 organized by facility type.

10 And the rationale behind that is that
11 facilities housing non-human primates that are a
12 similar type probably have similar staffing ratios and
13 so on. So at least for a first analysis, that's what
14 we're trying to get a handle on.

15 Then we survey a subset of these
16 facilities within each of the categories and try to
17 get some idea of the numbers of workers in each job
18 category, and then by applying those numbers from the
19 subset to the larger sampling frame, we can get some
20 data on the numbers that we're talking about.

21 This is the approach I've tried to use,
22 and I should say at this point that this is very much
23 a work in progress, and much of the data that I'll
24 share with you today I got within literally a week ago
25 at the meeting of the Association of Primate

1 Veterinarians, and so this is data that's still being
2 developed.

3 Next slide, please.

4 So this is the sampling frame that I've
5 developed, and this is the types of facilities that
6 I've identified. This is the National Primate
7 Research Centers funded by NIH, academic institutions,
8 contract research organizations, various institutes
9 and foundations, the big pharmaceutical companies and
10 biotech.

11 Primate sanctuaries, this is a growing,
12 increasing number of these facilities which provide
13 sanctuary for primarily unwanted pets or former
14 research animals, and it is a distinct entity from
15 zoos, but there is a growing number of these
16 facilities.

17 And then government and military research
18 institutes. The vendors who provide monkeys for
19 research and other purposes and importers, and then
20 there are at least three commercial diagnostic
21 laboratories that specialize in testing non-human
22 primate samples, and so they are by definition exposed
23 to the non-human primate body fluids.

24 And then among the zoos, 200 zoos, there
25 are quite a few more than that in the U.S., but at

1 least 200 of them have at least one species of non-
2 human primate on exhibit, and these are the estimated
3 number of the types of facilities in the U.S. So they
4 have identified so far at least 374 facilities in the
5 U.S. that house non-human primates.

6 Next, please.

7 So this is a busy slide, but this is the
8 same from the previous slide, and this is the number
9 of subsets or the subset of different types of
10 facilities that we have been able to survey over the
11 last month or so.

12 And then in parentheses, it's just a
13 percentage of the estimated total. So we have
14 actually gotten data from 47 facilities representing
15 at least one sample in each of the categories in the
16 sampling frame.

17 And what this has allowed us to do is
18 determine the mean number of workers in each of the
19 job classifications of interest, in other words,
20 veterinarians, pathologists, technicians in a broad
21 sense, and biomedical researchers.

22 Next, please.

23 So to cut to the chase, what kinds of
24 numbers are we talking about here? Using this
25 approach, and again, this is preliminary data, we have

1 identified a potential of 14,500 individuals among all
2 of the job categories who are exposed or have contact
3 with non-human primates. If you look at the two high
4 risk groups, the veterinarians and the technicians,
5 the number is around 10,000.

6 Now, some of this may change. I didn't
7 mention it, but on the previous slides where we have
8 estimates of the average number in each of those
9 categories, some of the confidence intervals are quite
10 wide, and as we gain more data and sample more or
11 survey more subsets, this data will change, but I
12 don't really foresee any huge alteration at least
13 orders of magnitude different.

14 So I think this is a reasonable ballpark
15 figure for the total number of persons exposed to non-
16 human primates in an occupational setting.

17 Next, please.

18 Now, I want to revisit the pet issue again
19 because this is really the big variable in the
20 equation in my mind. There's very little data in
21 terms of the numbers of pets maintained or animals
22 that are being maintained in private ownership, and I
23 use the term "pets" to include other types of private
24 ownership. There's quite a few non-human primates
25 that are in the entertainment industry, and you have

1 probably all seen primates in movies and so on. So
2 that's sort of what I'm talking about here in the
3 broader term when I refer to "pets."

4 Many states have absolutely no regulations
5 regarding the maintenance of non-human primates as
6 pets, and even those that do have exemptions.
7 California, for example, has one of the most stringent
8 regulations regarding having non-human primates as
9 pets, and new acquisitions have been banned since 1973
10 or around that time, but at that time they
11 grandfathered in a lot of people who already owned
12 these animals.

13 And so there are existing pockets of these
14 animals, and their offspring are also exempt under the
15 grandfather clause. So even in States like
16 California, there are a fair number of animals, but an
17 unknown number of animals maintained as pets.

18 There has been one single estimate that
19 I've found, and this was referred to earlier, I think,
20 in the opening remarks about the number of animals
21 maintained in households in the U.S., and this is
22 quoted to be or estimated to be about 15,000. This
23 comes from a National Geographic article that they did
24 on the non-human primate pets, and the quote is
25 attributed to someone in the primate sanctuary

1 business, and some of this was based on the number of
2 phone calls that sanctuaries were receiving by people
3 looking for some place to take their pets off their
4 hands because these animals are quite cute when
5 they're young, but they are very unpredictable and
6 become aggressive when they become sexually mature.

7 So the novelty wears off. These animals
8 need someplace to go, and so the quote comes from that
9 kind of assessment. Though it has not been verified
10 or the accuracy, I haven't seen any real surveys about
11 the accuracy of this number, but it's a working number
12 for purposes of discussion.

13 The other question then is how many
14 contacts are there in each household, and this is the
15 highly variable issue. If you just assume there are
16 15,000 households and a minimum of two persons per
17 household, then there's 30,000 individuals right
18 there.

19 So the pet issue is quite the unknown in
20 this whole equation.

21 And I just include this. We saw earlier
22 Jane Goodal with the chimp, and this is something that
23 I got off the Internet, and if you look on the
24 Internet there's quite a bit of traffic in non-human
25 primates in the pet trade or exotic animal trade.

1 And looking at that, this doesn't seem too
2 unreasonable, but I just wanted to show that it's not
3 just new world monkeys that are available and kept as
4 pets. This is a baby baboon here, and this is a
5 puppy, and similar to the quotation about pornography,
6 if we're trying to define significant contact, it may
7 be hard to define, but you know it when you see it.
8 That is significant contact with an old world species.

9 Next, please.

10 This just shows the distribution of some
11 of the major species in the facilities. Again,
12 returning to the occupational side of things, chimp,
13 baboon, African green monkey and macaque were the
14 common old world, and virtually all of the facilities
15 house macaques. Number of them also house
16 chimpanzees.

17 And the human cases to date have all been
18 where the species of origin has been identified, have
19 all come from old world monkeys. No new world monkey
20 infections have been identified as yet.

21 Next, please.

22 Now, I just want to shift. Well, just one
23 other comment on the numbers impacting on the blood
24 supply. At the break I got some information since I'm
25 really not up to speed on what would be a significant

1 impact on the blood supply, and I'm told that there
2 was an estimated 50,000 donations per day. The small
3 number of humans here would be less than two days'
4 worth of donations.

5 So at least from my own perspective or
6 that at least puts things in perspective for me.

7 Finally, I want to talk about some of the
8 trends and changes in animal handling that have
9 occurred. One of the continuing areas to evolve are
10 the use of personal protective equipment, and there is
11 a large disconnect or some gaps in how things get done
12 in different facilities.

13 For example, in the research end of
14 things, full PPE is the standard operating procedure,
15 and by this I mean gloves, long sleeves or Tyvek
16 sleeves that are shown here, the dedicated uniform
17 that is not worn off the premises, dedicated shoes or
18 shoe covers, a face mask and eye protection, either
19 goggles or a face shield. So this is sort of one end
20 of the spectrum.

21 And the research facilities for the most
22 part adhere to that end of the spectrum. The face
23 shield issue was taken much more seriously in the mid
24 '90s, actually the late '90s, 1998, I believe,
25 following the tragic death of an animal handler at our

1 of the national primate centers from an ocular splash
2 from a monkey shedding B virus, Herpes B or
3 cercopithecine herpesvirus 1.

4 So I'll show the sort of evolution of
5 where we are today in a moment, but the face shield
6 issue and the whole issue of personal protective
7 equipment in the research setting took on new meaning
8 in the late '90s.

9 Zoos and sanctuaries appears somewhere in
10 between on the spectrum. They may wear gloves and
11 dedicated uniforms, but have not adopted the full
12 personal protective equipment at least across the
13 board.

14 Now, there is a new guidelines that have
15 been issued or are out for review by the occupational
16 health group of the American Association of Zoo
17 Veterinarians, and so the zoos are moving more in this
18 direction, at least in doing risk assessments and, for
19 example, wearing eye protection when hosing is being
20 done or handling of animals and so on.

21 At the other end of the spectrum, the
22 animals in the private sector, very little, if
23 anything, is being done in the way of personal
24 protective equipment.

25 Next, please.

1 This just shows some of the evolution of
2 the use of personal protective equipment over the
3 years, and I was rereading some of the case reports
4 and some of the original cases that reported bite
5 wounds that occurred back the '70s and '80s, and
6 you'll see why infection might be more likely to have
7 occurred back then.

8 This shows some technicians that are
9 restraining unaware, unanesthetized adult Rhesus
10 macaque for tuberculin testing, no gloves, bare
11 forearms, no eye protection, no mask. So back in the
12 '70s, that was the standard.

13 In the '80s we adopted the use of gloves
14 for handling primates. In the '90s we added masks,
15 and then in 2003, again, the eye protection although
16 it doesn't help when the primate removes your eye
17 protection for you.

18 (Laughter.)

19 DR. LERKER: But this is sort of the trend
20 to more eye protection or more personal protection
21 over the years to the current state.

22 Next please.

23 This just shows again some of the animal
24 handling trends over the years. Again, back in the
25 '70s, people hand feeding macaques without any

1 protective equipment whatsoever. Hand catching of
2 primates was common in the '80s, into the middle and
3 late '80s. The animals would be captured, removed
4 from their cages using these heavy leather gauntlet
5 gloves, and then the animal would be restrained and
6 then the gloves would be discarded in favor of these
7 vinyl or latex gloves.

8 And so you can see that learning this
9 technique was not without risks, and so bites were
10 very frequent and these gloves would not protect
11 against a bite from an adult macaque with full canines
12 regardless.

13 So we have moved away from that now, and
14 we use a lot more of animals that are trained to jump
15 into a transfer box. Again, the full protective
16 equipment that's being used, and so the trend has been
17 to more and more protection. So hopefully the risks
18 associated with working with non-human primates now
19 are not the same as they were in the '80s and '90s.

20 Next please.

21 Just in conclusion then, what can we say
22 about the numbers or the estimates? Again, it's a
23 work in progress, and I think it's valuable that we're
24 -- it was eye opening to me about how difficult it is
25 to get this kind of information and I think we'll

1 move forward and try and get a more complete view of
2 the number of people exposed to primates both in
3 occupational settings and as pets.

4 One of the things I wanted to mention and
5 I forgot to mention when I talked about the
6 calculations of the numbers. That only takes into
7 account the staffing as it exists last week. It
8 doesn't account for the turnover of people moving
9 through these facilities, and there is quite a high
10 job turnover in some of the positions at high risk,
11 particularly animal technicians are from these entry
12 level technicians, and people spend a short period of
13 time and go elsewhere, and so there is a cycling of
14 people potentially exposed and then moving on to other
15 jobs that would not be captured in the kind of
16 analysis that I showed you earlier.

17 And then finally just to hopefully --
18 current handling practices at least in the
19 occupational setting should reduce the risk of the
20 exposure, but not eliminate it completely.

21 So I think I'll stop there and answer any
22 questions you might have.

23 ACTING CHAIRMAN ALLEN: Thank you very
24 much, Dr. Lerker.

25 Questions? Dr. Lew.

1 DR. LEW: I don't know if you can answer
2 this question, but I was just thinking about different
3 cell lines. We use a lot of non-human primate cell
4 lines in the laboratory, you know, for evolved
5 cultures, all sorts of things, and I'm assuming that
6 people are aware, but I don't know, you know, of the
7 simian foamy virus problem. Is it possible that it's
8 in different laboratories and that's another
9 occupational exposure?

10 DR. LERKER: Yes, I think there are a
11 number of cell lines that could harbor foamy virus.
12 Most of our experiences that even in primary cell
13 cultures where it's a problem, in fact, most of the
14 attention before the recognition of the human cases,
15 most of the attention to foamy virus was to get rid of
16 it because it's a nuisance. It destroys continuous
17 cell lines. You can go out maybe two passages and
18 then the latent virus reactivates and you get a lytic
19 infection that wipes out your cell line.

20 So there are cell lines, I think, that can
21 harbor it. Also, some of the cell lines that
22 apparently have that delayed, they don't have the
23 predictable re-activation and lytic infection that
24 they still are infected. I don't know what ATTC does
25 to screen their primate cell lines for foamy virus,

1 but some of you might know that.

2 DR. LEW: The other follow-up question is
3 has anyone looked to see if there was seroconversion
4 of people that have worked for years with these
5 different cell lines.

6 DR. LERKER: To my knowledge, they have
7 not specifically looked at laboratory workers having
8 worked with the cell lines for a long period of time.

9 There is one case that's associated with
10 a laboratory exposure, but I believe that was primary
11 monkey tissue and not a continuous cell line. So I
12 don't think that has been done.

13 DR. KUEHNERT: Thanks for the
14 presentation. It was very interesting. I just had a
15 couple of questions.

16 One about, you know, you mentioned how PPE
17 has changed over time, and I wondered if there were
18 any data on actual needle stick and bite injury rates,
19 whether that has actually changed over time along with
20 the changes in practices.

21 DR. LERKER: We did a follow-up to our
22 study that we did in 1996. We haven't published this,
23 but we're gathering data. The bite rate has declined,
24 which is good news at least in our facility. This is
25 our facility.

1 The needle stick rate has remained about
2 the same, and one of the interesting differences, for
3 example, if you compare the needle stick rate of, say,
4 a primate facility to a hospital, there's a different
5 -- most of the needle sticks occur in the primate
6 facility while the needle is in use rather than after
7 use where it has been discarded, and this is because
8 the primates move and jump and so on.

9 So that's one slight difference. I don't
10 know if it makes any difference to exposure
11 necessarily. But the needle stick rate has been
12 fairly constant over the interval. So we need to
13 think more about that as a risk factor.

14 DR. KUEHNERT: The other question I had
15 was just about looking at the data, SFV and affected
16 workers, that it looked like that people were first
17 seropositive a while back, and so I wondered whether
18 there are data on people who have only worked in the
19 field since PPC was significantly changed and the
20 techniques have been changed in the last ten or 15
21 years.

22 DR. LERKER: Well, I think that this could
23 possibly be gleaned from the ongoing study that CDC is
24 doing because they include a variety of people with a
25 large -- what do I want to say? -- work history. I

1 mean some have worked for many years. Some are very
2 new.

3 One thing also I didn't see in our earlier
4 study, we did find that the incidence of accidents
5 associated with non-human primate exposure in our
6 study was much more or significantly elevated in
7 people who had only been working less than two years.

8 And even with that frame, it's
9 significantly higher in people who had worked six
10 months or less, and so there's some kind of experience
11 on training curve going on there.

12 But that's a good question. I think that
13 will come out or could come out in the study that CDC
14 is doing because they're getting histories on length
15 of time exposed, I guess is what I'm saying.

16 DR. HENEINE: If I can add to what Nick
17 just said regarding the duration of seropositivity, in
18 our cases it is true that the majority have really
19 longer durations, especially when samples are
20 available for testing. But we did have a recent case
21 where the duration was short, suggesting recent
22 infection.

23 So we cannot fully exclude the possibility
24 of recently acquired infections as well.

25 ACTING CHAIRMAN ALLEN: Other questions?

1 (No response.)

2 ACTING CHAIRMAN ALLEN: Okay. Well, thank
3 you very much, Dr. Lerker.

4 The official timepiece says 1600, four
5 o'clock. Why don't we take a break for 15 minutes?
6 We'll come back and have the open public hearing.
7 I've only got one person who is scheduled to speak,
8 Dr. Kleinman again, and then we will move to the open
9 committee discussion.

10 So we'll recess for 15 minutes.

11 (Whereupon, the foregoing matter went off
12 the record at 3:54 p.m. and went back on
13 the record at 4:17 p.m.)

14 ACTING CHAIRMAN ALLEN: We're ready to
15 move into our open public hearing.

16 Is Dr. Kleinman in the room? Ah. Thank
17 you.

18 Okay. Is there anybody other than Dr.
19 Kleinman and his joint statement who would like to
20 speak on this issue in the opening hearing.

21 Okay. Steve, I apologize. I need to read
22 the statement to you for the third time today.

23 Both the Food and Drug Administration and
24 the public believe in a transparent process for
25 information gathering and decision making. To ensure

1 such transparency at the open public hearing session
2 of the Advisory Committee Meeting FDA believes that it
3 is important to understand the context of an
4 individual's presentation. For this reason, FDA
5 encourages you, the open public hearing speaker, at
6 the beginning of your written or oral statement you
7 advise the Committee of any financial relationships
8 that you may have with any company or any group that
9 is likely to be impacted by the topic of this meeting.

10 For example, the financial information may
11 include the companies or groups payment of your
12 travel, lodging or other expenses in connection with
13 your attendance at the meeting.

14 Likewise, FDA encourages you at the
15 beginning of your statement to advise the Committee if
16 you do not have any such financial relationships. If
17 you choose not to address this issue of financial
18 relationships at the beginning of your statement, it
19 will not preclude you from speaking.

20 So if the Chimpanzee Owners Association of
21 American have paid you anything, please let us know.

22 Good afternoon. Dr. Steve Kleinman, Chair
23 of TTD. And it may amaze you, but on this issue I
24 have no financial conflicts.

25 So I'd like to read the joint statement

1 from AABB, American Red Cross and America's Blood
2 Centers.

3 SFV infections in humans has been
4 recognized for a number of years. Newer studies have
5 confirmed that humans working with primates in zoos or
6 in research institutes in the U.S. may acquire this
7 infection. It also appears that primate to human
8 transmission has been occurring for many years in
9 areas of Central Africa.

10 Because of the past experience with other
11 simian retroviruses developing into human pathogens,
12 and we have HIV-I, 2 and HTLV, AABB, America's Blood
13 Centers and American Red Cross believed that continued
14 concern over and study of SFV as a potentially
15 transfusion transmitted pathogen is warranted.

16 Current knowledge indicates that SFV
17 infects human peripheral blood leukocytes and
18 establishes a persistent infection, and it can be
19 detected for over 20 years. SFV does not appear to
20 cause disease in humans, although the number of
21 chronically infected persons undergoing follow-up is
22 limited, I guess I would say very limited from what
23 we've heard today.

24 Data about human-to-human transmission of
25 SFV are sparse. Sexual transmission has not occurred

1 in six couples. And transfusion transmission did not
2 occur in four recipients of blood components from a
3 single SFV chronically infected donor.

4 There are many unknowns about potential
5 transfusion transmission in humans if it occurs. As
6 a high cell associated virus, it is possible that SFV
7 will behave similarly to HTLV, such that one storage
8 of red cells beyond 10 to 14 days would eliminate
9 transmission. Two leukoreduction would greatly reduce
10 if not eliminate the transmission risk from chronic
11 carriers. And three, there would be no transmission
12 from FFP cryoprecipitate or fractionated plasma
13 derivatives. These possibilities could be tested in
14 an animal transfusion transmission model, although
15 there are some limitations in demonstrating lack of
16 transmission as we've heard today.

17 There have been no studies of the
18 prevalence of SFV in the U.S. blood donor population.
19 Based on a limited number of research studies some
20 broad risk factors can be defined including close
21 physical contact with primates in the wild in Central
22 Africa or in zoos and research institute outside of
23 Central Africa. It is unclear if increased risk
24 extends further to persons with more limited primate
25 contact.

1 It should be noted that the current donor
2 history questionnaire includes a question about
3 previous residence in Central Africa, which appears to
4 be a possible risk factor for SFV infection. Although
5 it has been anticipated that this question may be
6 discontinued as blood centers begin using laboratory
7 tests capable of detecting HIV-1 group O.

8 In summary, limited current data suggests
9 that SFV does not appear to be pathogenetic for
10 humans. The prevalence of the agent in U.S. donors is
11 unknown, but would be suspected to be very low.
12 Transfusion transmission in humans has not been
13 demonstrated, and if it were to occur the potential
14 for detectable effect of leukoreduction and the risk
15 from plasma products have not been assessed.

16 With the exception of definitively
17 assessing the potential for SFV to be a human
18 pathogen, we believe that the answers to all of these
19 above questions could be obtained by performing well
20 defined research studies.

21 Now, on a slightly different tack, in its
22 investigations the CDC has adopted a policy of
23 counseling SFV infected subjects to not donate blood
24 tissue or other biological material. We agree with
25 this approach. However, the deferral of a known SFV

1 infected person is a very different issue than
2 adopting a deferral policy based on an attempt to
3 establish an epidemiologic risk profile. Until
4 further information is available, AABB, ABC and ARC
5 believe that no additional questions should be added
6 to the donor health history questionnaire. This
7 document is already extremely long and complex and the
8 addition of more questions with unknown benefit runs
9 the risk of distracting donors from my more risk
10 questions.

11 Furthermore, at this point it is unclear
12 what criteria should be adopted to identify SFV risk
13 and how a question could be worded to elicit such
14 accurate information from donors.

15 Thank you.

16 ACTING CHAIRMAN ALLEN: Thank you, Dr.
17 Kleinman.

18 Any questions for Dr. Kleinman on this
19 statement? Okay.

20 Dr. Tabor, are you presenting the
21 questions again formally or --

22 DR. TABOR: Could I ask for the last three
23 slides in my presentation case?

24 The first question: In the absence of any
25 known disease association should FDA be concerned

1 about the potential for transfusion transmission of
2 SFV?

3 ACTING CHAIRMAN ALLEN: Comments,
4 discussion on this?

5 Dr. Lew?

6 DR. LEW: I think it's a given we don't
7 have enough data now to say if it's truly pathogenic.
8 So, yes of course we should be concerned.

9 ACTING CHAIRMAN ALLEN: And I guess I
10 would add to that that certainly in highly susceptible
11 populations, i.e., for example people who are
12 immunosuppressed, that is important. And we probably
13 are using SFV simply as a place holder for other
14 viruses that may be similarly transmitted, some of
15 which we may know about and some of which we may
16 documented in the literature that we were provided to
17 read, and some of which we may not yet have
18 identified. So, I would certainly agree with your
19 summary statement.

20 Other? Dr. Cunningham on this?

21 DR. CUNNINGHAM-RUNDLES: Well, I guess the
22 problem for all of us is going to be what does concern
23 translate into to. So concern sure, but concern is
24 kind of like not specific worry. So that's obviously
25 got to have a second question: Okay, what do you do

1 about that? What can you do to solidify that concern
2 into some fact.

3 ACTING CHAIRMAN ALLEN: Dr. Tabor, do you
4 want to go ahead and run through all three of the
5 questions.

6 DR. TABOR: Yes. We can go all through the
7 questions.

8 Let me just add that I think that some of
9 the thinking behind this question was at the last BPAC
10 meeting it was felt there was not enough data to be
11 concerned yet.

12 So let's go to the second question. Next
13 slide, please. Do the recent evidence of SFV
14 infections in humans and the evidence of
15 transmissibility of SFV by blood and animal and animal
16 studies heighten concern that known and unknown
17 pathogenic viruses of nonhuman primates could enter
18 the human blood supply?

19 And the next slide, please. Number three:
20 Do the available scientific data warrant possible
21 consideration of donor exclusion criteria for exposure
22 to nonhuman primates? Please discuss the factors that
23 should be considered.

24 Why don't we go back two slides, please.

25 ACTING CHAIRMAN ALLEN: Other discussion

1 on question one? Attempts to define the word
2 "concern."

3 DR. DOPPELT: I just was going to say
4 something similar. I think basically what your
5 concern is a watchful eye. I mean, you're going to try
6 and be observant, collect data. But right now you
7 don't have much to hang your hat on, so --

8 DR. TABOR: Without focusing on the word
9 "concern," the real question is does the Committee
10 feel that the science suggests that actual
11 transmission of SFV in the blood transfusion studies
12 is an issue that we should be dealing with?

13 ACTING CHAIRMAN ALLEN: I'm going to go
14 back to Dr. Kleinman's statement, the joint statement,
15 and pick up -- this is on the second page, the second
16 full paragraph the last full sentence. And he's
17 listed some of the information that is known and some
18 that is not known that should be known and then
19 concludes: "With the exception of definitively
20 assessing the potential for SFV to be a human pathogen
21 we believe that the answers to all of these above
22 questions could be obtained by performing well defined
23 research studies." And I certainly would translate
24 the word "concern" to be yes I think it needs
25 attention. We need to continue with all of the

1 appropriate agencies of the Public Health Service,
2 including certainly the FDA and its regulatory
3 authority, the Centers for Disease Control, the NIH to
4 be aware of this potential and continuing to monitor
5 the situation very carefully and to fund and conduct
6 research studies.

7 so, I mean, that's how I would translate
8 "concern."

9 Yes, Dr. Klein

10 DR. KLEIN: I was just going to say that
11 just the TT virus and GDBC and a number of other
12 viruses were on the radar screen. This now,
13 obviously, needs to be on the radar screen. And I
14 quite agree that we need to not only continue to do
15 the kinds of epidemiologic studies, but also the
16 interventional research studies that are important
17 until we can determine whether this is something that
18 is a public health issue.

19 ACTING CHAIRMAN ALLEN: Dr. Goldsmith?

20 DR. GOLDSMITH: I guess I take a more
21 cautious point of view than what I've heard here so
22 far in a sense that this is a simian retrovirus and we
23 already know about some of those as they're crossed
24 the line from primates, from nonhuman primates to
25 humans. They've caused different kinds of disease in

1 humans, and some have had long latency. We have heard
2 about HTLV-1 today. And this could be a similar kind
3 of an agent and that we haven't looked at long enough
4 or in depth enough.

5 So I guess concern to me would be yes I am
6 concerned. I would vote for being very concerned. And
7 we'd like to have some additional information. And if
8 by saying that we're concerned about this in the
9 public forum, would that help people that CDC or
10 elsewhere get more information from the public or get
11 their job done quicker, then I think we should all be
12 in favor of saying we're concerned and vote for that.

13 DR. TABOR: Could I just add? We've heard
14 actually some good suggestions about areas for future
15 research that come up in the discussion. I would just
16 like to point out that this subject, this Simian Foamy
17 virus ad blood transfusion and perhaps just Simian
18 Foamy virus in general is most of the research is
19 being done, most if not of all the research is being
20 done in government laboratories. And this really is
21 one of those things when people say what should we be
22 doing research on in the government, we should be
23 doing research on what no one else is going to do,
24 that the private sector is not going to do. And this
25 is probably one of those areas.

1 ACTING CHAIRMAN ALLEN: Are we ready to
2 vote on question one?

3 Dr. Smallwood, would you --

4 DR. SMALLWOOD: All right. According to
5 procedure, we must take a call vote.

6 Dr. Harvath?

7 DR. HARVATH: Yes.

8 DR. SMALLWOOD: Dr. Nelson?

9 DR. NELSON: Yes.

10 DR. SMALLWOOD: Dr. Cunningham-Rundles?

11 DR. CUNNINGHAM-RUNDLES: Yes.

12 DR. SMALLWOOD: Dr. Kuehnert?

13 DR. KUEHNERT: Yes.

14 DR. SMALLWOOD: Dr. Quirolo?

15 DR. QUIROLO: Yes.

16 DR. SMALLWOOD: Dr. Hollinger?

17 DR. HOLLINGER: Yes.

18 DR. SMALLWOOD: Dr. Goldsmith?

19 DR. GOLDSMITH: Yes.

20 DR. SMALLWOOD: Dr. Schreiber?

21 DR. SCHREIBER: Yes.

22 DR. SMALLWOOD: Dr. Lew?

23 DR. LEW: Yes.

24 DR. SMALLWOOD: Dr. Klein?

25 DR. KLEIN: Yes.

1 DR. SMALLWOOD: Dr. Doppelt?

2 DR. DOPPELT: Yes.

3 DR. SMALLWOOD: Dr. Davis?

4 DR. DAVIS: Yes.

5 DR. SMALLWOOD: Dr. Allen?

6 ACTING CHAIRMAN ALLEN: Yes.

7 DR. SMALLWOOD: And Dr. Strong, your
8 opinion?

9 DR. STRONG: Yes.

10 DR. SMALLWOOD: Thank you.

11 The results of voting for question number
12 one was a unanimous yes.

13 DR. TABOR: All right. We will proceed
14 with discussion of the second question. Do the recent
15 evidence of SFV infections in humans and the evidence
16 of transmissibility of SFV by blood in animal studies
17 heighten concern that known and unknown pathogenetic
18 viruses of nonhuman primates could enter the human
19 blood supply?

20 ACTING CHAIRMAN ALLEN: Dr. Hollinger?

21 DR. HOLLINGER: Yes, I think I understand
22 the question. But we already know that. I mean, you
23 got SIV and going to AHIV, you have STLV and HTLV. So
24 when I read this initially I thought this is something
25 we already know of the issue. And so I'm not sure on

1 how it helps the question.

2 Tell me what you're looking for in this?

3 DR. TABOR: Well, you know, I can't
4 disagree with you when you say we already know about
5 SIV and STLV. But when this report came out in March
6 of 2004, it was accompanied by a commentary that
7 raised the specter of cross species transmission
8 beyond what we already expected. And so what we're
9 asking you is -- what we're really asking you is
10 should we be doing something based on a scientific
11 understanding that this model could represent cross
12 transmission that's occurring or could occur with
13 other viruses? In other words, should we -- it really
14 leads into the third question which has to do with
15 types of donor exclusion. The question is do you feel
16 that this model could represent a risk from cross
17 species transmission from any of a variety of virus.

18 It looks like Dr. Epstein wants to add
19 something.

20 DR. EPSTEIN: I think what we're really
21 getting at is let's say we determined with some level
22 of certainty or confidence that Simian Foamy virus is
23 not a human pathogen, would we want to screen anyway
24 because of a surrogate value for other things we might
25 be concerned about known and unknown? So we're really

1 asking an opinion about sort of index of concern on
2 the surrogacy question.

3 DR. NELSON: Well, by screen you mean
4 incorporate this antibody screening donors? What do
5 you mean?

6 DR. EPSTEIN: Well, we're not directly
7 asking the Committee should we screen now for Simian
8 Foamy. But we're saying would the issue of it being a
9 marker for settings of risk for acquisition of simian
10 pathogens be reason enough to develop a screening or
11 testing program for Simian Foamy.

12 DR. TABOR: When you use the word "screen"
13 though, Jay, I interrupt it a little bit more broadly.
14 That could include a donor question.

15 DR. EPSTEIN: That is correct. In other
16 words an intervention strategy. Is an intervention
17 strategy for Simian Foamy, should we be considering
18 intervention strategies for Simian Foamy because it
19 may represent a marker for risk for other Simian
20 zoonoses?

21 DR. HOLLINGER: Well, again, I think until
22 you have a disease -- I think that of that commercial
23 where is the beef. I mean, until there's a disease
24 that one's established or that you have some
25 association with a disease or an association with some

1 other retrovirus that's really substantial, I don't
2 think you could do anything with that.

3 It says that we should probably continue
4 some of the studies that have been outlined here today
5 to look for these associations. That's very important.
6 And one may or may not find any disease association
7 whatsoever, but I think you have to look for it. And
8 until that's the case, then I think this doesn't help
9 us at all.

10 ACTING CHAIRMAN ALLEN: Let me disagree
11 very slightly. And I agree that it seems like an
12 obvious conclusion. Given the way that the government
13 works, a positive response from the Committee on this
14 is also a public statement from an expert committee in
15 terms of adequate resources and allocation of
16 resources. And that may be of assistance to the FDA if
17 the committee believes that that's worth making such
18 a statement.

19 Dr. Klein?

20 DR. KLEIN: I guess I'm slightly more
21 concerned that this is an old world primate virus than
22 I am that it's, for example, a porcine virus. But I am
23 concerned about porcine viruses. I'm concerned about
24 avian virus as well, and other animal species jumping
25 the barrier. So in general, yes, I think I'm a bit

1 more concerned about this. But I agree with Blaine
2 that I think we should put resource into looking at
3 this virus. If you asked me how I would address the
4 issue in general, I'd like to put a lot more resource
5 into pathogen reduction technology for cellular blood
6 components so that we could address all of these
7 things rather than just this individual one.

8 So I think the answer is yes, I'm
9 marginally more concerned at this point because it is
10 an primate virus, but I'm still concerned about all of
11 these others that we know can cross the species
12 barrier and we know that some of them can cause human
13 disease.

14 ACTING CHAIRMAN ALLEN: Well, but as I
15 read this question it says "known and unknown
16 pathogenic viruses of nonhuman primates that could
17 enter." I mean, it does go beyond just the Simian
18 Foamy virus.

19 DR. KLEIN: If they just to contrast the
20 nonhuman primate, I am very concerned about those.
21 But I am also concerned about other animal viruses.
22 And so I think, you know, where do you start and where
23 do you stop? Are you going to screen animal handlers
24 out of the blood supply? How about pig farmers and
25 chicken farmers? Again, I think the strategy

1 probably is not to in the direction from my opinion,
2 but to look at pathogen reduction and put a lot of
3 resource into this one that we're aware of now.

4 ACTING CHAIRMAN ALLEN: Dr. Strong?

5 DR. STRONG: I would agree with the yes
6 answer to support research, which I think is the
7 primary issue here. As Dr. Lew has mentioned, we
8 don't have enough data to really say that this is a
9 big problem.

10 I'd be a little concerned, though, by
11 saying yes we're saying you should do something about
12 the blood supply at this point in time. I think
13 there's not enough data to support that answer.

14 DR. QUIROLO: I didn't really hear any
15 data to support that this was a surrogate either. I
16 mean, it seems to occur by itself. There's no other
17 viruses associated with it that I -- unless I missed
18 something.

19 DR. LEW: Well, I know there's no data
20 that's been presented, but it's the unknown. If we
21 did see Simian Foamy virus transmitted and you know
22 that it came from a nonhuman primate source, so blood-
23 to-blood there's always a possibility of that unknown.
24 I think that's the only thing -- the way the question
25 is read, that's what I'm assuming it's trying to

1 address. But I do agree that we all want more
2 research, just not enough data to say let's change how
3 we do things with the blood banks.

4 DR. QUIROLO: Yes. I'd hate to see
5 screening for this because people were afraid it was
6 a marker for something else, like we've done in the
7 blood banking business in the past.

8 ACTING CHAIRMAN ALLEN:

9 DR. HOLLINGER: I did not read the
10 question in that way.

11 Dr. Epstein, do you want to make any
12 clarifying statements or --

13 DR. EPSTEIN: Well, I don't know if I'm
14 adding clarity or confusion. But I think the idea of
15 question one was is Simian Foamy a concern in its own
16 right? In other words, what's the threshold of
17 concern? And I heard that there's enough concern to
18 keep it on your radar screen and do more research.

19 Question two is Simian Foamy further a
20 concern because it might a surrogate for other things
21 we might want to be worried about that could come from
22 primates? And I'm hearing mixed opinions, and that's
23 fine. But I do think they're different questions.

24 DR. TABOR: I wonder if I could also try
25 to put it in a slightly different context, but I want

1 to be careful not to indicate any kind of regulatory
2 approach. Because we haven't really discussed this
3 beyond the preparations for BPAC.

4 First of all, there is an atmosphere in
5 the blood community of wanting not to be behind the
6 eight ball, but be out in front and be proactive with
7 regard to emerging infectious diseases. And we've all
8 heard discussions about whether things would have been
9 different if we had had some measures in place, if we
10 could see the future before the AIDS virus entered
11 the blood supply.

12 And the question here is are we -- and
13 this was really, in a sense, raised by the Lancet
14 commentary. Are we seeing a marker for what is going
15 on and we haven't detected yet in seeing Simian Foamy
16 virus cross species not really nonoccupational
17 conditions, but more casual conditions than just
18 between animal handlers and animals?

19 So if you were leaning toward adding a
20 question or some kind of donor exclusion, the meaning
21 of this question is would you do it solely on the
22 basis of it as a model for other unknown viruses?

23 ACTING CHAIRMAN ALLEN: So you are asking
24 whether populations at risk for infection by this
25 virus represent the high risk behavior we ought to be

1 concerned in the context of virus behaviors?

2 DR. TABOR: I wouldn't word it quite that
3 way, but I think we're really asking whether
4 populations that are exposed to this virus are a high
5 risk population for other retroviruses because of
6 their contact. So in a way that's what you're saying,
7 but with different words.

8 ACTING CHAIRMAN ALLEN: Dr. Kuehnert?

9 DR. KUEHNERT: I'm not sure if this is
10 what you're partially getting at, but if we turn the
11 clock back 30 years ago and had this same discussion
12 and then became concerned and instituted a deferral
13 for all these animal handlers and pet owners, it
14 wouldn't have done a thing to stop the HIV epidemic.

15 So I'm not arguing against a deferral. I'm
16 just saying that that's not going to stop a global
17 pandemic. We need to be focused on transmission
18 through blood rather than overall public health
19 strategy. At least for this question.

20 DR. QUIROLO: Dr. Nelson?

21 DR. NELSON: Yes. I was just thinking
22 about what Harvey said. I agree. I mean, there are a
23 whole range of animal to human viruses, some of which
24 are known to be quite pathogenic. And, you know, we
25 could divide a fairly complex algorithm of screening

1 excluding all kinds of occupations. I mean, hepatitis
2 E and, God knows. And it is worrisome that this is a
3 primate, but nonetheless until I think we find either
4 more evidence that it is a surrogate for a class of
5 viruses that we could use it that way or in itself has
6 even subtle pathogenicity over a long time.

7 One of the things that I think should be
8 done is if there's pathogenicity, it may be quite slow
9 and it would be interesting to find people who had
10 been infected for quite a while, decades, and look
11 what has it done to their hemologic system or what
12 have you. And it might be possible to do that. I
13 mean, that approach was taken a little bit with HTLV-1
14 and 2 short of the leukemia thing that eventually it
15 was demonstrated that there was other potential for
16 pathogenicity which made it important. Initially we
17 didn't screen for HLV-2, but it was after some
18 observation that we found that there was a reason.
19 But there are a whole group of other viruses, even
20 possibly some of the others that have recently been
21 described. Under certain circumstances they're at
22 least associated with pathogenicity, and the evidence
23 is probably stronger than for these simian viruses.

24 DR. KLEIN: I must say I like the idea of
25 getting rid of cell associated viruses because they're

1 getting rid of ones that we know are pathogenic, even
2 though CMV isn't an enormous problem, it is a problem.
3 Yes. And at the same time you're going to probably
4 get rid of this particular virus, although that
5 remains to be determined. So I guess as a surrogate
6 measure I'm more in favor of getting rid of cell
7 associated viruses than excluding people who may come
8 in contact with primates now. I mean, next month it
9 might change. You have new data.

10 ACTING CHAIRMAN ALLEN: Well, I guess it's
11 that kind of thinking that lead me to read this
12 question as being more of a research type question.
13 But I've heard other discussion that suggested that
14 maybe it's more of a regulatory type question. And I
15 think that's a point I guess needs to be clarified
16 before we actually vote.

17 The other point, you know we have had
18 extraordinary evidence in the last three years in the
19 United States of the potential for viruses to cause
20 transfusion transmitted infection in a way that was
21 never previously conceived. I don't think most people
22 would have suspected the West Nile Virus, for example,
23 could transmit and cause the disease that it did. And
24 a tribute to our technology and to our surveillance
25 systems now that we were able to pick that up so

1 quickly, do the investigations that were done and get
2 a laboratory screening mechanism in place.

3 You know we are going to learn a lot more
4 now because of the tools available to us compared with
5 where we were 20 years ago.

6 Dr. Kleinman.

7 DR. KLEINMAN: Yes. My sense of this model
8 issue, a sense of the discussion on this topic is
9 actually the reverse of what we're talking about. And
10 that is the reason that we're more concerned about SFV
11 than we would be otherwise is because we've had the
12 examples of SIV and STLV. I mean, if we just looked
13 at SFV in itself and we didn't have these other
14 retroviruses that had jumped and caused disease in
15 humans, we would say there is no evidence of disease.
16 And we're not linking it to other viruses that have
17 disease, so therefore our level of concern wouldn't be
18 anymore than it is for STLV or TTV, it's another
19 virus. Lots of viruses are transmitted in the absence
20 of pathogen inactivation. And we don't have
21 technique to worry about all of them.

22 So I think we're actually being influenced
23 by the fact that the reason we're not comfortable with
24 the data on SFV is because we have precedents of other
25 retroviruses causing human disease. And we're sort of

1 saying well maybe SFV, even though we don't think it
2 causes disease, we can't really be sure. It might
3 mutate and do the same thing.

4 So I don't see how SFV becomes the model
5 for unknown pathogens. I see that SIV and STLV is the
6 model for thinking about SFV as a potential pathogen
7 in the future.

8 ACTING CHAIRMAN ALLEN: Other discussion
9 on this second question?

10 Can I ask, just before we vote, can we
11 have a quick show of hands among the Committee members
12 who would like to see this interpreted more as a
13 research oriented question versus a regulatory
14 oriented question? Is that helpful at all?

15 Dr. Epstein?

16 DR. EPSTEIN: I think that confounds the
17 issue for FDA. Because I think everyone would
18 acknowledge the need to continue research on the
19 possible pathogenicity of simian agents and also the
20 possibility of co-infections and so forth. What we're
21 really trying to establish here is where should we be
22 going as regulators and is this concept of a marker
23 agent itself a matter of concern. I just think the
24 research issue is there, the regulatory question
25 notwithstanding.

1 ACTING CHAIRMAN ALLEN: All right.
2 Further discussion or questions or we ready to vote?

3 Dr. Smallwood, would you read the
4 question?

5 DR. Klein, you look uncomfortable?

6 DR. KLEIN: I'm still a bit disturbed by
7 this because I absolutely agree with Matt Kuehnert's
8 statement that if we had used this as a marker -- if
9 we had been smart in 1975 to say, you know, a monkey
10 virus is going to jump the species barrier and cause
11 a horrible disease so let's use this as a marker, we
12 would have missed all these other things. It would
13 have been the wrong marker. This is not a high risk
14 group for HIV or HTLV; people in contact with old
15 world and nonhuman primates. So from that standpoint
16 I hate to answer this question yes because I don't
17 think that's the right approach. On the other hand,
18 I clearly am concerned about this agent because we
19 don't know what its pathogenicity is and I think we
20 need to keep an eye on it and other agents like that
21 should they come into to our radar screen as this one
22 has. And that's why I'm hesitate to say no, but I'm
23 real hesitate to say yes because I don't know where
24 that leads.

25 DR. KUEHNERT: I don't know. I think that

1 it's good that you listed all the questions, because
2 the next question I think leads into that. And I
3 wonder if we can answer question two a certain way and
4 answer question three another way and still be
5 consistent? And it may be because some of us are
6 interpreting the question in a different way. But I
7 feel like I could answer yes to this question and
8 answer no to the next question and be consistent.

9 DR. HARVATH: I'm wondering if we could
10 maybe make a comment here about this past year FDA
11 approach NHLBI for cofunding of a workshop in
12 leukocyte reduction for looking at the reduction of
13 various kinds of infectious agents.

14 And so what I would like to propose for
15 question two is that personally I feel, yes, this is
16 a concern. But what I would like to see is a more
17 open scientific forum in which we take these things
18 on, such as leukocyte reduction. What would be the
19 feasibility of that actually helping us make inroads--
20 it was in the context of, you know, TSE type agents,
21 but let's take SFV as another agent.

22 I would say yes to question two this does
23 concern me. But I would also like to say let's go
24 forward with some scientific workshops to put more
25 data on the table and look at some of the approaches

1 we could take in hand now, such as leukocyte
2 reduction.

3 ACTING CHAIRMAN ALLEN: Dr. Strong?

4 DR. STRONG: Jay's comment about this
5 being a question concerning surrogates, I don't see
6 the word "surrogate" in this question. And I think
7 that if this were in this question, that that might
8 change our answer as well.

9 ACTING CHAIRMAN ALLEN: Dr. Cunningham-
10 Rundles?

11 DR. CUNNINGHAM-RUNDLES: I was just saying
12 yes we're concerned. And as Liana was just saying,
13 sure we have concern but in what way does that change
14 what we already said in number one? It doesn't add
15 anything. We already said we're concerned. So number
16 two shouldn't be are we more concerned.

17 So, I don't see what this is adding to
18 number one currently, unless we add that word
19 "surrogate," which most of us don't think is such a
20 hot idea.

21 ACTING CHAIRMAN ALLEN: We do have options
22 abstaining or what are the other options? I mean,
23 yes, no or refrain?

24 DR. TABOR: Jim, I wouldn't agonize over
25 it too much. I think our thinking was in question one

1 was SFV as a risk in itself. Question number two is
2 SFV as a model, not necessarily a surrogate, but a
3 model for what could happen with other viruses. We're
4 certainly getting the benefit of the opinions that are
5 being spoken around the table.

6 ACTING CHAIRMAN ALLEN: All right. Other
7 questions or comments before we -- yes, Dr. Epstein?

8 DR. EPSTEIN: Well, just to point out
9 there's the option of tabling the question and just
10 taking any additional comments from the Committee
11 members. Because the discussion is of value in its own
12 right.

13 DR. STRONG: So moved.

14 DR. NELSON: I suppose one unknown risk
15 that we've found with other retroviruses is
16 recombination with somebody who is a carrier, let's
17 say, of HTLV-2. And that does something that had the
18 recombination not occurred, it wouldn't have happened.
19 It's not a surrogate, but it's a biologic issue that
20 could be a risk.

21 On the other hand, there are an awful lot
22 more people who are infected with HTLV-2 than there
23 are Simian Foamy viruses. And I suspect that that
24 will probably continue. But whether or not that could
25 produce a new strain that was more transmissible blood

1 transfusion or otherwise, I don't know.

2 So it's good not to have a virus with this
3 characteristic, even though you're feeling pretty good
4 at the moment with it.

5 ACTING CHAIRMAN ALLEN: Dr. Doppelt?

6 DR. DOPPELT: I would just emphasize a
7 point that was just made a few minutes ago that if you
8 voted yes on number one and you're a hair more
9 concerned about number two, that still doesn't
10 necessarily obligate you to vote yes on number three.

11 ACTING CHAIRMAN ALLEN: All right. Other
12 comments before Dr. Smallwood?

13 DR. SCHREIBER: I would like to make a
14 motion that we table this question. As Jay said,
15 that's an option of the Committee.

16 ACTING CHAIRMAN ALLEN: Yes. I think the
17 best way to do that, given the structure of the
18 Committee, is just to express that, say table the --
19 abstain or table the question for the time being.
20 Okay.

21 All right. If we're following *Robert's*
22 *Rules of Orders*, I will accept that as an appropriate
23 motion.

24 Dr. Lew?

25 DR. LEW: Does someone have to second it?

1 Because I'll second it if that's required.

2 ACTING CHAIRMAN ALLEN: Yes, it does need
3 to be seconded. Okay.

4 The motion to table question two is open
5 for discussion. Yes?

6 DR. DAVIS: Is this just to table question
7 two, or will it also apply to question three?

8 ACTING CHAIRMAN ALLEN: No. This is just
9 to table question two.

10 Dr. Lew?

11 DR. LEW: I think we're all sufficiently
12 a little bit confused what FDA wanted us to address.
13 And I think we've all said our piece, which I hope
14 will be helpful to FDA.

15 ACTING CHAIRMAN ALLEN: I'm sure it will
16 be looked at very carefully.

17 DR. QUIROLO: But I would agree with the
18 question as it's written. But the comments that were
19 made outside of the question made me wonder what the
20 question really meant. But I agree that this virus is
21 a great virus to study because it has crossed the
22 barrier like these other simian viruses, and we've
23 only looked at health people. So how many primate
24 handlers have cancer and gotten chemotherapy and then
25 what happened to that virus at that point? We've

1 never looked at that group of people or people that
2 may be immune suppressed when they got a transfusion.
3 So I think there's a long way to go here. But I don't
4 think it should be used as a surrogate marker at this
5 point.

6 Thank you.

7 ACTING CHAIRMAN ALLEN: Okay. Are we ready
8 to vote on the motion to table?

9 I guess our Committee is such that you
10 need to do a formal roll call, is that correct?

11 DR. SMALLWOOD: That is correct.

12 Your votes are being recorded in the --

13 ACTING CHAIRMAN ALLEN: We are voting
14 whether or not to table question two. So a yes, it
15 means yes I vote to table question two. No means I do
16 not. Or you could abstain.

17 DR. SMALLWOOD: Just for the record, I'm
18 just going to repeat what the Chairman said that the
19 Committee is voting whether on the motion to table
20 voting on question two. Okay. All right.

21 We're ready for the roll call.

22 Dr. Harvath?

23 DR. HARVATH: Yes.

24 DR. SMALLWOOD: Dr. Nelson?

25 DR. NELSON: Yes.

1 DR. SMALLWOOD: Dr. Cunningham-Rundles?
2 DR. CUNNINGHAM-RUNDLES: Yes.
3 DR. SMALLWOOD: Dr. Kuehnert?
4 DR. KUEHNERT: Yes.
5 DR. SMALLWOOD: Dr. Quirolo?
6 DR. QUIROLO: Yes.
7 DR. SMALLWOOD: Dr. Hollinger? Dr.
8 Hollinger has left, and he was not privy to this
9 motion.
10 Dr. Goldsmith?
11 DR. GOLDSMITH: Abstain.
12 DR. SMALLWOOD: Dr. Schreiber?
13 DR. SCHREIBER: Yes.
14 DR. SMALLWOOD: Dr. Lew?
15 DR. LEW: Yes.
16 DR. SMALLWOOD: Dr. Klein?
17 DR. KLEIN: Yes.
18 DR. SMALLWOOD: Dr. Doppelt?
19 DR. DOPPELT: No. I don't like loose ends.
20 DR. SMALLWOOD: Dr. Davis?
21 DR. DAVIS: Yes.
22 DR. SMALLWOOD: Dr. Allen?
23 ACTING CHAIRMAN ALLEN: No.
24 DR. SMALLWOOD: And Dr. Strong, our non-
25 voting industry rep, your opinion?

1 DR. STRONG: Yes.

2 DR. SMALLWOOD: I thought this was going
3 to be easy. Give me a minute here.

4 All right. The results of voting to table
5 voting on question two, there were nine yes vote, two
6 no votes, one abstention and the non-voting industry
7 rep agreed with the yes vote.

8 The results of voting for question number
9 one was a unanimous yes vote.

10 ACTING CHAIRMAN ALLEN: The motion to
11 table carries.

12 So a tabled motion can be brought back at
13 any point. This was not a motion that gave a specified
14 time to bring it back. So, Dr. Epstein, we would
15 consider it at some future point if the FDA wishes to
16 bring it back up.

17 Let's move on to question three.

18 DR. TABOR: The next slide, please.

19 Do the available scientific data warrant
20 possible consideration of donor exclusion criteria for
21 exposure to nonhuman primates? Please discuss the
22 factors that should be considered.

23 ACTING CHAIRMAN ALLEN: This question is
24 open for discussion.

25 DR. KUEHNERT: Could I just ask a point of

1 clarification first? Is FDA looking for a discussion
2 or looking for a yes/no vote here?

3 DR. TABOR: I believe both.

4 ACTING CHAIRMAN ALLEN: Unless the answer
5 were clearly, you know, an unequivocal no, but I think
6 in that instance they would still be very interested
7 in the discussion and considerations. I think that
8 the discussion is going to be important regardless of
9 which way the vote actually goes.

10 DR. KUEHNERT: Okay.

11 ACTING CHAIRMAN ALLEN: Dr. Klein?

12 DR. KLEIN: Well, I think you have to
13 start by saying that any kind of exclusion would have
14 a minimal impact on the blood supply. I don't really
15 think that that's a major issue. So you might say then
16 what's the downside of doing this? And I think there
17 are two major issues that I feel are a downside.

18 The one is that I can't think of all the
19 questions that could be put on the donor screening
20 form. In fact, I can think of a number of questions,
21 and it's frightening. And I think that if we have
22 enough of those already that may not really protect
23 the recipient of blood transfusion. So I think that's
24 one reason.

25 The other reason I think it does set a bad

1 precedent. I think it sets the precedent that you
2 could say it's the Crever principle, but I would look
3 at it the other way around: We really have no evidence
4 at all that this a public health threat. So I think
5 it's premature to do so.

6 That's my discussion. And you'll get my
7 vote later.

8 ACTING CHAIRMAN ALLEN: Dr. Quirolo?

9 DR. QUIROLO: I think the wording of
10 exposure would lead to a lot of self-deferral as
11 people wouldn't really know what that meant. So if my
12 neighbor has a monkey, did that mean that I have been
13 exposed to that monkey and I can't donate blood?

14 ACTING CHAIRMAN ALLEN: Depends on what
15 the monkey threw at you.

16 DR. QUIROLO: Yes, well or spit at me.

17 So I think that the way it's worded,
18 besides what Dr. Klein had to say, it's very
19 ambiguous.

20 DR. TABOR: What we were hoping to get
21 here was your opinion on exclusion criterion in the
22 very broadest sense without trying to narrow it down
23 to any one set of criteria. Just whether we should be
24 considering exclusion criteria. And then if you gave
25 us a yes vote, the second half of it would be for you