

U.S. FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICAL EVALUATION AND RESEARCH

\* \* \*

TRANSMISSIBLE SPONGIFORM ENCEPHALOPATHIES ADVISORY  
COMMITTEE

\* \* \*

16<sup>th</sup> MEETING

\* \* \*

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

THURSDAY,

OCTOBER 14, 2004

\* \* \*

ORIGINAL

The Committee meeting was held in the Hilton Hotel, 8727 Colesville Road, Silver Spring, Maryland, at 8:00 a.m., Dr. Suzette A. Priola, Chairperson, presiding.

PRESENT:

- SUZETTE A. PRIOLA, Ph.D., Chairperson
- JAMES R. ALLEN, M.D., Temporary Voting Member
- JOHN C. BAILAR III, M.D., Ph.D., Member
- VAL D. BIAS, Member
- ARTHUR W. BRACEY, M.D., Member
- LYNN H. CREEKMORE, D.V.M., Member
- STEPHEN J. DeARMOND, M.D., Ph.D., Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

PRESENT (Continued):

PIERLUIGI GAMBETTI, M.D., Member

R. NICK HOGAN, M.D., Ph.D., Member

ALLEN L. JENNY, D.V.M., Temporary Voting Member

RICHARD T. JOHNSON, M.D., Member

FLORENCE KRANITZ, Acting Consumer Representative

KENRAD E. NELSON, M.D., Temporary Voting Member

STEPHEN R. PETTEWAY, Non-Voting Industry  
Representative

MO SALMAN, B.V.M.S., M.P.V.M., Ph.D., D.A.C.V.P.M.,  
F.A.C.E., Temporary Voting Member

JAMES J. SEJVAR, M.D., Temporary Voting Member

WILLIAM FREAS, Ph.D., Executive Secretary

FDA REPRESENTATIVES:

DAVID ASHER, M.D.

STEVEN ANDERSON, Ph.D., MPP

JAY S. EPSTEIN, M.D.

JESSE L. GOODMAN, M.D., MPH

DOROTHY SCOTT, M.D.

ALAN E. WILLIAMS, Ph.D.

INVITED SPEAKERS:

HENRY BARON, M.D.

LAWRENCE ELSKEN, D.V.M.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

INVITED SPEAKERS (Continued):

LISA FERGUSON, D.V.M.

PETER GANZ, Ph.D.

LUISA GREGORI, Ph.D.

BURT PRITCHETT, D.V.M.

ROBERT G. WILL, M.D.

C O N T E N T S

Introductions . . . . .	6
Conflict of Interest Statement . . . . .	9
Presentation of Plaques to Retiring Committee Members . . . . .	14
Presentation of Lawrence Elsken, D.V.M. . . . .	15
Presentation of Lisa Ferguson, D.V.M. . . . .	24
Presentation of Burt Pritchett, D.V.M. . . . .	37
Public Comments:	
Dr. Steve Figard . . . . .	64
Dr. Alan Rudolph . . . . .	71
Dr. Johanna Bergmann . . . . .	78
Dr. Quentin J. Tonelli . . . . .	82
Dr. Stuart Wilson . . . . .	87
Dave Cavenaugh . . . . .	94
Presentation of Dorothy Scott, M.D. . . . .	100
Presentation by Henry Baron, M.D. . . . .	109
Presumptive Transfusion Transmissions of vCJD: Consideration of Current FDA Recommended Safeguards	
David Asher, M.D. . . . .	118
Changes in Canadian CJD/vCJD Blood Safety Policies, Peter Ganz, Ph.D., HealthCanada . . . . .	186
Current Safeguards for Blood Products Recommended by FDA, Dorothy Scott, M.D., OBRR/CBER . . . . .	198
Possible Effects of Prior CJD-Related Blood Donor Deferrals on Blood Supply, Alan Williams, Ph.D., OBRR/CBER . . . . .	202
Questions for Speakers . . . . .	218

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

C O N T E N T S

OPEN PUBLIC HEARING . . . . .	227
Presenters:	
Peter Page, M.D., American Red Cross . .	228
Michael Fitzpatrick, M.D., America's . .	234
Blood Centers	
Robert Rohwer, M.D., VA Medical Center, .	240
Baltimore	
Merlin Sayers, M.D., Carter Blood Care .	248
Jonathan Goldsmith, M.D., Immune . . . . .	253
Deficiency Foundation . . . . .	
Questions to the Committee . . . . .	254
Committee Discussion and Vote . . . . .	255
Adjourn . . . . .	287

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## P-R-O-C-E-E-D-I-N-G-S

(8:08 a.m.)

1  
2  
3 DR. FREAS: Good morning. Again, if you'd  
4 take your seats, we'd like to get started.

5 The reason why I'm trying to move this  
6 meeting along is some of you watched TV last night and  
7 knew that you didn't necessarily have to answer  
8 questions. However, of our Advisory Committee members  
9 we won't let them go home until they give us full and  
10 complete answers to every question we ask.

11 Good morning. I would like to welcome  
12 everybody here. This is the 16th meeting of the  
13 Transmissible Spongiform Encephalopathies Advisory  
14 Committee.

15 I am Bill Freas. I'm the Executive  
16 Secretary of this committee.

17 The entire proceedings today will be open  
18 to the public, and we welcome public comment during  
19 our open public hearing sessions.

20 I would like to introduce now the members  
21 seated at the head table, and I'll start on the right-  
22 hand side of the room. That's the audience's right-  
23 hand side.

24 In the first chair we have Dr. Pierluigi  
25 Gambetti, Professor and Director, Division of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Neuropathology, Case Western Reserve University.

2 The next chair is empty right now, but it  
3 will soon be filled by Dr. Kenrad Nelson. Dr. Nelson  
4 is a former Chair of FDA's Blood Products Advisory  
5 Committee. He is also Professor, Department of  
6 Epidemiology, Johns Hopkins University, School of  
7 Hygiene and Public Health.

8 In the next chair we have Dr. Allen Jenny.  
9 He's a pathologist from the National Veterinary  
10 Services Laboratory, USDA, Ames, Iowa.

11 In the next chair we have Dr. James  
12 Sejvar, neuroepidemiologist, Division of Viral and  
13 Rickettsial Disease, Centers for Disease Control and  
14 Prevention.

15 In the next chair we have Dr. Nick Hogan,  
16 Assistant Professor of Ophthalmology, University of  
17 Texas, Southwestern Medical School.

18 In the next chair we have Mr. Val Bias,  
19 Co-chairman, Blood Safety Working Group, National  
20 Hemophilia Foundation, Oakland, California.

21 In the next chair we have Dr. Stephen  
22 DeArmond, Professor, Department of Pathology,  
23 University of California, San Francisco.

24 Around the corner of the table we have Dr.  
25 James Allen. Dr. Allen will be Acting Chair of FDA's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Blood Products Advisory Committee, and they'll be  
2 holding their meeting next week, and the information  
3 for that committee is, of course, up on the FDA  
4 Website.

5 Dr. Allen is also President and CEO of the  
6 American Social Health Association.

7 In the next chair is the Chairman of this  
8 committee, Chairperson of this committee, Dr. Suzette  
9 Priola, investigator, Laboratory of Persistent and  
10 Viral Diseases, Rocky Mountain Laboratories.

11 Next we have our Acting consumer  
12 representative, Ms. Florence Kranitz. She's President  
13 and founder of the CJD Foundation, Akron, Ohio.

14 Next we have Dr. John Bailar, Professor  
15 Emeritus, Department of Health Studies, University of  
16 Chicago.

17 Next we have Dr. Lynn Creekmore, staff  
18 veterinarian, APHIS Veterinary Services, USDA, Fort  
19 Collins, Colorado.

20 Next we have Dr. Mo Salman, Professor and  
21 Director, Animal Population Health Institute, College  
22 of Veterinary Medicine, Colorado State University.

23 Next we have Dr. Arthur Bracey, Associate  
24 Chief of Pathology, St. Luke's Episcopal Hospital,  
25 Houston, Texas.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   Next we have Dr. Richard Johnson,  
2 Professor of Neurology, Johns Hopkins University.

3                   At the end of the table, we have our non-  
4 voting industry representative, Dr. Stephen Petteway,  
5 Director of Pathogen Safety and Research, Bayer  
6 Corporation.

7                   I would like to welcome everyone for  
8 attending this meeting this morning.

9                   I would now like to read into the record  
10 the conflict of interest statement required for this  
11 meeting.

12                   The following announcement is made part of  
13 the public record to preclude even the appearance of  
14 a conflict interest at this meeting. Pursuant to the  
15 authority granted under the committee charter, the  
16 Director, Center for Biologics Evaluation and  
17 Research, has appointed to this meeting the following  
18 participants as temporary voting members. They are  
19 Dr. James Allen, Allen Jenny, Kenrad Nelson, Mo  
20 Salman, James Sejvar, and Ms. Florence Kranitz.

21                   Based on the agenda, it has been  
22 determined that the committee will not be providing  
23 advice on specific firms or products. The topics  
24 being discussed by the committee are considered  
25 general matters issues.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 To determine if any conflicts of interest  
2 exist, the agency reviewed the agenda and all relevant  
3 financial interests reported by the meeting  
4 participants. The Food and Drug Administration  
5 prepared general matters waivers for participants who  
6 required a waiver under 18 U.S. Code 208.

7 Because of the general topics impact on so  
8 many entities, it is not prudent to recite all of the  
9 potential conflicts of interest as they apply to each  
10 member. FDA acknowledges that there may be potential  
11 conflicts of interest, but because of the nature of  
12 the discussions before the committee, these potential  
13 conflicts are mitigated.

14 We would like to note for the record that  
15 Dr. Stephen Petteway is a non-voting industry  
16 representative for this committee acting on behalf of  
17 regulated industry. Dr. Petteway's appointment is not  
18 subject to 18 U.S. Code 208. He is employed by Bayer  
19 and thus has a financial interest in his employer and  
20 other similar firms.

21 In addition, in the interest of fairness,  
22 FDA is disclosing that Dr. Petteway is a member of the  
23 Viral Safety Working Group at the Plasma Protein  
24 Therapeutics Association.

25 With regards to FDA's invited guest

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 speakers, the agency has determined that the service  
2 of these speakers are essential. The following  
3 interests are being made public to allow participants  
4 to objectively evaluate any presentation and/or  
5 comments made by these speakers.

6 Dr. Lawrence Elsken is employed by the  
7 USDA Veterinary Services in Ames, Iowa.

8 Dr. Lisa Ferguson is employed by the USDA  
9 Veterinary Services in Hyattsville, Maryland.

10 Dr. Peter Ganz is employed by the  
11 Biologics and General Therapies, Director of Health  
12 Products and Food Branch, Health Canada.

13 Dr. Luisa Gregori is employed by the  
14 Baltimore Research and Education Foundation, a  
15 nonprofit organization. She is doing research on TSE  
16 diagnostics and TSE removal.

17 Dr. Robert Will is employed by the  
18 National CJD Foundation Unit in Western General  
19 Hospital in Edinburgh, U.K. He also consults and  
20 advises with a firm that could be affected by the  
21 committee discussions.

22 In addition, there are regulated industry  
23 and other organizations scheduled to speak at today's  
24 hearing. These speakers have financial interests  
25 associated with their employer and with other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 regulated firms. They were not screened for these  
2 conflicts of interest.

3 Members and consultants are aware of the  
4 need to exclude themselves for discussions involving  
5 specific products or firms for which they have been  
6 screened for conflicts of interest. Their exclusion  
7 will be so noted in the public record.

8 With respect to all other meeting  
9 participants we ask in the interest of fairness that  
10 you address any current or previous financial  
11 involvement with any firm whose product you wish to  
12 comment upon.

13 Waivers are available upon written request  
14 by the Freedom of Information Act.

15 That ends the reading of the conflict of  
16 interest statement. Before I turn the meeting over to  
17 our Chair, I would like to ask you if you have a cell  
18 phone, would you please check to make sure that it's  
19 in the silent mode? Your neighbors would appreciate  
20 that.

21 Dr. Priola, I turn the meeting over to  
22 you.

23 CHAIRPERSON PRIOLA: Thank you, Bill.

24 I'd like to welcome everybody, all the  
25 members of the committee, the temporary voting members

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the committee.

2 Since we have a very full schedule today  
3 and a set amount of time to get things done, I'd just  
4 like to begin by turning it over to Dr. Jesse Goodman.

5 DR. GOODMAN: Well, good morning. I ran  
6 over here, and it's my pleasure really to honor the  
7 people who have helped us on this committee because  
8 it's important. Certainly CBER Advisory Committees  
9 are critical for us in receiving expert advice, in  
10 having a public forum, and in having a transparent  
11 process, and these kinds of tremendous public health  
12 responsibilities I think are nowhere more obvious than  
13 with TSE and some of the kinds of issues you consider  
14 in terms of safety of our products here.

15 It is a lot of work to be on these  
16 committees and review the material. It's a lot of  
17 responsibility because as we know, there's never an  
18 easy answer to any of the questions we look at, and I  
19 notice the agenda today, and I've been helping to look  
20 at the materials that folks have put together; that  
21 this is no exception. It's extremely challenging to  
22 use the best science to do public health while you're  
23 running 40 miles an hour at the same time and  
24 accumulating new data.

25 So really this morning I just want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 honor those who have provided a service to this  
2 committee, and I'd like to ask Dr. Priola and John  
3 Bailar, Steve Petteway, Pierluigi Gambetti, and  
4 Stephen DeArmond to come up and join me at the  
5 microphone.

6 My understanding is that all of you have  
7 served for about three years on the committee. So  
8 that is a real contribution not just to FDA, but to  
9 the people of this country. So please join me in  
10 thanking these folks for that and honoring them with  
11 a plaque and, I believe, a letter from our Associate  
12 Commissioner for External Affairs, External Relations,  
13 Sheila Walcoff.

14 So again, please join me in honoring these  
15 folks who have contributed so much.

16 (Applause.)

17 (Whereupon, the plaques were distributed.)

18 DR. GOODMAN: So, again, thanks,  
19 everybody.

20 (Applause.)

21 DR. FREAS: We did have a photographer,  
22 and we may call you back during a break for a picture,  
23 but the photographer apparently is not at the correct  
24 hotel.

25 Thank you.

1 CHAIRPERSON PRIOLA: Okay. Thank you very  
2 much, Dr. Goodman.

3 Speaking for myself, it has been a real  
4 pleasure and privilege serving on this committee, and  
5 I have learned a lot from doing so, and I think that's  
6 true of everybody else here.

7 I think we should go ahead and get started  
8 with the informational presentations. I just want to  
9 remind the committee that these are informational  
10 presentations for our use only. It is really not a  
11 voting topic. These aren't discussion topics. This  
12 is just to sort of update the committee on the state  
13 of things in the testing world today primarily.

14 So what I'm going to do is have the  
15 speakers give their talks and save the questions to  
16 the end in order to try to keep to time.

17 So our first presentation is from Dr.  
18 Lawrence Elskén from the USDA.

19 DR. ELSKEN: Well, good. That wasn't a  
20 very good start the first time around anyway.

21 The relationship between license test kits  
22 and enhanced surveillance is that the test kits are  
23 being used to increase the throughput and to provide  
24 the enhanced surveillance that's ongoing at this time.

25 Just since I was first up, I thought I'd

1 briefly do the prions or abnormally folded proteins,  
2 not a virus or bacterial. There is no known host  
3 immune response. However, you can produce antibodies  
4 across species. So there are polyclonal and  
5 monoclonal antibodies, which is an essential component  
6 of the kits.

7 There's two forms of the prion protein,  
8 the normal, the PrPc on most cells, although high  
9 concentration in neural tissue, and then the  
10 infectious form, which is relatively resistant to  
11 disinfectant, sterilization, and proteinases, and  
12 accumulates and kills neural cells.

13 There's at this time no effective live  
14 animal test for BSE. All the tests currently use  
15 brain tissue, neural tissue. The first and gold  
16 standard test is immunohistochemistry, IHC, which  
17 combines histopathology with an antibody demonstration  
18 of the presence of a proteinase-resistant protein.

19 Negative tests do not guarantee the  
20 absence of infectivity, and the tests are not intended  
21 as a food safety test. So the histology,  
22 immunohistochemistry is basically an ELISA where the  
23 fixed tissue is reactive with an antibody to PrP. The  
24 tissue has been treated to remove the proteinase  
25 susceptible normal form, and then there's an antibody

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 precipitate on the slide.

2           The rapid tests are in various formats,  
3 enzyme linked immunoassay, ELISA, EIA and Western  
4 blots. The rapid tests are generally more rapid.  
5 They are associated with occasional false positive  
6 initial reactions, especially in the ELISA. The  
7 Western Blot test of the rapid test has a lower  
8 throughput, is slower, and is more involved than the  
9 ELISA. It provides another measure of confirmation  
10 that what you're looking at is the infectious prion on  
11 a size basis, and it provides some information on  
12 possible variants of BSE, and there are some recent  
13 publications on that coming from Europe and Japan.

14           All of the approved tests have excellent  
15 sensitivity and specificity, but they are only  
16 intended as screening tests, and I think I say that  
17 twice more on upcoming slides.

18           The immunohistochemistry I think I've  
19 already mentioned adds the immunologic confirmation,  
20 and it can have positive results before you're getting  
21 some of the classic spongiform lesions, and basically  
22 it is our gold standard test so there can be no false  
23 positives. Again, it can be negative and experimental  
24 inoculations. It generally requires several days to  
25 complete the test.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And the lower section you can grossly see  
2                   that the blue is the normal and then the pink is where  
3                   there is a precipitate reacting with the abnormal  
4                   protein.

5                   This is just to let you know, well, we'll  
6                   let industry know and the general scientific public  
7                   and the public in general that, yes, we will consider  
8                   licenses for rapid tests for BSE as a disease of  
9                   animals at the Center for Biologics.

10                   So a brief background on why the USDA is  
11                   licensing these kits is all veterinary biologics are  
12                   regulated and reviewed and licensed by the USDA.  
13                   Veterinary biologics include diagnostic test kits  
14                   intended for use in the diagnosis of disease in  
15                   animals, and just our authorizations.

16                   So what makes a regulated test? Because  
17                   we do not regulate reagents or media or bacterial  
18                   growth or things like that.

19                   The diagnostic test kit contains all the  
20                   reagents required to do the test, complete  
21                   instructions for the test, instructions to interpret  
22                   the test, and claims, uses and limitations. They're  
23                   used to diagnose the existence of disease usually,  
24                   although there are some tests coming on to indicate  
25                   susceptibility to disease agents, and as I said,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reagents are not regulated.

2 Our pre-license assessment includes  
3 accuracy and precision, diagnostic sensitivity,  
4 specificity. The ruggedness and repeatability gets  
5 into to demonstrate that at various labs it will  
6 produce consistent results, and in the prelicense  
7 process, you're generating the predictive diets  
8 (phonetic) for the test.

9 So the prelicense validation involves  
10 testing large numbers of known positives and  
11 negatives. These are general slides just on  
12 diagnostic test kits. So there aren't antibody test  
13 kits for the TSEs, but just in general this is the  
14 format that we're looking at companies to follow, and  
15 the gold standard in the BSE test has been  
16 immunohistochemistry.

17 The problem, if you will, with the TSEs  
18 and using neural tissues is that unlike a serologic  
19 test where you can have animals and do repeat  
20 sampling, you only get one sampling per animal on the  
21 TSE test kits. So we have a little bit of problem  
22 with that second point determining the onset of  
23 detection of disease.

24 The manufacturing controls is to minimize  
25 within serial. So bottle to bottle and serial to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 serial variation. There's controls on the method of  
2 production. There's controls on the inputs that are  
3 used to produce the test kits, and there's a serial  
4 release process that we maintain in the USDA where  
5 each batch, lot or serial of product needs to be  
6 submitted to the USDA for testing and then released  
7 after that testing.

8 And the TSE test kits licensed by the USDA  
9 are all in 100 percent confirmatory testing. So we'll  
10 be testing them as long as I can see.

11 And the serial test panel is usually  
12 generated by the USDA for use in these test kits by  
13 all manufacturers. So there's a standardization  
14 there.

15 We're also inspecting the manufacturing  
16 facilities. We do some more extensive prelicense  
17 testing of the serials and the seeds and the materials  
18 that go into the product, and we review and approve  
19 all labeling.

20 We have a slightly different terminology  
21 for foreign manufacturer versus domestic manufacturer.  
22 Foreign manufacture kits are issued permits, and  
23 there's a responsible U.S. party. We've issued three  
24 permits for BSE test kits to Bio-Rad, France, Abbott  
25 Laboratories for Enfer, Enfer's polyclonal ELISA.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 That's the only polyclonal product of the seven that  
2 are licensed. And the Roche is a permittee for  
3 Prionics, Switzerland.

4 There's four U.S. manufacturers that have  
5 been issued licenses. IDEXX is an immunoassay.  
6 Pierce, which is basically producing the Prionics kit,  
7 has sublicensing the situation. And Pierce is also  
8 manufacturing and exporting basically the Prionics kit  
9 back to Europe as a manufacturer.

10 And then VMRD in Washington State has an  
11 export only immunohistochemistry kit. Canada  
12 evaluated that and reported on that a few years ago.

13 Okay. So for the format of the technique,  
14 all use obex tissue. You purify the normal or  
15 abnormal, and abnormal together PrP protein. There's  
16 a treatment to remove it. It's removed in an  
17 immunologic sense. So it might be denatured. It  
18 might be digested with proteinase. So that the normal  
19 PrP will not react anymore with the antibody that's  
20 used as an indicator for the presence of the abnormal.

21 The Western Blot adds an additional step  
22 to separate protein basically by molecular weight,  
23 transferred to nitrocellulose, and then react with the  
24 antibody to the PrP. And then you develop the color.

25 Diagnostic Center development, there's a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 lot of things being done. Of course, the ultimate  
2 goal always seems to be a "cowside" or an animal-side  
3 test that will give you an answer in about a  
4 millisecond.

5 So this just basically has got a Website  
6 on there. If you have additional questions or would  
7 like some more information, it's available there.

8 The enhanced surveillance program or  
9 expanded surveillance program began June 4th, 2004.  
10 The purpose is to determine if BSE is present in the  
11 United States and to determine if risk management  
12 policies are adequate, but it is for animal health and  
13 not food safety.

14 Guiding our decision of what animals to  
15 test, and our risk analysis has been the experience in  
16 the European Union. As you can see, the emergency  
17 slaughter, that EM slaughter category is about 1,000-  
18 fold more positive animals than the healthy adult  
19 cattle as far as percent positive on test.

20 And, again, the suspect category is  
21 astronomical.

22 So the experience has demonstrated that  
23 targeting surveillance efforts at certain high risk  
24 populations is the most effective way to identify BSE.  
25 Estimates that the U.S. high risk population is about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 446,000 cattle. These are further broken down into  
2 about 246,000 on-farm deaths with unexplained causes  
3 or causes consistent with BSE in a population that's  
4 consistent with the possibility of being positive for  
5 BSE.

6 Two hundred thousand ante-mortem  
7 condemnations, and then your highest risk would be  
8 your foreign animal disease investigations for CNS  
9 diseases where there's reason to believe in an adult  
10 cattle.

11 So the majority of the samples for the  
12 enhanced surveillance program are going to be coming  
13 from nonambulatory cattle, cattle with CNS disorders,  
14 other signs associated with BSE such as emaciation and  
15 injury, and dead cattle.

16 And USDA personnel will also sample all  
17 cattle condemned on ante-mortem inspection by USDA's  
18 Food Safety Inspection Service.

19 And the risk analysis is basically the  
20 outcome of that, is that if we sample about 250,000  
21 high risk cattle and no positives are found, then we  
22 can be 99 percent confident that there were less than  
23 five positive animals in the entire target population.

24 For the much more extensive background on  
25 the enhanced surveillance plan and inferences in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 risk analysis, I provided the Website.

2 And just to update you as to where we are  
3 as of yesterday or earlier this week, no positive BSE  
4 test results in the enhanced surveillance program. We  
5 did have a not negative ELISA test result that caused  
6 a bit of a stir early on in the program.

7 Cumulative tests are approaching 80,000.  
8 We're testing well over 5,000 a week at this point.  
9 So we're well on track to get the 280 or so thousand  
10 samples within the 18 month goal.

11 And if you want to see week-to-week  
12 updates, we've provided the Website there where those  
13 are posted.

14 And with that I'm finished.

15 CHAIRPERSON PRIOLA: Thank you, Dr.  
16 Elsken.

17 Our next speaker will be a recently  
18 retired member of this committee, Dr. Lisa Ferguson.

19 DR. FERGUSON: Good morning. Actually  
20 that sounds odd, "recently retired." I wish I could  
21 retire completely because there's so much more I would  
22 like to do, but anyway, glad to be here this morning.

23 I am going to go over a bit of the world  
24 situation in regards to BSE and what some of our  
25 response has been to that. Larry and I are also doing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 a bit of a tag team on surveillance. So I will hit  
2 on, again, a few of the high points of what we've done  
3 for surveillance because we recognize there's lots of  
4 questions and confusion out there about what we've  
5 been doing since June 1st and why.

6 So let's talk about the entire world  
7 situation. Just total cases, greater than 188,000  
8 total cases since the beginning of this entire thing.  
9 Just a reminder that the vast majority of those are  
10 still found in the U.K., greater than 96 percent.  
11 Actually I think it's closer to 97 percent.

12 If you want to have a fairly up to date  
13 Website that lists current reported totals of detected  
14 disease, the OIE maintains their Website fairly  
15 frequently, and as countries report those numbers, OIE  
16 does post those, and that is their Website right  
17 there. You can actually get it in English, French, or  
18 Spanish. Take your pick.

19 Just to show you some of the numbers, I  
20 realize this is probably a busy slide and too tiny  
21 print for folks to see, and I just now realized also  
22 the red print doesn't show up real well, does it?  
23 Anyway, down there in the lower right-hand corner, the  
24 total U.K. cases is close to 184,000. Compare that  
25 with all of the rest of the world, which is non-U.K.,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about 5,000. That is, you can see some countries  
2 stand out with higher numbers of cases. In general  
3 terms, those are countries that found their first  
4 cases back in the late '80s, 1989, 1990, with a few  
5 exceptions. Some of the European countries that first  
6 identified their cases in 2000, 2001 like Spain and  
7 Portugal, actually their numbers have climbed up  
8 fairly quickly.

9 The European Union posts very detailed  
10 summaries of their test results on an annual basis on  
11 their Website, and just to look at their summary  
12 testing in 2003. Now, the numbers that I'm quoting  
13 here will be for the 15 member states. Their 2003  
14 report also does include some numbers for the ten  
15 additional member states that have recently joined the  
16 union, but these are just for the EU 15. So they've  
17 tested close to 10 million cattle in 2003, and of  
18 that, the vast majority were apparently normal health  
19 cattle presented for slaughter greater than 30 months  
20 of age. So 8.7 million of that were healthy animals  
21 presented for slaughter.

22 Out of that, about 1,300 positive cases.  
23 But the significant point is you compare 2003 to 2002.  
24 You can also go back and compare to 2001, but their  
25 number of cases and their overall prevalence decrease

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 by about 35 percent as compared to 2002.

2 Now, prevalence, when I'm using that term,  
3 that's number of cases per million adult animals, and  
4 that's detectable prevalence. So that does  
5 demonstrate that the control measures that they have  
6 put in place do seem to be having some effect.

7 And let's look a bit and pull out just a  
8 few countries just to do some comparison. So the  
9 total estimated adult cattle population in the EU 15,  
10 about 39 million. Out of that, you know, 1,300  
11 positives. So that's a prevalence of about 35  
12 percent. Compare that to 2002, which was 53.

13 But you look at individual countries. The  
14 numbers are slightly different. As you can see, let's  
15 look at France. With a higher cattle population,  
16 close to 11 million, 138 positives in 2003, and their  
17 prevalence is still decreasing.

18 Portugal actually is interesting. Their  
19 prevalence seems to be increasing a bit. The U.K.,  
20 prevalence continues to decrease dramatically every  
21 year.

22 We talk a bit about country status and how  
23 different assessments of country status have been  
24 done. There is a wide variety of those out there.  
25 One of the most commonly talked about and known is the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 European Union has done what they call geographical  
2 BSE risk assessments, or GBR. This was actually  
3 initially started in 1998 under the auspices of the  
4 Scientific Steering Committee.

5 They completed that initial round of  
6 assessments in 2000 still under the SSC, and the way  
7 this methodology was set up, it categorized countries  
8 into one of four levels, Level I being BSE is very  
9 unlikely to occur; Level IV being BSE occurs at a high  
10 incidence.

11 At that point in time, the U.S. was  
12 considered GBR, Level II in 2000.

13 The commission requested that several  
14 reassessments be done. They didn't redo all of the  
15 assessments that they did initially in 2000. I think  
16 they are in the process of redoing quite a few more of  
17 those primarily due to additional findings of BSE in  
18 additional European countries and elsewhere in 2001  
19 and later.

20 These recent assessments have been done  
21 not under the auspices of a Scientific Steering  
22 Committee. That committee is no more, but it's now  
23 under the auspices of the European Food Standards  
24 Agency. Hopefully I got that right. I always get it  
25 mixed up.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Anyway, in this initial or reassessments  
2           recently, U.S., Canada and Mexico, all of North  
3           America, has been put in Level III. South Africa also  
4           was put into Level III. Interestingly enough, our  
5           Australian colleagues, they remain at Level I, and I'd  
6           encourage folks if you're interested in reading some  
7           of those and doing some comparisons, it's actually  
8           very interesting to see how those conclusions were  
9           reached.

10           You can rad their entire report. It's  
11           posted on their Websites.

12           Level III actually is BSE is likely to  
13           occur or occurs at low incidence level.

14           Now, the OIE, which is the world  
15           organization for animal health, also has guidelines  
16           for evaluating country status, and they have five  
17           categories of countries: free, provisionally free,  
18           minimal risk, low incidence and high incidence, and  
19           the OIE a couple of years ago offered the opportunity  
20           for countries to submit information, and the OIE would  
21           put together an ad hoc panel to review this  
22           information and determine if countries could be  
23           considered free or provisionally free.

24           Several countries submitted information,  
25           and there were some questions, some concerns raised

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with the initial assessments, and they have kind of  
2 redone the process a bit. They did finalize that  
3 process for four of those countries, and at last May's  
4 general session officially recognized four countries  
5 as provisionally free: Argentina, Iceland, Singapore  
6 and Uruguay.

7 Now, there were some countries that  
8 initially put some information in, but pulled out of  
9 the process and didn't finish the process. So just to  
10 make the point with the OIE, that is determinant on a  
11 country sending in information and specifically  
12 requesting that that be considered.

13 So what has USDA-APHIS done in regards to  
14 any of these reports of disease? Various things. Our  
15 import regulations are contained in Title IX, Code of  
16 Federal Regulations, Parts 93 to 98. Specifically  
17 probably of interest to this committee, Part 9418  
18 contains what we call the BSE restricted list. These  
19 are those lists of countries that are either affected  
20 with BSE or that we consider to present an undue risk  
21 of BSE.

22 After Canada found their first case in May  
23 2003, we did put Canada in that list of countries  
24 affected with BSE.

25 In November of last year, we did publish

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a proposal to change this section of the regs. along  
2 with other sections of the regs., but our proposed  
3 rule essentially was creating another categories, a  
4 minimal risk category of BSE. The proposal outlined  
5 import conditions for certain animals and products  
6 from countries that would be in that minimal risk  
7 category. We also proposed placing Canada in that  
8 category.

9 That comment period was open until after  
10 the first of the year. After the finding of the case  
11 in Washington State, we let the initial comment period  
12 expire. We then reopened that comment period this  
13 spring. It is closed again.

14 We have more than 3,300 comments, some  
15 very substantive comments that we're continuing to  
16 review and analyze, but this is a priority for us to  
17 somehow finalize this regulation here in the near  
18 future.

19 A brief summary of the Canadian situation.  
20 As everybody knows, two indigenous cases identified.  
21 The case in May 2003, and then the cow that stole  
22 Christmas, the December 2003 case actually diagnosed  
23 in Washington, but this cow was confirmed to be  
24 Canadian in origin.

25 They've done extensive epi investigations

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on each of these and have taken additional measures;  
2 as probably folks know, in June 2003, did institute  
3 SRM removal in the human food chain.

4 They have had a feed ban in place since  
5 1997 essentially the same as ours and put in place at  
6 the same time. As we are, they are also considering  
7 additional animal feed restrictions at this point.

8 They have instituted increased  
9 surveillance. As we have done, their surveillance has  
10 traditionally been targeted at high risk animals, and  
11 their goal is to obtain 8,000 samples here in 2004,  
12 and they're ramping up their surveillance and hope to  
13 then obtain about 30,000 samples in 2005.

14 And with comparing adult cattle  
15 populations essentially it would be considered  
16 equivalent to the efforts that we're trying to do.  
17 They are on track for their goal in 2004 with more  
18 than 6,300 samples today.

19 The committee has heard a lot of this  
20 information back in February, but just to summarize  
21 again, actions that our colleagues in FSIS have taken  
22 for public health preventive measures in response to  
23 the North American situation. These were all  
24 published in the Federal Register in January 12th as  
25 interim final rules or as policy notices.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   Essentially, prohibit nonambulatory,  
2 disabled animals for human consumption. These animals  
3 are condemned on ante mortem inspection. Specified  
4 risk materials prohibited from the human food chain.  
5 Mechanically separated meat prohibited from human food  
6 and also have additional process controls on advanced  
7 meat recovery product, and if samples are taken from  
8 animals presented for inspection for our BSE  
9 surveillance, that carcass is not passed for  
10 inspection until negative test results are received.

11                   And then just to hit a few high points  
12 again, on our surveillance plan, I can't stand not to  
13 talk about it. As Larry has said, our goal is obtain  
14 as many samples as possible from the targeted high  
15 risk population in a 12 to 18 month period. We did  
16 get started on June 1st, and we are targeting the  
17 population where disease is most likely to be  
18 diagnosed, and this is the most efficient way to find  
19 the disease if it is present in the U.S.

20                   Our assumption is if we can't find disease  
21 in this targeted population or the most likely  
22 population, we would be even more unlikely to find it  
23 in the non-targeted population or the healthy animal  
24 population.

25                   We will be able hopefully to use the data

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that we obtained to extrapolate the information to the  
2 broader cattle population. There are several  
3 different ways to do that. We're looking at lots of  
4 different options to be able to do that, but what we  
5 can take is, okay, the statistic that Larry quoted:  
6 if we get 268,000 samples, we'll be able to say, okay,  
7 in this targeted high risk population, that means  
8 there's no more than five cases in that population.  
9 Then we can extrapolate that to the broader either  
10 adult cattle population or entire cattle population,  
11 depending on how you want to do it.

12 Just again a summary of what our targeted  
13 population is and those entities that we're working  
14 with to obtain this. I would like to emphasize there  
15 still seems to be a lot of confusion out there that  
16 people think an inspected slaughterhouse is the only  
17 place where we can have access to these animals.

18 There are lots of the animal disposal  
19 chain with rendering facilities, dead stock  
20 facilities, non-inspected slaughter facilities,  
21 salvage slaughter facilities. We've been working with  
22 these type of facilities all the way along, and that's  
23 where our targeted population generally shows up.  
24 These are the animals. They're not clinically normal,  
25 apparently healthy looking animals.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So we're working with these other  
2 facilities. These are nonambulatory animals, animals  
3 that die on the farm for unexplained reasons, any type  
4 of field central nervous system cases or on-farm  
5 suspects.

6           We work with veterinary diagnostic labs as  
7 they get odd neurological cases, other cases that  
8 might fit a clinical picture, working with the public  
9 health laboratories. As they get rabies negative  
10 samples, they can forward those tissues on to us, and  
11 then as Larry mentioned, we are working with our  
12 colleagues in FSIS and all the animals that are  
13 condemned on ante mortem and slaughter are sampled.

14           Just to emphasize where we've been in the  
15 past and where we are now, these are summary charts  
16 through the end of May of this year, and the past two  
17 years we are looking at approximately 20,000 samples  
18 a year. Up through May of this fiscal year we had a  
19 bit more than 17,000 samples.

20           Just to show you what populations those  
21 were coming from in the past, primarily dead stock  
22 downers. The yellow line are the total samples. The  
23 purplish line were those nonambulatory animals, and  
24 the blue line were dead stock.

25           Just our numbers again. Total numbers

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 conducted since the start of June through October  
2 10th. All of these have had negative results. We did  
3 have two inconclusives on the rapid screening tests.  
4 If they get a reactive test, those samples are  
5 immediately forwarded to our National Veterinary  
6 Services Laboratory for confirmatory testing. They  
7 are deemed to be inconclusive on that initial rapid  
8 screening test.

9 Confirmatory testing is done then with  
10 immunohistochemistry or Western Blot, depending on  
11 what type of tissue we have.

12 And just to show you our graph that shows  
13 we are making progress, these are tests conducted per  
14 week. What we've tried to project is to reach our  
15 goal we need to be at about 5,000 samples a week at a  
16 sustained level, and we've been at that level with a  
17 little minor glitch there over holiday weekends since  
18 essentially the first part of October.

19 So we feel like we're doing really pretty  
20 good, and we're on track to meet our goal, and  
21 hopefully we'll have some very good data to analyze  
22 here in about a year.

23 We do have lots of information up on our  
24 Website. I'd encourage folks to read through that,  
25 and if you've got questions, let us know.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Thank you very much.

2 CHAIRPERSON PRIOLA: Okay. Thank you, Dr.  
3 Ferguson.

4 The last speaker for this informational  
5 portion will be Dr. Pritchett.

6 DR. PRITCHETT: Good morning. I'm Burt  
7 Pritchett with the Division of Animal Feeds and FDA's  
8 Center for Veterinary Medicine.

9 Before I update the committee on the  
10 status of our efforts to strengthen the BSE feed  
11 regulation, I would like to just briefly review the  
12 feed ban that is currently in place.

13 The current feed ban went into effect in  
14 1997. It prohibits feeding mammalian protein with  
15 some exceptions to ruminant animals. Those are  
16 exceptions are blood and blood products, milk and milk  
17 products, gelatin, porcine or equine material that has  
18 been obtained from a single species slaughter  
19 facility, and plate waste.

20 In addition to prohibiting the use of  
21 mammalian protein and ruminant feed, the regulation  
22 requires that those firms that handle prohibited  
23 material and also make ruminant feed for feed  
24 ingredients intended for ruminants, either maintain  
25 separate equipment or facilities or else use clean-out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 procedures adequate to prevent cross-contamination.

2 It requires that records be maintained  
3 sufficient to track prohibited material throughout  
4 receipt, processing, and distribution, and it requires  
5 that products that contain prohibited material be  
6 labeled with a caution statement "do not feed to cattle  
7 or other ruminants."

8 FDA's latest action to strengthen the feed  
9 ban was to publish an advanced notice of proposed  
10 rulemaking jointly with SUDA on July 14th, 2004. In  
11 the ANPRM FDA announced its intention to propose  
12 banning SRMs from animal feed.

13 FDA also asked for public comment on feed  
14 controls recommended by the international review team.  
15 This is the subcommittee of the international BSE  
16 experts convened by the Secretaries, Foreign Animal  
17 and Poultry Disease Advisory Committee, and we ask for  
18 comments on other new feed control measures being  
19 considered by FDA.

20 The comment period for FDA's questions  
21 closed on August 13th. The feed controls recommended  
22 by the international review team were that, one, all  
23 SRM should be excluded from all animal feed, including  
24 pet food; that cross-contamination should be prevented  
25 throughout the feed chain, including transportation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and on the farm; and that the current feed ban should  
2 be extended to exclude all mammalian and poultry  
3 protein from all ruminant feed.

4 With respect to a ban on SRMs in animal  
5 feed, FDA asked for comment on the following. Should  
6 the list of SRMs prohibited in animal feed be the same  
7 list that's now prohibited in human food?

8 What portion of the intestine should be  
9 considered SRM?

10 What are the economic and environmental  
11 impacts of an SRM ban?

12 And what methods can be used to mark  
13 materials that contain SRMs and what methods can be  
14 used to verify non-feed disposal?

15 Dead stock and nonambulatory, disabled  
16 cattle, also known as downers, are among the highest  
17 risk cattle population. So an SRM ban would exclude  
18 these two categories from being rendered for us in  
19 animal feed.

20 In the ANPRM, FDA asked for information on  
21 the economic and environmental impact of banning deers  
22 and downers. We asked if SRMs can be effectively  
23 removed from deers, and we asked what methods could be  
24 used to verify that feed does not contain rendered  
25 material derived from dead stock.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           In addition to the information requested  
2           on SRMs, FDA asked what the risk reduction would be  
3           and what the economic and environmental impacts would  
4           be of other new feed measures being considered.  
5           These other measures include requiring that equipment  
6           and facilities used to handle prohibited material be  
7           dedicated to the production of non-ruminant feed;  
8           removing the exemptions in the current feed ban for  
9           blood and plate waste; prohibiting the use of poultry  
10          litter in ruminant feed.

11           We asked if tallow derived from rendering  
12          SRMs and dead stock poses a significant BSE risk, if  
13          the insoluble impurities level is less than 0.15  
14          percent, and we asked what would be the risk reduction  
15          and the economic and environmental impacts of the  
16          IRT's recommendation to ban all mammalian and avian  
17          meat and bone meal from ruminant feed.

18           FDA also asked for views on whether these  
19          other feed controls are needed if SRMs are banned from  
20          animal feed.

21           As announced in the ANPRM, FDA is focusing  
22          first on a proposal to ban SRMs from animal feed. CVM  
23          has completed review of those comments that pertain to  
24          an SRM ban. Approximately 1,500 individuals and  
25          groups took the time and effort to express their views

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 and provide substantive information which we very much  
2 appreciate.

3 Approximately 1,400 of those were from  
4 individuals, mostly form letters. One hundred were  
5 from groups. These were primarily trade associations  
6 and individual firms in the meat rendering and animal  
7 feed industries, livestock associations, consumer  
8 groups, state Departments of Agriculture, and other  
9 regulatory agencies.

10 The agency is still working on the  
11 proposed rule to remove SRMs from animal feed, and I  
12 don't know what the time frame is for publication of  
13 the proposal. Once work is done on the proposal, CVM  
14 will review the comments that address the other beef  
15 controls being considered.

16 Banning SRMs from animal feed is much more  
17 complex both from a regulatory perspective and an  
18 industry perspective than banning SRMs from human food  
19 because it requires new infrastructure for sorting,  
20 transportation, disposal, and regulatory oversight.

21 Recognizing that this infrastructure might  
22 be lacking, the international review team said in  
23 their report that a staged approach might be necessary  
24 for implementation.

25 These diagrams help illustrate the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 infrastructure challenges starting here with how  
2 slaughter byproducts are currently disposed of in the  
3 U.S. Estimates used are from the environmental  
4 assessment that accompany FDA's interim final rule on  
5 use of materials derived from cattle in human food and  
6 cosmetics.

7 Slaughter data from 2003 show that we  
8 slaughter 28.2 million steers and heifers that go to  
9 slaughter at a young age, and 7.1 million older beef  
10 and dairy cows plus a small number of bulls in the  
11 older animal category.

12 Both types of slaughter combined generate  
13 about 15 billion pounds of inedible byproducts. This  
14 material goes to inedible rendering where it's  
15 rendered into fats for industrial and feed use and  
16 meat and bone meal which is used in feed for  
17 nonruminant species.

18 The USDA and FDA interim final rules  
19 published in 2004 identified as SRMs, tonsils and  
20 small intestine from young animals, and brain, skull,  
21 eyes, trigeminal ganglia, spinal cord, and the  
22 vertebral column, including the dorsal root ganglia,  
23 from older animals.

24 Excluding these tissues from human food  
25 did not substantially change the disposal of this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 material and did not require new infrastructure  
2 because the tissues are eligible to be rendered for  
3 use in feed for non-ruminant species.

4 Besides the slaughter byproducts, cattle  
5 mortalities, including some of the downers no longer  
6 eligible to go to slaughter also go to rendering. We  
7 have some differences in the estimates here which I  
8 will explain, but according to the estimates that FDA  
9 used in the environmental assessment, the combined  
10 cattle mortalities from those under 30 months of age  
11 and those over 30 months of age adds another .7  
12 billion pounds of material that goes to inedible  
13 rendering.

14 Not all cattle mortalities are collected  
15 by the rendering industry. What is not collected by  
16 renderers is disposed of by various other means,  
17 mostly by on-farm burial, composting or landfill.

18 There is general agreement on the  
19 estimates of the number of cattle mortalities in the  
20 U.S. However, estimates from Informa Economics,  
21 formerly the Sparks Company, say that renderers  
22 collect around 50 percent of the mortalities rather  
23 than the 20 to 25 percent estimate used by FDA, and  
24 that's indicated in the footnote there.

25 According to Informa estimates, an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 additional 500 million pounds is rendered rather than  
2 being buried or composted on the farm or landfilled.  
3 So using Informa estimates, about 16.2 billion pounds  
4 of cattle mortalities go to rendering and about 1.5  
5 billion pounds goes to other disposal.

6 Assuming that an SRM ban gets proposed as  
7 a full SRM ban, we would still have 13.5 billion  
8 pounds of inedible byproducts going to rendering for  
9 non-ruminant feed.

10 I say full SRM ban because we received  
11 numerous comments suggesting that we require removal  
12 a subset of SRM tissues to remove a percentage of the  
13 potential infectivity at a fraction of the cost. For  
14 example, remove about 90 percent of the infectivity by  
15 requiring removal of brain and spinal cord only from  
16 cattle over 30 months of age.

17 Diverting the human list of SRMs from all  
18 animal feed will necessitate special disposal of 1.4  
19 billion pounds of material no longer eligible to be  
20 rendered for animal feed. This is composed of tonsils  
21 and small intestine weighing 28 pounds, from 28  
22 million head or 804 million pounds, and the longer  
23 list of SRMs from older cattle weighing 88 pounds from  
24 7.1 million animals for 624 million pounds.

25 In addition, a full SRM ban would require

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that the .7 billion pounds of cattle mortalities also  
2 diverted to special disposal, assuming that the SRM  
3 ban does not alter the proportion of deads that were  
4 disposed of by rendering. This brings the total  
5 volume of material going to special disposal to 2.1  
6 billion pounds.

7 Options usually mentioned for non-feed  
8 disposal are landfill or rendering for volume  
9 reduction and then landfill, incineration, alkaline  
10 digestion, or biofuel productions.

11 So this is a brief overview of the  
12 challenges of putting an SRM ban in place. There's a  
13 lot of work to be done, a lot of details to be worked  
14 out before a final rule can be published and an SRM  
15 ban can be implemented.

16 CHAIRPERSON PRIOLA: Okay. Thank you, Dr.  
17 Pritchett.

18 Are there any questions from the committee  
19 for any of the speakers this morning: Dr. Elsen --  
20 yes, Dr. Bailar.

21 DR. BRACEY: Yes. I had a question  
22 regarding the testing. There certainly is lots of  
23 work that has been done in terms of prelicense  
24 testing, but in essence, having the test in the field  
25 is somewhat of a different matter, and I assume that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there is a proficiency program that actually tests the  
2 performance of the laboratories performing the assay  
3 in the field, and I'd just like to get some comment on  
4 that.

5 DR. ELSKEN: Yes. There's an approval  
6 process for labs that are using the rapid test.  
7 Actually Dr. Jenny could probably talk a lot more  
8 about that process, but it involves proficiency panels  
9 and, you know, procedures in place.

10 DR. FERGUSON: Actually before Al jumps  
11 in, I'll also add a few more details. At this point  
12 we have seven state-federal labs that are working with  
13 us. We will be bringing on an additional five labs so  
14 that we're not talking a huge number of labs at this  
15 point in time.

16 We did initial approvals in proficiency  
17 tests in these labs. We are doing ongoing proficiency  
18 testing. We're also looking at their raw data, their  
19 OD value, just to see if there's anything that's  
20 really funky or off the wall.

21 Al, do you want to add anything?

22 DR. JENNY: Well, yeah. We also do  
23 inspections of the labs, go visit, check the facility,  
24 and look at their SOPs.

25 CHAIRPERSON PRIOLA: Dr. Gambetti, did you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have a question?

2 DR. GAMBETTI: In one of the slides  
3 presented by Dr. Ferguson, it is entitled "Enhanced  
4 BSE Surveillance." It says all negative results, and  
5 in parentheses two inconclusives. Apparently it  
6 sounds like that if they were inconclusive, they  
7 couldn't really be called negative or maybe I don't  
8 understand exactly the message here.

9 DR. FERGUSON: Yeah, okay. My wording  
10 probably could have been better. I could have said  
11 all negative final results.

12 We did have two inconclusives on the rapid  
13 screening test with confirmatory testing at NVSL.  
14 Those were determined to be negative.

15 CHAIRPERSON PRIOLA: Dr. Bailar.

16 DR. BAILAR: I have a question for all  
17 three speakers, especially Dr. Ferguson, especially  
18 with respect to the international data.

19 Fundamentally about the quality of the  
20 data that we've been hearing, there's been a lot of  
21 statistical data presented, very simple data, counts,  
22 proportions, ratios, and so forth. And I'm wondering  
23 about a general sense of the quality of the sampling,  
24 the testing that's used, especially in other places;  
25 the possibility of covert diversion of sick animals on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the farm; even suppression of evidence.

2 What level of confidence can we place in  
3 the numbers we've heard there?

4 DR. FERGUSON: I'll jump up and be the  
5 first victim.

6 I think in most instances we can have a  
7 pretty good level of confidence in the information.  
8 I know especially the European information. They have  
9 done quite a bit with testing, quite a bit with  
10 legislation and mandating testing.

11 There are always opportunities for certain  
12 ways of diversion, but when you set up a surveillance  
13 program, as long as you're maintaining access to a  
14 wide variety of challenges or a wide variety of  
15 facilities, you should be able to get a good idea and  
16 a good, representative sample of whatever population  
17 you're looking for.

18 I think if you look at their numbers,  
19 especially from 2001, 2002, and 2003, they are getting  
20 a valid sample and getting, I believe, a  
21 representative sample.

22 Mo is looking at me like he might have  
23 something additional to say, but I think those numbers  
24 are very solid.

25 I'll go ahead and throw in the Japanese

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 situation. There are always questions about what's  
2 going on in Japan. I'll admit that we have our own  
3 set of questions about how they have done surveillance  
4 in the past and how they're continuing to do  
5 surveillance if it's really meaningful or, you know,  
6 trying to get valid information about detectable  
7 disease. They've been testing everything presented at  
8 slaughter, including veal calves and other animals,  
9 which raises questions about how meaningful those  
10 tests would be, but they are adjusting that and are  
11 doing more sampling in targeted, high risk animals.

12 CHAIRPERSON PRIOLA: Dr. DeArmond.

13 DR. DeARMOND: Probably for you, again,  
14 Lisa. The question I have concerns who is allowed to  
15 test. I don't understand regulations or who can be  
16 approved. For example, can the State of California  
17 test cattle? How would they be approved to do that,  
18 or a boutique slaughter ranch? Could they test to  
19 assure that public that their cattle doesn't have, and  
20 how could they be approved?

21 Is it even possible?

22 DR. FERGUSON: Okay. I'll do part of  
23 that, and then I'll let Larry do part of it.

24 Our policy has been that testing for BSE  
25 is done under our auspices and is done in state-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 federal laboratories. This is a regulatory disease,  
2 and there are certain dramatic actions that would  
3 follow. If positives are found, there are certain  
4 reporting requirements that are best dealt with in a  
5 state-federal animal health regulatory situation.

6 And I'll let Larry talk about authorities  
7 on licensing.

8 DR. ELSKEN: Well, all of the licenses  
9 have been issued with restrictions on distribution,  
10 and they are only allowed to be distributed to labs  
11 that have been approved by NVSL, and we're inspecting  
12 and auditing these records on an ongoing basis.

13 So I suppose a lab could develop their own  
14 immunohistochemistry or histopathology, but on a  
15 statewide basis, but I don't know anything about that.

16 DR. DeARMOND: Could I?

17 One other question concerns whether  
18 strains of BSE have been identified. Is there any way  
19 of separating out BSE of Great Britain that is known  
20 to be transmissible to human from perhaps some wild  
21 type BSE? Any data on that or any way of -- has  
22 anyone approached trying to sort out of that problem?

23 DR. FERGUSON: There are reports of that,  
24 and I'm sure other folks sitting around the table can  
25 also address this. There are publications from Europe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about atypical strains that are very interesting.  
2 Actually these do look different on a Western Blot.  
3 You have different molecular characteristics so that  
4 you can look at that.

5 There are still lots of unanswered  
6 questions about whether these are truly different  
7 strains. You know, are they the same? Are they truly  
8 pathogenic? Are they transmissible to people? Do  
9 they cause disease even in animals?

10 Those are all the unanswered questions  
11 that are still out there.

12 DR. DeARMOND: So basically the cases that  
13 you've identified in the Untied States, do they match  
14 the patterns for the protein as seen in Great Britain?

15 DR. FERGUSON: Yeah. Actually the two  
16 cases, if you look at the blots, et cetera, it does  
17 match the pattern in European BSE.

18 CHAIRPERSON PRIOLA: Hang on just a  
19 minute. Ms. Kranitz had a question.

20 MS. KRANITZ: I apologize if this has  
21 already been answered in Dr. Ferguson's talk. I may  
22 have missed it, but my question is: what about  
23 general random sampling of cattle not falling into the  
24 high risk area? Is that being done?

25 DR. FERGUSON: No, at this point in time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we're focusing our sampling on the targeted high risk  
2 population, and that population where we're most  
3 likely to find disease if it is present. We're  
4 focusing our resources on looking in that targeted  
5 population.

6 CHAIRPERSON PRIOLA: Dr. Johnson.

7 DR. JOHNSON: Lisa, sorry to keep you on  
8 your feet.

9 DR. FERGUSON: Maybe I'll just stay up  
10 here.

11 DR. JOHNSON: Stay up there. That's  
12 right.

13 Now, particularly relevant to this  
14 question of alternate strains of agent, it was  
15 particularly interesting in the Italian cases, the two  
16 cases from which the different agent, the agent that  
17 will be a different strain than the British BSE were  
18 from perfectly healthy cattle, but very aged cattle,  
19 15 and 20, as I recall, years of age. They really  
20 old, old, retired milk cows.

21 And if one is looking for other strains,  
22 possible even less pathogenic strains, are you going  
23 to target that area of looking at the healthy old  
24 animals? You didn't mention that in your target  
25 population.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. FERGUSON: You mean are we going to  
2 target that population?

3 DR. JOHNSON: Yes, in the United States.  
4 That's right.

5 DR. FERGUSON: In the United States?

6 DR. JOHNSON: That's right.

7 DR. FERGUSON: Not at this point in time.  
8 Our goal is just to try to see, okay, do we have  
9 disease here in the U.S., and then if we have some  
10 positives to help put parameters around what a  
11 possible prevalence level might be. Once we get that  
12 first cut, then we'll look at, okay, where do we need  
13 to go from there.

14 DR. JOHNSON: It seems to me that's fine  
15 if you're looking for British BSE. If you're looking  
16 to say is there other kinds of BSE that occur in the  
17 United States, you're not going to answer that unless  
18 you look at healthy older animals.

19 DR. FERGUSON: Well, actually we don't  
20 know that. There could be other strains out there in  
21 the clinically ill older animals, which is what we're  
22 looking at. You know, I don't know that I would  
23 necessarily lead to the conclusion that the only way  
24 you would find, you know, these strains as in the  
25 Italian paper are to look at 15 year old apparently

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 normal dairy animals. I don't think we have enough  
2 information to go there just yet.

3 CHAIRPERSON PRIOLA: Dr. Hogan.

4 DR. HOGAN: I just have some simple  
5 questions. Who and how identifies the cattle that  
6 will be tested? Is it only done by inspectors or is  
7 it voluntary by the owners-managers?

8 DR. FERGUSON: Okay. Some of this gets to  
9 the point that I was trying to make about the type of  
10 facilities that we're working with, and at this point  
11 since our goal is to get samples from as many of the  
12 targeted animals as we can, there's not a whole lot of  
13 a selection process going on. So if our folks are at  
14 a rendering facility, essentially what they're doing  
15 is looking to see, okay, is this animal greater than  
16 30 months of age; is it not, and are getting a sample.

17 So it might be our permanent employees,  
18 APHIS employees at these facilities. We've hired a  
19 lot of temporary employees. We are working with  
20 contractors in some instances. So it's a variety. It  
21 is all under our supervision.

22 DR. HOGAN: How much does one of these  
23 tests cost?

24 DR. FERGUSON: Just the test kit and all  
25 affiliated labor and --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. HOGAN: Well, like a per test cost.  
2 I'm trying to evaluate how much this whole program is  
3 costing. You know, is it 50 cents a test or is it  
4 five dollars a test?

5 DR. FERGUSON: No, actually I'll just go  
6 ahead and throw out the cost that we have used in our  
7 budget figures. For this effort we have obtained 70  
8 million in emergency funds. To run this effort we  
9 probably will need some additional funds on top of  
10 that.

11 Now, that does pay for our personnel,  
12 equipment, et cetera. We figure our total cost for  
13 labor, shipping, the test kit, paying the lab to run  
14 the test is about 130 bucks a test. Just literally to  
15 the lab, we're paying 12 bucks for a test kit and 12  
16 bucks for the lab to run that kit.

17 DR. HOGAN: Thanks.

18 Last naive question. How long from the  
19 time an animal is identified until test results are  
20 obtained?

21 DR. FERGUSON: With the rapid test kit  
22 we're getting essentially a 24-hour turnaround time.  
23 Someone is collecting samples through the day. They  
24 pack those up, ship them off FedEx overnight. They're  
25 getting results back the next afternoon.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Now, in some instances where there's not  
2 an issue with holding the carcass, if that carcass has  
3 been buried, going into the landfill, et cetera, we  
4 are still running some immunohistochemistry testing as  
5 you saw in my slide, and that's not that same  
6 turnaround.

7                   CHAIRPERSON PRIOLA: Okay. Dr. Allen.

8                   DR. ALLEN: Let me follow up on that last  
9 question just with one brief one, and then I've got  
10 another question.

11                  With regard to the 24-hour turnaround, I  
12 assume that that's with the screening test only. If  
13 it's negatively, obviously that's easy. If it's a  
14 presumptive, positive on the screening test, is the  
15 animal then removed from the food chain?

16                  DR. FERGUSON: Okay. Yeah, you are  
17 correct that that 24-hour turnaround is on the rapid  
18 screening test. Let me emphasize that these animals  
19 are not going into the, quote, food chain. These are  
20 all somehow in the animal disposal end of the  
21 industry.

22                  We are holding the carcasses, cold  
23 storage, whatever, somewhere, and that carcass remains  
24 held. We do offer if we get an inconclusive on the  
25 initial rapid screening test, we do offer the facility

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 that will take care of a disposal form if they don't  
2 want to continue to hold it, but that is continue to  
3 hold.

4 If that goes forward on for inconclusive,  
5 immunohistochemistry takes probably another four days  
6 to a week.

7 DR. ALLEN: A question for Dr. Pritchett  
8 and I guess in light of our recent presidential  
9 debate, you know, maybe you can limit your response to  
10 two minutes.

11 (Laughter.)

12 DR. ALLEN: I think this could take days  
13 of discussion.

14 You mentioned the economic and  
15 environmental impacts of some of the additional animal  
16 food chain regulations if they're being implemented  
17 and the infrastructure is developed and so on. A lot  
18 of different players in here and huge economic  
19 impacts.

20 What are some of the different pressures  
21 that are bearing on this other than the attempt to use  
22 scientific information to make the correct decisions,  
23 and how do you see some of this coming out in the long  
24 term?

25 DR. PRITCHETT: Well, you're right. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would be nice to make the decision purely on a  
2 scientific basis. However, my understanding is that  
3 for this to go into effect, it's subject to review up  
4 through the department level and then to OMB, and at  
5 that point, you know, a decision is made on whether  
6 this rulemaking is too costly or needs to be trimmed,  
7 some of the costs need to be trimmed.

8 So at that point we may be asked to reduce  
9 the cost.

10 DR. ALLEN: Yeah, thank you.

11 I think this is an area that needs a lot  
12 of open discussion and debate. I think if you were to  
13 ask the general public, if you were to lay out for  
14 them what all goes into or has in the past gone into  
15 animal food products, much less the human chain when  
16 we talk about all the processed foods and so on, I  
17 think many people would be appalled and public  
18 response might drive some of the decisions.

19 You know, this issue of what's too costly  
20 is a total imponderable, and the magnitude of all of  
21 this is obviously very difficult. I can't begin to  
22 wrap my mind around 15 billion pounds of, you know,  
23 SRM or non-SRM foodstuffs, animal body parts that  
24 might get into the animal food chain. This is an area  
25 that obviously needs a lot of very, very careful

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discussion and decision making.

2 CHAIRPERSON PRIOLA: Okay. We have a  
3 couple of final questions. Dr. Salman.

4 DR. SALMAN: Yeah, this is a question to  
5 Dr. Ferguson.

6 If you could comment about the autolyzed  
7 samples, how is that being tested now?

8 DR. FERGUSON: Okay. Autolyzed samples,  
9 actually we've tried to encourage our collectors to do  
10 their best to primarily collect viable samples. If we  
11 get a sample that is too severely autolyzed to  
12 recognize the tissue location, if you're essentially  
13 pouring it out of the tube, we're considering that a  
14 no test and not running a test.

15 Now, if we get into a situation where we  
16 run and you have a valid sample and you run an initial  
17 inconclusive and for some reason then when it's  
18 forwarded on to NVSL for confirmatory testing, if you  
19 then have an autolyzed sample at that point in time,  
20 we do have Western Blot testing available to us that  
21 we can use on those types of autolyzed samples.

22 But for that initial cut, if you can't  
23 even tell where that's from, we're not even running  
24 the test.

25 CHAIRPERSON PRIOLA: A last question. Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Bailer.

2 DR. BAILAR: We heard, I think, that the  
3 primary goal of the present testing program is to find  
4 out if this agent or these agents are present in the  
5 U.S. For that purpose, a focus on high risk animals  
6 is 100 percent appropriate, but I think that question  
7 has been answered, and it's time to go on now to what  
8 I see is the second question, which is how much.

9 And that question cannot be answered  
10 without testing animals that are not perceived as  
11 being at high risk.

12 What are the chances of getting in some  
13 testing on a stratified sampling plan of animals that  
14 appear to be healthy?

15 DR. FERGUSON: Actually, I would point out  
16 that there are ways to extrapolate information from  
17 the targeted sampling that we're doing and carry that  
18 over to a broader population. So those are different  
19 options that we are looking at.

20 It can be as straightforward as just  
21 looking at ratios based on European data and the  
22 sampling that they have done, to more complicated  
23 models, to evaluate that and to extrapolate  
24 information from one subset of the population to the  
25 broader population.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So all of that is still under  
2 consideration. I would also say that we really at  
3 this point, I don't think we've truly answered the  
4 question whether we have the disease here or we  
5 haven't. That's what we're going through this effort  
6 for. We will have pretty solid details hopefully once  
7 the time we're done with this to help answer that  
8 question.

9           We have given consideration to some  
10 testing of apparently normal animals. That's a  
11 difficult decision to make, and it's a real challenge  
12 to consider in a surveillance program. You have to  
13 look at, okay, what is our goal, what are we trying to  
14 do.

15           We've established our surveillance program  
16 in the most efficient, cost effective way to get done  
17 what we want to do. We will consider other options  
18 depending on available information and the data that  
19 we get, but at this point in time, we're still  
20 focusing on a targeted high risk population.

21           CHAIRPERSON PRIOLA: I think we had better  
22 move on to the open public hearing section, but you  
23 can keep your questions in mind for later.

24           Bill.

25           DR. FREAS: As part of the FDA Advisory

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Committee process, we hold open public hearings to the  
2 members of the public who are not on the agenda who  
3 will have an opportunity to express their comments to  
4 the committee. These include both written and oral  
5 presentations.

6 At this time I've received three written  
7 requests for the public record. They are in your red  
8 folders, and I have a cover sheet like this. They're  
9 available on the outside table upon request, and  
10 they'll also be posted on the Web shortly.

11 They are from a woman in the U.K.  
12 regarding a letter to her husband's consultant on vCJD  
13 and questions for this meeting.

14 The second submission is an E-mail from  
15 Terry Singletary, and the third submission is an E-  
16 mail from Ms. Sachau.

17 These letters are for your reading.

18 We also have five oral requests for  
19 presentations at this morning's meeting. These  
20 presentations will be limited to a maximum of eight  
21 minutes. The presenters are asked to make any  
22 statements that they have regarding financial  
23 affiliations that they have with any products they  
24 wish to comment upon.

25 The presentations will be limited to eight

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 minutes. You have an option if you're one of these  
2 speakers. You can either advance the slides yourself  
3 up here or you can have the AV team advance the slides  
4 for you. It's just when you come up to the podium,  
5 you have to let us know whether you want to operate  
6 your own slides or whether you want to say "next  
7 slide," and have somebody advance them for you.

8 Dr. Priola, would you read the required  
9 statement for this meeting?

10 CHAIRPERSON PRIOLA: Both the Food and  
11 Drug Administration, FDA, and the public believe in a  
12 transparent process for information gathering and  
13 decision making. To insure such transparency at the  
14 open public hearing session of the Advisory Committee  
15 meeting, FDA believes that it is important to  
16 understand the context of an individual's  
17 presentation.

18 For this reason FDA encourages you, the  
19 open public hearing speaker, at the beginning of your  
20 written or oral statement to advise the committee of  
21 any financial relationship that you may have with any  
22 company or any group that is likely to be impacted by  
23 the topic of this meeting.

24 For example, the financial information may  
25 include the company's or a group's payment of your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 travel, lodging or other expenses in connection with  
2 your attendance at the meeting.

3 Likewise, FDA encourages you at the  
4 beginning of your statement to advise the committee if  
5 you do not have any such financial relationships. If  
6 you choose not to address this issue of financial  
7 relationships at the beginning of your statement, it  
8 will not preclude you from speaking.

9 DR. FREAS: Okay. Our first request is  
10 from Abbott Laboratories, Dr. Figard will be the  
11 presenter.

12 DR. FIGARD: Good morning. My name is  
13 Steve Figard. I am an employee of Abbott  
14 Laboratories, and I've been working with Enfer  
15 Scientific out of Ireland for the last three or so  
16 years, working with them on their assay.

17 What I wanted to briefly do today is just  
18 give you an overview of how the Enfer BSE assay works.  
19 The primary focus is on our recent work on automating  
20 what we call the front end of the assay, which I'll  
21 show in the next slide, and then give you a brief data  
22 review from an external evaluation that's been ongoing  
23 in Europe at this point in time.

24 At this point I want to make a brief legal  
25 disclaimer. You'll see down there at the bottom it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 says "Enfer TSE kit." The kit has only been approved  
2 in this country for BSE testing. However, it is used  
3 in Europe for scrapie testing as well.

4 According to my regulatory people I have  
5 to make sure that you understand that it's only used  
6 for BSE testing in this country.

7 Okay. You can break down the Enfer assay  
8 into four general areas. The first is sample  
9 preparation in which the tissue, brain stem tissue is  
10 cut. It gets put into an homogenization buffer and is  
11 homogenized, and then that is clarified to some extent  
12 by a centrifugation on a plate.

13 The supernatant from that centrifugation  
14 gets transferred to a different test plate. These are  
15 96 well ELISA plates where the sample simultaneously  
16 is digested by PK so that any normal prion is removed,  
17 and then the protease resistant PrPsc get absorbed  
18 nonspecifically to the polystyrene of the plate.

19 The plate then is washed with salt. It is  
20 treated with guanidine HCl and sodium hydroxide, and  
21 this opens up the protein to allow greater access for  
22 the antibody to subsequently come in and bind.

23 The immune reactions then include your  
24 standard ELISA plate reactions with a primary  
25 polyclonal anti-PrP rabbit antibody. With the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 appropriate washes in between, you then come in with  
2 a secondary HRP labeled goat anti-rabbit IgG.

3 Then again, with the appropriate washing  
4 you add your substrate and read signal, and the signal  
5 is a chemiluminescence signal.

6 Now, what we mean by front end automation  
7 is we've addressed some of the more labor intensive or  
8 difficult portions of the assay at the front end of  
9 the assay, so to speak, during the sample preparation  
10 and the initial sample treatment.

11 The first thing we've done is I'll show  
12 you in the next slide how we do the cutting, but we've  
13 developed a new, safer cutting tool. We've got a new  
14 automated instrument that does homogenization much  
15 faster. We've replaced a bag with a tube that makes  
16 sample handling much easier. We've streamlined the  
17 sample process and, as I'll show, we still have the  
18 same performance as we had before.

19 This is how it's currently done, and this  
20 slide gives me the heebie-geebies every time I see it  
21 because it's obviously stages. The person that is  
22 wearing these gloves doesn't even have the appropriate  
23 safety gloves underneath. I assume you that in the  
24 lab both at Enfer and whenever I'm doing it in the R&D  
25 lab, I've got the appropriate safety gloves under

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           there.

2                         But we do use a razor blade, and what we  
3           have developed is this plastic punch that works a  
4           whole lot better and certainly a lot safer, and you  
5           simply place the punch on top of the tissue, rotate it  
6           back and forth down through and you basically create  
7           a small plug that can then be put into the tube.

8                         By using or introducing this, we will be  
9           eliminating sharps. It's a lot easier for disposal.  
10          The cuts are much more standardized in size and  
11          weight. It's just as rapid and inexpensive as a razor  
12          blade.

13                        Now, the plastic bag that the sample is  
14          normally put in now in the manual assay is this bag,  
15          and there's this plastic mesh screen in the middle,  
16          and you put the sample on one side, add your  
17          solubilization buffer, and I'll show you in the next  
18          slide the stomacher that's used, but this bag is a  
19          little bit tricky to deal with and requires a certain  
20          amount of manual dexterity.

21                        The new automated instrument uses this  
22          tube, and the sample is simply dumped into this outer  
23          tube, and then this grinding shaft is placed inside  
24          the tube. The grinding shaft at the bottom here has  
25          a surface that will grind the material to help

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 solubilize it. There are slits at the bottom here  
2 that allows the solubilization buffer with the  
3 solubilized material to go up into the center part,  
4 and then in the automated system the fluid is  
5 withdrawn through the top of the shaft in the middle  
6 there.

7 Before working on this assay, I had never  
8 heard of the stomacher, and all this is is a machine  
9 that's apparently used in the food processing industry  
10 fairly well, and it has got two paddles that you can't  
11 see very well, but they're right in there, and they  
12 just bounce back and forth and wallop this solution  
13 into subjection, so to speak.

14 (Laughter.)

15 DR. FIGARD: And you can get two bags into  
16 one stomacher at a time, and it actually works fairly  
17 well, especially with soft tissue like brain tissue.

18 We're replacing that with what we're  
19 calling an Enfer tissue disrupter system, and of  
20 course, as scientists we can't get away without our  
21 acronyms. So that's EDTS.

22 This will process eight samples in ten  
23 seconds. The individual tubes get placed in the rack.  
24 They're set in there. You press two buttons. The  
25 shafts come down, rotates the shaft in the tube very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 quickly, and you get your solubilization of your  
2 tissue.

3 As compared to the stomacher, you can do  
4 two bags at a time. Each are stomached for two  
5 minutes. So to get the same age samples takes four  
6 minutes. So there's a significant saving in times  
7 with this.

8 You streamline the sample process in that  
9 once you put the sample in the tube, you just  
10 basically play with the tube. You add your  
11 solubilization buffer in the rack. You can then put  
12 it into the EDTS, and then our final component of the  
13 automation includes this instrument, the Tecan that we  
14 use to do automated sample pipe heading from the EDTS  
15 tube to the centrifuge plate, and then after the  
16 centrifugation from the centrifugation plate to the  
17 test plate.

18 We also use it to automate the addition of  
19 the Enfer Buffer 2, which is our Proteinase K to the  
20 test plate, and it will take about five minutes for  
21 one plate of 44 samples.

22 The EDTS rack fits -- those eight tubes  
23 goes directly from the EDTS right over to the Tecan  
24 and fits right in there. So there's no problem with  
25 that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           We have other standard features of  
2 automation.

3           LGC is a lab in the U.K. that has done  
4 some of the specificity testing for us. They had  
5 6,894 confirmed negatives. We do test in duplicate.  
6 We're dealing with an antigen that is very difficult  
7 to solubilize. So getting a truly homogeneous  
8 suspension is not guaranteed.

9           In this first we had six what we call  
10 initial reactives that were plus-minus. The rest were  
11 negative. Retesting, which is the standard protocol,  
12 those six were double negatives, and so we had 100  
13 percent specificity here.

14           In a separate lab we had 200 positive  
15 samples from the over 30 month population; 200  
16 negative samples that were fallen stock and were  
17 described as severely autolyzed.

18           Autolyzed samples, one of the main  
19 problems there is you can have levels of protease  
20 inhibitors and any assay that depends upon a  
21 Proteinase K is going to have the possibility of false  
22 positives. So autolyzed samples in a negative  
23 population are very important to evaluate this.

24           The results are shown in this slide. All  
25 of the negatives were negative down here. All of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 positives were positive. So in this particular set of  
2 samples we, again, had 100 percent sensitivity and  
3 specificity, including the fact that we're having  
4 worst case negatives in the sample, in the autolyzed.

5 If there's time for questions, I'll be  
6 happy to address the questions.

7 DR. FREAS: Unfortunately we have to move  
8 along right now and we may have questions if time  
9 permits at the end of the open public hearing.

10 Our next requester is from Adlyfe,  
11 Incorporation. The presenter is Dr. Alan Rudolph.

12 DR. RUDOLPH: Thank you very much. thanks  
13 to the committee for the opportunity to speak.

14 Adlyfe is a new company in Rockville,  
15 Maryland. We were started by a contract from DARPA,  
16 and we have funding from NIH as well, and we're  
17 dedicated to diagnostic products for neurodegenerative  
18 diseases, and I am currently the CEO of that company.

19 With regard to diagnostics for prion  
20 diseases, we have to recognize that the aggregate  
21 nature of this protein represents some fundamental  
22 challenges in detection of the material. These  
23 challenges are represented both in the detection  
24 itself, as well as sample preparation for a high  
25 hydrophobic as well as sometimes aggregated protein

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from different materials.

2 We have developed a novel set of ligands  
3 which mirror the folding process in which we're  
4 directly measuring misfolded PrP so that we don't have  
5 any Proteinase K treatment, and we can directly look  
6 for the disease in a variety of samples.

7 We're developing a kit and what we'll show  
8 you is the ability to detect misfolded PrP and blood  
9 sample from positive BSE animals, which we recently  
10 tested in the U.K.

11 We've also done control challenge studies  
12 in hamsters and shown ante-mortem presymptomatic  
13 detection of PrP misfolded protein in both braining  
14 tissue and in blood samples.

15 The final two bullets on this slide talk  
16 about some of the issues for the field of detection in  
17 general and our experiences over the year of our  
18 lifetime in trying to move our product forward to the  
19 market. The lack of controlled studies and matched  
20 samples to correlate the etiology of disease from risk  
21 materials in these animals over the expected time  
22 course of disease, which is relatively long, limits  
23 progress in new diagnostics that may be ante-mortem,  
24 more sensitive, and able to detect in blood materials.

25 The standardization of source materials is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 desperately needed to provide accelerating testing,  
2 accelerated development of needed tests.

3 The principle we're operating on is a  
4 rather unique principle in which we can look at the  
5 direct misfolding of PrP as it goes from a largely  
6 alpha-helical confirmation to a folded beta-sheet  
7 confirmation, and then subsequent aggregations of beta  
8 sheet, the typical types of plaques that we see upon  
9 histological sections.

10 We've created new ligands for sequence  
11 matched to regions of the protein that undergo folding  
12 that have been tagged with fluorescent labels that are  
13 sensitive to the position of those labels as a result  
14 of the folding of ligands. So these small ligands  
15 associate directly with PrP misfolded protein, fold  
16 themselves, transducing a signal associated with the  
17 direct detection of misfolded PrP.

18 The sensitivity of the reaction comes  
19 through an amplification step. The amplification step  
20 is generated by these small ligands essentially  
21 nucleating other ligands in the solution to also fold,  
22 amplifying the signal dramatically and giving us what  
23 I show you is sensitive detection, enough to be able  
24 to detect it in blood plasma from BSE positive  
25 animals.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1           That's simply read as a fluorescent shift  
2           as our ligands go from an open alpha-helical  
3           conformer to a closed beta-sheet conformer in the  
4           presence of PrPsc. That shift is measured in a  
5           diagnostic kit, 96-well plate, and can be measured in  
6           standard diagnostic laboratory instruments available  
7           in diagnostic laboratories.

8           This is the data that we collected this  
9           summer at the VLA in Weybridge in U.K., with Danny  
10          Matthews. On the left in red are BSE plasma samples  
11          from matched positive brain tissue that was 30 month  
12          and older animals collected at the VLA, positive by  
13          Western Blot in brain, and you're looking at the ratio  
14          of the fluorescence associated with our test showing  
15          positive detection in BSE plasma.

16          In blue are animals that were suspect  
17          negative, Western Blot negative and by our test also  
18          negative by BSE plasma with two notable exceptions.  
19          In pink on either side of the blue areas are two  
20          samples that we were given that were Western Blot  
21          negative, but came up positive in the Adlyfe test. We  
22          then we back to the VLA, asked them to rerun the  
23          Westerns, and they were, in fact, confirmed positive  
24          by a rerun of a Western.

25          So the only two false positives we've seen

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1 in our testing were then reconfirmed as real  
2 positives.

3 We've had considerable experience with  
4 animal testing in a variety of animals under a variety  
5 of modes of infection. We've done a number of hamster  
6 studies in which we've inoculated directly  
7 intercranially to create disease. We have PrPsc  
8 directly in those animals at three weeks, where  
9 typical ELISAs take nine weeks for detection, showing  
10 that we can detect early pre-symptomatic in brain, and  
11 we have seen blood plasma in those animals at five to  
12 six weeks positive for PrPsc.

13 We've also done an oral gavage which  
14 mimics more closely the route of infectivity thought  
15 to be taking place in these animals, and we have also  
16 seen similar results in an oral gavage study in the  
17 ten-week hamster model.

18 We have also done sheep scrapies in  
19 endemic populations of sheep both from the Pullman  
20 herd, USDA, as well as the Ames, Ohio herd. In one  
21 case of that testing we did get confirmation by the  
22 third eyelid test. These were symptomatic animals,  
23 and in the other case we were looking at live, on-the-  
24 hoof sheet plasmas and showing positive detection in  
25 sheep plasma.

.....NEAL R. GROSS.....

1           And then most recently expanding our  
2 testing in bovines. So we're up to about 190, 200  
3 infected animals that we've looked at, and about 100  
4 control animals, and we're considerably expanding our  
5 testing.

6           With regards to threshold of detection, we  
7 believe we're in the femtomolar range. There's  
8 considerable historical and published data on what  
9 kinds of levels one might expect in blood, and using  
10 that, we believe we're in the femtomolar range for  
11 detection, and it has been pointed out here already  
12 that the conventional diagnostics using antibodies,  
13 first, don't distinguish between native and PrP  
14 misfolded protein, therefore probably underestimating  
15 infective doses, and usually operate in the picomolar  
16 range. Thus, they're only applied to late stage  
17 disease in tissue samples for late stage animals.

18           We believe based on our testing that our  
19 testing is at least a couple of orders of magnitude  
20 more sensitive than the current ELISA test enabling  
21 the sensitivity for detection of blood plasma.

22           We're developing a kit that's a high  
23 throughput diagnostic kit. These are sequence  
24 specific ligands. They're not producing antibodies.  
25 They can be produced synthetically, and therefore, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 costs can be dramatically reduced and large scale  
2 production of components of the test, and we are  
3 producing kits for validation and starting to work  
4 with the appropriate agencies to look for validation  
5 and regulation of our test both in the United States  
6 and in Europe.

7 So in summary, what I've shown in the  
8 eight-minute slot that I had was a more sensitive  
9 detection to PrPsc that could enable a greater  
10 surveillance of risk materials, reducing the risk of  
11 transmission of disease, certainly a major concern in  
12 a blood supply.

13 We can detect directly misfolded PrPc in  
14 risk materials. We have mostly looked at central  
15 nervous system, brain, cortical areas. We have also  
16 looked at blood. We have not looked as much into the  
17 spleen. We have those samples, and we'll begin to  
18 analyze those as well, and those could be good sources  
19 for early detection.

20 We're in discussion with a number of  
21 strategic partners to move forward on both diagnostic  
22 applications, as well removal applications or other  
23 detection such as in surgical instruments, and we'll  
24 certainly exploit this unique ligand that we have  
25 created to directly detect the misfolding of proteins

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in neurodegenerative diseases.

2 Thank you very much for your attention.

3 DR. FREAS: Thank you for your  
4 presentation.

5 The next request we have is from Altegen,  
6 Incorporated, and it will be a presentation presented  
7 by Dr. Bergmann and Dr. Preddie.

8 This is Dr. Bergmann.

9 DR. BERGMANN: Good morning. I will tell  
10 you about a new test and the background of the test  
11 for the detection of human exposure to BSE in serum.

12 The prion protein transcriptional unit  
13 contains two messenger RNA species. One translates  
14 the constitutive PrP; the other, a small protein we  
15 call prionin. Prionins are usually not expressed in  
16 normal subjects, but the prionin gene can be induced  
17 in the TSE specific manner.

18 Prionin genes are present in all mammals  
19 investigated so far. Prionin have species-specific,  
20 unique antigenic epitopes. Pure synthetic bovine  
21 prionin converts human native PrP in a cross-species  
22 manner and recombinant PRP to conformers with a 27 to  
23 29 kilodalton Proteinase K resistant core under  
24 physiological condition within minutes of contact in  
25 the test tube.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Pause in proceedings.)

2 DR. BERGMANN: Sorry. The animation is  
3 gone in this slide. Thank you.

4 The gel, the upper gel in the panel shows  
5 the product obtained with human PrP after five, 15,  
6 and 25 minutes. The lower gel, the left one shows  
7 again products with human PrP after 30 and 90 minutes.  
8 The lower right panel shows the products obtained with  
9 recombinant PrP. This is commercially full size  
10 recombinant PrP after 30 minutes, and they are crossed  
11 right link.

12 We suggest that prion proteins are the  
13 illusive converting factor in TSE called Protein X and  
14 add that prionins play a role in TSE initiation. The  
15 model which follows shows how prionins provide means  
16 for the detection of human exposure to TSE.

17 Prionins once expressed are immune  
18 modulated. They are treated as an auto-antigen. The  
19 anti-prionin IgG in serum can be easily detected with  
20 a specific antibody trap ELISA. The immune response  
21 declines with time and in some cases prionins escaping  
22 the immune control react with PrP cellular form and  
23 converts it to PrPsc in a complex.

24 In this complex prionins are chaperoned to  
25 the brain where at the neuronal membranes the complex

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dissociates. PrPsc is deposited externally and the  
2 prionin enters neuronal membranes and initiates neural  
3 degeneration.

4 Prionins entering -- this unfortunately  
5 didn't transmit correctly. I'm sorry -- prionins  
6 entering a subject from an external source in the vCJD  
7 case of the bovine prionin entering a human are again  
8 immune modulated. After decline of immune regulation,  
9 again, the prionin can escape and induce the host, the  
10 human prionin gene. The human prionin once expressed  
11 again is immune modulated as described before.

12 Those prionins escaping the immune control  
13 react with host prion protein to form complex species  
14 and different disease pathologies. Most importantly,  
15 the subject has two different antibodies with distinct  
16 specificities, one for the bovine prionin, the other  
17 for the human prionin.

18 These two antibodies can be detected with  
19 the anti-prionin ELISA, and in a cross-species way  
20 distinguish between the two if the infection comes  
21 from a cross-species way distinguish between the two  
22 if the infection comes from a cross-species.

23 This is shown by data obtained with zero  
24 from a suspected vCJD patient. Five months after the  
25 first diagnosis of the disease, the serum contained

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 anti-BSS, anti-bovine prionin antibody, not later on.

2 The antibody against the human prionin was  
3 present throughout the observation period of 20 months  
4 in declining fashion.

5 Blood from donors was tested for the  
6 presence of anti-bovine prionin antibody. In samples  
7 obtained in Country 1 in the year 2003, all samples  
8 were negative for the antibody. Samples obtained from  
9 Country 2 in the years 1996 to 1998 collected, in  
10 those samples four were positive out of 571. Actually  
11 Country 2 is the same country the vCJD patient came  
12 from.

13 This shows that the tests can detect  
14 contamination with BSE related bovine material.

15 Conclusion: prionins are TSE related  
16 proteins. Transmitted prionins elicit an immune  
17 response. This immune response can be detected with  
18 an anti-prionin ELISA.

19 Endogenous prionins are related to TSE  
20 initiation. They elicit an autoimmune response, and  
21 this autoimmune response can be detected by the anti-  
22 prionin ELISA. Again, the ELISA can distinguish  
23 between these immune responses if there is a cross-  
24 species contamination.

25 We suggest that the anti-prionin ELISA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 should be used routinely and be added to the array of  
2 tests already in use to test blood donations to  
3 increase the safety.

4 Thank you.

5 DR. FREAS: Thank you.

6 Our next request is from IDEXX,  
7 Incorporation, and the presenter will be Dr. Tonelli.

8 DR. TONELLI: Thank you.

9 I am, of course, an employee of IDEXX  
10 Laboratories.

11 What I'd like to do today is bring you  
12 through IDEXX's diagnostic, post mortem test for BSE.  
13 I'd like to point out that this is truly a second  
14 generation post mortem test for prions, and that it  
15 detects prions directly and does not require a  
16 Proteinase K step.

17 We are USDA approved, and we have  
18 successfully completed the 2004 European validation  
19 studies and are in the final stages of approval in  
20 Europe. At this point with the samples that we've  
21 looked at, we've seen both 100 percent sensitivity and  
22 specificity. The advantage of this test is certain in  
23 that it's much easier to use in all of the up front  
24 sample preparations, centrifugations, Proteinase K  
25 steps are removed. It's simply to generate a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 homogenate and put it into the ELISA test.

2 We can combine speed and performance with  
3 the ease of use as well.

4 The key to this is a polymer. We use a  
5 chemical polymer to capture the rogue protein PrPsc in  
6 the presence of normal PrPc from a simple tissue in  
7 water homogenate. Again it removes all of the  
8 Proteinase K steps, the centrifugation steps and so  
9 forth. It really allows for a much easier automation  
10 as you can imagine.

11 Now, the basis of this is really you can  
12 see it's a Seprion technology, but it's all around the  
13 use of polyionic and ionic compounds to capture the  
14 rogue protein. As you know, there's quite a bit of  
15 history of polyanions binding the prions, and what  
16 we've been able to do with our partners is to develop  
17 conditions where we can specifically capture PrPsc in  
18 the presence of PrPc. The detection occurs with an  
19 anti-prion antibody.

20 So this is the application. We have this  
21 ligand for binding, and some examples of the types of  
22 binding could be the types of polymers that work in  
23 the situation, a pentosan sulphate and detran  
24 sulphate, et cetera.

25 We do use some matrix busters. We use

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1        trypsin and DNase just simply to break down the  
2        viscosity of the sample. It does not digest PrPsc,  
3        and you can see that clearly on Western Blots.

4                    And then there are surfactants to enhance  
5        and allow this binding to occur.

6                    This just simply shows the overall  
7        protocol, but again, it's very simple in terms you  
8        generate the homogenate by whatever method you like.  
9        The method that we have approved in the U.S. is a bead  
10       beating method that's used in other methods as well,  
11       and you can take the sample as you wish. You can  
12       dissect it out. You can take it with a syringe. You  
13       can get your sample however you wish, and then  
14       homogenize it, and then it simply goes into a  
15       microtiter plate, is diluted and run in a typical  
16       ELISA.

17                    On the ELISA automation, we use a  
18        commercially available tecan Evo automation platform.  
19        It's very simple. In fact, it's even simpler than it  
20        performs here. There's no incubators. There's no  
21        heating or cooling steps. It's simply liquid handling  
22        and liquid addition, and our current protocol, we can  
23        do nine plates of samples in just under five hours,  
24        which is almost double, I think, throughput of most  
25        other assays that are out there.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   This is what information we can show you  
2                   at this point from our EU studies and samples as well  
3                   that we've run in other parts of the world, and  
4                   there's a negative distribution of the population and  
5                   our cutoff, and you can see that we are quite a way  
6                   from, quite a number of standard deviations away from  
7                   the cutoff population, which indicates that we should  
8                   see and have seen excellent specificity.

9                   And think there are 14,000 or so samples  
10                  in this assay, and these are samples that come from a  
11                  variety of sources. They're normal slaughter samples.  
12                  They're downer animals. They're autolyzed samples,  
13                  just a whole variety of samples that are in that  
14                  negative population.

15                  The Phase 1 European trials where we run  
16                  150 negatives and 50 positives. Again, I think all of  
17                  the tests had to run through this first, and we got,  
18                  of course, 100 percent agreement there.

19                  This is just to show you the agreement  
20                  with another EU approved test with the IHC positive  
21                  samples. You can see overall there's pretty good  
22                  agreement between the two tests. Remember you need to  
23                  meet an IHC. IHC is the gold standard, and so you  
24                  have to meet that as the standard of positivity.

25                  This is just simply a dilution series

1 against a positive sample in the negative brain  
2 against an EU approved test to show equivalent  
3 sensitivity in this application.

4 And this is just to give you some idea of  
5 autolyzed samples. These are normal samples that were  
6 just held to 37 degrees and run over a period of days,  
7 and you can see they are negative samples, and they  
8 stay negative.

9 We have positive samples as well, and the  
10 positives stay well. In fact, in some cases they get  
11 a little more positive. I think that's because the  
12 viscosity of the sample is being reduced over that  
13 period of time.

14 So in summary, we'd just like to give you  
15 an idea that the test does have strong performance.  
16 We have see no false positives in the 15,000 or so  
17 samples we've run so far in that sample set. We have  
18 100 percent correlation with the European approved  
19 product. We have USDA approval, and we've  
20 successfully completed the 2004 EU validation studies.

21 The benefits of this, I think, are a  
22 couple. One, it's easy to use. It's fast and  
23 efficient, a lot less hands on time, and no extra  
24 equipment. We don't have to automate any of the front  
25 end stages. It's simply automating the assay itself.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Because there's no PK step, there's really  
2 less chance for error. You don't have to worry about  
3 the PK. You don't have to worry about the conditions  
4 and so forth, and there is an automated platform.

5           Thank you.

6           DR. FREAS: Thank you.

7           The next request is from Microsens  
8 Biotechnology.

9           DR. WILSON: Thank you for allowing me to  
10 speak.

11           I just wanted to tell you about our  
12 progress towards a feasible blood test for TSEs and  
13 present you with some recent data.

14           In line with a lot of people these days we  
15 don't like this Proteinase K step. We don't like it  
16 because there's no guarantee that all of the rogue  
17 prion (phonetic) is proteinase resistant. This is  
18 becoming reported now with atypical scrapie and BSE,  
19 which has got implications for food safety, but also  
20 when we started this work, we didn't know what state  
21 the rogue prion in blood was likely to be, whether  
22 it's likely to be resistant to Proteinase K or not.

23           So Quentin Tonelli from IDEXX has already  
24 described the Seprion ligand use in post mortem field  
25 and has told you that it's a polyionic polymer that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 can specifically capture the rogue prion protein and  
2 avoid the need for Proteinase K.

3 So this polymer does have a pedigree in  
4 the post mortem field.

5 Just a couple of slides showing proof of  
6 principle really. This work was carried out by a  
7 group at the Veterinary Laboratories Agency in the  
8 U.K., Roy Jackman's group, and it simply showed that  
9 if you take the Seprion and coat it onto magnetic  
10 beads and interrogate BSE infected and uninfected  
11 brain samples, you can elute the captured material,  
12 run it on a Western Blot, and plate it with an anti-  
13 prion antibody. You only get prion material in the  
14 infected brain.

15 So this ligand really does only bind. Of  
16 course, there's no protease involved here, no  
17 Proteinase K. So it really does bind specifically to  
18 rogue prion without the use of Proteinase K, but the  
19 material that is captured is protease resistant, as  
20 you would expect in that if you pretreat the brain  
21 with Proteinase K before capture or treat the captured  
22 material after capture, the signal doesn't decrease,  
23 but you get a lopping off of a bit of the protein. So  
24 the mobility does increase.

25 Okay. So that's all I wanted to say about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 the ligand and its pedigree.

2 What has surprised us when we've been  
3 looking at blood perhaps is that there's an awful lot  
4 of rogue prion in blood, but the rogue prion in blood  
5 in our experience isn't the same as the prion that we  
6 find in brain.

7 So we spent a bit of time doing spiking  
8 studies and came to the conclusion that these really  
9 aren't adequate to mimic the blood borne infection,  
10 and in fact, we've only made significant inroads into  
11 detecting blood borne prion when we actually achieved  
12 some animal models, scrapie models.

13 I've presented this slide before, and it  
14 shows our results when we were investigating scrapie  
15 infected and uninfected sheep, and there's not many  
16 results in this slide because these samples are as  
17 valuable as hen's teeth, and at that time we had five  
18 infected animals and a few controls. This just shows  
19 one day's experiment really, looking at five infected  
20 animals and the two controls.

21 At that time we were looking in five mLs  
22 of blood, looking at the non-red cell fraction, and  
23 you can see clearly distinguishing a signal from the  
24 scrapie infected animals.

25 The labels always drop off this slide.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I'm not quite sure why, but this set of animals here  
2 is from an exposed flock, and you can see that we get  
3 a range of signals scrapie exposed animals. These are  
4 asymptomatic animals that six months later went on to  
5 pretty much all of them developed clinical disease.

6 We've got a set of controls here from  
7 unexposed New Zealand derived animals, and you can see  
8 clearly that the negative animals give very low  
9 signals compared to some of the asymptomatic animals.

10 Again, that's using five to ten mLs of  
11 blood.

12 We went on to use the same assay on some  
13 human samples. We were lucky enough to get some  
14 suspected iatrogenic CJD patient and a suspected vCJD  
15 patient. We already knew that the assay -- of course,  
16 you could work on post mortem sporadic CJD and vCJD  
17 samples, and it worked on vCJD spleen, and we could  
18 use it to spike the spleen into the plasma. As I  
19 said, we don't like those spiking studies at all.

20 When they put the panel of samples through  
21 the assay with a load of control samples, the only  
22 sample that came up positive in the assay was from the  
23 suspected iatrogenic CJD patient. Tragically that  
24 patient has gone on to develop full-blown disease.

25 The vCJD suspect did actually recover, so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 obviously wasn't a vCJD infection at all.

2 Now, I know that me standing up and  
3 telling you what we can do is not very convincing  
4 unless we can have some sort of independent  
5 evaluation, and the way we tried to do that is to work  
6 on a blind panel. We requested a blind panel of  
7 scrapie infected and uninfected bovine blood from the  
8 VLA archive. We received those samples in August  
9 2004.

10 Unfortunately the samples were frozen.  
11 They came as frozen blood. So we had to develop new  
12 protocols to be able to handle that frozen material.

13 We ran some of the samples through our  
14 test and broke the codes. The protocol was fairly  
15 simple really. There's a bit of front end treatment,  
16 lyse the blood, DNase-treated. There's a black box  
17 step there that I can't say too much about at the  
18 moment in time, and then capture on Seprion-coated  
19 magnetic beads. The beads are washed and then the  
20 captured material is eluted and put through an in-  
21 house ELISA.

22 And these were the results once the codes  
23 were broken. Now, what these results show is that our  
24 assay actually works. We've got quite a good  
25 sensitivity, missed one Western Blot positive sample.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Our specificity is letting us down a bit  
2 for false positives, at least not picked up by the  
3 Western Blot. True, those were from New Zealand  
4 derived animals that you wouldn't expect to find  
5 positive. Two were from clinical suspects.

6           This animal here is a clinical suspect,  
7 but hasn't yet been confirmed by Western Blot. So if  
8 that animal turns out to be positive, we would have  
9 these results for sensitivity, specificity, positive  
10 predictive value and negative predictive value. If it  
11 turns out to be negative the results would be like  
12 this.

13           So once we knew that the assay was  
14 working, we could go back to those samples now and put  
15 them back through the assay, through a revised  
16 protocol. I don't know if I mentioned it. If I did  
17 mention it, I'll mention it again. Here we're only  
18 using 125 microliters of blood, whole blood, frozen  
19 blood, and you can see that once we've revised the  
20 protocol we're getting much better results.

21           We've managed to remove three of the false  
22 positives. We have one false positive left which is  
23 from a suspected animal. It was clinically suspected  
24 to have disease. It wasn't confirmed by Western  
25 Blotting. We need to go back and now look at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 brain of that animal, and we have now picked up the  
2 animal that we missed that was actually confirmed to  
3 be positive.

4 So we've improved the assay significantly  
5 in the short amount of time that we've been working on  
6 it.

7 This is just for your interest. It just  
8 shows you some repeats of what we now know to be blood  
9 from a positive animal and two negative controls. You  
10 can see that if we repeat the assay on three  
11 consecutive days, we do get very similar assay  
12 signals. So it's a very reproducible assay.

13 So in summary, we've used the Seprion  
14 ligand technology which has the post mortem pedigree  
15 to detect PrPsc in sheep with scrapie and in  
16 preclinical animals, and we've been able to use the  
17 assay to identify an iatrogenic CJD patient.

18 Now, when we did this work, it was with  
19 large volumes of blood, and since then we've been able  
20 to adapt the assay to use smaller volumes of blood,  
21 which of course is going to be more feasible as a  
22 blood screening tool, and at the moment we're  
23 investigating a second blind panel with our revised  
24 protocol, and we'll decode those results in the near  
25 future.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Thank you.

2 DR. FREAS: Thank you.

3 Is Jean Halloran from Consumer Policy  
4 Institute in the audience?

5 (No response.)

6 DR. FREAS: Okay. Her comments if she is  
7 not here will be in the afternoon open public hearing.  
8 There will be another open public hearing in the  
9 afternoon.

10 Is there anyone else in the audience at  
11 this time who would like to address the committee?  
12 Please state your name.

13 MR. CAVENAUGH: Thank you very much.

14 My name is Dave Cavanaugh. I'm on the  
15 government relations staff of the Committee of Ten  
16 Thousand, an organization of people with Hemophilia  
17 who have contracted HIV and hepatitis from the blood  
18 supply and is very much concerned about this entity  
19 we have.

20 This morning's agenda was devoted to the  
21 science of the testing of cattle for BSE and this  
22 afternoon's will be on the science of clearing of  
23 plasma. I can only suggest of the many things that  
24 I've seen about the process from the patient view,  
25 from the testimony that has been given, the first

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 piece of the three written testimonies is from the  
2 wife of a person with hemophilia, HIV, Hepatitis C,  
3 and presumptive CJD.

4 And I strongly recommend it to you. It  
5 poses several questions for this panel specifically  
6 about the safety of the U.S. blood supply.

7 My question to you to please consider as  
8 you go through the day is how does the disease get  
9 from the cattle being sought for testing to the humans  
10 donating blood. I don't mean scientifically. I mean  
11 what are the processes.

12 The third piece of written testimony, the  
13 last two pages of it are statements made in testimony  
14 by the man who shot the cow in Washington State, and  
15 it just opens up -- I'm sure you've all read it by now  
16 in some capacity or another -- the questions about the  
17 rigor of the decision about what gets tested and what  
18 doesn't, the relationship between the USDA agent and  
19 the staff of the slaughterhouse, the kind of  
20 slaughterhouses that have this experience and don't,  
21 and the variation that is reality in life.

22 You have a U.S. cabinet department now  
23 searching for a goal of 20,000 per year tests of only  
24 one small subcategory of the U.S. cattle supply, if  
25 you will, of 33 million head a year. In the face of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the fact that there are over ten known strains of  
2 scrapie, that there is TSE across six different  
3 species of animals in the face of the fact that we do  
4 not know how the disease gets to humans in the sense  
5 of how the cases in England that have been deemed to  
6 be vCJD, clinically, scientifically it has not been  
7 proven that they got it from beef or how they got it  
8 from beef.

9           You know, we have to proceed without an  
10 answer to that. We have to say presumptively, okay,  
11 it's diet related, and now because of the two  
12 publications last December and this summer it's  
13 possibly very likely blood related even though we've  
14 had years of evidence that nothing happened.

15           Our organization has for years talked  
16 about but we have Rohwer's rat, which is in a study  
17 some years ago by Robert Rohwer here methods of  
18 transmission, including intracranial injection, but  
19 also vein-to-vein transfusion in 22 hamsters, in the  
20 latter one did transmit venously, and you know, we  
21 can't say it's not in the blood.

22           Now, the first person writing the  
23 testimony from the U.K. talks about people who have  
24 come to this country from England after the ban on  
25 European travel and donated blood freely, not getting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 screened. How are we looking at how potential  
2 incubators are kept from donating to the blood supply?

3 The case that she speaks of was a man who  
4 was exposed to contaminated plasma in 1996, eight  
5 years ago. Now he has some symptoms. We know that  
6 there's an incubation period issue with this disease.  
7 We must be prepared to work in the unknown.

8 Perhaps the first and clearest step would  
9 be set aside some 30 percent of those USDA cattle to  
10 be drawn at random, as was discussed briefly this  
11 morning so that we have a better screen. We're still  
12 testing only one percent of our cattle at present, and  
13 they're gearing up. That's wonderful. They only  
14 tested 2,000 per year before, and that was the most  
15 recent year before the current effort.

16 So I just ask you to keep your eyes on the  
17 fog. There are unknowns here at the front end of the  
18 cattle testing process, in the middle of the cattle-  
19 to-human and in the human donation process, in  
20 addition to the cleaning, clearing of the plasma.

21 Thank you very much.

22 DR. FREAS: Thank you.

23 Is there anyone else in the audience who  
24 would like to address the committee at this time? Dr.  
25 Epstein from CBER.

1 DR. EPSTEIN: Yes. Thank you very much.

2 I just wanted to clarify. Mr. Cavanaugh,  
3 you seem to be suggesting that there's a potential  
4 case of vCJD in a hemophiliac treated in the U.K., but  
5 we have conferred with U.K. authorities, and to our  
6 knowledge there is no such case.

7 That's not to say that there are no  
8 neurological diseases in hemophiliacs in the U.K., but  
9 obviously this is an alarming statement. The entire  
10 world, certainly the U.K., and certainly the U.S. are  
11 very attentive to monitoring for that possibility, but  
12 I think it's very important to have a clear record  
13 that at least at this point in time there is no  
14 presumptive case of vCJD in a patient treated for  
15 hemophilia, and Dr. Will, perhaps you would  
16 corroborate that statement on my part.

17 DR. FREAS: A quick comment.

18 MR. CAVENAUGH: There was some reason for  
19 the U.K. Department of Health to transmit letters to  
20 6,000 people with hemophilia that they were at the  
21 highest risk for CJD and to their physicians  
22 indicating the commencement of several different  
23 procedure changes, such as bans on sperm donation, on  
24 requirements of non-reuse of surgical instruments.

25 If we don't have a diagnosis because the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 man is still alive, thank God. If we have symptoms  
2 that have been seen in a compendium of 27 cases of  
3 vCJD of the 143 that have died, that match in the eyes  
4 of this nurse and residents, it's worth looking at.  
5 Caution is what I'm urging.

6 DR. FREAS: Dr. Epstein.

7 DR. EPSTEIN: Okay. Well, I think that's  
8 a helpful clarification. We are aware of the risk  
9 assessment that was done in the United Kingdom on  
10 certain products and the fact that those product  
11 recipients have been notified. It remains the fact  
12 that there are no products licensed in the U.S. made  
13 from U.K. plasma.

14 Additionally, there are no products that  
15 have ever been distributed in the U.S. from which  
16 there was a product made including plasma from a  
17 person who later developed vCJD.

18 We do hope, however, to review the U.K.  
19 risk assessment. We are engaged in a preliminary risk  
20 assessment of U.S. products made from U.S. plasma.  
21 Preliminary results do suggest that the risk of the  
22 U.S. products is significantly less than the estimated  
23 risk of U.K. products, and we do expect to present a  
24 more complete discussion and review of that issue at  
25 the next TSEAC meeting in February 2005.

1           So I think your comments about the need  
2 for careful watching are well placed, and we do share  
3 that perspective.

4           DR. FREAS: Thank you.

5           There will be another open public hearing  
6 in the afternoon. At this time we're going to close  
7 the morning's open public hearing and get on with the  
8 meeting.

9           CHAIRPERSON PRIOLA: Okay. Our next  
10 speaker is going to present some -- it's another  
11 informational topic. Dr. Scott.

12           DR. SCOTT: Good morning. This  
13 presentation follows on from a February 2003 meeting  
14 of this committee where you discussed, we discussed  
15 labeling claims for TSE clearance in plasma  
16 derivatives.

17           The committee voted at that time that the  
18 FDA should consider evaluating submissions from  
19 industry concerning TSE clearance, and that these  
20 studies from industry could support a description of  
21 those same studies in labeling.

22           So what I'm going to do is give you some  
23 of the background that you've already had but not in  
24 as exhaustive a detail as you saw at the February 2003  
25 meeting, more concerning the rationale and how we went

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)