

1 internally from industry and from other
2 governments about factors that may lead to
3 economically-motivated adulteration. And we
4 seek, for example, products for which
5 compensation is based on characteristics
6 determined by non-specific tests. For example,
7 of protein levels. The melamine, we think
8 illustrates that. Well, they were actually
9 testing nitrogen. So that's an example. The
10 question is, what other examples are there of
11 tests that are like that?

12 We also are looking for
13 information where there's dramatic shifts in
14 supply. If suddenly the supply of a product
15 shifts to a new region, a new set of
16 companies, a new country, in a very dramatic
17 way, because perhaps the price there is really
18 low and maybe there is a too-good-to-be-true
19 element that merits a further review. And
20 we're initiating a survey of analytical tests
21 in the food industry for measures of quality
22 that could be evaded.

1 This is our current thinking on
2 this. We're not very far along in implementing
3 this, but we figured we'd be remiss if we
4 didn't implement these measures in
5 anticipating the next possible case of
6 economically-motivated adulteration.

7 So, in conclusion, forecasting
8 economically-motivated adulteration is hard.
9 This is a problem that was solved, that was
10 recognized. It existed in the United States
11 more than 100 years ago. It was addressed by
12 Congress. FDA was largely created in part to
13 remedy it, and we think we were fairly
14 successful at that historically throughout
15 most of the 20th century. But the most recent
16 change of globalization suggest that it needs
17 new attention, and in that sense, we wanted to
18 share with you ideas on how to approach this
19 now.

20 Let me just offer, by way of quick
21 summary -- What you heard from Dr. Sundlof, in
22 essence was what we've done with respect to

1 the particular case of melamine. I would
2 characterize that as rapid response and really
3 good scientific sleuthing.

4 And then what you have hear from
5 me is our collective thinking about what we
6 should do more systematically, not only to
7 control melamine per se, and the threats that
8 it poses, but really also to address the
9 broader problem that melamine symbolizes.
10 Thank you.

11 Q AND A AND DISCUSSION:

12 DR. MCNEIL: Well, thank you, Randy
13 and Steve. Are there questions? These
14 presentations were all so fascinating. Yes,
15 Larry?

16 DR. SASICH: Thanks very much for
17 the presentations. I actually have two
18 questions, and I think it's a very good idea
19 to move forward and survey analytical
20 techniques that might not be very specific.

21 I think you mentioned foods, but I
22 was thinking of pharmaceutical agents also.

1 And when the New York Times first started
2 running stories about the heparin -- the
3 heparin being a porcine source -- the first
4 thing that came into my mind was thyroid.

5 "Desiccated Thyroid," I think, is still
6 marketed in this country and it's a porcine
7 source. And if I'm not mistaken, the assay for
8 thyroid hormone is still iodine content. And
9 so that was my conspiracy theory.

10 The second thing is, is the agency
11 looking at bio-engineered drugs and how un-
12 regulated or counterfeited or however you
13 might want to put it, may be coming into the
14 country?

15 The reason I raise this question
16 is that there were press reports, again, human
17 growth hormone being smuggled into the United
18 States by compounding pharmacists and re-sold
19 in the anti-aging industry. What kinds of
20 risks would back-room bio-engineered
21 pharmaceuticals pose to the American public?

22 Here, you know, the economic

1 equation kind of changes a little bit. It's
2 not so much in terms of volume, but just the
3 cost of the product is so high, and can you
4 significantly reduce your cost of production
5 by bringing a product in from a back-room in
6 China into the US? Thanks.

7 DR. MCNEIL: Would you like to
8 comment on that?

9 DR. THROCKMORTON: Yes. I'll
10 comment on the last one. I don't know much
11 about desiccated thyroids, so --

12 As regards the risks of products
13 coming from outside the country that are
14 counterfeited or smuggled in or something like
15 that, that is something that we've talked
16 about and thought about a great deal.

17 One particular place we talked
18 about was as a part of the agreements that we
19 recently reached with China and the Chinese
20 FDA and some of the things that we're going to
21 be working with them on to improve the quality
22 of products in both of the countries. We sat

1 about looking at the kinds of risks -- Where
2 might risks occur for products manufactured in
3 China either legally or otherwise that might
4 get into the country? And you pointed out one
5 very good risk -- a very highly profitable
6 product that might be produced, counterfeited,
7 and then used in ways in other than we'd like
8 to. And so as a part of that agreement, we
9 were asked to identify a group of products
10 that we wanted to work in particular with the
11 Chinese arm to help understand how they were
12 manufactured, where they were being
13 manufactured, and things.

14 And this group of products -- I
15 don't remember if growth hormone was the
16 specific product we named, but a group of
17 products like this -- Where highly profitable,
18 relatively easy to engineer, you know, that
19 kind of a product we asked for specific
20 conversations with the Chinese that we've been
21 having, and so that is one kind of a risk that
22 we did identify.

1 Others that you can think about
2 are relatively inexpensive products to produce
3 that are used widely in this country --
4 products that obviously have an illicit
5 potential for use and that otherwise would be
6 dangerous, at very low quantities. Those are
7 the kinds of risks that we identified and have
8 been discussing with the Chinese.

9 DR. MCNEIL: Frank, Did you want to
10 comment that?

11 DR. TORTI: Just to add to what
12 Doug said, I mean, the intent of putting
13 together, and really the message for you, a
14 group of scientists and a group of economists
15 from Randy's group and members of each of the
16 centers in a group to tackle this, is that
17 these are in fact very complicated issues that
18 touch on so many areas that -- Sort of
19 bringing the science community, the community
20 of economists, and groups who think about this
21 from other aspects as well into one group
22 that's continuously sort of filtering through

1 this information and Larry looking for things,
2 I think, very interesting suggestions of
3 desiccated thyroid and its assay. To just
4 sort of tee up and then explore is the way
5 we're going to have to approach this, so I
6 think really science can be brought to bear on
7 this.

8 DR. PARKINSON: Just -- I
9 congratulate the pro-active, the anticipatory
10 thinking. But when I looked at your list of
11 things, one thing you might want to add, real
12 world being what it is, is to make it really
13 easy for people to report what they suspect
14 might be going on.

15 I don't know -- whether that's a
16 web -- I don't know how I would do it. For
17 example, if I realized that the desiccated
18 thyroid I was taking was not -- That's for
19 you, Doug -- was not pure. How do I report it?

20 So, that's -- I just made that
21 comment because I think the reality is you're
22 more likely to learn about these things from

1 people who are concerned about it, who've
2 heard about it, from gossip about it, rumors -
3 - Even, now that you have international
4 offices, that will probably even be more
5 effective -- Not that pro-active anticipatory
6 thinking based on economics is not valuable.

7 DR. LUTTER: We're here to solicit
8 good suggestions, and that's one that we
9 welcome and will contemplate how to do it
10 effectively and have an answer for you at the
11 next meeting, so thank you.

12 DR. PARKINSON: "Human intel" I
13 think is what they call it, right?

14 DR. MCNEIL: Erik?

15 DR. HEWLETT: I have a question for
16 Dr. Sundlof about the dilution factor of the
17 melamine getting into the human food supply.

18 You mentioned that the dilution
19 factor reached a point where you would need to
20 eat a huge amount of meat. Was that the
21 natural, intrinsic dilution that occurs with
22 the processing of the product, or was that

1 predicated on there being dilution of the
2 contaminated meat with known un-contaminated
3 meat?

4 DR. SUNDLOF: No, that was the meat
5 of an animal that was -- We made some
6 assumptions about how much melamine an animal
7 -- a pig or a chicken -- would actually ingest
8 and we based it on that individual animal, not
9 co-mingling meat from other animals.

10 DR. HEWLETT: Thank you.

11 DR. MCNEIL: Yes, Lonnie?

12 DR. KING: I have a question about
13 authority here. So, these are intentional
14 alterations and they have triggered your
15 authority, but they are still intentional.

16 At what point do other agencies --
17 Homeland Security, et cetera -- come in and
18 you know, what's the trigger point for this to
19 be a terrorist act? Whether this was
20 economically-motivated, it also had health
21 outcomes versus something that's strictly a
22 public health opportunity?

1 DR. SUNDLOF: Yes, well. Good
2 question. During the first melamine and the
3 second melamine -- During the first melamine,
4 we had conference calls, daily conference
5 calls, that included not only HHS -- We had
6 CDC and the Secretary=s office. We had
7 Homeland Security. We had State Department. We
8 had EPA. We had some of the trade agencies
9 that were very concerned about that.

10 So, there was a lot of interest
11 from a lot of different people. FDA also has
12 an Office of Criminal Investigation and
13 Criminal Investigation was involved. It ended
14 up in the prosecution of the company that was
15 importing the wheat gluten from China because
16 it turns out they knew that they were
17 importing products that were adulterated. So
18 yes, a lot of people were involved in that.
19 And Homeland Security has also been very much
20 involved in this more recent melamine
21 situation too -- trying to determine if there
22 is some kind of terrorist plot associated.

1 DR. MCNEIL: I'd like to ask one of
2 you a question. I don't know which one. You
3 mentioned the need to look for early changes
4 in the distribution of origin of products as a
5 way -- of the supply chain -- as a way of
6 potentially identifying cheaper products that
7 hence, might have been contaminated.

8 Is it possible or do you already
9 have a database that says for the biggest
10 drug, say, or the largest percentage -- The
11 drugs that are imported the largest -- The
12 distribution of their current sources? Is that
13 possible to do or is it changing so rapidly
14 that you could never make a map like that to
15 notice changes?

16 DR. SUNDLOF: I think I'm going to
17 see if Doug has a --

18 DR. MCNEIL: All right. Doug, it's
19 for you.

20 DR. THROCKMORTON: In some senses
21 it probably depends on the kinds of drugs
22 you're talking about. So, for some drugs for

1 prescription products, my guess is that we
2 have a fair amount of that information.
3 There's some products, however, where we don't
4 routinely obtain that information from the
5 non-prescription drugs in particular and
6 things where changes in sources for materials
7 be happening fairly frequently.

8 DR. MCNEIL: But do you have some
9 sense of which of those non-prescription drugs
10 are imported in the high enough quantity that
11 they would be worrisome? They don't care
12 about little things.

13 DR. THROCKMORTON: I think to step
14 back from that, sort of if you generalize that
15 comment, it goes back to the sort of risk
16 assessment --

17 It's not just a matter of who is
18 changing sources for their materials
19 frequently, but does that present a risk? Is
20 there a credible way that that could cause
21 harm that could be -- That's the piece you
22 have to include in all of that. I mean, I

1 agree that's one factor but there's also steps
2 in place that are going to prevent anything
3 like that from leading to a, you know, a
4 potential problem. That's why Randy's point
5 is it's a very complex thing. You have to sort
6 of factor all of those things in.

7 DR. MCNEIL: Just if I could follow
8 up with one more, just taking Larry's comment
9 about the desiccated thyroid for example,
10 would you know now where that is coming from
11 since it is a prescription drug? It's not that
12 expensive so it might not be....

13 DR. THROCKMORTON: I'd have to
14 first check and see if it is actually a
15 prescription drug. I don't know whether it is
16 or it isn't or whether it's something that's
17 obtained.

18 DR. MCNEIL: I think it is. I think
19 it is.

20 DR. SASICH: Yes. It's amongst the
21 top 200 most frequently prescribed drugs in
22 the US in 2007. I don't know where the source

1 of the thyroid glands is, but it's just one of
2 those things where here is a natural product
3 that has a very insensitive assay and it seems
4 like it would be very easy to contaminate it,
5 and I don't know if the contaminant might be a
6 risk to public health.

7 DR. THROCKMORTON: It seems like a
8 good one for us to look into.

9 DR. LINEHAN: Well, this is sort of
10 a consumer question. I guess I was thinking
11 about the ease of reporting, and it seems like
12 we hear around the table here the New York
13 Times reports on things and then we know that
14 they exist at least as consumers.

15 A couple of years ago there was
16 this series of articles about salmon --
17 whether or not it was farm-raised or wild, and
18 what was healthier and what wasn't healthier,
19 but, I guess, one of the questions is that
20 some of the salmon were adulterated in the
21 sense that they were fed a dye or something to
22 make them look pinker. Now how does that fit

1 into the framework of reporting and so forth?

2 DR. SUNDLOF: One of the -- in
3 fact, just last week I had a conversation with
4 the National Fisheries Institute, and this has
5 been a perennial problem with seafood. Not so
6 much the fact that there was -- salmon was fed
7 canthaxanthin which gives it the pink color.
8 That's an approved feed additive. They are
9 supposed to list it on the labeling though,
10 and if they don't then they are in violation,
11 and so we can take regulatory action against
12 them.

13 Seafood industry -- there's a
14 number of things, adult fraud, economic fraud-
15 wise that go on. One of them is substitution
16 so you think you're getting grouper but you're
17 really getting tilapia, a much cheaper fish.
18 This happens apparently quite a bit, and the
19 National Fisheries Institute is very concerned
20 about this.

21 There's other things that add
22 weight to the product because product is sold

1 on a weight basis. So, something called
2 glazing in which they actually spray water on
3 it and they freeze it for transport, can add
4 weight to the product so you're not getting as
5 much as you think you're getting when you're
6 buying on a weight basis.

7 So there are a number of those
8 issues, I think. We're concerned about
9 economic fraud, but we're really concerned
10 about economic fraud where it also presents a
11 public health risk. So, with our resources
12 being limited, we try and focus on those areas
13 that really represent more of a public health
14 risk. And we're hearing from our industries
15 that we need to be spending a little bit more
16 time -- The whole broken window theory of law
17 enforcement is that you don't fix the window.
18 Once it's broken, it will proliferate and so
19 that is something I think we really need to
20 keep in mind as we go forward.

21 DR. MCNEIL: I think we have time
22 for one more quick, very quick, question.

1 Rhona. Very quick and quick answer. Turn on
2 your mike please.

3 DR. APPLEBAUM: We've already
4 alluded to the fact that you're all working
5 together because you know if you go back to
6 9/11, there are things that are being
7 identified -- whether it's sector
8 vulnerabilities, so there's obviously models
9 there as relates to what's been done in
10 counterfeiting -- whether you're looking at
11 pharmaceuticals or whether you're looking at
12 foods, what was done with terrorism.

13 But, I was just wondering, and
14 again, just to put this on the table and get
15 back to us, we talked about back in 2001 the
16 need for ISACs, information sharing and
17 analysis centers, to make sure that you can
18 help gauge what is going on. So, for example
19 -- and there's a lot of history in the food
20 industry. I think its adulteration is like the
21 second oldest profession anywhere. But if you
22 look at things from an economic perspective,

1 and that's where Randy has had to focus, as it
2 relates to when you see bio-fuels -- when you
3 see sugars, you see corn being switched to a
4 fuel area, you know that some point in time
5 the need for bricks and juice, bricks and
6 various beverages and food have the potential.

7 So you adjust it again with
8 everybody being as busy as they are, being on
9 the ground, fighting the fires as opposed to
10 being 30,000 feet to see what can be done from
11 an ISAC perspective,

12 I encourage FDA to look at that
13 even more closely because sometimes there's
14 hints. You hope it's going to be -- you can
15 get ahead of the power curve. But sometimes
16 you can see certain things in terms of where
17 the economy is going and where the little
18 rascals want to go in terms of making the next
19 buck.

20 DR. MCNEIL: Okay, I think we are
21 nearing the end of this morning's session. I'd
22 like to thank you all for participating, thank

1 our guests as well for listening. We will now
2 break until 1:00.

3 We have a very busy agenda. The
4 public session will start promptly at 1:00,
5 even if I am the only one here. So, and I
6 wonder if the Science Board can just meet
7 briefly up here for a few minutes before we
8 break for lunch.

9 (Whereupon, the above-entitled
10 matter went off the record at 12:04 p.m. and
11 resumed at 1:03 p.m.)

12 OPEN PUBLIC HEARING:

13 DR. MCNEIL: Okay, I wonder if we
14 can all get seated and start. I'd like to
15 welcome you all to this afternoon session,
16 which is going to be devoted to discussing the
17 BPA Report that was prepared by a subcommittee
18 of the science board.

19 Before doing that I would like to
20 acknowledge that written comments were
21 submitted to the board by several groups. The
22 Environmental Working Group, Mrs. Rachel

1 Rawlins and the Breast Cancer Action Group,
2 The National Resources Defense Council, The
3 American Chemistry Council's Polycarbonate BPA
4 Global Group, and Dr. David Epel from the
5 Stanford University Hopkins Marine Station.

6 The Board has all seen those comments as part
7 of their preparatory materials for this
8 meeting.

9 I would like to thank at this
10 point and on behalf of all of the sub-
11 committee the hard work that Dr. Martin
12 Philbert and his committee did in reviewing
13 the staff's document and in their part in
14 reviewing lots of materials to supplement that
15 document.

16 I'm particularly grateful that two
17 members of the sub-committee are here. Antonia
18 Calafat there, and John Vandenberg. I
19 understand that Garret FitzGerald, who is
20 currently at a site visit at Harvard, will be
21 joining us by phone approximately at 2:00.

22 We have nine individuals who have

1 asked to make public comments. These are in
2 addition to or separate from what has already
3 been received in writing.

4 We have to have a very tight
5 schedule here so what I am going to do is ask
6 each individual to talk for three minutes, and
7 there will be a time period of two minutes for
8 the Science Board to direct questions to you.
9 There will be a firm stop at five minutes, so
10 if you go over your time then there will be no
11 questions from the Science Board.

12 So I am hoping that you will be
13 able to accommodate this schedule so that we
14 can have adequate time for Dr. Philbert's
15 presentation and discussion by the Science
16 Board. So our first presenter is --

17 Sorry. I was just told I have to
18 read a statement, so "Both the Food and Drug
19 Administration and the public believe in a
20 transparent process for information gathering
21 and decision making. To ensure that such
22 transparency at the open public hearing of the

1 advisory committee meeting, FDA believes that
2 it is important to understand the context of
3 an individual's presentation.

4 "For this reason, the FDA
5 encourages you, the open public hearing
6 speaker, at the beginning of your written or
7 oral statement," oral in this case, "to advise
8 the committee of any financial relationship
9 that you may have with the sponsors, their
10 products, and if known, their competitors. For
11 example, this financial information may
12 include a sponsor's payment of your travel,
13 lodging, or other expenses in conjunction with
14 this meeting.

15 "Likewise, FDA encourages you at
16 the beginning of your statement to advise the
17 committee if you do not have any such
18 financial relationships. If you choose not to
19 address the issue of financial relationships
20 at the beginning of your statement, it will
21 not preclude you're your speaking however."

22 So with that, I would like to move

1 on and invite Dr. Olga Naidenko from the
2 Environmental Working Group to make her
3 statement.

4 DR. NAIDENKO: Good afternoon. I am
5 a senior scientist with the Environmental
6 Working Group, a non-profit advocacy
7 organization here in Washington, D.C. I do not
8 have any financial relationship to BPA
9 producers of any kind.

10 I am very grateful for the
11 opportunity to provide today our comments
12 regarding the FDA's draft risk assessment for
13 BPA in food packaging. We are very pleased
14 with the vigor and the quality of the
15 subcommittee report, and we fully support the
16 determination that FDA cannot substantiate the
17 safety of the current BPA uses.

18 FDA estimates of BPA intake for
19 infants and adults are unacceptably close to
20 the concentrations that show health effects in
21 the low dose toxicity studies. This seriously
22 undermines FDA's claims about the safety of

1 current BPA exposure, including exposures from
2 canned liquid infant formula, canned foods, as
3 well as polycarbonate baby bottles. As
4 demonstrated by findings from hundreds of
5 scientific studies published in peer reviewed
6 literature, the margin of safety is simply
7 non-existent.

8 Adopting the subcommittee's
9 recommendations as written and publicly
10 available on Wednesday, will address most of
11 the concerns that EWG has raised in the
12 comments which we provided to the science
13 board on October 24. This concludes with the
14 sub-committee, that included in the risk
15 assessment the studies deemed adequate by the
16 NTP, would call into question the safety of
17 BPA exposures from food packaging.

18 Most alarmingly is the FDA has
19 used outdated decade-old study of only
20 fourteen samples of infant formula which were
21 used to make safety assessments. We know that
22 Canadian health authorities have used the same

1 set of data as they came to a markedly
2 different conclusion. They announced immediate
3 action to reduce BPA exposures for infants.

4 EWG testing of canned food, especially liquid
5 infant formula indicated that infants are
6 exposed to dangerously high levels of this
7 chemical.

8 So, today we have reason to
9 producers of infant formula, asking them to
10 voluntarily repackage their food and eliminate
11 BPA contamination. Formula makers can and
12 should reduce BPA levels while safer packaging
13 is investigated. And parents and pediatricians
14 need to be informed about this, and they need
15 to look for options that will protect the
16 health of their children.

17 We know that early life exposure
18 to BPA can alter the developing brain of
19 infants, can pose serious consequences for the
20 nervous and reproductive system, and we know
21 that we only have one chance to get it right
22 for every child that is born today, for the

1 four million of children that are estimated
2 that will be born in the next year

3 We urge the Science Board to
4 impress upon the FDA the need for immediate
5 action to reduce BPA levels in food and in
6 formula. Thank you very much for your
7 attention today.

8 DR. MCNEIL: Are there any
9 questions of our speaker? Okay, if not, then
10 we'll move on. Thank you very much. We'll move
11 on to Dr. Steven Hentges, from the
12 Polycarbonate/BPA Global Group. Thank you for
13 coming.

14 DR. HENTGES: Thank you. Good
15 afternoon. Thank you for this opportunity to
16 provide comments on the Bisphenol A
17 subcommittee draft report. I'm Dr. Steven
18 Hentges, and I represent the Polycarbonate/BPA
19 Global Group of the American Chemistry
20 Council.

21 The Science Board is receiving
22 many diverse viewpoints on Bisphenol A. But

1 the common ground we all share is a commitment
2 to do what's right to protect the health and
3 safety of American consumers, adults and
4 children alike.

5 For our part, we have sponsored
6 extensive research and analysis for many years
7 to understand Bisphenol A's potential for
8 health or environmental effects. We have made
9 our research publicly available, published it
10 in peer review journals, and shared it with
11 FDA and other regulatory agencies, and we will
12 continue to do so.

13 The research we sponsor is
14 conducted by respected scientists using
15 accepted scientific methodologies, and we
16 welcome scrutiny of those studies by any
17 interested party. We have separately provided
18 written comments on FDA's draft report on the
19 safety of Bisphenol A and food contact
20 applications. It's thorough, based on a sound
21 analytical frame-work to review the most
22 relevant data, and it's well-documented with

1 scientific support for its conclusions.

2 Importantly, FDA's assessment is
3 consistent with the conclusions of other
4 scientific and government bodies world-wide,
5 such as the European Food Safety Authority,
6 Health Canada, the European Union, and NSF
7 International, all of which have completed or
8 updated their assessments this year.

9 We rely on their conclusions,
10 which are that polycarbonate plastic and epoxy
11 resins are safe for use in food contact
12 applications. We appreciate the sub-
13 committee's work on this very important
14 subject, and we note that the report provides
15 many thoughtful recommendations that may help
16 FDA to further improve the quality of its
17 assessment.

18 It is then FDA's role to evaluate
19 those recommendations, implement the ones it
20 finds appropriate, and produce a
21 scientifically sound and defensible
22 assessment.

1 We also note that the sub-
2 committee report reaches certain conclusions,
3 apparently without adequate analysis. For
4 example, the executive summary states,
5 "Coupling together the available qualitative
6 and quantitative information, Including
7 application of uncertainty factors provides a
8 sufficient scientific basis to conclude that
9 the margins of safety defined by FDA as
10 adequate are in fact, inadequate."

11 This definitive conclusion and
12 other similar statements in the report do not
13 appear to be based on a sound and thorough
14 scientific analysis, and in particular, one
15 that follows the sub-committee's own
16 recommendations.

17 The sub-committee also concluded
18 that FDA should "consider in its assessment,
19 all studies judged by CERHR as adequate and of
20 limited or high utility. We fully support
21 FDA's consideration of all relevant scientific
22 research in its assessment. If FDA then

1 identifies additional studies that are of
2 sufficient quality for conducting a safety
3 assessment, they should be considered and
4 given appropriate scientific weight."

5 CERHR's weight of evidence
6 evaluation, based on adequate studies of
7 limited or high utility, itself concluded that
8 there was only limited and inconclusive
9 evidence that low doses of Bisphenol A could
10 cause certain health effects.

11 We note that limited and
12 inconclusive evidence cannot support the
13 definitive conclusions stated in the sub-
14 committee report. We agree with CERHR and FDA
15 that additional research would help to improve
16 our understanding of Bisphenol A's potential
17 to cause health or environmental effects.

18 Like FDA, we are sponsoring
19 additional research to address key scientific
20 questions and uncertainties, and we look
21 forward to making the results of the completed
22 research available.

1 We encourage FDA to act promptly
2 to complete its assessment after receiving
3 your recommendations, and you have our
4 assurance that the commitment to public health
5 that we all share will remain our highest
6 priority. Thank you.

7 DR. MCNEIL: Thank you. Are there
8 questions? I would just comment right now
9 that the last bullet to which you referred has
10 been altered, and you will see that in the
11 presentation of Dr. Philbert.

12 DR. HENTGES: Thank you.

13 DR. MCNEIL: But thank you. Okay,
14 we'll move on to Mr. Ronald Weiss from his law
15 offices. Mr. Weiss?

16 MR. MURAKAMI: Good afternoon. My
17 name is Stephen Murakami. Robert couldn't be
18 here. He had to leave.

19 I thank the sub-committee for an
20 opportunity to address you this afternoon. And
21 I'd like to inform you briefly of some of the
22 legal activity that has developed as a result

1 of this very important public health issue.

2 On March 12, 2007, Robert Weiss
3 and I filed the first civil law suit in the
4 country against the manufacturers of the baby
5 bottles and sippy cups for their
6 misrepresentations, either intentional or
7 negligent, and for their lack of disclosure
8 that their products are made with a
9 potentially toxic substance that may be
10 causing harm to infants and children.

11 Since that time, fourteen months
12 approximately, after we filed our case, there
13 are now 35 cases, similar consumer class
14 action cases filed throughout the United
15 States. A multi-district litigation was
16 formed and venued in Kansas City under the
17 auspices of Judge Ortrie Smith who will
18 consolidate all of the actions filed. And our
19 first meeting will be held on November the
20 18th .

21 While I mean no disrespect to our
22 well-intentioned panel members and I commend

1 you for your efforts and labors in this
2 regard, I have to ask you on behalf of our
3 clients and the rest of the American public,
4 when is the FDA going to take decisive action?

5 Our clients and the public are
6 confused by the seemingly inconsistent
7 information that's coming from one branch of
8 government or one agency and another. They are
9 entitled to decisive action. We ask you to
10 take all speed and ban Bisphenol A from baby
11 bottles and sippy cups. Are we waiting for
12 another DES debacle where generations of
13 children are still getting cancer? I know we
14 don't want that. I encourage you to please
15 resolve this issue, on behalf of the public,
16 as soon as possible. Thank you.

17 DR. MCNEIL: Thank you very much.
18 Are there questions? Thank you. We will be
19 taking a vote on this matter today for the
20 Science Board.

21 MR. MURAKAMI: Thank you.

22 DR. MCNEIL: Okay. Dr. Diana

1 Zuckerman from the National Research Center
2 for Women and Families?

3 DR. ZUCKERMAN: Thank you very
4 much. I'm Dr. Diana Zuckerman, president of
5 the National Research Center for Women and
6 Families, and I have no conflicts of interest.

7 Our center scrutinizes medical and
8 scientific research to see what is known and
9 not known based on that research.

10 In addition to my current
11 position, I am also a fellow at the University
12 of Pennsylvania Center for Bio-Ethics. I was
13 trained in epidemiology at Yale Medical
14 School, worked at Harvard and Yale, and have
15 worked for non-profit organizations and
16 Congress on health policy issues since that
17 time.

18 I strongly commend the sub-
19 committee's report and we agree with the
20 findings. I was especially pleased that you
21 looked at the inadequacy of the samples of
22 infant formula. I wanted to point out that in

1 addition to the fact that there were too few
2 samples, they were also about 15 years old, so
3 we don't really know how representative they
4 are today. And also that all those infant
5 formula samples that were in FDA's draft
6 report were from the Washington, DC area so we
7 don't really know how representative they are
8 of samples from across the country.

9 They were too old, too limited,
10 and too small of a sample, and we really need
11 to know -- as the sub-committee pointed out --
12 the range of levels of BPA because the range
13 is very broad, so it's not enough to look at
14 the mean, and we agree with that strongly. We
15 also agree with, really, all the findings of
16 the report.

17 I think the big issue for us is --
18 We commend the sub-committee for saying that
19 it's not enough to look at the levels of BPA
20 in food containers because that is not the
21 only source of exposure. So when you're
22 thinking about safety regarding the food

1 containers, you do have to look at other
2 sources of exposure, and so we were very
3 pleased that the sub-committee mentioned that
4 -- even though it's a much more complicated
5 issue and we understand that.

6 I also wanted to mention that it's
7 great to focus on children, but obviously you
8 also need to focus on pregnant women, and that
9 is more complicated because the foods and
10 beverages consumed by pregnant women are going
11 to be, of course, the foods and beverages
12 consumed by almost all Americans. So, in
13 addition to looking at children, let's look at
14 those prenatal exposures.

15 And I guess the final point is
16 that we are very pleased and we hope that the
17 full Science Board will support the work of
18 the sub-committee, but it still begs the
19 question as to why the FDA's draft report was
20 so inadequate, and why they rushed to judgment
21 on the basis of such limited information, why
22 they ignored so many excellent peer reviewed

1 studies in their analysis, and why they made
2 so many fundamental flaws in their analysis
3 ending up with an inadequate margin of safety.

4 And furthermore, why -- in
5 response to the sub-committee report that was
6 released this week -- the FDA parsed their
7 words to suggest and to mislead the public
8 into thinking that there is a general
9 international consensus that BPA is safe?

10 It is true that the regulatory
11 agencies, for the most part, have not banned
12 BPA, but Canada did just put a ban on BPA in
13 baby bottles. So, it concerns us that the FDA
14 is continuing to represent the situation as if
15 there is a consensus, as if we can reassure
16 the American public that BPA levels are safe,
17 when in fact, I think all the data suggests
18 that there's a lot we don't know, but that the
19 growing body of evidence is going in a
20 different direction toward risks that are
21 higher than we expected them to be.

22 Thank you very much.

1 DR. MCNEIL: Thank you very much
2 for those thoughtful remarks. Are there any
3 questions of Dr. Zuckerman?

4 Okay. And Jennifer Rogers from the
5 Reproductive Health Technologies Project.
6 Thank you.

7 MS. ROGERS: Good afternoon. I want
8 to thank the FDA's Science Board for convening
9 this meeting to review the draft assessment of
10 BPA for use in food contact applications.

11 My name is Jennifer Rogers. I am
12 the programs and policy director for the
13 Reproductive Health Technologies Project. RHTP
14 is a national non-profit advocacy
15 organization. Our mission is to advance the
16 ability of every women of every age to achieve
17 full reproductive freedom with access to the
18 safest and most effective and appropriate
19 technologies for ensuring her health and
20 controlling her fertility.

21 At RHTP, our work focuses on a
22 Board range of national public health

1 policies, and we have often depended upon the
2 scientific evidence provided by agency reports
3 like the FDA's to help guide our programs and
4 our policies.

5 RHTP does not accept any funding
6 from for-profit companies, drug, or device
7 manufacturers.

8 We urge the FDA to heed the advice
9 of its independent scientific panel and
10 consider all the evidence, as well as their
11 margins of safety, especially considering the
12 cumulative effects of BPA in not only food
13 products, but from a multitude of human
14 exposures.

15 RHTP provided comments at the last
16 Science Board BPA meeting concerning FDA's
17 critical regulatory role and BPA's use in
18 plastic food containers, bottles, table-ware,
19 and the plastic linings of canned foods.

20 RHTP was concerned the FDA draft
21 report concluded that BPA was safe for use in
22 these items based largely on two studies, both

1 of which were funded by industry, both of
2 which used animal models which had been shown
3 to be non-responsive to estrogen.

4 However, we applaud the scientific
5 panel's efforts to carefully evaluate FDA's
6 report. As you know, in the report, the panel
7 criticized the FDA and concluded, "Similarly,
8 to many organizations within and outside the
9 women' health community, that the FDA's
10 science was flawed."

11 Although the panel did not draw
12 conclusions about the safety of BPA, we want
13 to emphasize the growing body of evidence that
14 indicates that this chemical is harmful,
15 especially to the developing fetus, infant,
16 and child -- even at low levels.

17 Numerous studies have found the
18 far-reaching negative health impacts BPA has
19 on women's and men's reproductive health and
20 overall health, including recent reports
21 documenting that BPA interferes with
22 chemotherapy and has even been associated with

1 high-risk of diabetes and heart disease.

2 As the Science Board considers
3 what to do next, we encourage the FDA to
4 review this report without political or
5 private interest interference. We hope that
6 the FDA will communicate with integrity their
7 findings to the public, publish their work for
8 independent scientific review, and disclose
9 any censorship and/or conflicts of interest.
10 We applaud the Science Board panel for its
11 assessment.

12 Lastly, we urge the FDA to not
13 ignore the scientific evidence in its
14 formulation of public policy, especially when
15 the health impacts on women, men, and children
16 are profound. Thank you.

17 DR. MCNEIL: Thank you very much.
18 Are there questions? Okay, then we'll move on
19 to Dr. Sarah Janssen from the National
20 Resources Defense Council. Is Dr. Janssen
21 here?

22 Okay, then we'll move on to Mr.

1 Robert Rankin from the International Formula
2 Council. Is he here?

3 MS. MOUNTFORD: Well, he's not
4 here, but I'm here.

5 DR. MCNEIL: You're Dr. Janssen?

6 MS. MOUNTFORD: No.

7 DR. MCNEIL: Oh, fine. Okay. Tell
8 us who you are.

9 MS. MOUNTFORD: Sure. My name is
10 Marti Mountford, and I'm executive vice-
11 president of the International Formula
12 Council.

13 The IFC is an association of
14 manufacturers and marketers of formulated
15 nutrition products. For example, infant
16 formulas and adult nutritional foods. Our
17 members are predominantly based on North
18 America. On behalf of IFC, I welcome the
19 opportunity to comment on the recent report of
20 the FDA Science Board.

21 The primary focus of the Council
22 and its member companies is and always will

1 remain the health and welfare of infants and
2 children around the world. Today, and in the
3 days and weeks that follow, much will be
4 discussed and debated about the science that
5 is at the core of this issue.

6 I urge this organization and all
7 who speak about the issue to put parents and
8 babies first by clarifying the potential risks
9 associated with BPA and providing appropriate
10 and meaningful guidance. The infant formula
11 industry takes all safety issues very
12 seriously, and we support science-based
13 efforts to continue to produce infant formula
14 products of the highest-possible quality. When
15 new information becomes available on
16 substances like BPA, we support bringing that
17 information forward through a sound regulatory
18 process of scientific review and evaluation as
19 the basis for regulations.

20 We support the thorough assessment
21 approach currently utilized by the FDA and by
22 numerous world-wide regulatory agencies. For

1 example, in Canada and Europe, and in Japan.
2 None of these agencies has restricted BPA in
3 packaged foods, but they've engaged in a
4 thorough process of assessing any potential
5 issues associated with BPA exposure.

6 This standard, multi-step
7 evidence-based scientific process to establish
8 a sound risk assessment is based on well-
9 defined criteria. And we appreciate the sub-
10 committee's important role in FDA's evaluation
11 process regarding the safety of BPA.

12 We note that the FDA's draft
13 assessment excluded many low-dose BPA studies
14 because of their serious limitations, a
15 decision based on a well-established review
16 process for making regulatory decisions. There
17 are may published studies that provide new
18 scientific information about the mechanism of
19 action of BPA, but are not designed for the
20 purposes of assessing safety.

21 We are confident the Science Board
22 will carefully consider the weight of evidence

1 and sound regulatory process, as well as
2 conclusions of other regulatory agencies
3 around the world as it evaluates the sub-
4 committee's report.

5 As FDA noted on October 28, the
6 present consensus among regulatory agencies in
7 the US, Canada, Europe and Japan is that
8 current levels of exposure to BPA through food
9 packaging do not pose an imminent and
10 immediate health risk to the general
11 population, including infants and babies.

12 Further, all these agencies have
13 concluded that trace amounts of BPA from food
14 packaging are not a risk to human health. None
15 of these have restricted BPA in packaged
16 foods. Now, the IFC member companies
17 continually evaluate food packaging and
18 scientific research to guarantee product
19 safety and quality. Our goal is to ensure the
20 health and well-being of infants.

21 Because the questions about BPA
22 have been raised, we have continued to work

1 with our suppliers to identify opportunities
2 for packaging without BPA. There are no quick
3 solutions though, and we would welcome
4 solutions. But in the interest of safety and
5 consumer confidence, any new alternatives have
6 to be carefully assessed to assure the highest
7 possible standards of quality. As soon as a
8 safe and viable alternative is identified by
9 the chemical and container industries --

10 DR. MCNEIL: Excuse me, one minute.

11 MS. MOUNTFORD: Thank you. We stand
12 ready to bring these new containers to market
13 as quickly as possible once they have been
14 approved for use by the FDA.

15 Infant formula is the most highly
16 regulated food in the world and remains the
17 only safe and nutritious alternative for
18 babies who are not breast fed.

19 As the FDA's press release, the
20 October release, stated the Surgeon General
21 Galson -- he said the most important thing
22 parents can do for their babies is ensure they

1 receive adequate nutrition.

2 While the best source of nutrition
3 for babies is mother's breast milk, infant
4 formula remains the recommended alternative
5 when breast milk is not an option.
6 Additionally, Health Canada has stated the
7 nutritional benefits of infant formula far
8 outweigh the potential risks from BPA. On
9 behalf of the council, I thank you for your
10 time today.

11 DR. MCNEIL: Thank you, and thank
12 you for being a substitute -- Are there any
13 questions? Could we have the spelling of your
14 last name for the record?

15 MS. MOUNTFORD: Sure. Mountford. M-
16 O-U-N-T-F-O-R-D.

17 DR. MCNEIL: Thank you very much.
18 Okay, we'll move on to Dr. John Rost, from the
19 North American Metal Packaging Alliance. Dr.
20 Rost?

21 DR. ROST: Good afternoon. My name
22 is Dr. John Rost, and I am chair of the North

1 American Metal Packaging Alliance. I
2 appreciate this opportunity to speak before
3 the science board of the Food and Drug
4 Administration. NAMPA and its member companies
5 support sound science and trust the scientific
6 review process that has protected our food
7 supply for decades.

8 NAMPA appreciates and thanks the
9 Science Board BPA sub-committee for its
10 efforts. NAMPA urges the FDA to base its final
11 safety assessment on a full and robust review
12 of all relevant studies and their underlying
13 data.

14 NAMPA believes that it is
15 critically important for consumers of the
16 United States to have confidence in the
17 products that FDA reviews and allows for
18 consumers to use and to facilitate this, the
19 FDA must have access to appropriate
20 information.

21 We fully support the sub-
22 committee's recommendation that the FDA review

1 should include examination of the studies that
2 the FDA originally rejected based on its
3 determination that its studies were materially
4 flawed.

5 We noted that the same studies
6 were also rejected by reviews from the
7 European Food Safety Authority. Additionally,
8 the National Toxicology Program and the Center
9 for the Evaluation of Risk in Human
10 Reproduction Reviews, which included the
11 analysis of these studies, did not yield
12 conclusions dissimilar from FDA's draft
13 assessment.

14 In all but one area, NTP rated the
15 concern of BPA as minimal or negligible. The
16 single area where the concern level was raised
17 to some was based on insufficient evidence to
18 lower that concern level and NTP called for
19 more research which FDA has already proposed.

20 NAMPA encourages FDA to reexamine
21 the studies as urged by the sub-committee. We
22 believe this should be undertaken as quickly

1 and transparently as possible. We urge that
2 FDA immediately call on the authors of the
3 research in question to submit to FDA all
4 information required for a full review, which
5 would include all raw data and related
6 information.

7 Additionally, all pertinent
8 information to other experiments from the
9 authors that may not have been included in the
10 published reports should be requested. For
11 example, the scientists who would be asked to
12 submit data should also be asked if they
13 attempted to replicate their data but were
14 unable to do so, but failed to list that in
15 their reports. Information required to be
16 reported in relation to industry sponsored
17 studies and on all research should be yielded
18 at the same standards.

19 Additionally, all information
20 should be submitted to FDA to allow it to
21 determine if the quality of these studies meet
22 the minimum requirements for consideration for

1 regulatory purposes.

2 Accordingly, NAMPA urges the
3 Science Board -- its assessment to review the
4 position taken by the sub-committee and the
5 FDA, and also to consider the position on data
6 assessment taken by other international
7 regulatory bodies, including the European Food
8 Safety Authority, Germany, Japan, Canada and
9 the United Kingdom.

10 NAMPA is also aware of concerns
11 that have been expressed about the integrity
12 and independence of the subcommittee. Members
13 in Congress and public interest groups alike,
14 as recently as Tuesday of this week, called
15 for the cancellation of this sub-committee
16 report and today's meeting. Now that the sub-
17 committee's recommendations have been made
18 public, those same critics are now strangely
19 quiet about concerns that the sub-committee's
20 integrity and the independence of the process.

21 The process however, cannot be
22 deemed legitimate only if it yields the

1 results desired by those who cried foul
2 earlier this week. As a concerned stakeholder,
3 NAMPA believes the public trust will be
4 further eroded if all parties do not demand
5 better. Thank you.

6 DR. MCNEIL: Thank you very much.
7 Are there -- I just sent a note, and I don't
8 know whether we know the answer about whether
9 or not the FDA has the authority to do what
10 you requested, in terms of asking authors of
11 either private studies or -- you do have that
12 authority?

13 Okay, I think the comment was just
14 made that the FDA would welcome any of the raw
15 data from any of the sources and we can talk
16 offline about how to do that and what might be
17 the next steps.

18 DR. ROST: Okay.

19 DR. MCNEIL: Thank you very much.
20 Okay, Dr. Urvashi Rangan from Consumer
21 Reports.

22 DR. RANGAN: Thank you. Good

1 afternoon. My name is Urvashi Rangan. I am a
2 senior scientist with Consumer's Union, the
3 non-profit publisher of Consumer Reports.

4 We have no conflicts of interest,
5 no vested interest in BPA manufacturing or use
6 of it. We wish to thank the members of the
7 scientific sub-committee for their report on
8 the FDA draft risk assessment of BPA. We
9 appreciate the level of depth of your
10 analysis, your candor in your opinion and your
11 careful consideration of public and scientific
12 input. We applaud the report.

13 We also wish to thank the FDA at
14 this time for providing this opportunity to
15 make public comment. Today, the report that's
16 been issued serves as yet another scientific
17 consensus document that the FDA position that
18 BPA is safe is wrong. As one reads through the
19 answers to the many questions asked by FDA to
20 the scientific subcommittee, it is clear that
21 the FDA was mostly transparent in how its
22 analysis was conducted and that's a good

1 thing.

2 However, the report underscores
3 the severe limitations in the FDA analysis,
4 including omission of hundreds of scientific
5 studies and its assessment, shortcomings in
6 the exposure analysis of BPA, limitations in
7 the potential toxic endpoint range, that has
8 led FDA to calculate an erroneous margin of
9 safety. And this has been the basis of FDA's
10 claim for BPA's safety in the marketplace.

11 Consumer's Union urges the FDA to
12 stop their one-dimensional approach to
13 assessing the safety of BPA, and to take this
14 opportunity to analyze studies in concert,
15 especially where cellular, animal, and human
16 study observations are lined up with a common
17 endpoint.

18 Consumer's Union is concerned that
19 the FDA statement and their characterization
20 of Canada's action to restrict the use of BPA
21 that has been taken with an overabundance of
22 caution, is cavalier and it is not rooted in

1 the totality of the current weight of
2 scientific evidence.

3 The report today suggests that the
4 FDA is not correct in its assessment of BPA
5 safety, that it is inadequate, and that it is
6 flawed. It is not clear why the FDA believes
7 this move by the Canadian government is
8 excessive. And while Canada often takes its
9 cues from the United States, we applaud their
10 efforts to protect their consumers from
11 potential harm. It is not only right, but it
12 is responsible, and the American public needs
13 the FDA to follow suit.

14 We would like to offer the FDA a
15 challenge to change their strategy on
16 assessing BPA safety from a defensive one to
17 an offensive, pro-active one. The American
18 public is entrusting you to ensure that our
19 marketplace is safe, and that chemicals like
20 BPA are largely curtailed from wide-spread
21 use, especially when consumers are currently
22 ingesting amounts that approximate levels that

1 cause harm in animals.

2 We need you to account for the
3 full range of possible BPA exposures, the full
4 range of possible toxic endpoints, specific
5 population susceptibility issues among others
6 mentioned in the report.

7 In the meantime, in response to
8 the Infant Formulation Council, there are
9 alternatives for canned formula at this time.
10 There are plastic bottles and there are
11 readily alternatives available for the infant
12 formula industry.

13 We also believe that the FDA
14 should act responsibly, that they should ban
15 the use of BPA in all food contact
16 applications at this time so that consumers do
17 not have to continue ingesting this
18 questionable chemical while the FDA gets a
19 better handle on the potential harm.

20 Consumer confidence in the
21 plastics that they buy is in question and they
22 need the FDA to step up to the plate and ban

1 the use of this until we fully understand the
2 wide range of effects. Thank you.

3 DR. MCNEIL: Thank you very much,
4 Dr. Rangan. Are there comments or questions?
5 Are there any other members of the audience
6 who did not sign up to make a comment who
7 would like to make a brief comment at this
8 time? Emphasis on the brief.

9 Yes, please. Please identify
10 yourself and any conflicts.

11 MR. COLANGELO: Good afternoon. My
12 name is Aaron Colangelo. I'm an attorney at
13 NRDC. We don't have any conflicts. Dr. Janssen
14 with NRDC had signed up to speak, but was
15 unable to attend. She's in California. I'm
16 filling in for her.

17 I have three brief comments. First
18 is that NRDC is happy with the sub-committee's
19 report and we want the Board to adopt it in
20 full. We recommend that the FDA re-do their
21 analysis to address the serious criticisms and
22 concerns itemized in the sub-committee's

1 report.

2 Second, missing from the charge
3 questions to the sub-committee was the
4 question of whether BPA was safe as a food
5 additive and whether it should be permitted to
6 be used in food contact applications.

7 The draft report did not expressly
8 address this question, although the statement
9 in the report that the -- I'm sorry -- the
10 sub-committee's report did not directly
11 address this question, but the statement that
12 the margin of safety is inadequate would
13 suggest that the sub-committee has taken the
14 position that it should not be approved as a
15 food additive. We would have preferred had
16 that been expressly asked of the sub-
17 committee.

18 Finally, the governing legal
19 standard should determine the outcome here,
20 the outcome of the Board's review. Under the
21 Federal Food, Drug, and Cosmetic Act, the FDA
22 may not approve a food additive if it "fails

1 to establish that the proposed use will be
2 safe under approved conditions of use."

3 In other words, the statute
4 establishes an affirmative obligation on the
5 FDA to demonstrate safety before a food
6 additive may be approved. The FDA's
7 regulations repeat this and reiterate this
8 burden of proof. The regulations state that a
9 food additive may not be approved if "it has
10 not been shown by adequate scientific data to
11 be safe."

12 Therefore, under both the statute
13 and the regulations, the burden of proof is
14 dis-positive. The FDA must affirmatively
15 establish safety before allowing BPA to remain
16 on the market in food contact applications.
17 Thank you.

18 DR. MCNEIL: Thank you very much.
19 We're glad you were able to fill in.

20 MR. COLANGELO: Thank you.

21 HEARING REPORTER: Can you just re-
22 state your name? Did you get that name?

1 DR. MCNEIL: No. Re-state your
2 name.

3 MR. COLANGELO: Sure. My name is
4 Aaron Colangelo. C-O-L-A-N-G-E-L-O. I'm an
5 attorney with NRDC.

6 DR. MCNEIL: Okay. Great, thank
7 you. Is there anybody else who would like to
8 make a statement from the audience?

9 Are there any questions from the
10 Science Board for any of the speakers who just
11 presented their thoughts?

12 All right. What I'd like to do is
13 --

14 DR. PENA: If there is information
15 that people would like to submit to the agency
16 -- data supporting any claims or assertions,
17 they can be submitted as written comments and
18 I would encourage you to contact me. My e-mail
19 address and number is outside. We would
20 welcome those comments to the agency.

21 REPORT FROM THE SCIENCE BOARD

22 BISPHENOL A (BPA) SUB-COMMITTEE

1 DR. MCNEIL: Sidebar here -- I
2 think what we'll do then -- We were hoping to
3 have Garret FitzGerald join us right at the
4 beginning, but rather than delay the start of
5 this important session, I think we'll ask Dr.
6 Philbert to make his presentation.

7 Dr. FitzGerald, who was a member
8 of the sub-committee, will join us by phone as
9 soon as he can, and we'll try to get him on
10 line now.

11 And again, I'd like to express my
12 gratitude and that of the Science Board to Dr.
13 Philbert and his committee for their hard
14 work. They met many times. They have talked on
15 the phone many times. They wrote and re-wrote.

16 DR. PHILBERT: I'd like to thank
17 Dr. McNeil and the Science Board for taking my
18 first meeting on the Science Board to immerse
19 me in such an easy issue.

20 The sub-committee was charged with
21 the scientific peer review of the draft
22 assessment of Bisphenol A for use in food

1 contact applications, and as such, our charge
2 was two-fold. A) to focus solely on the draft
3 assessment and to provide a scientific review
4 and not a risk assessment, per se, or a risk
5 management.

6 So, the process is as follows. We
7 were a temporary sub-committee constituted by
8 this Science Board to look again at the
9 scientific peer review of the draft assessment
10 produced by the FDA, focused only on Bisphenol
11 A for use in food contact applications. The
12 sub-committee was composed of two Science
13 Board members and augmented by five scientists
14 from academia and government agencies.

15 Members of the sub-committee were
16 chosen for scientific expertise in disciplines
17 related specifically to the issues addressed
18 in the document.

19 I apologize for the small type,
20 but this table, which is available in the
21 handouts, shows the dates of our
22 teleconferences, when materials were provided

1 to us for review, and highlights the September
2 16 public meeting that was held in this hotel,
3 followed by another telephone conference on
4 October 10, a subsequent conference on October
5 16, and culminating in this oral presentation
6 to the Board.

7 The review of the document
8 encompassed an in-depth look at the processes
9 and the scientific methods, et cetera, that
10 were employed in the production of the FDA
11 assessment. On the 16th of September of this
12 year, we held a public meeting, as I had
13 mentioned earlier.

14 I'd like to extend very special
15 thanks to Drs. Tarantino, Bailey, and
16 Twaroski, who provided us with a clear and
17 concise overview of the processes that they
18 used in producing the document. I would like
19 to extend a special thanks to Dr. John Bucher
20 from the National Toxicology Program, who laid
21 out for us very clearly the framework that was
22 employed in evaluating studies that went

1 beyond the laboratory practice studies.

2 Dr. Frederick von Saal kindly came
3 and gave us an overview of the findings of the
4 Chapel Hill Bisphenol A expert panel, and the
5 findings specifically that diverge from the
6 FDA draft assessment, and I again, would like
7 to thank them all for taking the time to come
8 and inform us on this important matter.

9 We had open public hearings
10 followed by an invited panel of experts, and
11 that's provided in the first appendix to this
12 sub-committee report, and I'd like to extend
13 my thanks to them.

14 The individual comments of the
15 sub-committee were compiled by myself in late
16 September. The draft report was discussed
17 extensively both through e-mail and one on one
18 teleconferences and the joint teleconferences
19 as indicated in the table. It was finalized
20 and submitted on the 20th of this month. And
21 I'm happy to report that the report represents
22 consensus. There is no minority report, and

1 I'm gratified to say that I've been on other
2 panels where the subject matter has been much
3 less contentious and had much more vigorous
4 discussion. There was great accord even though
5 there was very deep examination of the
6 individual's issues as they arose.

7 So, the FDA report scope is
8 abstracted as follows, and there are many
9 other more minor points that are encapsulated
10 in the documents and I encourage everyone on
11 read it carefully.

12 Bisphenol A is clearly present in
13 food contact applications results in dietary
14 exposure of Bisphenol A to infants, children,
15 and adults. And the sub-committee agrees with
16 the focus of the FDA draft assessment on
17 dietary exposures in children largely because
18 they are more likely to have greater exposures
19 and because of the metabolic state of
20 development of the liver -- and specifically
21 with respect to the development of
22 sulfotransferases and glucuronidases, and the

1 relative lack of activity in an infant liver -
2 - they're more likely to have susceptibility
3 to the parent compound.

4 Food consumption patterns in
5 infants clearly expose them to a greater
6 amount of the material. Metabolism, as I've
7 just mentioned, and given some of the newer
8 studies on development of the sexual systems
9 and of the nervous system, there may be
10 vulnerability due to a variety of mechanisms.

11 With respect to our findings, we
12 suggest that the assessment would be
13 strengthened by considering cumulative
14 exposures and differential risk in neonates.
15 There is a commonly held assumption that
16 dietary intake is the major route, but there
17 is precious little data substantiating other
18 potential routes of exposure, and a placement
19 within that full range of exposures of the
20 dietary intake.

21 Thus, exposure assessment in the
22 document has important limitations. As has

1 been mentioned earlier, the rather small
2 number of infant formula samples that were
3 taken to underpin the report we found was
4 inadequate.

5 It also relies on mean values
6 rather than accounting for variability in
7 samples and stratifying the amount of
8 Bisphenol A into quartiles or quintiles for
9 matching up with an epidemiological study.

10 The draft assessment does not
11 articulate reasonable and appropriate
12 scientific support for the criteria applied to
13 select data. I.e., there was no apparent
14 framework in the draft assessment that allowed
15 for evaluation of inclusion of studies or
16 exclusion of studies. And so, we subsequently
17 came to the conclusion that we do not agree
18 that all non-GLP studies should be excluded
19 from use in the safety assessment.

20 The FDA should use those studies
21 that are judged as adequate by NTP, CERHR, or
22 "SEER," in the hazard dose response and safety

1 assessment of Bisphenol A.

2 And here, John Bucher's
3 presentation was incredibly helpful in laying
4 out the consistent method for appropriate
5 evaluation of studies rejected by the FDA, and
6 for inclusion in the CERHR assessment.

7 Several additional studies of
8 effects of BPA on adult humans and animal
9 species published after the completion of the
10 draft assessment should also be considered for
11 inclusion in the final assessment. And in our
12 report, we note the limitations of many of
13 these new, sometimes smaller-scale,
14 mechanistically-focused studies, including
15 route or exposure, dosing regimes, and
16 statistical design of the studies.
17 Nonetheless, we feel that they may inform the
18 assessment process.

19 We also found that the draft
20 assessment lacks an adequate characterization
21 of uncertainties in its estimates of both
22 exposure and effects, and that the weight of

1 the evidence provides scientific support for
2 the use of a point of departure substantially
3 lower than the 5 milligrams per kilogram body
4 weight per day that was calculated in the
5 draft assessment. And in order to arrive at
6 that assessment, one includes studies
7 identified by CERHR as adequate in having
8 utility.

9 Available quantitative and
10 qualitative information provides sufficient
11 scientific basis to conclude that the margins
12 of safety defined by FDA as "adequate" are in
13 fact not adequate, including the application
14 of uncertainty factors. And to be clear, the
15 sub-committee focused here on exposure in
16 infants. Also to be clear, relies on the Tyl
17 et al study is understandable, is warranted,
18 and is sound for use in quantitative risk
19 assessment.

20 Now, the problem here is that
21 state-of-the-art assessment methods, such as
22 benchmark dose modeling, was not employed.

1 And so, while use of the Tyl studies was
2 sound, the modeling aspects of the exercise
3 could benefit from greater attention.

4 So that leads us to the
5 irreducible conclusion that the Tyl studies
6 are not the only studies that can be used in
7 this context. Smaller high-quality
8 mechanistic studies may portend significant
9 health risks at lower exposures than those
10 used by Tyl et al.

11 Although we were all of a single
12 mind that, while these lay very markers in the
13 field, they do require further attention in
14 terms of much more GLP-type approaches to
15 getting the answer. But what they do allow for
16 is the identification of additional hazard
17 endpoints that are not uncovered in the high
18 quality GLP studies, not because they were
19 deficient, but precisely because they were not
20 designed to find those endpoints. And these
21 endpoints include mammary, prostate, and
22 neural behavioral development.

1 So, as alluded to earlier, the FDA
2 assessment focused only on food contact
3 applications and therefore did not look at the
4 totality of exposures from other routes, and
5 so it's a little difficult to assess where in
6 the range of exposures food contact
7 applications are pitched.

8 This is problematic because the
9 data isn't there, and so, really, this is a
10 call for better exposure assessment. Exposure
11 assessment was clearly limited both in size,
12 geography, temporal distribution, and I'm sure
13 others can come up with other caveats.

14 The exposure assessment does not
15 adequately account for variability in the
16 potential exposures. The point estimates of
17 exposure are used rather than stratifying
18 into, for instance, the 95th percentile.

19 The small sample size, frankly,
20 also doesn't allow for any in-depth analysis
21 of variability in either the sample that was
22 gained, or in variability of how the food is

1 prepared -- whether or not individuals
2 microwaved in a polycarbonate container in
3 situ or prepared the formula in some other
4 way.

5 The draft assessment did not
6 include a sufficiently wide range of samples
7 for estimating BPA contact in food,
8 distribution of data value, sensitivity
9 analysis for data values without distribution,
10 or demographic information to determine the
11 likely number of people exposed at each
12 estimated concentration, i.e. 5 percent of
13 children less than 1 year old are exposed to x
14 micrograms per kilogram body weight per day,
15 which would very much help in the analysis.

16 There was also no quantification
17 or characterization of the uncertainties
18 included in the assessment, and this
19 represents a lack of a coherent approach to
20 the establishment or quantification of
21 uncertainty and is viewed as a major omission
22 in the assessment.

1 And here, I would yield to my
2 colleague, Dr. Vandenburg, who thankfully, as
3 we referred to in an earlier discussion, is a
4 risk assessor and saved us from making several
5 mistakes. But the choice of uncertainty
6 factors is tightly interwoven with the study
7 or studies that one chooses to include in the
8 assessment.

9 And so, that highlights the need
10 for a coherent framework up front for
11 inclusion and exclusion, and then building the
12 uncertainty factors off that.

13 There was one notable deviation in
14 the report. The draft report selected five
15 milligrams per kilogram body weight per day as
16 the no adverse effect level and identified
17 several uncertainty factors. Ten for
18 reversible intraspecies variability. Ten for
19 reversible interspecies variability. Ten for
20 irreversible reproductive or developmental
21 effects, and ten for systemic toxicity from
22 less than chronic exposure extrapolations to

1 chronic exposures. But the stated uncertainty
2 factor in the draft report is ten to the
3 three, and so we concur that this needs to be
4 revisited.

5 And as mentioned earlier,
6 selection of alternative studies for a point
7 of departure, i.e. based on non-GLP studies
8 would affect the selection of this uncertainty
9 factor.

10 I want to underline here that the
11 sub-committee did not do an additional
12 assessment, but we reviewed the FDA assessment
13 and we did not think it was appropriate that
14 we engage in an additional assessment, the
15 time constraints notwithstanding.

16 There's also limited data
17 available with regard to other food contact
18 exposures that may be pertinent, especially in
19 infants, i.e. polycarbonate sippy cups, sport
20 bottles, and other containers that are used
21 frequently.

22 The NTP brief suggests that

1 neonatal metabolic capacity is far less
2 efficient than adults in animal models, and we
3 think that it's noteworthy that the Tyl
4 studies were not designed to look at exposures
5 in neonates, and that that needs follow-up.
6 However, it's our consensus that this may
7 place neonates at greater risk than is
8 acknowledged in the FDA assessment.

9 So, in terms of future directions,
10 it's clear that additional bio-monitoring
11 studies are needed and would shed light on
12 other exposures. There's a marked paucity of
13 data on internal dose in vulnerable
14 populations and that's an area for enhanced
15 further research. And this is especially true
16 for infants with additional exposures through
17 medical devices. And these children may be at
18 risk due to an ongoing disease burden. This
19 highlights the need for analysis of cumulative
20 exposure and differential risk in neonates.
21 There is also a need for the development of
22 robust pharmacokinetic models that will be

1 useful for integrating non-oral exposure
2 routes into risk assessments.

3 As I mentioned earlier, some of
4 the smaller mechanistically-focused studies
5 use subcutaneous exposures. What that means
6 for a low-dose chronic oral exposure has yet
7 to be determined and can be achieved through
8 PBPK modeling. There needs to be models built
9 for humans, non-human primates, and other
10 species to make the extrapolation more robust.

11 There will be a need, we feel, for
12 study on non-human primates, but we feel that
13 they should be limited and focused. The
14 resulting PBPK models will address inter-
15 species effects. They will enable strong and
16 accurate extrapolation to humans. They will
17 reduce uncertainties surrounding species-
18 specific endocrine development, and here,
19 clearly a non-human primate versus a rat
20 versus a mouse -- well, that leads to a lot of
21 interpretation. We feel that this will close
22 the gap. This should be question-driven and

1 limited due to the expense and of course,
2 ethical concerns with use of large numbers of
3 non-human primates.

4 The JAMA study, which was released
5 just prior to our September meeting, is also a
6 landmark study. It raises a number of
7 interesting questions that must be confirmed.
8 We suggest that the FDA should seek the
9 plausibility, the biological plausibility of
10 the effects observed in rodent studies and in
11 the human study.

12 We need to identify links between
13 insulin resistance and Bisphenol A in vivo. We
14 need to ask the question, is insulin
15 resistance due to BPA-linked perturbations in
16 adiponectin homeostasis a robust effect, and
17 does it translate from in vitro to in vivo.
18 Does BPA elevate blood pressure and hence, the
19 response to thrombogenic stimuli in vivo in a
20 dose dependant manner. And are any of these
21 effects influenced by gender. And one might
22 also throw in there, by age and other

1 biological effects.

2 We also suggest that large rodent
3 study should be considered to address the
4 central question of the developmental toxicity
5 of BPA, and the study should be designed for
6 regulatory purposes, i.e. it should meet
7 criteria established by FDA or reasonable
8 criteria set by the scientific community for
9 study evaluation. It should address the
10 endocrine mechanism-based concerns of the
11 scientific community, use endpoints and models
12 validated for the study of endocrine-mediated
13 developmental processes.

14 And appropriate experimental
15 designs in endpoints already exist and should
16 be employed to evaluate effects of endocrine-
17 active chemicals on the development of
18 structure and function of the nervous system
19 and other organs of concern. So, in many ways,
20 there is no need -- the wheel already exists
21 for many of these experimental models and
22 there's no need to re-invent it.

1 The experimental design should be
2 statistically robust and there are many
3 statistical models out there that can be used
4 to optimize these studies for use in a risk
5 assessment.

6 We also recommend that FDA look
7 into the development of meta-analytical
8 capabilities that would better enable the
9 systematic evaluation of disparate, i.e. GLP
10 and high quality, non-GLP mechanistic and
11 descriptive studies for use in risk and safety
12 analysis and assessments.

13 We suggest that there is applied a
14 limited sensitivity analysis that would
15 summarize the impact of inclusion of
16 appropriately selective alternative studies.

17 We also are of one mind that, as
18 an akin to the pharmaceutical industry, any
19 data on the safety or risk of BPA generated
20 subsequent to the approval of a product should
21 be released for independent review, either
22 here at this Science Board or elsewhere.

1 And with that, I would like to
2 acknowledge with deep thanks the efforts, the
3 tireless efforts of the sub-committee, who
4 were enormously responsive. Garret, who
5 hopefully is joining us --

6 DR. FITZGERALD: I am.

7 DR. PHILBERT: Thank you. Our
8 Science Board colleague, Dr. Phil Bushnell
9 from the EPA, Antonio Calafat from CDC, who is
10 here today, along with John Vandenberg, Howard
11 Hu from University of Michigan, and Howard
12 Rockette from Pittsburgh. Also, Carlos Pena,
13 who ably staffed the sub-committee. I'd like
14 to thank the FDA for their responsiveness in
15 providing us with the materials and with the
16 help that we required when we needed it, as
17 fast as was humanly possible. Thank you.

18 Q AND A AND DISCUSSION:

19 DR. MCNEIL: Well, thank you very
20 much, Martin. That was a wonderful
21 presentation and a very thoughtful report.

22 So let me tell you what I think we

1 should do now -- just lay out the order of the
2 rest of the time devoted to the subject.

3 We will have questions about this
4 particular document by the Science Board. At
5 the end of that time, there will be a series
6 of options presented to the Board for their
7 consideration.

8 Those options are actually written
9 on paper that you have at your places that we
10 will discuss in a little bit more detail, but
11 just for purposes of the audience at this
12 point, and they will be shown shortly. They
13 basically say accept the report or accept with
14 further input from either the FDA or the
15 Science Board in terms of the need for
16 additional studies. Or of course, there would
17 be other options, but those would be the ones
18 that seem most likely.

19 If it's accept with further
20 information, that would move decision-making
21 from the Science Board to February. If the
22 report is accepted now with small changes,

1 that would make a decision possible today.

2 So, you can see that these are the
3 options that we will be discussing at the end
4 of the question and answer period. And the
5 last two require a little bit of clarification
6 because they may not be worded as well as they
7 should be. And Jack Linehan has graciously
8 agreed to be the scribe for the Science Board
9 in terms of identifying future areas that we
10 come up with.

11 So, I think, with that, I would
12 like to ask members of the Science Board for
13 questions or comments of Martin or other
14 members of the Science committee, and Dr.
15 Garret FitzGerald is on the line now.

16 Yes, please.

17 DR. SASICH: I've got two
18 questions. The first one is -- you mentioned
19 that there was a change in the language in one
20 of the bullets.

21 DR. MCNEIL: Oh, yes. Why don't we
22 put those up. That's a good question, Larry.

1 Sorry.

2 Can everybody see that? If not --
3 can you all read that? There are actually -- I
4 will read it.

5 There are two. The last bullet has
6 been edited into two bullets. So, let me read
7 the first one. Here it goes. So scratch the
8 last bullet from the report as you have it,
9 and once I've read this potentially you can
10 see that.

11 Coupling together the available
12 qualitative and quantitative information,
13 parentheses, including application of
14 uncertainty factors, provides a sufficient
15 scientific basis to conclude that the margins
16 of safety defined by the FDA is adequate are,
17 in fact, inadequate. This does not mean that
18 the potential exposures are not, quote,
19 acceptable, end-quote. The latter is the
20 subject of policy that appropriately rests
21 with the commissioner.

22 Any subsequent policy decisions

1 would benefit from revisions to the draft
2 assessment based on the subsequent report and
3 would be formed by other pertinent
4 considerations.

5 That last bullet is further
6 augmented by the statement, the weight of the
7 evidence suggests that establishment of a more
8 conservative margin of safety is indicated for
9 infants.

10 So, I hope that clarifies the
11 clarification of the last bullet, which I
12 think was raised by one of our speakers and I
13 can't remember exactly who.

14 So, Larry -- Larry, did you have
15 other comments or questions? By the way,
16 we'll make copies of these for members of the
17 audience.

18 DR. SASICH: No, I see little
19 difference between not adequate and
20 inadequate. I didn't quite understand the
21 necessity of that change.

22 DR. VANDENBERG: My name is John

1 Vandenberg, and I'm here representing the sub-
2 committee and myself. Although I work at the
3 EPA, my appropriate disclaimer is I'm not
4 representing, not necessarily representing the
5 views and policies of the EPA.

6 As we discussed this particular
7 section, what I would point to is, it says the
8 basis to conclude that the margins of safety
9 defined by EPA. So the construction in which
10 we're working in is that in the draft report,
11 FDA defined what, quote-unquote, adequate
12 meant.

13 And that was that there was a
14 relationship between the margin of exposure
15 and the selection of the uncertainty factors.

16 So, within that construct, what we have is
17 the realization that that was a margin of
18 about two in the draft when you do the
19 calculations, and that would meet the
20 definition of adequate, as defined by FDA.

21 So, in our deliberations, what we
22 concluded was, in fact, that a different point

1 of departure seemed to be likely if the draft
2 assessment was revised. That would be
3 substantially below the point of departure
4 that was identified by the FDA, and that leads
5 you to the conclusion that that calculation of
6 the margin of exposure to the uncertainty
7 factors would in fact yield not an adequate,
8 but the converse, which is inadequate.

9 So, we're using the construction
10 as defined by FDA as what adequate means
11 there. And I think its perhaps confusing
12 because adequate is not the same word as
13 acceptable. And the word acceptable rests
14 quite rightly with the FDA commissioner, which
15 is what that next sentence speaks to, is that
16 the decision, a policy decision as to whether
17 or not some particular exposure is acceptable
18 or not certainly didn't rest with the sub-
19 committee. It rests with the FDA
20 commissioner, so that's why the elaboration, I
21 think, has been suggested here.

22 It's to make it clear that the

1 sub-committee is working within the
2 construction of the report. The definitions,
3 as we read them in the FDA draft, and we are
4 not speaking to what is acceptable or not.
5 That's not the role of the sub-committee.

6 I hope that answers questions.

7 DR. MCNEIL: Right. The sub-
8 committee is to review the science only.

9 DR. SASICH: One other quick
10 question. What is the argument for only using
11 GLP studies as it was done in the draft
12 report? What problems could arise from using
13 non-GLP studies?

14 DR. PHILBERT: Perhaps the most
15 prominent reason is the number of animals
16 that's used. So, in order to get an NIH-style
17 study done, you can use small numbers of
18 animals. You do the experiment as many times
19 as it takes to get the thing published. But a
20 GLP study has much higher requirements for
21 testing of the test article, characterization
22 of the test article, and so on.

1 I'm not an expert in GLP studies,
2 but there are much more rigorous reporting
3 requirements, including, as Dr. Hentges
4 pointed out, the idea that if you repeat the
5 study and you get a negative or positive
6 result -- that you report that, too. So the
7 bar is much higher for GLP studies.

8 DR. PARKINSON: What I also read in
9 the report, Martin, is that the FDA reviewers
10 made a point that in a GLP study, they had the
11 raw data and they could analyze it themselves,
12 as you would with a drug submission. Whereas
13 in a peer review paper, you're dependant on
14 what's presented, as you just pointed out.

15 I had a question, speaking as an
16 oncologist, because --

17 DR. PHILBERT: Sorry to interrupt.
18 John, I think, had an amplification on the
19 last point.

20 DR. PARKINSON: Oh, I'm sorry.

21 DR. MCNEIL: John, I didn't see
22 your hand.

1 DR. VANDENBERG: Just regarding the
2 availability of data, at the Environmental
3 Protection Agency. In the risks assessments
4 done at the Environmental Protection Agency,
5 we routinely use non-GLP studies, and if the
6 study is viewed as critical to the assessment,
7 It's not unusual for us to request the raw
8 data from the investigators then.

9 And generally, if the studies are
10 relatively contemporary time-frame, we've had
11 good success in getting such studies. That
12 then supports the more quantitative analysis.
13 The application of the benchmark dose modeling
14 approaches that we refer to, typically GLP
15 studies, because you do have the full data
16 set, are amenable to various types of
17 quantitative analyses. But that was not done
18 here by the FDA.

19 DR. MCNEIL: Can I just ask, what
20 does very good luck mean?

21 DR. VANDENBERG: In terms of
22 getting the studies from investigators that

1 are non-GLP studies, I would say the majority
2 of the time.

3 DR. PARKINSON: My -- I think it's
4 a question. Maybe it's just a comment, but in
5 looking at potentially susceptible populations
6 -- and I understand the argumentation for
7 neonates. It was very powerfully made.

8 There's another potential
9 population that comes to my mind as an
10 oncologist. And that's the population of post-
11 menopausal women on aromatase inhibitors.
12 These new generation aromatase inhibitors
13 essentially create an estrogen-free state.
14 There is a phenomenon called collateral
15 hypersensitivity. These tumor cells become
16 extremely sensitive to very, very low levels
17 of estrogens. I have no idea whether that is
18 relevant to Bisphenol, but it is a setting in
19 which some level of estrogenic activity can
20 definitely effect natural history of the
21 disease.

22 So, it's just something to raise

1 as future studies are prepared. I just
2 couldn't find anything about it as I was
3 teaching myself about this topic.

4 DR. MCNEIL: Martin, do you have
5 any comment on that?

6 DR. PHILBERT: No, that's a really
7 good point, and I think any additional
8 language that the Science Board can provide as
9 suggestions to the FDA for inclusion in the
10 next assessment would be helpful.

11 DR. MCNEIL: Specifically, the
12 effect of BPA on estrogens in chemo -- women
13 on chemotherapy --

14 DR. PARKINSON: In severe estrogen
15 deprivation states, which the one I'm familiar
16 with, is in the meeting of aromatase
17 inhibition.

18 Would you agree, Frank? This is
19 your world, also.

20 DR. TORTI: I will. That's exactly
21 right, and I really appreciate it. Thank you.

22 DR. CALAFAT: In addition to

1 pregnant women that one of the speakers
2 previously mentioned as well -- that's one
3 population, sub-set of a population, that
4 wasn't mentioned in the report.

5 DR. MCNEIL: Does that relate to
6 this comment or is that a separate comment?

7 DR. CALAFAT: Different. It's for
8 different reasons. It's a susceptible
9 population.

10 DR. MCNEIL: So the susceptible
11 population so far are the neonates, the
12 estrogen-deprived patients, particularly those
13 on aromatase inhibitors, pregnant women -- of
14 course, others, but those are the ones we just
15 mentioned.

16 DR. PHILBERT: I would suggest that
17 rather than trying to pull the ones that are
18 upper-most in our mind at the moment, that the
19 FDA go back and have a thorough look at
20 potential susceptible populations, and re-
21 visit the assessment in light of those.

22 DR. MCNEIL: Okay. Well, let's see

1 -- I thought -- Oh, yes, Lonnie?

2 DR. KING: So, maybe you could help
3 me clarify and maybe expand on the finding
4 that uncertainties were not adequately
5 characterized. Could you talk a little bit
6 more about that?

7 DR. VANDENBERG: Yes. In the draft
8 report, I think what we found was that there
9 was an analysis of the exposure -- I'll break
10 it down to exposure and then toxicological
11 literature, and with respect to the exposure
12 analysis, mean values were selected based on
13 the, really, rather limited sample set for the
14 cans that were evaluated. But there really
15 wasn't any evaluation of the higher
16 percentiles, as was discussed by Dr. Philbert.

17 So, that's an example of if you
18 were to do an analysis and look at the mean,
19 look at the 95th percentile, it would give you
20 insights regarding the potential for
21 differential exposure based upon that limited
22 sample set.

1 In the same manner, in terms of
2 the toxicological research, if there was
3 analysis done of alternative points of
4 departure, much as the FDA selected the 5
5 milligram per kilogram body weight per day,
6 was a single-point estimate. Again, using the
7 benchmark dose approach, you have a way of
8 modeling the dose-response relationship to see
9 how the strengths of the study give you
10 insights on the strengths of that point of
11 departure. Or, importantly, looking at other
12 studies that may be reasonable for the point
13 of departure.

14 And as you saw from the
15 Committee's perspective, a lower point of
16 departure seemed to be merited when you
17 considered all of the evidence, including the
18 non-GLP evidence in its entirety.

19 That's what we, I think, meant by
20 a sensitivity analysis, just to give some
21 examples.

22 DR. MCNEIL: Questions from the

1 FDA? Oh, I'm sorry, Lonnie. I missed that.

2 DR. KING: Quick question, and it
3 was in the report, but maybe it's not an
4 important one, but it was about, if I
5 remember, about the use of microwaving, and
6 was that adequately studied or was a real
7 limitation or was this a finding that was not
8 very critical?

9 DR. PHILBERT: I think it just
10 highlights the fact that people don't use or
11 prepare food in a monolithic fashion -- that
12 there's a wide variety of methods of
13 preparation, which logically leads to the
14 possibility of a wide array of leachates into
15 the formula, and that the uncertainty around
16 that should be narrowed by just going out and
17 measuring it.

18 DR. MCNEIL: Martin, I just had one
19 question. With regard to the Canadian analysis
20 and report, nothing has come out since your
21 report from Canada, is that correct? That you
22 know of.

1 DR. PHILBERT: Not to my knowledge.

2 DR. MCNEIL: Okay. Erik, please?

3 DR. HEWLETT: Thank you. I need, as
4 a new member here, I need a point of
5 clarification on authority.

6 The statement is made in the
7 letter from the NRDC commenting on the
8 definition of safe, reasonable certainty in
9 the minds of confident scientists that the
10 substance is not harmful under intended
11 conditions of use.

12 My understanding is that,
13 especially focusing on infants and this
14 product as a food additive, that there are a
15 whole bunch of other uses that are -- if this
16 were banned by the FDA, there would still be
17 lots of other sources of this that would not
18 be regulated in the same manner.

19 Is that correct? So, we're only
20 accounting for a small proportion of the
21 potential exposure to BPA in the topic of this
22 conversation?

1 DR. MCNEIL: Would you like to
2 address that?

3 DR. SUNDLOF: Sure, thank you. Yes.

4 You are correct. This only applies to the
5 food additive characteristics of it, so other
6 products that were not considered to be food
7 additives may not have to meet that standard
8 or may have to meet totally different
9 standards.

10 So if you're a bicycle helmet, for
11 instance, that standard of reasonable
12 certainty of no harm does not apply in that
13 case.

14 DR. HEWLETT: What does that mean,
15 then, for drinking containers that don't --
16 are not packaging food?

17 DR. SUNDLOF: Those are food
18 contacts.

19 DR. HEWLETT: Because it comes in
20 contact with -- water, even.

21 DR. SUNDLOF: It comes in contact,
22 yes.

1 DR. HEWLETT: Okay. Thank you.

2 DR. TORTI: But just to clarify,
3 Erik, in addition, one of the things that was
4 said earlier but I think is worth repeating is
5 that these other sort of IB2B being alike
6 exposures, just as an example, are not being
7 ignored.

8 And that we specifically said this
9 cannot be wrapped around, sort of, in one
10 bite, and that we have as an agenda item for
11 future Science Boards to look at other
12 exposures and Dr. Schultz who had to go over
13 to the Secretary's office this afternoon, but
14 made that point this morning as well.

15 So, it's not as if we don't
16 recognize that these other things have to be
17 addressed.

18 DR. SASICH: Martin, in the sub-
19 committee report, we were talking about the
20 ability of, perhaps, to use or to develop
21 meta-analytical techniques for looking at
22 these different studies.

1 The thing that I know usually
2 comes from the medical literature, and I think
3 if, in reading the medical literature, we'd
4 rather have a large, simple trial rather than
5 a meta-analysis to base a decision on.

6 And, at least in the medical
7 literature, we don't have very good ways of
8 dealing with heterogeneity of results. Are
9 there techniques to be able to handle those
10 things which your comfortable with or are we
11 better off looking at large, simple trials,
12 however that applies in this case?

13 DR. PHILBERT: As an academic
14 administrator, I can give you the perfect
15 answer, which is, it depends.

16 No, I think you point out the
17 frontier of the science, frankly, and it's not
18 just for Bisphenol A. It's for a variety of
19 issues that we talked about this morning.
20 Different studies are performed for different
21 reasons, and I think this highlights the need
22 for FDA to have a concerted, focused,