

1 scientific evidence, and you will take that into
2 account during your deliberations and your
3 recommendation later today.

4 And so the first PMA for a female condom
5 was submitted by the Sponsor in 1991. At the time,
6 it was called the Reality Female Condom, but now we
7 refer to it as FC1 to distinguish it from the newer
8 version, FC2. This initial PMA was supported by pre-
9 clinical studies of the physical properties of the
10 female condom, some small feasibility studies of the
11 female condom during actual use, and a six-month
12 contraceptive effectiveness study. We approved the
13 first female condom in 1993.

14 The table on this slide represents the
15 results from the supporting pivotal study, U.S. sites
16 only. You will hear more about the study a little
17 later this morning from the Sponsor and FDA, but as
18 you can see, the first row gives the six-month
19 results, and the second row gives the one-year
20 extrapolated estimate. Again, effectiveness is given
21 as the percentage of women who became pregnant while
22 relying on the device. And there's both a perfect
23 use and a typical use rate.

24 The Panel found these data to show safety
25 and effectiveness with reasonable assurance and

1 recommended that the PMA be approved. As a condition
2 of its approval recommendation, the Panel suggested a
3 set of labeling stipulations to reflect what was
4 known and unknown especially with respect to STI
5 protection.

6 Here is a list of the four points under the
7 heading, "Important Information," that now goes on
8 the retail box in the package insert of the female
9 condom. I've paraphrased the actual wording. It
10 starts with a hierarchical approach, where latex
11 condoms for men are highly effective; second bullet,
12 if not using a male condom, use a female condom;
13 third bullet, use every time you have sex; and, last
14 bullet, before you try it, read the instructions.
15 We've already heard that that's a very good idea.

16 It's also worth noting that after FDA
17 approved this device, the commissioner, the FDA
18 commissioner went to NIH and asked for their help in
19 filling some of the evidence gap with respect to STI
20 protection. And NICHD sponsored a number of female
21 condom studies, at least one of which you'll hear
22 about later today.

23 So to review, female condoms are in Class
24 III. A premarket approval application is the
25 regulatory pathway to market in the U.S. And, to

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 date, FDA has only approved one PMA for a female
2 condom, what we're calling FC1. The second PMA for
3 FC2 is before you today.

4 So now I'd like to talk a little bit about
5 condom failure mode studies. First, what is a
6 failure mode? In short and in general, it is the
7 manner by which a device failure is observed. It
8 generally describes the way the failure occurs. So
9 we're talking about acute mechanical failures noted
10 by the user during or immediately after sex.

11 In the case of a condom, and I'd like to
12 start with male condoms since that is where much of
13 our experience with these kinds of studies originally
14 came from. There are two recognized failure modes,
15 slippage during use and breakage during use. It's
16 important to note that these failure modes, by their
17 very nature, intuitively represent some level of
18 increased risk of either STI transmission or
19 unintended pregnancy or both, but we don't really
20 know to what degree the risk reduction expected from
21 the condom has been compromised by the failure.

22 Steiner, et al., in a 1994 article was the
23 first to focus on condom failure mode studies and
24 systematically stressed the importance of
25 standardizing key study features. Steiner noted that

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 there was a wide variation in studies that have been
2 conducted up to that time, and this variation
3 extended to design issues, study execution, and data
4 analysis. The authors concluded that there were
5 several areas where standardization would be useful.

6 And I, for the purposes of presentation,
7 have broken into three different kinds of areas that
8 could be improved.

9 The selection of study subjects. Steiner
10 pointed out that choice of subjects can influence
11 study results. For instance, subjects who use backup
12 contraception and are at low-risk of STIs may not use
13 condoms with the same degree of care as a typical
14 user or someone who knows he or she is at high risk
15 for an STI. Anal sex versus vaginal sex, condoms
16 break more often during anal sex, so a study needs to
17 distinguish between the two and analyze separately.
18 Commercial sex workers, they break condoms less
19 frequently and, again, one needs to distinguish sex
20 workers from the general population and condom
21 experience. Those with no condom experience tend to
22 break condoms more frequently, so a study needs to
23 distinguished experienced from inexperienced condom
24 users.

25 The next category covered by the Steiner

1 paper has to do with definitions and questionnaires
2 used in a condom failure mode study. It is critical
3 that the definitions for each failure mode be
4 carefully crafted and standardized so that everyone
5 knows exactly what happened. And it's important to
6 differentiate clinical events from non-clinical
7 events. That is, the events we measure should quite
8 intuitively represent some level of device compromise
9 that has increased the user's risk. The reliability
10 of the reported event rates will depend on how well
11 each of the study subjects understands and answers
12 the questions in a coital log. These questions
13 should be clear and unambiguous so as to minimize
14 response bias that could lead to underreporting.

15 And, finally, these studies are highly
16 dependent on a subject's memory of each individual
17 event. Steiner also cautions against relying on
18 retrospective data even if the recall is confined to
19 the last year or even the last month.

20 And, finally, there were just a collection
21 of other comments from the Steiner paper that spoke
22 to the use of lubricants, penis size and condom size,
23 clustering, and condom quality. These are all areas
24 where the study protocol and study reporting should
25 keep track of that.

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 In the case of lubrication, inadequate
2 lubricant can lead to unnecessary condom breakage.
3 Subjects should be advised to make sure of
4 lubrication, and the study should provide for a
5 single lubricant for the subjects in the event they
6 want more.

7 A condom with too tight a fit can break
8 more easily. Study procedures should address penis
9 size and condom size.

10 In a study of any significant size, condom
11 failures tend to cluster in a smaller subgroup of
12 users, so-called breakers, and study analysis should
13 account for this kind of correlation.

14 And, finally, the Sponsor should fully
15 document the quality of both the test condoms and the
16 control condoms that are used in the study.

17 So where does that leave us today in the
18 world of condom failure mode studies? Well, for male
19 condoms, we're pretty sure there are just two types
20 of failures, slippage and breakage during use. The
21 study should be focused primarily if not exclusively
22 on these outcomes, and the design we typically see
23 today is a prospective randomized cross-over study.

24 With this design, each study subject is
25 given a number of condoms of one type. When the

1 subject returns to turn in the coital logs for that
2 set of condoms, he is given a second set of the other
3 type of condom. Randomization determines whether he
4 gets the test condom or the control condom first, and
5 this is considered an efficient alternative to two
6 parallel arms, where each study subject only gets one
7 type of condom, which would be an acceptable design
8 approach but would require more subjects and probably
9 maybe take longer.

10 Obviously, since this is a study based on
11 patient-reported outcomes and user recall, study
12 measures starting with instructions, counseling,
13 coital logs, timing of return visits should all be
14 aimed at improving the quality of the data entry.
15 Sample size for these studies is governed by the type
16 test to run, the expected event rates, and the
17 acceptable delta one can -- the acceptable difference
18 one can tolerate, something we sometimes call the
19 designated delta, as we shall see in just a moment.

20 Male condoms made from natural rubber latex
21 have an event -- have event rates that range between
22 1/2 and 2 percent, and most parties would agree that
23 anything less than a 2 percent difference would be
24 acceptable. So to show a new condom is not inferior
25 to an acceptable control condom, these studies

1 typically -- these studies have generally followed a
2 crossover design with 200 couples, using five condoms
3 of one type in a two to three-week period, returning
4 for a second set of condoms, same use period,
5 resulting at study completion in a thousand usages of
6 each condom minus any loss to follow-up. Even after
7 factoring in within sub-decorrelation, that turns out
8 to be more than sufficient.

9 And what can we say today about how well
10 male condoms perform with respect to slippage and
11 breakage? This slide represents the results from
12 about a dozen studies of male condoms conducted since
13 the '94 Steiner paper. Virtually all of these
14 studies follow the study design I just described and
15 compare a new synthetic condom to a selected male
16 condom made from natural rubber latex.

17 Event rates for both failure modes for male
18 condoms made from natural rubber latex are quite
19 stable with slippage during use ranging from 1/2 to 1
20 1/2 percent and breakage during use ranging from 1/2
21 to about 2 percent. I should add that the failure
22 mode event rates for some of the synthetic condoms
23 had more than a 2 percent difference when compared to
24 the control, and in those instances, FDA imposed
25 mitigating labeling limitations.

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 So just to recap about male condoms in
2 failure mode studies, selection of study subjects,
3 very important. Things like literacy, motivation,
4 condom experience, multiple acts per day.
5 Instruction of subjects regarding protocol compliance
6 also important. The coital log should be designed to
7 be as simple and clear as possible, ideally, with
8 only a few essential questions, one log per sex act.
9 And these studies are based on user recall, so
10 promptness of data entry is critical to its
11 reliability. Subjects should be counseled to
12 complete the log entry as soon after sex as possible,
13 30 minutes to an hour, by the next day, if that's not
14 possible. But it's also pretty much impossible to
15 truly oversee something like that.

16 So for the kind of crossover study I was
17 describing with three to five condoms per set, the
18 next level of study oversight is to ask the subject
19 to return the logs for the first set within two to
20 three weeks. The same thing for the second set.
21 And, finally, as you saw from the last slide, the
22 failure mode studies of male condoms made from
23 natural rubber or latex fairly predictably give rates
24 in the range of 1 1/2 to 2 percent.

25 And so where are things heading now? A

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 draft international standard is underway, not too far
2 from completion, that requires a clinical failure
3 mode for any new synthetic condom. It follows the
4 non-inferiority model I just described. The draft
5 standard originally specified an acceptable delta of
6 2 percent for each failure mode, an approach FDA has
7 been using for more than ten years. Just recently,
8 this was changed to looking at total failure; that
9 is, the sum of slippage and breakage, and under that
10 evaluation paradigm is now moving towards an
11 acceptable delta of 2 1/2 percent for the difference
12 between the two condom types as a measure of non-
13 inferiority. And this is probably an equally
14 acceptable approach.

15 So now let's look at failure mode studies
16 for female condoms. This picture is different from
17 that for a male condom. As far as breakage goes,
18 that's a fairly analogous failure mode to that of the
19 male condom. However, what was simple slippage for a
20 male condom, which meant slipping off during use, now
21 turns into one of three possible dislodgement modes
22 for the female condom.

23 Something we generally call slippage now is
24 similar to the male condom, but now we mean slips out
25 of the vagina versus slipping off the penis. And two

1 additional failure modes unique to the female condom,
2 you could think of them as variations on slippage:
3 invagination, where the entire condom is pushed
4 inside the vagina by the erect penis; and something
5 called misdirection, where the erect penis pushes
6 past the female condom into the vagina, that is, it
7 doesn't actually enter the condom.

8 And you will hear more about these four
9 failure modes from the Sponsor and FDA speakers later
10 this morning, but the basic principle remains the
11 same as with the male condom. All four failure modes
12 intuitively represent some level of actual increased
13 risk of STI or pregnancy, but, again, not
14 quantifiable.

15 Most of the principles laid out by Steiner
16 in 1994 apply to failure mode studies of female
17 condoms. The slide here is a table taken from the
18 executive summary you were sent a month ago showing
19 the results from five published studies describing
20 past FC1 studies that looked at failure modes. These
21 studies were selected because they were relatively
22 recent, published between '03 and '07, a fairly
23 robust sample size, and the study methodology
24 reasonably well-described.

25 It's worth noting, as you can see, that the

1 breakage rate across the various studies is fairly
2 stable, all below 1 percent. And you see more
3 variability in event rates for the other three modes,
4 the slip rate, and these studies ranged from 2 to 10
5 percent; misdirection, between 1/2 percent and 5
6 percent; and invagination between 1 and 5 percent.
7 And some of this can be attributed to using different
8 definitions for the failure mode, some to
9 methodological differences, probably some to issues
10 related to the coital log and subject compliance,
11 and, also, these latter three failure modes are
12 sometimes not so easily recognized, and it is useful
13 to counsel subjects to ask her partner to participate
14 by helping to identify these problems.

15 So, again, just to recap, what have we
16 learned, what do we know with female condom failure
17 mode studies? The overall picture is more complex,
18 with a total of four failure modes. The good news is
19 that most of that picture comes from experience
20 specifically with the FC1 female condom. That's the
21 only female condom to be marketed in the U.S., and
22 only recently have we seen other female condoms under
23 development. And this picture may change as we get
24 more experience with other female condoms, but
25 probably not fundamentally.

1 As far as failure event rates go, as I
2 mentioned, the breakage seems to be pretty stable
3 across studies, at less than 1 percent. And as we
4 saw in the previous slide, quite a bit more
5 variability and reported event rates for the other
6 three failure modes, with rates ranging up to 3, 4, 5
7 percent and higher. For one, some of these slippage-
8 type failures are more difficult to identify, often
9 requiring help from one's partner. And some of it
10 goes back to the principles laid out in the Steiner
11 paper, regarding things like precise definitions,
12 adequate protocol instruction, well-designed coital
13 logs, prompt data entry.

14 All of these are factors that can lead to
15 more reliable user reports. I would also point out
16 that some of those FC1 studies were large enough that
17 the authors were able to look at improvement in use,
18 and in a couple of those studies, you saw lower event
19 rates as more experience with the product was gained.

20 So looking into the future a little bit,
21 where are things going now with female condom failure
22 mode studies? First, as with the male condom, there
23 is a robust effort underway towards developing a
24 performance standard for female condoms. And that
25 standard as a key requirement stipulates the need for

1 a failure mode study. That draft international
2 standard also follows the non-inferiority model I
3 described earlier with a designated acceptable delta
4 between the test and control condom, a delta --
5 acceptable difference, I should say, a delta of 3
6 percent. Its current status is that it's undergoing
7 revisions and will be put out for a new ballot next
8 year.

9 And on another front, some researchers are
10 exploring the use of semen biomarkers as a more
11 definitive measure of risk exposure. Versions of PSA
12 assays, that is, prostate-specific antigen, have been
13 tried and look promising. Something like this might
14 be used in a complementary fashion with a more
15 conventionally designed failure mode study, but it is
16 too early to tell where this effort will lead.

17 Finally, I'd like to just turn our
18 attention to the PMA before you today. As I've
19 mentioned and as you'll hear from the Sponsor, this
20 PMA is for a new version of their female condom, that
21 is, the FC2, to replace the initial version, FC1,
22 that they have been marketing for the last 15 years.

23 Just a few thoughts to take into
24 consideration as you move into the main part of the
25 day. First, you should know that FDA values the

1 independent perspective that each of you bring to a
2 meeting like this. We recognize that these meetings
3 demand significant resource commitments by you, by
4 sponsors, by other public participants, and for the
5 FDA itself. We do not bring every single PMA before
6 the Panel. And in deciding whether or not to do so,
7 we ask ourselves whether the PMA poses one or more of
8 the following challenges.

9 Is the matter of significant public
10 interest? Is the matter controversial? Is there
11 need for special expertise? And if the answer to one
12 or more of these questions is yes, and we believe
13 that a Panel discussion would strengthen our review
14 process, that's when we decide to bring it to Panel.

15 And while, arguably, all three of these
16 criteria might apply to this PMA, we especially
17 believe that the unique expertise embodied by this
18 Panel will add immeasurably to the strength of any
19 decision we make. And by that I'm talking about
20 experience with clinical trial design, experience
21 with practical problems in running clinical trials,
22 and, lastly, the appreciation you bring to the
23 international perspective as it applies to reviewing
24 studies from outside the U.S., as well as the
25 potential public health impact of a condom in a

1 worldwide setting.

2 So, specifically, with the PMA before you
3 today, we have a new female condom, the FC2. But
4 many design aspects are quite similar to the
5 predecessor, the FC1. Our PMA review approach,
6 essentially unchanged from when we reviewed and
7 approved FC1 in 1993, calls for a single-arm six-
8 month contraceptive study. It does not require an
9 STI study but relies on mitigating labeling I
10 described earlier to balance that evidence gap. And
11 our review approach has not changed from 1993 really
12 because we have just the one precedent. We have not
13 received any other PMAs in that time frame.

14 In short, today's PMA does not fit our
15 current review paradigm. As you will hear, the
16 primary focus of the PMA for FC2 is on a failure mode
17 study comparing FC2 to its predecessor, FC1.
18 Contraceptive and STI protection are inferred from
19 what we know about FC1, and the Sponsor has asserted
20 that it is sufficient to demonstrate a reasonable
21 assurance of safety and effectiveness. And in that
22 context, they have indicated they will keep the
23 mitigating labeling that was part of the original PMA
24 approval decision.

25 So what we're asking you here today is to

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 help us determine how much data is necessary to
2 demonstrate the safety and effectiveness of the new
3 FC2 female condom and if what we know today, 15 years
4 after our original decision, whether we need to
5 recalibrate what we expect in a PMA.

6 And, finally, I don't think my remarks
7 would be complete without mentioning what I think we
8 all understand, that there is an international
9 perspective to what we are doing today. Female
10 condoms may only occupy a niche in the overall condom
11 market, whether we're talking about the U.S. or
12 worldwide. However, I think we all agree, when we're
13 talking about something as important as STI
14 prevention, especially HIV/AIDS, that more options
15 are better. And the female condom is unique in that
16 it offers a female-initiated option to barrier
17 protection.

18 Moreover, it's also worth noting that for
19 some parts of the world where HIV prevalence is the
20 highest, condom availability is the result of very
21 significant contributions by third-party donors like
22 USAID, UNFPA, and others. These organizations are
23 keenly interested in whether FDA has approved a
24 product for market. In the U.S., that decision alone
25 may drive whether or not they can support the

1 purchase of that product for worldwide distribution.

2 So, thank you, Madam Chairman, and that
3 concludes my remarks.

4 DR. CEDARS: Thank you. Are there any
5 questions from the Panel? Dr. D'Agostino?

6 DR. D'AGOSTINO: Back in the 1980s, I
7 served on the Fertility and Maternal Health Panel --
8 the OB/GYN drugs. And we were badgered by a number
9 of indices on pregnancy, the Pearl Index, number of
10 episodes, and so forth. Then we finally decided on
11 how many people got pregnant in a six-month period,
12 and so forth. I'm not completely clear on what the
13 event rate is for slippage. When you say 2 percent;
14 for example, in your Slide 33 --

15 MR. POLLARD: So there were two --

16 DR. D'AGOSTINO: Let me just --

17 MR. POLLARD: Okay.

18 DR. D'AGOSTINO: Let me get this slide if
19 they could. Could you get up 33?

20 MR. POLLARD: Oh, you want me to --

21 DR. D'AGOSTINO: Is it possible to do that
22 or not --

23 MR. POLLARD: To get which slide?

24 DR. CEDARS: Thirty-three.

25 DR. D'AGOSTINO: Thirty-three. I'm sorry

1 if you --

2 MR. POLLARD: Okay. Let's see --

3 DR. D'AGOSTINO: I have it in front --
4 well, he may not, though. If you can look -- well,
5 here is the question. You have 175 --

6 MR. POLLARD: Okay. So --

7 DR. D'AGOSTINO: You have 175 individuals
8 in that first study.

9 MR. POLLARD: So this is the -- this is the
10 FC1. This is a slide showing several studies from
11 the past few years of event rates from FC1 use.

12 DR. D'AGOSTINO: And you have breakage,
13 0.7. Now, is that 0.7 for the number of episodes or
14 is it for the number of people?

15 MR. POLLARD: Uses. It's episodes --

16 DR. D'AGOSTINO: Number of uses?

17 MR. POLLARD: So that's not a per-person --

18 DR. D'AGOSTINO: Why aren't you interested
19 in per-person? I mean, if you're talking about
20 transmitting AIDS, one bad event --

21 MR. POLLARD: You could do it on a per-
22 person basis, and sometimes people look at that in a
23 secondary fashion. But, really, you're just trying
24 to find out something about that condom, and so
25 you're using the condom use as the denominator rather

1 than the person --

2 DR. D'AGOSTINO: I see. But if you're
3 involved with, worried about safety, if a person --
4 if every single person in the study has one slippage,
5 that's quite important to know.

6 MR. POLLARD: Well, you do some analyses
7 here for within use correlation. I mean, you could
8 do -- these are crossover studies, by and large. You
9 know, certainly in the male condom area, they're
10 crossover studies. You could do single-arm studies,
11 where each user gets one condom, but --

12 DR. D'AGOSTINO: No, I think multiple uses
13 is good. It's just the summary of the data. You
14 know, the question is are we seeing very small rates
15 because we're shifting the denominator, and what
16 denominator should we really be looking at is the
17 question I'm asking.

18 MR. POLLARD: Yeah, yeah.

19 DR. D'AGOSTINO: I mean, with pregnancy, we
20 used to talk about -- you know better than I do --

21 MR. POLLARD: Yeah, but I would argue
22 that's the difference between looking at a failure
23 mode study and looking at --

24 DR. D'AGOSTINO: Right, exactly --

25 MR. POLLARD: -- with the clinical outcomes

1 like that.

2 DR. CEDARS: If we can just have one more
3 short question, Dr. Padian?

4 DR. PADIAN: I'm not sure if you're the
5 right person to ask this, but I wondered about the
6 rationale of giving someone five condoms -- you know,
7 in the study we looked at, I think it's ten at each
8 set, as opposed to trying to give them enough to
9 cover all acts. And the related issue is whether the
10 number of acts, you know, that are -- yeah, you get
11 it.

12 MR. POLLARD: Yeah, and I would say there's
13 a trade-off there, in terms of how quickly are you
14 going to ask them to come back, you know, and whether
15 or not they are at high risk of STI. So it's going
16 to depend on your population. It's going to depend
17 on what time frame you ask that set of subjects to
18 return. So if you're going to give them a really
19 long time period, then you probably -- you know, and
20 they're at very high risk and you know they've got no
21 other alternative.

22 DR. PADIAN: You know, I just was --

23 DR. CEDARS: I think that --

24 DR. PADIAN: Sorry.

25 DR. CEDARS: That may be a better question

1 for the Sponsor.

2 DR. PADIAN: Okay. Because I have a
3 follow-up --

4 DR. CEDARS: Because that goes to the study
5 of the design.

6 DR. PADIAN: All righty. I'll hang on
7 until then.

8 DR. CEDARS: We are running a bit behind
9 today. So we are going to take a break, but I want
10 this to be strictly a ten-minute, strictly a ten-
11 minute break. So I actually have that it's about
12 10:34. So if we could have everyone back in ten
13 minutes, we will get started in ten minutes. So
14 please try to return on time.

15 (Off the record at 10:34 a.m.)

16 (On the record.)

17 DR. CEDARS: Please, if everyone can take
18 their seats, we need to get started. Can we please
19 quiet down the conversation in the back of the room?
20 And if the Panel can take their seats as well? I'd
21 like to congratulate everyone. That was very nearly
22 close to ten minutes, so thank you for your
23 cooperation.

24 We will now proceed to the Sponsor
25 presentation for FC2 Female Condom. I'd like to

1 remind the public observers at this meeting that
2 while the meeting is open for public observation,
3 public attendees may not participate except at the
4 specific request of the Panel. We'll begin the
5 Sponsor presentation, and the first presenter is
6 Dr. Mary Ann Leeper. Dr. Leeper?

7 DR. LEEPER: Madam Chairman, members of the
8 Panel, good morning. We are really pleased to be
9 here today. The Female Health Company and FDA have
10 been in discussions about the FC2 Female Condom for
11 approximately three years, particularly in the aspect
12 of whether or not we would need to include a
13 contraceptive study for the FC2 approval.

14 I'm hoping and Female Health Company is
15 hoping that by the time we finish our presentation
16 this morning and we answer all of your questions this
17 afternoon, that you will agree with us that no
18 additional work will be needed in order for you to
19 recommend approval of the FC2 Female Condom.

20 I thought that perhaps a little bit of
21 history would be of interest to you to set the stage
22 about the evolution of the female condom. And our
23 story all started about 20 years ago when we decided
24 that it was really important for women to have a
25 method that they could use to protect themselves

1 against HIV, STIs, and unintended pregnancy. We
2 wanted a simple device, something that women could
3 insert themselves, that it would stay in place during
4 use, that it wouldn't tear, and that, of course, it
5 would block bacteria and viruses.

6 We developed the FC1 Female Condom
7 according to a procedure following the -- as if it
8 were a Class II medical device and filed a 510(k).
9 And immediately following that filing, it was several
10 Panel hearings were held. And it was determined
11 that, in fact, what we needed to do because it was an
12 unusual design, no real experience with female
13 condoms, that what we really needed to file was a
14 full PMA and that that full PMA needed to include a
15 six-month contraceptive study.

16 We completed that work, and in 1993, FDA
17 approved, as Colin told you this morning, FC1, with
18 two contingencies. The first contingency was that we
19 needed to have -- carry very restricted labeling,
20 which Colin outlined for you earlier. And, secondly,
21 that that restricted labeling would have to be
22 maintained until a definitive study, male condom
23 versus FC1, evaluating the ability to prevent STI
24 infections was to be completed.

25 That study was to be designed and

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 implemented by FDA and NICHD, and that all we were --
2 our part in that process was to supply the female
3 condoms. And we did that. FDA and NICHD designed
4 the protocol, implemented the study. It took about
5 five to six years to complete the study. And about
6 five or six publications have come out since that
7 study has been completed.

8 It is that study which FDA addresses in its
9 executive summary to you that, in retrospect, they
10 feel that the protocol that they implemented was not
11 adequate. So as of today, we still carry the
12 restricted labeling.

13 Up until now, approximately, oh, I'd say
14 165 million female condoms have been distributed to
15 about 145 countries. And the major use for FC1 is in
16 the -- what we call the global public sector. It's
17 for women who mainly -- for women who are at high-
18 risk to HIV/AIDS and, of course, STIs, particularly
19 in the developing world. Approximately 90 percent of
20 our -- of the distribution of FC1 is in the
21 developing world.

22 About five years ago, USAID, particularly
23 Jeff Spieler, said to me, "Mary Ann, this is an
24 important drug -- device. The female condom plays a
25 role. There is a demand there, but we cannot meet

1 that access. We cannot meet that demand unless you
2 lower the cost. You have to lower the cost." So we
3 went to work to lower the cost of FC1 in order to
4 increase the access to address the need that was so
5 very, very clear.

6 What we felt was that the design and
7 characteristics of trying to reduce the cost of FC1,
8 we didn't want to change the design or the use, how
9 you use it, any part of the characteristics. The
10 only thing that we really felt that we could do to
11 lower the cost was to find a material where we could
12 change the manufacturing process. Now, this is FC1
13 on the left-hand side. Let's see, this is -- there
14 it is. Okay. This is FC1, FC2. FC1 is made of
15 polyurethane, and the process of making this product
16 is a welding process. We have to weld the two sides
17 of plastic together, and there is a seam. And this
18 seam is a potential part of failure. So we have to
19 weld this process. And then the outer ring is also
20 made of polyurethane and is also welded onto the
21 sheath.

22 So what we wanted to do was to go from a
23 welding process to a dipping process. It allows you
24 to get through much labor -- less, significantly less
25 labor intensive, faster production of a product per

1 unit period of time, and you get rid of the seam. So
2 we had to find a material that would allow us to do
3 that. And we did. We found the nitrile product, and
4 Mike is going to be talking to you about this in a
5 little while. And what we did was we got rid of the
6 seam, and we got rid of the welding of the outer
7 ring. We used a rolled outer ring just like male
8 condoms are used.

9 Our whole strategy was if we have the same
10 design, if we have the same instructions for use,
11 which we had spent years educating NGOs and outreach
12 counselors on how to use the female condom, so we
13 didn't want to change any of that. But if we had the
14 same design, we had the same use, if the failure
15 modes were the same, which we'll be talking about in
16 great length over the next several hours. And if it
17 compared in terms of the rate of failure as FC1, and
18 we had already established that FC1 was safe and
19 efficacious, completed failure mode studies and a
20 contraceptive study, and we showed that FC2 failed at
21 the same way and at the same rate as FC1, then we
22 felt that the least burdensome way to establish FC2
23 as an effective barrier would be met. We would have
24 shown that it looks the same, it acts the same, it
25 has the same failure mode, the same rate of failure,

1 it must be the same -- have the same rate of efficacy
2 as an effective barrier as FC1. We felt it was the
3 least burdensome approach to get this product
4 developed and get it to the women who needed it.

5 We put together a PMA supplement to FC1
6 PMA, and we went about to do a viral permeability
7 study to show that viruses did not permeate the
8 sheath. We wanted to show that it was safe and
9 biocompatible, and we wanted to do this comparative
10 study.

11 We went to the experts for all of those
12 studies, the viral study, the safety and toxicity
13 study, and we went to the Reproductive Health and HIV
14 research group, who we call RHRU, they are located in
15 Durban, South Africa, to do the study. Now, why did
16 we go to RHRU? Because they had done more clinical
17 studies on the female condom than any other
18 organization, period, in the world. They had done
19 more studies, and they had been contracted to do
20 these studies by the World Health Organization, by
21 USAID, by Family Health International, by PATH. All
22 of these have identified that RHRU was an expert in
23 doing these studies. So we went and we put
24 together -- RHRU agreed to design the study and
25 implement the study.

1 We completed all of the work, formed a
2 dossier, got the -- using that dossier, we got the CE
3 mark of approval, and then we presented that dossier
4 to the World Health Organization, who had called a
5 group of World experts to look at this dossier. They
6 spent three days studying everything that was in the
7 product. They came up with a list of questions. We
8 answered those questions over a period of time. And
9 the World Health Organization then said the data
10 supports that FC2 performs in the same manner as FC1
11 and that it was acceptable for UN agencies to
12 distribute FC2, period, that they could distribute
13 FC2.

14 Since that time, oh, I'd say about 22
15 million FC2 female condoms have been distributed in
16 the last two years in about 77 countries. UNFPA is
17 the largest distributor of FC2, and the feedback that
18 we have received from UNFPA, to date, is that they
19 are really pleased about how FC2 is being accepted
20 and used, that the demand is high, and that they are
21 going to continue to increase their volume in terms
22 of distributing FC2.

23 And, to date, I would say, ex-U.S., all of
24 the countries have switched from FC1 to FC2, the two
25 largest ones, Brazil and South Africa are just

1 about -- they are now preparing tenders to switch
2 from FC1 to FC2. And so, to date, we're really
3 pleased with the increased acceptability and use of
4 FC2 and the performance of FC2. By switching to FC2,
5 we've been able to lower the cost already by about 30
6 percent. And as that volume increases, the cost will
7 continue to decrease.

8 Now, we took that same dossier and
9 submitted it to FDA. The dossier that we submitted
10 to WHO we submitted to FDA. FDA, upon reviewing the
11 dossier, suggested that a PMA supplement to FC1 was
12 not what they wanted. What they wanted was a full
13 PMA. We went back, did a little more data, and
14 submitted that to FDA. And now we're here today to
15 talk about what's in that dossier and whether or not
16 it's acceptable.

17 If you look at the data that -- what FDA
18 has suggested, there are three basic concerns or
19 points that FDA would like you to consider. First of
20 all, no contraceptive study. Secondly, is FC2 robust
21 enough to do the job? Is it doing what we are saying
22 that it's doing? And, number three, the adequacy of
23 the RHRU protocol. And, specifically, about the
24 adequacy of the RHRU protocol, they have raised
25 several points they would like you to discuss today

1 and which we will be discussing in great length as we
2 go through our presentation this morning.

3 The points raised by FDA are reliance on
4 the one-on-one interviews rather than relying on the
5 coital logs for the database; number two, recall,
6 will they remember that an event happened during the
7 interviews; that slippage, per se, is not on the
8 coital log; concern about there aren't enough slots
9 on the coital lots if somebody has, a woman has, say,
10 two or three sex acts in a given day, and what if she
11 had two invaginations on that given day, would she
12 record the second invagination since there was only
13 one slot for that day for invagination; no coital
14 logs, some women did not complete coital logs;
15 whether or not commercial sex workers should be
16 included in the database for the analysis; and was
17 the study blinded.

18 As I said, we'll be going to talk about
19 each one of these points, but let me just say right
20 up front as we go into the discussion that there were
21 no meaningful differences in the findings between the
22 database that included the commercial sex workers and
23 the database that did not include commercial sex
24 workers. By the database that, if you look, the
25 results, the findings of women who completed coital

1 logs and women who did not complete coital logs, the
2 findings were similar. And, most important, of
3 course, is that the performance of FC1 and FC2 were
4 comparable for each of the clinical failure modes.

5 So, in summary, we wanted to make sure we
6 had an effective barrier, that it was safe,
7 biocompatible, that we could make it consistently,
8 and we wanted to show that FC2 performed in a
9 comparable manner as FC1. And the studies showed
10 that FC2 is safe and effective -- as safe a barrier
11 and biocompatible barrier. Its failure rates were
12 the same, are comparable, to FC1. And a very other
13 important point is that the performance of FC1 in the
14 RHRU failure modes study, the findings from that were
15 the same or similar as the FC1 findings in the
16 failure modes study in the PMA that support its
17 approval 16 years ago. And this is important because
18 the question is, is the RHRU capturing all the
19 events? And the answer is yes because FC1 performed
20 in the RHRU study with the same results that it did
21 16 years ago.

22 MR. POPE: Good morning, Panel members. I
23 hope you can hear me. My name is Mike Pope. I'm the
24 Vice President of Global Operations at the Female
25 Health Company. I've been associated with female

1 condom development and manufacture now for 19 years,
2 initially in the development of the manufacturing
3 process for FC1, which is housed in our London
4 facility, of which I'm responsible, and more lately,
5 the development of the manufacturing process for FC2,
6 which is housed in our Malaysian facility. This
7 presentation is about five minutes. In the middle of
8 it is a very brief video, which I'd like to show you.
9 And here we go.

10 Okay. My brief really was to develop FC2
11 to find a more cost-effective and simple
12 manufacturing process whilst changing the device as
13 little as possible. I had to simplify the
14 manufacturing process in a way that would be capable
15 of running in a low-cost area of the world.
16 Currently, FC1 is manufactured in London, which is
17 one of the most expensive manufacturing areas of the
18 world. But the process is not capable of being
19 moved.

20 My brief was to increase capacity. And,
21 again, the process that we've chosen is capable of
22 very high-volume manufacture, as demonstrated by male
23 condom manufacturers and glove manufacturers.

24 The intent of both these things was to make
25 the product available at a lower price, and already,

1 the manufacturing volumes that are coming out of
2 Malaysia have allowed us to sell FC2 at a lower --
3 manufactured at a lower cost and, therefore, sell it
4 as a lower price than FC1. We're already starting to
5 be able to do that.

6 But I had to match the key performance
7 characteristics of the two devices. There was no
8 point in me changing the device such that the two
9 were totally different. So we kept the same sheath
10 dimensions, the same inner ring, same insertion
11 method, same amount and type of lubrication. We were
12 very aware that the outer ring that stays outside the
13 body is a slightly different dimension to FC1. And
14 in order to compensate for that, we increased the
15 thickness of the ring very slightly but kept the
16 diameter the same size. And we pack it in the same
17 packaging materials in order to ensure its
18 protection.

19 We looked at a number of manufacturing
20 processes, and we arrived at the dipping process. As
21 I said a little earlier, it's widely used for male
22 gloves [sic] and medical gloves. It's capable of
23 high volumes, capable of low cost, and it's a well-
24 established, well-proven manufacturing process.

25 I'm going to show you a very brief video in

1 a second, but before I do, I'm just going to tell you
2 what you're going to see. The first little video is
3 about 15 seconds of the FC1 manufacturing process.
4 Polyurethane is a thermoplastic. You heat it, it
5 melts, you cool it down, it resolidifies. FC1 is
6 fabricated from bits. We make a ring. We take
7 sheets of material. We weld them together. We join
8 the ring to the sheath. We then test it using helium
9 gas and mass spectrometers. It's an incredibly
10 complicated manufacturing process.

11 Yes, so that's the first video that you're
12 going to see. It's very short. This is welding two
13 sheets of film together, and you see there's a
14 horseshoe shaped sheath. This is the injection
15 molding of the top ring. These are automated
16 manufacturing systems, injection molding machines,
17 robotic systems. This is the process where we join
18 the ring to the sheath. There are 26 of these
19 machines in this room all rattling away. And then
20 this is the helium leak tester where we test 12
21 devices at a time that pump full of helium, the gas
22 is taken away from -- and analyzed in a mass
23 spectrometer. It's a hugely complicated process.

24 When we developed FC1 manufacturing, we did
25 not have the ability to find a material, such as

1 nitrile rubber, which would give us the same
2 properties, similar properties to polyurethane but
3 was capable of being dipped. That technology was not
4 there, which is why we did this.

5 In contrast, I'm now going to show you the
6 FC2 manufacturing process. Basically, we take a
7 former of the correct size and shape. It's dipped
8 into a nitrile material. That nitrile material is
9 then part cured. The top ring of the device is
10 rolled, as Mary Ann said, very similar to that of a
11 male condom. And it's then stripped off. And it can
12 be leak tested in the same way that male condoms are
13 leak tested, a well-established manufacturing
14 procedure.

15 So here is the FC2 manufacturing. There
16 are the formers going into the nitrile. We colored
17 it blue so you could see what was going on. They're
18 not normally blue. There we're rolling the excess
19 material into a bead at the top of the device. Now
20 we're stripping the device off the formers. That
21 simple process replaces everything you saw in the
22 previous video, and that's a male condom testing
23 machine modified to test female condoms. This is a
24 view -- this is actually our Malaysian facility. As
25 Mary Ann said, we've already made 22 million there,

1 which have been shipped into 70-odd countries around
2 the world.

3 I hope you can see from that that the FC2
4 process is much more simple and is capable of a
5 significant cost reduction in the manufacturing
6 process.

7 Why nitrile latex? We assessed a number of
8 raw materials for this. And we rejected all of them
9 fairly earlier. Well, I did give serious
10 consideration to natural rubber latex, which is of
11 course is the, you know, sort of industry standard
12 for most male condoms, but we reject that also, for
13 two reasons. One, the potential allergy problems.
14 In fact, three reasons. One, potential allergy
15 problems associated with natural rubber.

16 Secondly, lubricant compatibility. We've
17 made a big thing over the years of promoting FC1
18 around the world as being suitable for use with a
19 wide range of sexual lubricants. You don't have to
20 use it with water-based lubricants as you do with
21 natural rubber male condoms. If we'd have made FC2
22 out of that, we'd have had to change that message all
23 around the world, and that would be a very difficult
24 message.

25 And, thirdly, natural rubber latex is --

1 you know, for a female condom, you don't need a
2 material which will stretch to ten times its length,
3 which is what a male condom will do, natural rubber
4 latex will do. A female condom is a loose-fitting
5 liner for the vagina. The material just needs to sit
6 there. It doesn't need to have huge elastic
7 properties. Nitrile seemed to fit that bill.

8 So similar elongation. It's widely used,
9 and it has a good pedigree in glove manufacture. It
10 has excellent chemical and solvent resistance.
11 Hence, it pointed at the fact that it would probably
12 be good with a range of lubricants. And it had
13 excellent biocompatibility, already being used for
14 other medical uses.

15 Incidentally, there was a question on
16 stability earlier. We have provided data to FDA of
17 stability of this FC2 one-year at 50 degrees
18 centigrade, and it's stable. We have three months at
19 70 degrees centigrade, which is -- and we have an
20 ongoing study at the moment of 30 degrees centigrade,
21 65 percent RH. It's been running for almost two
22 years now. And the product looks very stable during
23 those intervals.

24 Okay. That's the background on the
25 material itself. We had to look at -- try to

1 characterize the raw material that we would be using.
2 The tensile properties of nitrile, its strength under
3 elongation, tensile properties, are less than that of
4 polyurethane, 40 percent less than polyurethane. We
5 were concerned about that. Consequently, to
6 compensate for that, the thickness of FC2, we've
7 increased its thickness by about 50 percent and
8 brought it in line with the thickness of male
9 condoms.

10 We're also aware that FC2 does not have a
11 seam, a welded seam, and the welded seam is always
12 the weakest part of FC1. Consequently, then, if you
13 test FC1 and FC2 and you include the seam in FC1, and
14 you test it under physiologic conditions of 37
15 degrees and -- motion in saline, the two products
16 have an equivalent strength.

17 We evaluated, as I said earlier, nitrile
18 has good solvent and oil resistance. So we evaluated
19 it with a range of lubricants. It's compatible with
20 water-based personal lubricants. It's compatible
21 with various vegetable oils that are available that
22 are routinely used around the world as sexual
23 lubricants. It's compatible with petroleum jelly and
24 baby oil, something that male condoms aren't. And,
25 again, the FC2 compatibility results are comparable

1 to FC1.

2 We assessed its viral barrier properties.
3 It's an accepted protocol that's used for many
4 medical devices in the field of HIV prevention. We
5 tested FC2 against FC1 against male condoms, and all
6 three were shown to be excellent barriers to a
7 particle, which is a factor of five times smaller
8 than the HIV infectious particle.

9 We can talk about the strength of condoms.
10 It seems these days that the international standards
11 and ASTM standards have reduced the requirements for
12 condom strength down to burst characteristics. Not
13 can you cut a -- out of it and see how strong it is,
14 but if you pump it full of air, how big will it go
15 and what pressure will it burst at? Those are the
16 burst characteristics. And so that's something that
17 we've characterized for FC1 and FC2, obviously.

18 The FC1 burst specification was set back in
19 1991, when we were making prototypes. And we looked
20 at the data of burst characteristics of FC1 and kind
21 of did some fairly unscientific work back then, and
22 said, okay, these were our release specifications for
23 FC1. For FC2, the International Standards
24 Organization has been considering how to set these
25 sorts of things for new female condoms, and we

1 followed their guidance at the time, which was to
2 test 2,000 devices, blow them up, measure the volume,
3 measure the pressure. Those 2,000 devices should
4 come from the same manufacturing lots used in the
5 clinical study so that you're characterizing exactly
6 the performance of the products that we used in vivo.

7 Consequently, the minimum specifications
8 for FC1 and FC2 do differ slightly. However, when
9 you actually measure what's going on, and we've
10 looked at the last manufactured lots of FC2 and FC1,
11 we find that the actual burst pressures are very
12 similar, FC1 to FC2, and the burst volume is very
13 similar, FC1 to FC2. So the FC2 air burst strength
14 is comparable to FC1. There is no major difference
15 there.

16 That really comes to my last slide, which
17 is just trying to summarize. We were trying to make
18 the two devices as equivalent as we can, bearing in
19 mind we were changing the manufacturing process and
20 changing the material. The burst properties, the
21 most telling physical property of the device. The
22 burst properties of FC2 are comparable to FC1. The
23 softer outer ring does not impact the failure modes,
24 including invagination. Results are comparable to
25 FC1, and you'll see that from the clinical study.

1 The break strength of the FC2 device is comparable to
2 a welded FC1 device. Its compatibility with a wide
3 range of sexual lubricants is as good as FC1, and its
4 barrier properties are similarly as good as FC1 and
5 as a male condom.

6 I think, you know, I hope we achieved the
7 objective of developing a product which was as
8 similar to FC1 as we possibly could, but using a
9 process which has the capacity for reducing the
10 manufacturing cost. That's the end of my
11 presentation.

12 MS. BEKSINSKA: Good morning, Dr. Cedars,
13 Panel, and audience. Thank you for allowing me to
14 present the study today. My name is Mags Beksinska,
15 and I'm the clinical investigator on the RHRU trial
16 I'm going to present. And I work for the
17 Reproductive Health and HIV Research Unit in South
18 Africa.

19 So the aim of our study was to -- sorry --
20 evaluate the functional performance and short-term
21 acceptability of the FC1 and the FC2 and also to
22 compare the rates of clinical/non-clinical breakage,
23 total clinical failure, invagination, misdirection,
24 and slippage in our study.

25 The study was carried out in the Durban

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 area where the HIV rate of antenatal women is 40
2 percent and higher in some areas. We conducted the
3 study from one clinic in an urban area, Commercial
4 City Clinic, where we saw three groups, three study
5 groups, the Urban Family Planning clients, STI, and
6 student clients who were from the local tertiary
7 institutions. We went to Umbumbulu Clinic about 45
8 minutes out of Durban, where there were rural women.
9 And our commercial sex workers lived and worked in
10 the hotel very near Commercial City.

11 And the trial was a randomized, double-
12 blind crossover trial, where participants were
13 assigned to one of the two sequences, either using
14 FC1 first followed by FC2 or in the opposite order.

15 So in our methodology, we required, as many
16 contraceptive studies do, performance studies do, the
17 women to be using an effective method of
18 contraception, and they were using mainly hormonal
19 contraception, and all were sterilized. They were
20 screened for STIs.

21 We asked them to use ten condoms of each
22 type and to record the use of those condoms on a log
23 and to return as soon as they had used all ten
24 condoms. So we made an appointment, but we asked
25 them to come back as soon as they'd finished. At

1 each visit, we conducted a pelvic examination to
2 exclude infections, and we had a one-to-one interview
3 at each follow-up visit with the log as a reference
4 to complete a questionnaire.

5 Our statistical design we used. For the
6 sample size, we used the WHO guidelines, which
7 recommends use of at least 1,000 condoms of each type
8 and at least 200 participating couples using five
9 condoms each. We exceeded both of those because we
10 felt it was important to increase the sample size to
11 cover for any loss to follow-up.

12 Our data collection, we collected data from
13 a coital log, which was confirmed at the interview,
14 or information provided during the interview was
15 entered as part of the questionnaire database. So as
16 we've already said, some women, especially the sex
17 workers, didn't bring back a log. But the same
18 questions were asked in the questionnaire. And the
19 questionnaire formed the dataset, and the data was
20 double-entered in EPI-INFO.

21 And just to discuss our staff, we had four
22 nurse researchers interviewing the women. They're
23 all master trainers, which means they're qualified to
24 train trainers in the female condom and in barrier
25 methods training. Two of the four were experienced

1 also at an international level and continued to train
2 around Africa and beyond. They have extensive
3 experience in training providers and clients in FC
4 use. And, also, all of them have been involved in
5 the previous female condom research study in our unit
6 and some of them in more than one. And they've also
7 been involved in developing training materials in IEC
8 for the Department of Health.

9 So in our instructions, the nurses
10 instructed women on how to use the coital log in
11 their home language, English or Zulu. We used a
12 pelvic model to demonstrate the fitting, and this is
13 just one of the several leaflets that we had -- offer
14 in English and in Zulu. And they were given a range
15 of leaflets to take away with them. And also when we
16 demonstrated, we demonstrated the various failure
17 modes and how to avoid them.

18 And now I'm actually going to do a
19 demonstration of the failure modes. We felt this was
20 important because though most of you will know the
21 female condom, I think the debate around the issue is
22 around the failure modes and how different they are
23 for male condoms. And Colin Pollard already has said
24 that these differences are quite complex.

25 We all know that male condoms have breakage

1 and slippage, and, traditionally, when we talk of
2 female condom failure modes, very much they were
3 using the same failure modes as a male condom. So
4 this is a female condom I inserted earlier. And here
5 we are, just so you can see, but the female condom is
6 inserted correctly here, and the outer ring is the
7 full circle, and it's lying flat over the genital
8 area.

9 So when we look at the various failure
10 modes, if we look at the forces in play when you have
11 a female condom -- a male condom slips off a penis.
12 The force on a female condom is to push it inside the
13 vagina because this is where the pressure is.

14 So when we have invagination, and
15 invagination previously called slip-in, so I think
16 there's also been a confusion with slippage because
17 people often thought of slippage either way. It
18 could have slipped out and it could have slipped in.
19 But, really, these are two very different events.

20 Slip-in is the most common event,
21 invagination, of the failure modes. And if we see
22 that if the -- if the outer ring catches, it can't be
23 pushed inside. So here we have a partial
24 invagination, where part of the outer ring is
25 actually pushed inside the vagina. And this is a

1 full invagination, where in fact the whole outer ring
2 and the -- is the whole outer ring, meaning the whole
3 condom has been pushed into the vagina. That is
4 invagination. And it's quite difficult to get it out
5 again.

6 So, here, we put it back into position
7 again, and then I move onto the next failure mode,
8 which is misdirection, which has previously been
9 called rerouting and incorrect penetration. So,
10 often, when you read papers, they -- the whole
11 failure modes have been using different terminology.
12 And in most papers, you will not find a definition.
13 They'll just say pushed in here or pulled out here.
14 But there's not a really precise definition.
15 Misdirection is when the penis, and perhaps it is the
16 many -- we can speculate how these things happen, but
17 perhaps the penis catches the side of the ring and
18 moves in at the side. And you can see that if this
19 happens, that maybe eventually, the whole outer ring
20 will be pushed inside. So you may start with
21 misdirection and you may end up with some form of
22 invagination. But all invagination, whether it's a
23 part of the ring or the whole of the ring, is
24 considered to be a failure mode, okay?

25 Now, finally, we move onto the slippage

1 out. So if you think the force is going into --
2 pushing in, how does it slip out? We can see what
3 complete slippage is because that means the whole
4 condom comes out. But what is partial slippage?
5 This has always confused the people working in the
6 area, right. Is slippage, partial slippage, just one
7 centimeter, two centimeters? Is it half the condom?
8 Is it almost hanging out? No one has really
9 specified what partial slippage is.

10 And what we know with the male condom, once
11 it's on the penis, it stays in place unless it slips
12 off. With the female condom, it's different. The
13 device is fluid. During sex, it does move a little
14 bit, a little bit in, a little bit out. That is not
15 a failure mode. It's just moving. But as long as it
16 stays in place.

17 Now, complete slippage, once the inner ring
18 is in place is quite something because then you have
19 to pull the whole condom out and you have to put it
20 back in or reinsert a new one. I'll just have to
21 clean my fingers now. Otherwise it'll slip off the
22 thing.

23 So these are all the failure modes now I've
24 demonstrated. And moving on how we use those failure
25 modes to instruct people to how to use the log. We

1 instructed them to record whether there was no
2 problem or a defined problem. The nurses discussed
3 which day of the week they were going to start the
4 log by crossing through, and I'll show you the log in
5 a minute. And we also tell women they could write
6 their comments or notes at the back of the log, which
7 they did. And we also gave an appointment to come
8 back as soon as they'd finished ten uses.

9 So we discussed with them when they might
10 finish their ten female condoms, said come back
11 straight away. Or we made an appointment, but we
12 said if you finish earlier, come back as soon as you
13 finish. And you can imagine, some of the sex
14 workers, they came back within, you know, maybe 72
15 hours. Some of the students came back within a
16 couple of weeks because they also had a lot of sex.

17 So going to our coital log design, we
18 collected information on number of condoms used, the
19 number of sex acts, breaks, invagination, and
20 misdirection. And we did not have slippage on our
21 coital log. But we did use a coital log design
22 similar to a WHO design, which was a contraceptive
23 efficacy study which we were involved in, in 2002.

24 So let me show you the -- it's not really
25 very clear for you. I don't know if you can see, but

1 this is the log that we used for the contraceptive
2 efficacy study. And you can see here the male condom
3 failures, and you can see there's no problem.
4 Slipped off and broke. But then also you see for
5 this study, they just took slipped off, because at
6 the time of the, you know, the definition was not
7 well developed, and slipped off came onto the female
8 condom failure modes whereas we believed at the time
9 a condom can slip in, it can slip out, but it cannot
10 slip off a vagina.

11 So there were four international centers
12 that used this log, and the main problem was around
13 the slippage definition, so much so that one of the
14 centers reported no failure modes because of the
15 issues involved in this.

16 So here is our adapted log. You can see
17 that we've just removed the male condom failure
18 modes, and we've kept everything the same, but we did
19 not have slippage.

20 So why did we use this log even though it
21 had had this problem with slippage? Well, we used it
22 because it was very simple to use, and the women in
23 the study who were students understood how to use it.
24 It was a hard card. It was a one-page format. It
25 was easy to use. Many of our women in the rural area

1 only had primary education, and it had been used
2 before for condom studies. And our staff had
3 experience in using the exact same log. And, also,
4 the intervals required for women to return were the
5 same.

6 So just to summarize those differences
7 again, we removed the term "slipped off" from the
8 log, as it was misunderstood. And, also, it related
9 to when sometimes men put on a female condom and use
10 it like a male condom. So that is what it was being
11 reported as in this WHO study.

12 So, finally, just to move on to a bit of
13 clarification about this definition. The definition
14 has changed considerably over time. There used to be
15 two primary definitions, partial and full, but within
16 those, there was many sort of sub-definitions. And
17 here is just a few of them I've put there, which
18 includes the moving in and out, riding the penis like
19 a male condom, the female condom comes out and the
20 penis comes out of the female condom, and the female
21 condom comes out still covering the male penis, so a
22 bit like the male condom.

23 So what did we use in our study? We used
24 two very broad definitions, full and partial. So for
25 complete slippage, we decided to have any event where

1 the female condom completely left the vagina in any
2 way was a complete slippage. Partial, we said any
3 time the condom, the woman actually felt the condom
4 was going to come out of the vagina. But what we
5 didn't include is if there was a bit of that
6 movement -- well, I won't go into -- a tiny bit of
7 movement in and out, we did not count that as
8 slippage.

9 And then moving on to the WHO technical
10 review in 2006, they reviewed all the definitions
11 together. The most debatable issue was the slippage.
12 And it was decided that moving a slight in and out
13 was not going to be counted as a clinical failure.
14 Partial slippage, as it's said here, established as
15 long as the female condom continues to cover the
16 penis, it is not technically defined as a clinical
17 slippage failure. So in all the studies we see in
18 the future on whatever female condom, we assume
19 slippage rates will go down considerably because
20 these will not be included.

21 Now, this definition was finalized in 2006,
22 and there was a paper published on definitions from
23 the group at WHO. Now, this paper came out in 2007,
24 and it advises all researchers to use the exact
25 definitions from that meeting and several other

1 things to take note of. Now, obviously, this was not
2 available for researchers who were publishing studies
3 in 2006, 7, and only recently. So we assume that
4 these definitions are now going to be used.

5 So slippage is now defined as a clinical
6 failure when it comes completely out of the vagina
7 during intercourse.

8 So how did we get slippage if we didn't
9 have it on our quota log? We had a question,
10 Question 307: Did the female condom stay in place
11 every time during intercourse? Yes, no, not sure.
12 What we asked to do, if women said it didn't stay in
13 place, we asked them in a open-ended qualitative
14 section to describe exactly what happened. We not
15 only got slippage in this, we had invagination, women
16 talked of breakage, women talked of many things. But
17 this is where we got our slippage. And, obviously,
18 the invagination and other issues we specifically
19 asked in questions later on.

20 And these are two typical complete slippage
21 responses: Slipped out twice from the vagina during
22 sex. The condom was pulled out during sex and we
23 inserted a new one. So we would check, we would say,
24 "Did that condom come out completely?" And, often,
25 until you probe, you have to say to the woman, "Where

1 was the condom when you went to take it out? Was it
2 still in your vagina? Had it been pushed inside?
3 Was it hanging out?" So this was often a required
4 probe, which you cannot put on a coital log. You
5 actually have to get the detail because women cannot
6 see what's going on down there during sex, so, often,
7 it's only the removal of the condom that indicates to
8 them what has gone wrong.

9 So after ten uses of each type of condom,
10 women return to complete a questionnaire, and in that
11 questionnaire, there was problems and questions
12 around the failure modes, whether the device stayed
13 in place. We had insertion, removal, and many
14 acceptability issues. Overall preference for each
15 condom type, and what was the -- and from the women's
16 perspective, what was the partner's experience with
17 the condom.

18 So moving onto our results. We enrolled
19 276 women, and 201 completed the study and used both
20 condoms. We had just under 4,000 condoms used
21 altogether and about half/half of each. We recorded
22 194 failures, which was 5 percent of the total use,
23 and 88 percent of those were recorded within 30 days
24 of use.

25 The coital log and the questionnaire were

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 complementary. So the questionnaire was filled in
2 with the coital log in front to clarify issues. It
3 was a one-on-one interview, and the events were
4 clarified. Now, interesting, though there is this
5 recall issue, when women only came back and they had
6 ticked the invagination, we used to probe further and
7 say, "Was it the whole ring or part of the ring that
8 went inside?" And they would actually be able to
9 tell us in almost every event whether it was the
10 whole ring and part of the ring because we would
11 probe, "Where was it when you removed it?"

12 And tears and breaks, we would ask them
13 specifically where it broke and in what instance it
14 broke and also differentiated between non-clinical
15 and clinical breakage. Then of course there's the,
16 "Did the condom stay in place," which has nearly all
17 the events in there but in a qualitative format.

18 So these are the results of our study,
19 which are very difficult for you to see, I'm sure.
20 Hang on. There we are. Failure modes are on the
21 left, so breakage was broken down into clinical and
22 non-clinical for FC1 and FC2. And here you can see
23 the results, but they're very, very small, and I will
24 be talking about them later. But you can see the
25 slippage are obviously the lowest in both categories.

1 And for invagination, we've got total outer
2 ring displacement, which is what we called it at the
3 time, completely and partially displaced, and we had
4 a combined figure for both. So based on the results
5 of our study, we felt that FC1 and FC2 were
6 functionally equivalent. Thank you.

7 And what I'm going to do now is go onto a
8 short literature review to just discuss the failure
9 modes and definitions that are available for you in
10 your Panel pack. But just to remind you, obviously,
11 the studies we're comparing with are only FC1 because
12 there has only been one -- well, there's a second one
13 I'll mention later, but there's -- most of the
14 literature is obviously on FC1.

15 So if we look at breakage, we can see there
16 on the screen, our breakage was less than 1 percent,
17 and it falls very well within the range here. Now,
18 we've only quoted four studies. What we're doing is
19 we're quoting the studies that have used at least
20 1,000 condoms, which is the WHO recommendation. But
21 there are other studies which we'll talk about later.

22 Now, invagination rates, if you see there,
23 our partial and complete invagination totals 3.14 for
24 FC1 and 2.97, and that also falls well into the range
25 here. And you see this third study, it's combined

1 slippage in and out, and that has also -- the
2 confusion about slippage is the -- in and out is the
3 one thing. So you can see that this figure is
4 combining both.

5 Now, going on to slippage now, you can see
6 our rates are much lower than the other studies.
7 There's no doubt about it. Many of these studies
8 have not said, except for Macaluso, whether it's
9 complete or partial slippage. But on discussion and
10 talking to one of the researchers in these studies, I
11 said, "Well, what did you use as partial," and they
12 said, "Any single movement of that condom." Any
13 movement was included as a slippage. So women will
14 come back and they'll say, yes, it moved a little
15 bit. That, in most studies, is included as a
16 slippage. We did not include that, and that is why
17 our rates are lower. We also didn't include if the
18 man put the female condom on his penis, and, of
19 course, it was used as a male condom. We also didn't
20 count that as slippage. And, also, with the PMA
21 study, we see it was one figure here with 2 and 2.7.

22 So misdirection, our rates were 1.6 FC1 and
23 0.64, and it's not been well-reported in other
24 studies. And you can see with these larger studies,
25 only one, Macaluso, has reported it, and it was 2

1 percent.

2 Now, when we talk about total clinical
3 failure, if we include our invagination, we have 5
4 and 4.31 percent. And this is also slightly lower,
5 but still in the range of the two other large
6 studies, and in this study, it wasn't determined.
7 But if I show you all of the studies in your Panel
8 packs, except for one that only came out last week
9 which has been added in, you can see that for the
10 three modes, invagination, misdirection, and
11 breakage, we fall within that range for FC1 and FC2.
12 Don't worry about the numbers down here. It's just
13 the way the studies are graphed. But, of course, on
14 slippage, you can see we're low, but not as low as
15 one of the early studies, which was 0.

16 But, also, just to mention that the second
17 study using FC2, which was done in 2007 and 2008 by
18 FHI, they have reported to me just before this
19 meeting that the analysis is at a stage where they
20 can say the FC2 failure rates are almost identical to
21 the ones in our study. And that work will be
22 published in the next year, but they just wanted us
23 to know that.

24 And so the conclusions of the literature
25 review is that breakage and total failure are

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 consistent across studies, irrespective of
2 investigator or population. The variance in slippage
3 rates has been because of this evolving change in
4 definitions of slippage over the years, and that will
5 come down since the WHO meeting as partial slippage
6 has been removed. Misdirection has either not been
7 reported or not collected, and as with male condoms,
8 female condom failure, we feel, is something that
9 women will remember. Thank you.

10 DR. LEEPER: So it's my turn now to go
11 through again, and just let's scrub and look at the
12 issues that have been raised by FDA that they would
13 like you to consider. Broadly, first, whether or not
14 a contraceptive study should be included to approve
15 FC2. We believe that the information gained will not
16 be meaningful enough, not additive enough to justify
17 delaying the time to put the protocol together,
18 execute the study, write the study up, submit it to
19 FDA, and get it approved. You're talking somewhere
20 around five years. And we just don't believe that
21 the incremental information justifies that delay in
22 getting this product to women who need it.

23 Secondly, is FC2 robust enough to do the
24 job? I think Mike showed you why, in terms of the
25 physical characteristics, that it is the same as the

1 FC1. And the RHRU study shows, the data shows, that
2 FC2 performed comparably to FC1 in terms of tears, in
3 terms of invagination, which are the critical pieces
4 in terms of is it going to rip or is that "softer"
5 outer ring, is that going to be pulled in more
6 frequently than FC1. And the data shows that, no,
7 that the way Mike put together the compensation, that
8 this produce, FC2, does perform physically the same
9 way as FC1.

10 So now we have to go to the protocol
11 adequacy. And let's just look at the key points and
12 what the data shows for each of the key points. Now,
13 you can say, yes, you know, these points may or may
14 not have caused -- led to underreporting. That's
15 true. You can say that. But we believe that the
16 data suggests something different.

17 First of all, the FDA is concerned about
18 the coital logs, and we're not relying on the coital
19 logs. We're relying the fact that -- the way we use
20 coital logs and the one-on-one interviews. Our
21 database was the one-on-one interviews. Mags just
22 went through that with you. The coital logs were
23 complementary. They worked both together. The woman
24 had the coital logs to reference, but it was this
25 one-on-one and pulling out what happened, what didn't

1 happen, and that formed the database for the study.

2 Next question, recall. In our study, 77
3 percent of the women reported their first -- reported
4 follow-up within, actually, 29 days, less than 30, 77
5 percent reported in. If you look at the literature,
6 the studies that Mags and also Colin, because Colin's
7 studies were on Mags' chart, the last four charts,
8 all of those studies, their follow-up visit was four
9 weeks. So 29 days, 30 days, versus four weeks. It's
10 standard.

11 Now, let's look what did we find. There
12 were 194 failures identified or occurred in 3,800,
13 approximately, 3,800 uses of the female condom. 84
14 of the 194 female condoms were identified in the
15 interview process, in the one-on-one interview
16 process. 34 of the 84 female condom failures were
17 actually identified in the interview process from
18 women who were using the coital log. So, in essence,
19 even though they were using the coital log, they
20 forgot, didn't put it down, didn't mark it right, and
21 it was the process of the one-on-one interview that
22 identified from those women the additional failures.
23 The one-on-one interviews was a very important aspect
24 to this study in terms of identifying what happened
25 when those women used FC1 and FC2.

1 I thought another important point you would
2 find interesting, that if you look at the percent of
3 problems identified 30 days -- beyond 30 days, the
4 women came in beyond 30 days, the percent of failures
5 for each mode was similar to the percent of failures
6 that are identified on women who came prior to the 30
7 days. In other words, they recalled and they
8 remembered what happened regardless of when the
9 interview took place.

10 Okay. Slippage is not on the coital log.
11 This has been raised as an issue. I think Mags was
12 pretty clear that when this female condom is in your
13 vagina and you are having intimate sex and it gets
14 pulled out, you remember it. First of all, you feel
15 the ring coming out. You feel it. Second of all,
16 you've stopped having sex. I mean, there's this
17 thing that's not where it's supposed to be. I mean,
18 you remember if that happened. And another point,
19 the fact that this happens doesn't -- is so rare.
20 It's a rare occurrence. In our study, there were 12
21 complete slippages identified, 6 of them complete, 6
22 partial. And 4 of the 12 were identified beyond 30
23 days and then, again, recalled, remembered it
24 happened, and it was noted.

25 Okay. Multiple sex acts on a given day.

1 There were 1,500 days when a sex act was recorded on
2 the coital log. Approximately 50 percent of those
3 days were multiple sex acts days, so, say, 750 days.
4 75 multiple sex acts days, a problem was reported,
5 okay? So 75 multiple sex acts days, there was a
6 problem reported.

7 Now, if we look at the problems that were
8 reported on the coital log, there were a total of 133
9 problems recorded on the coital log; 47 of those
10 problems were single-sex days. So, in other words,
11 okay, I had invagination, and the slot was there, and
12 I recorded it. But 86 of the 133, or 65 percent of
13 the problems, occurred on multiple sex act days. So
14 if, in fact, the woman experienced two invaginations
15 on that multiple sex acts days, she wrote it down.
16 Women reported what happened to them. They reported
17 it on the coital log whether the slot was there or
18 not.

19 Now, some women did not, mostly commercial
20 sex workers, did not complete coital logs. Their
21 manager of the hotel, after they had agreed to do the
22 study, forbid them to fill out the coital logs,
23 afraid they were going to scare off the clients.
24 This is, of course, why the one-on-one interviews
25 were really important. They didn't fill out coital

1 logs, but they sure did report for their interviews,
2 and they sure did remember what happened to them
3 while they reported it. They remembered it, they
4 reported it.

5 And if you look at the database, and Doug
6 is going to go through this with you in a minute, the
7 coital -- if you look at the database with women with
8 coital logs and you look at the database, women
9 without coital logs, they are the similar findings
10 whether or not they had coital logs.

11 Commercial sex workers. Commercial sex
12 workers do right now today use the female condom, and
13 they will in the future, and it's really important
14 that they have the female condom as an option for
15 them. And they are a representative group of
16 participants who need to have this product, and we
17 felt that it was important for them to be included in
18 the database to see how they felt about having
19 this -- and how the product performed with them.

20 FDA suggests that perhaps, you know,
21 because their male condom experience, that that would
22 impact their results of using the female condom, but
23 I think by now you're clear that the use of a male
24 condom and the use of the female condom is completely
25 different. You put it on differently. You take it

1 off differently, and the failure modes are different.
2 And as, again, no difference between a database
3 with -- that includes commercial sex workers and a
4 database that does not include commercial sex
5 workers.

6 Blinded. Users and nurses received two
7 sachets. They looked exactly the same, no way to
8 tell. It's not, like, you know, little white pills,
9 however, little white pills in two little packets.
10 They look exactly the same. But FC1 and FC2 don't
11 look exactly the same. FC1 has a seam. FC1 doesn't.
12 So if a woman had been a prior user, she may remember
13 that FC1 had a seam, but of our database, 19 women
14 included had used the female condom at least once
15 prior to this study out of 276, and nine of them did
16 report problems using the female condom. We believe
17 the study was "suitably" blinded. We did the best
18 that we could, given that FC1 had a seam and FC2
19 doesn't.

20 So, in summary, we don't believe that a
21 contraceptive study would give meaningful information
22 to justify delaying another five years to get FC2
23 approved in the United States. FC2 is robust. It's
24 demonstrated by the FC1 comparative study by RHRU.
25 The failure modes and results were comparable. And,

1 number three, a point that we think, again, is really
2 important, FC1 performed in a similar manner in the
3 RHRU study as it did 16 years ago in terms of failure
4 mode results.

5 DR. TAYLOR: Good morning, everyone. My
6 name is Doug Taylor. I'm the Director of
7 Biostatistics at Family Health International. FHI
8 has been involved in female condom clinical and
9 epidemiological research for probably about 20 years.
10 It certainly pre-dates the time that I've been there.
11 But, most recently, we were asked to provide some
12 support in the analysis of the clinical failure data
13 from the condom effectiveness study that RHRU
14 conducted.

15 I don't want to spend too much time on
16 this. I know we've spent a lot of time here already,
17 and Colin Pollard already made a nice description
18 about functionality studies. But, you know,
19 essentially, underlying the concept of a
20 functionality study is that if you had two condoms
21 that had absolutely no difference in their condom
22 failure rates, in terms of modes, that you could get,
23 if not exactly the same, then certainly comparable
24 pregnancy rates.

25 When we conduct such a study, our interest

1 lies in assessing what the true differences are in
2 the complete slippage, the failure modes, complete
3 slippage, clinical breakage, invagination, and
4 misdirection rates are between two condom types. Of
5 course, we don't know what those true differences
6 are, so we provide a finite -- we get a finite sample
7 of data, and statisticians compute confidence
8 intervals for those differences, which are simply a
9 plausible range of values for those differences based
10 on the data that were observed in the trial.

11 One important thing to emphasize is,
12 currently, as well as certainly when the study was
13 conducted, there is no standard for what that
14 acceptable range is. I mean, how close do we -- does
15 the difference in functionality measures have to be
16 in order for us to conclude that a new condom type is
17 not inferior to an existing condom. Hence, so we
18 really are left with making epidemiological and
19 regulatory and procurement decisions based on what we
20 observe in these studies.

21 You've certainly all seen this before.
22 You're going to see it again. I don't want to spend
23 too much time on it. But these are the primary
24 functionality study results from this crossover
25 functionality trial based on all data in the

1 database. Key points to notice are these observed --
2 the observed difference column, column four.
3 Negative values suggest or negative values indicate
4 that the failure rate for the FC2 condom was less
5 than the FC1 condom.

6 And, of course, more importantly and
7 essential is to look at the confidence intervals for
8 the differences in those rates. And in all
9 instances, we can be highly confident that the true
10 difference in failure rates were no more than, well,
11 in the case of invagination, 1.01 percent. But the
12 take-home message here is that these are all much
13 smaller differences. We have a high degree of
14 confidence that the differences are smaller than
15 anything that's reasonably going to be imposed as a
16 standard in the future, if and when a standard is
17 established. So we really have demonstrated
18 comparable -- the data demonstrated comparable
19 performance in terms of these functionality outcomes.

20 As Mary Ann mentioned, there's been concern
21 raised about the inclusion of sex workers.
22 Essentially, from my perspective, this boils down to
23 if you enrolled a population of people who are so
24 good at using condoms that they never failed, you
25 wouldn't gain any information in which to assess the

1 comparability of two condom types.

2 Of course, if we do observe failures in
3 novice users and we don't observe failures in
4 experienced users, we might jump to the conclusion,
5 and it might be a reasonable conclusion, that
6 experience matters. Hence, enrolling a heterogeneous
7 population, for example, commercial sex workers, is
8 an advantage, so long as we actually obtain some
9 information which we can use to assess the function
10 of the two condom types. It's not a disadvantage.

11 Nonetheless, if we exclude the commercial
12 sex worker data, what do we see? Well, we see
13 something very comparable. I think you'll find that,
14 overall, the failure rates for FC1 and FC2 are a
15 little bit lower when we exclude the sex workers. In
16 general, the sex workers did have slightly less
17 failure rates, but our conclusions don't change at
18 all. The differences are all about, you know, in the
19 same range, and the confidence intervals are all
20 telling us about the same thing, which is, in this
21 population, excluding the sex workers, we also see a
22 comparable performance of the two condom types.

23 Another issue, of course, is the accuracy
24 of the condom failure data. The FDA has expressed
25 concern that relying on in-depth interviews rather

1 than coital logs could have led to misreporting of
2 failure rates. Hopefully, by now we realize that
3 given the complexity of all these failure modes, it
4 really was essential that there be detailed
5 questionnaires/interviews with the participants in
6 order to get an idea of what was really going on with
7 these condom types. Even if misreporting did occur,
8 it seemed unlikely that reports of ever having
9 experienced these types of failures were overly
10 biased.

11 And, in fact, if we look at the per-woman
12 analysis, instead of looking at the proportion of
13 condoms that failed, we look at the proportion of
14 women who ever experienced types of condom failures,
15 we again see something very consistent, very -- it
16 makes us feel, you know, good about the study, which
17 is we see really no differences, no meaningful
18 differences in the rates of women ever experiencing
19 problems with these two condom types.

20 I do want to make one little note or
21 comment about effectiveness studies, something to
22 keep in mind, because the FDA has said that they
23 would require a single-arm contraceptive study. We
24 got to keep in mind what such a study would actually
25 provide to us.

1 As I've said, a functionality study, which
2 was what RHRU did, evaluates the rates of condom
3 failure during actual use. All right. Were the
4 rates of exposure to semen comparable between the two
5 condom types when the condoms were actually used?
6 Effectiveness studies don't do that. Effectiveness
7 studies evaluate pregnancy rates over many months or
8 cycles of what is no doubt typical use. I emphasize
9 typical because the observed pregnancy rates in
10 effectiveness studies are going to be highly impacted
11 by non-use of the condom.

12 In fact, if you look at the pivotal study
13 for FC1, you can see in the U.S. sites, the perfect-
14 use pregnancy rates, the estimated rate was 2 1/2
15 percent. Probability pregnancy was 2 1/2 percent at
16 six months, a typical use rate of 12 1/2 percent, all
17 right? So I think if you look at those data
18 objectively, you're going to conclude that the bulk
19 of the pregnancies are not because the condom failed
20 during use but because the condom wasn't used or
21 wasn't used perfectly.

22 And if you look at the sites from South
23 America, the perfect-use rate estimate was 5 percent
24 at six months and I think 20 percent, or so, at
25 six -- for typical use. So, again, you get this wide

1 range of results.

2 In addition, if you do an effectiveness
3 study, these days if we did an effectiveness study,
4 we would have to counsel for the use of emergency
5 contraception if a condom failed or if someone didn't
6 use a condom for sex. And that's going to further
7 shrink any apparent differences in pregnancy rates
8 that you might otherwise observe.

9 So I think it's unreasonable to think that
10 we could expect true differences in condom failure to
11 translate into detectable differences in pregnancy
12 rates. That's not the same thing as saying are the
13 typical-use failure rates comparable between two
14 arms, or is the typical-use pregnancy rate comparable
15 to an historical control. But if we want to get at
16 the idea of is the condom failing more often and that
17 resulting into more pregnancies, it's highly unlikely
18 that an effectiveness study is going to answer that
19 question.

20 So, in summary, there is strong statistical
21 evidence that FC2 and FC1 are comparable in clinical
22 performance. Multiple subgroup analyses, for
23 example, excluding commercial sex workers, or if you
24 just look at the first-use period of condoms or just
25 the second-use period of condoms leads to consistent

1 findings. That makes us feel good about the study.
2 The proportion of women who ever reported clinical
3 failure was also comparable for the two condom types.
4 And, finally, an effectiveness study is unlikely to
5 identify important differences in condom function and
6 their impact on pregnancy rates between FC2 and FC1.
7 Thank you.

8 DR. LEEPER: So it's my turn to sum up for
9 the Female Health Company and for its product, FC2
10 PMA. Basically, I think the data -- we believe that
11 the data shows that FC2 is safe and biocompatible,
12 that the failure rates of FC2 in our study are
13 equivalent to the failure rates of FC1, that FC1
14 performed the same way in this study as it did in the
15 PMA that approved it. And, in summary, we really do
16 believe that the studies that we have submitted in
17 our dossier, in our PMA, are adequate to establish
18 that FC2 is safe and effective.

19 FC2 already is playing an important role in
20 STI prevention, HIV/AIDS prevention outside of the
21 United States. If FC2 -- if FDA approves FC2, it
22 will increase the access of this product, a woman's
23 method to protect herself, obviously, in the United
24 States and in the rest of the world. And we truly do
25 not believe that an effectiveness study will likely

1 add to our understanding of the basic performance of
2 the FC2 female condom.

3 We thank you for listening to our
4 presentation this morning. We look forward to
5 answering any additional questions that you may have
6 now or later, and we thank you greatly for the
7 deliberation that you'll be doing over the next hours
8 on behalf of FC2 and women who need it. Thank you.

9 DR. CEDARS: Thank you. I'd like to thank
10 the Sponsor for their presentation and ask if members
11 of the Panel have questions. If I could just ask if
12 you have fairly simple questions, that we'll answer
13 them at this time. If they're more complex or
14 extensive, you can go ahead and ask the question, but
15 we may ask the Sponsor to respond after lunch. So if
16 we can start with Dr. Hillard?

17 DR. GILLIAM: So I appreciated hearing the
18 answers to the questions that the FDA has asked, and
19 we will talk about those sorts of things. I have
20 some questions that I hope there will be data to
21 answer that are not related to the specific questions
22 that are asked but may well be relevant; in
23 particular, issues that might be answered by the
24 questionnaires or the interviews.

25 So when I talk to my patients about the

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 female condom, the biggest concern that they have
2 when they try it once is that it's noisy. And so my
3 question is related to any data that might answer the
4 issue of the snap, crackle, pop from the Female
5 Condom 1 --

6 DR. LEEPER: Right.

7 DR. GILLIAM: -- that might suggest that
8 Female Condom 2 is preferable to women, and if it is
9 preferable, then would it be used more frequently,
10 more likely to be used. And so I'd just like to ask
11 are there data to answer that particular question?

12 DR. LEEPER: Yes. Is this -- can you hear
13 me? Is this mike on?

14 DR. GILLIAM: Yes.

15 DR. LEEPER: I'm going to ask Mags to
16 completely answer that question, but right off the
17 top, the snap, crackle, and pop that some women
18 report on FC1 use is not reported on FC2. And Mags
19 is going to tell you they have done a whole
20 acceptability aspect that we did not report on today
21 about FC2. Mags, do you want to tell about that?

22 MS. BEKSINSKA: Yes. Just in the
23 acceptability paper on the study, the noise was
24 mentioned by 2 percent of women using FC1 and 1
25 percent of women using FC2. And I understand from

1 them that the material may be -- just the material,
2 what it's made of, may make it less likely to be
3 noisy.

4 DR. GILLIAM: Thank you.

5 DR. LEEPER: They also felt that the --
6 they liked the acceptability of FC2 because it felt
7 more, looks more like a male condom, and they're used
8 to more male condom, and so just psychologically they
9 liked it better.

10 DR. CEDARS: Okay. Dr. D'Agostino?

11 DR. D'AGOSTINO: Yeah, I have two quick
12 questions. I enjoyed your presentation, found it
13 very informative. One of the issues that I don't
14 believe you covered was the dropout rate. Depending
15 on how you count it, it's 27 percent. In most
16 clinical trials, a dropout rate of that would be a
17 fatal flaw. Can you talk about why we shouldn't
18 worry about dropout rates here?

19 And then the other is the per-woman
20 analysis. Do the confidence intervals rule out the 2
21 percent?

22 DR. LEEPER: Okay. Mags, why don't you
23 answer the first question, and, Doug, will you answer
24 the second?

25 MS. BEKSINSKA: Okay. I think our dropout

1 rate was higher than we normally actually have in
2 studies. In many cases, you know, for the women like
3 commercial sex workers who lived in a hotel, they
4 moved from hotel to hotel. And some of them, I
5 think, were slightly distracted by the fact that they
6 weren't allowed to fill in their quota log in their
7 hotel rooms and then didn't come back to the study.

8 The students were -- there was one part --
9 there was the holiday period, but also because we
10 wanted to make sure it was going to be short study.
11 We, obviously, there were women who actually came
12 back once we completed the study, but we felt that
13 they had come back far too long to recall properly
14 the events they had with their condoms. So some
15 women came back after three months, and we actually
16 said, no, it's too late now. So towards the end of
17 the study when we finished, some women came back
18 later than that, and we didn't include them.

19 DR. D'AGOSTINO: That explains why you
20 might have dropout but doesn't explain the impact on
21 your analysis and conclusions.

22 MS. BEKSINSKA: I think we did some
23 analysis looking -- we compared the baseline
24 characteristics of those who dropped out and those
25 who continued, and they were fairly similar. I don't

1 know if Doug wants to make a comment on that.

2 DR. D'AGOSTINO: Yeah, that's usually not
3 considered adequate.

4 MS. BEKSINSKA: Yeah, but, otherwise, no, I
5 can't really comment on that.

6 DR. TAYLOR: Thanks. It's a big -- a very
7 good question. Unfortunately, these studies do tend
8 to suffer from, or oftentimes suffer from high
9 discontinuation rates. The problem in pregnancy
10 studies is really even worse. It's extremely
11 challenging. But in terms of did it impact the
12 results, I believe -- I'm not sure, so I'm not saying
13 absolutely here -- that an analysis was done that
14 restricted -- restricted the analysis to the 201
15 women who completed both cycles, and you got
16 consistent findings among at least those people who
17 definitely completed both. That, I realize, doesn't
18 fully answer the question, but at least would provide
19 some --

20 DR. D'AGOSTINO: There were a lot who
21 didn't -- who dropped out right away, never came back
22 even for the first --

23 DR. TAYLOR: I'm really not hand waving the
24 issue. I realize it's very important.

25 DR. CEDARS: Is that something that you

1 could check during lunch? Do you have that data
2 available?

3 DR. TAYLOR: I could check to see if it is
4 available. If it's not available, it would take a
5 little while for that to be provided.

6 DR. CEDARS: If it's available, it would be
7 helpful if you could look at that, please.

8 DR. TAYLOR: Okay. All right. And the
9 second question, in terms of was the difference in
10 proportions of women ever experiencing failures,
11 could we rule out that difference -- be more than 2
12 percent. Well, one is the 2 percent isn't really --
13 it may be relative, it may not be relative, because
14 the delta of two percent relates to condom failures,
15 the denominator being the condom.

16 DR. D'AGOSTINO: I understand that. I'm
17 just --

18 DR. TAYLOR: Okay. The answer is I don't
19 know because those are correlated, paired data, and I
20 haven't analyzed the data at that level of detail to
21 tell you whether that -- if you analyze it
22 appropriately, accounting for the paired data nature
23 whether it would be less --

24 DR. D'AGOSTINO: Every other table gives
25 confidence intervals and that one didn't so --

1 DR. TAYLOR: No, absolutely. The primary
2 outcome was the rate of condom failure, appropriately
3 accounting for the correlation, but we didn't do that
4 analysis for comparing the proportion of women who
5 ever experienced them. So maybe you could do a
6 binomial proportion and assume they're independent
7 because that variance should be higher, and if you
8 found that it was equivalent in that --

9 DR. D'AGOSTINO: Well, you can -- there's
10 simple test to do it --

11 DR. TAYLOR: Sure. No, I know it's simple.
12 I don't -- the analysis wasn't done, so I can't give
13 you the answer.

14 DR. CEDARS: Dr. Padian?

15 DR. PADIAN: A super-quick question about
16 follow-up, I mean, loss to follow-up. Was it
17 differential by arm? And that's one. And then two
18 other questions. One, I just want to make sure I
19 understand the protocol correctly. When they used
20 ten, got ten, and then they were supposed to come in,
21 was that ten consecutive acts of intercourse, or did
22 you somehow account for the fact that it might have
23 been dispersed over a larger denominator of
24 intercourse?

25 MS. BEKSINSKA: Yes, it could have been.

1 So sometimes they didn't use the condom. So we asked
2 them to come back as soon as they had used all of
3 their condoms.

4 DR. PADIAN: But it didn't have to be
5 consecutively?

6 MS. BEKSINSKA: No, no.

7 DR. PADIAN: And did you look at whether
8 that might have made a difference in --

9 MS. BEKSINSKA: No, I haven't looked at
10 that.

11 DR. PADIAN: And then my final question is
12 reuse. I mean reuse of the female condom in general,
13 but in particular, for the women that had multiple
14 acts of intercourse on the same day. Do you have any
15 idea whether they might have left the same one in or
16 do they always have a new one?

17 MS. BEKSINSKA: No, we told them not to
18 reuse it.

19 DR. PADIAN: Oh, okay.

20 MS. BEKSINSKA: And in the South African
21 Female Condom Program, which is very extensive, women
22 are told they should not reuse a female condom.

23 DR. PADIAN: Okay.

24 MS. BEKSINSKA: It hasn't been an issue in
25 our country. I mean, we tell them not to do that

1 because there are enough female condoms available to
2 use a new one.

3 DR. PADIAN: Right. Okay. Thanks.

4 DR. CEDARS: Dr. Warner?

5 DR. WARNER: I also have two questions.
6 The first one is the results were presented overall
7 and without the sex workers and were shown to be
8 comparable between the FC1 and the FC2. My question
9 is if you look at it by each population type, so I
10 think it was students, Family Planning Clinic
11 clients, I don't remember what else, did you observe
12 the same thing?

13 And then the second question is can you
14 give some type of comment on the timing in which the
15 coital logs were completed? So did you have any type
16 of process or validation to be sure they weren't
17 tick-marked right before they came to the clinic, in
18 which case that would underscore the importance of
19 the interview?

20 DR. LEEPER: Mags, do you want to answer
21 that, and I'll augment. Go ahead.

22 MS. BEKSINSKA: Okay. Just can you just --
23 on the one about the ticking, just say that one
24 again?

25 DR. WARNER: The question was do you have

1 any information --

2 MS. BEKSINSKA: Yeah --

3 DR. WARNER: -- on when the logs were
4 completed. So I had done some of those studies in
5 the early '90s on male condom use and coital logs --

6 MS. BEKSINSKA: Right.

7 DR. WARNER: And one of the concerns is
8 that people would check, or complete the logs right
9 before they came in for the visit.

10 MS. BEKSINSKA: Right. No. We didn't ask
11 them if they'd completed the log at the time of the
12 visit.

13 DR. WARNER: Um-hum.

14 MS. BEKSINSKA: So that's something we
15 didn't do, yeah.

16 DR. LEEPER: They did on the coital log,
17 obviously it says the day, and we've analyzed each
18 coital log and saw how many reported at so many days,
19 but whether or not that number is accurate, we have
20 no idea. And, Doug, I don't think we looked at it by
21 population, did we?

22 DR. TAYLOR: No, I did not.

23 DR. LEEPER: No, we haven't --

24 DR. CEDARS: Did you --

25 MS. BEKSINSKA: The STI group was much

1 lower than we had originally hoped because when a
2 woman was an STI client, she had to be treated and to
3 come back. So we only have 20 -- we had slightly
4 more than 50 in some groups. So we would probably
5 not be able to analyze that small subgroup anyway.
6 So because of the 200 couple, the WHO guidelines,
7 those 200 are actually supposed to be analyzed
8 together, so we haven't actually looked at them by
9 subgroup because we feel they're probably too small.

10 DR. WARNER: Okay. Thank you.

11 DR. CEDARS: Dr. Sharp?

12 DR. SHARP: Yes. I had a question about
13 the mitigating labeling, and I just noticed in the
14 two that we have here, 1 has it and the FC2 doesn't.

15 DR. LEEPER: Right.

16 DR. SHARP: Is that because that's not
17 packaged for the U.S. or --

18 DR. LEEPER: Yes, that's correct. The FC2
19 that you have is what we distribute outside the
20 United States. However, what we are suggesting in
21 our PMA is we think that the drawings that we use on
22 the ex-U.S. package that you have in your hand, that
23 they are really -- it's really important and to put
24 that onto -- we want -- we are suggesting that we
25 move the mitigating labeling we have still kept on

1 the, you know, proposed labeling for FC2 for the
2 United States, but we have also added those drawings
3 because we think it's really important women see
4 exactly how to put it on as they're opening up the
5 sachet.

6 DR. SHARP: Sure. Great. Thank you.

7 DR. LEEPER: Sure.

8 DR. CEDARS: Dr. Katz?

9 DR. KATZ: A couple of technical. Is this
10 on?

11 DR. CEDARS: Can we make sure his mike is
12 on?

13 DR. LEEPER: No, I don't think it is.

14 DR. KATZ: A couple of technical questions
15 having to do with the material in FC2. The thickness
16 of FC2 is about the same as the thickness of a male
17 condom -- thank you. The material has more in
18 common, in terms of its chemical composition and
19 structure, with the latex in a male condom than it
20 does with the polyurethane in FC1. What do we know
21 about the mechanical properties of a sheet of this
22 material versus -- at that thickness compared to a
23 male condom? And can we draw any inferences about
24 shelf life as well?

25 DR. LEEPER: Mike? Mike and Bill?

1 MR. POPE: What do we know about the
2 mechanical properties of the sheath -- the male
3 condom?

4 DR. WARNER: Well, does the FC2 -- how do
5 the tensile properties of FC2 compare with the
6 tensile properties of a latex male condom.

7 MR. POPE: Frankly, I can't describe that
8 to you. I can tell you FC1 versus FC2, but not FC2
9 versus a male condom. But I have somebody with me
10 that can.

11 DR. LEEPER: Hi, Bill.

12 MR. POPE: Bill, would you like to step up?

13 DR. POTTER: Thank you. I'm Bill Potter.
14 I'm a consultant to the company. If you look at the
15 film of the two materials, basically, the tensile
16 strength of the nitrile latex film is going to be
17 about the same as the natural rubber latex film,
18 possibly slightly below, say about 2 or 3 percent.
19 Elongation is going to be substantially lower. So
20 we're looking at elongations, from memory, I think
21 about 600 percent versus the latex film, we're
22 looking around 800 to 1,000.

23 And the reason why nitrile was selected is
24 because those -- the characteristic of elongation was
25 closer to the polyurethane film it was replacing in

1 FC1.

2 DR. WARNER: Right, right.

3 DR. POTTER: On stability, the thing about
4 nitrile latex is it's much more stable than NRL
5 latex. And I think we checked on the stability
6 studies that have been done. We're not seeing any
7 change at all over up to a year at 50 degrees
8 centigrade. We've got one year at room temperature,
9 30 degrees centigrade, no change, see. The two year
10 results will be coming out very shortly now. We're
11 not expecting to see any difference. So it's much
12 more stable, thermally stable, oxidatively stable
13 than latex films. And, also, it's got much better
14 solvent resistance. So it's compatible with a much
15 wider range of potential lubricants than NRL films.

16 DR. WARNER: Thank you.

17 DR. POTTER: Okay.

18 DR. CEDARS: Dr. Ramin?

19 DR. RAMIN: I had a couple of questions.
20 Is that all right?

21 DR. CEDARS: If they're --

22 DR. RAMIN: Okay. The first one is you had
23 mentioned that you instructed the patients not to use
24 the FC2 again, but I was wondering if at the one-on-
25 one interview, if you specifically asked them if they

1 used it multiple times?

2 Okay. The second one is it was mentioned
3 that 22 million FC2s have been distributed. Do you
4 have any data as to whether or not there have been
5 any allergic reactions or is that only seen with the
6 natural rubber latex?

7 DR. LEEPER: We have received no reports at
8 all on any problems in terms of irritation or use at
9 all in terms of FC2. UNFPA has told us that they are
10 very pleased with how FC2 is being accepted by the
11 women. They feel comfortable with it. They like it
12 a lot. They're very happy with it. We haven't had a
13 problem.

14 DR. RAMIN: And I had one other question,
15 and that is it was interesting that you said that
16 commercial sex workers use the FC, but in the report,
17 88 percent were new users. So I was wondering if you
18 could comment on the acceptability by commercial sex
19 workers and why they're not using it as often as we
20 would think.

21 DR. LEEPER: Well, I was talking about two
22 different points. When I said that commercial sex
23 workers use FC, I'm talking about FC1. They are
24 currently using FC1. And outside the United States
25 in countries where they're being distributed,

1 obviously, they're being distributed to commercial
2 sex workers. The 88 percent in our study were new
3 users to FC2. They had -- excuse me, FC1. Sure --

4 MS. BEKSINSKA: Just to say that in the
5 years of when we conducted the study, the female
6 condom had been introduced into South Africa but only
7 in limited sites, and it was mainly focused on family
8 planning sites. So there was probably no more than
9 ten in the whole of KwaZulu-Natal Province. There
10 was no focus, and some countries have a focus on
11 high-risk groups like sex workers and adolescents,
12 whereas in South Africa, we predominantly have moved
13 towards a general public sector approach. And so the
14 sex workers are often very reluctant to come to a
15 clinic. In fact, even for the study, they wanted to
16 be seen in the hotel because they feel they, you
17 know, they're not being treated correctly. So it
18 definitely hasn't been aimed at any high-risk group
19 in South Africa.

20 DR. CEDARS: Dr. Davis?

21 DR. DAVIS: I have some questions about the
22 subgroups. And Table 10 from the FDA executive
23 summary suggests that there was much more breakage in
24 the FC2 if you had more than one coital episode a
25 day. And if I understood right, that was half of

1 your population. So there were three breaks with the
2 FC1 and 9 breaks with the FC2 with a subgroup of
3 failures greater than one condom a day. And I
4 wondered what your explanation for that was, and does
5 this highly represent one's initial subgroup of your
6 population? That's Table 10 on the executive
7 summary.

8 DR. CEDARS: Page 43.

9 DR. TAYLOR: I'm not going to -- to answer
10 all those questions, but I do have one question in
11 response, and it's for the FDA who generated the
12 table. They had nine failures. I'm assuming that
13 has to include partial because the total number of
14 clinical failures observed was only eight.

15 DR. DAVIS: No, that's breaks, breaks.

16 DR. TAYLOR: I'm sorry, breaks, yeah. So
17 I'm not sure. I guess I would need clarity as
18 whether the FDA when they generated that table was
19 including non-clinical breaks, and I don't know the
20 answer to that question.

21 DR. CEDARS: Perhaps the FDA can address
22 that in their presentation this afternoon.
23 Dr. Gilliam, and then I think after that, we may take
24 a break for lunch and then come back if there are
25 additional question. Dr. Gilliam?

1 DR. GILLIAM: I had a couple of questions
2 about the coital log. If after the demonstration, it
3 looks like you could have misdirection followed by
4 invagination. Would those be counted as two separate
5 events or did you ask people to choose which one? So
6 was it different and how was it analyzed. And --

7 MS. BEKSINSKA: Okay.

8 DR. GILLIAM: And I have just one other
9 question.

10 DR. CEDARS: Yeah.

11 DR. GILLIAM: On the coital log, if someone
12 had more than one act of intercourse and used more
13 than two condoms that day, would you be able to
14 differentiate between whether they were having
15 problems with each act and each condom or whether
16 they used multiple condoms at one act and had
17 problems at those episodes? I'm having a little
18 trouble figuring out what the denominator is.

19 MS. BEKSINSKA: Okay. Just on your first
20 one, we did actually record when there was more
21 events, more than one event per condom. Normally,
22 studies historically use a hierarchical system where
23 breakage is worse. And so if someone has an
24 invagination or in male condoms, if there's a
25 slippage and a breakage, they only count the

1 breakage.

2 Now, I don't know how many people agree or
3 disagree with that, but I think that all of them
4 should be counted, especially with a female condom,
5 where many events are linked. So we had one
6 invagination followed by a breakage. The break
7 occurred when she was trying to pull the condom out
8 of her vagina. We had a misdirection that turned
9 into an invagination. And I think when you're
10 recording events, often, the woman, it's quite hard
11 to find -- maybe one event started as a misdirection
12 and it turned into something else.

13 So I personally think it's important to try
14 and record as many events as possible, even if it was
15 the same condom. And women will say that to you.
16 They say, well, this started it and then it broke.
17 So we recorded it in that way.

18 Onto your second point, we have --

19 DR. LEEPER: Wait, wait, wait, so the
20 answer is, yes, they recorded both.

21 MS. BEKSINSKA: Yeah, so we recorded both.
22 And your second point is on the log. So if, for
23 instance, women had two acts of intercourse and two
24 condoms, we would, yes, I think we assume that the --
25 often a woman would have one act of intercourse and

1 they would say they used two condoms because one
2 condom, maybe there was a problem and they used a
3 second one. So we would record it like that. And,
4 also, if the woman had used on the same day two
5 condoms and there was two events, we would ask her to
6 write the number down. So we have got coital logs
7 where we've got more than one condom or more than one
8 event written on the same day.

9 DR. GILLIAM: Well, my question is two acts
10 of intercourse and three condoms, and do you know
11 how -- because some of this is the recall and --

12 MS. BEKSINSKA: Yeah.

13 DR. GILLIAM: -- able to interview. But
14 once you enter it as multiple sex acts and multiple
15 condoms, three, four condoms --

16 MS. BEKSINSKA: Yeah.

17 DR. GILLIAM: Are women really a month
18 later able to recall those events is my -- is really
19 what I'm getting at, and can you differentiate
20 between those?

21 MS. BEKSINSKA: I'm not sure if we could
22 have actually --

23 DR. LEEPER: Well, well --

24 MS. BEKSINSKA: Sorry.

25 DR. LEEPER: Well, the answer to that is

1 recall at that point, whether it's multiple sex acts
2 on a given day, the only way you know if it was
3 multiple -- we know that it was multiple sex acts on
4 a given day is because they have recorded it on the
5 coital log. So all the multiple sex acts information
6 that we got is because they recorded the multiple --
7 because we have that on the coital log.

8 DR. GILLIAM: Right.

9 MS. BEKSINSKA: But we would check -- so,
10 for instance, if a woman had one act of sex and she
11 used two condoms, we would ask her then, "Have you
12 missed an act of sex or did you use the two condoms?"
13 So if the two figures didn't match, especially if
14 there was more condom than acts of sex, we used to
15 say, "You have three here and two here." So we would
16 ask about the discrepancy. And, in some cases, a
17 woman would say, "Well, this condom, I pulled it out
18 and we used another one." And so that was --

19 DR. GILLIAM: But it's conceivable that a
20 woman is trying to remember what happened with
21 multiple condoms --

22 MS. BEKSINSKA: Yeah.

23 DR. GILLIAM: -- over multiple events and
24 whether more than one thing happened with a single
25 condom.

1 MS. BEKSINSKA: Yes, so that could be,
2 yeah. There's definitely a recall issue.

3 DR. GILLIAM: Yeah.

4 MS. BEKSINSKA: Could be for some people.

5 DR. CEDARS: So for those of you who
6 weren't able to ask questions this morning of the
7 Sponsor, if you could, please note those. After
8 lunch, we'll do the FDA presentation, and then prior
9 to the deliberation, we'll come back for questions
10 for the Sponsor.

11 I just wanted to note that our consumer
12 representative, Diana Romero, was unable to be here
13 because of unforeseen circumstances and that just to
14 remind everyone that we will reconvene in this room,
15 and I would like to say in 45 minutes. So at about
16 1:05.

17 Please take any personal belongings you may
18 want at this time because the room will be secured by
19 FDA staff during the break, and you will not be able
20 to come back in until the room is open and we
21 reconvene. And I want to remind all Panel members
22 that there should be no discussion of the PMA during
23 the break. And there is a separate room reserved for
24 lunch for the Panel members in the restaurant, in the
25 hotel restaurant.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

(Whereupon, a lunch recess was taken.)

A F T E R N O O N S E S S I O N

(1:05 p.m.)

1
2
3 DR. CEDARS: 1:05, and I'd like to call
4 this meeting to order, and we will now hear the FDA's
5 presentation. And the first FDA presenter is
6 Ms. Elaine Blyskun, the team leader for this PMA.
7 All right. We're having a little computer problem,
8 so --

9 MS. BLYSKUN: While we're waiting for the
10 computer, Dr. Corrado could address the one question
11 that was posed for FDA.

12 DR. CEDARS: Okay. Thank you. And this
13 was about your Table 10 on Page 43?

14 DR. CAREY-CORRADO: Right. That's correct.
15 So as I understand it, there was a question raised as
16 to what was the source of the numbers on the failure
17 modes in Table 10, and it is a great question. It
18 reflects close examination of the numbers that are
19 appearing throughout the PMA.

20 So here is how this table was constructed.
21 We had identified the issue of the potential that if
22 more than one event, sexual event, had happened on a
23 particular day, more than one condom was used, that
24 the coital log wouldn't be able to reflect all of the
25 failures that could potentially have happened. And

1 so the left-hand column on this Table 10 indicates
2 the minimal number of failures. We say minimal
3 number of breaks, minimal number of invaginations and
4 misdirection, and, also, the last item is the minimal
5 number of combination failures.

6 Okay. These data are all derived from
7 coital logs. So whereas the study data and the
8 conclusions from the study are based on what was
9 reported in the questionnaires, this is not that same
10 database. This is the coital logs, per se.

11 So under the minimal number of breaks, we
12 calculated that by -- we gave the Sponsor a question,
13 and they told us, provided a lengthy list of subject
14 numbers and the types of failure events that occurred
15 on particular days. For breaks, if you do not count
16 breaks that occurred as part of a combination failure
17 with another event, you got the numbers that you see
18 here. So, number one, these data are based on just
19 outcomes as reported on the coital logs and only for
20 days where more than one event, coital event, took
21 place. And, number two, for the first three type of
22 failures where you see minimal number, that does not
23 include failures when more than one failure occurred
24 on the same day. That is reflected in the bottom
25 line.

1 So the minimal number of breaks for FC1 was
2 three. That's the minimal number. There were two
3 breaks that occurred as part of combination failures.
4 Those are reflected in the bottom line.

5 And, so, again, this is probably
6 symptomatic of I guess the extent to which FDA
7 refused data and really were picking apart data in
8 the study to try to get comfortable with the fact of
9 how the coital logs were designed. And so that's the
10 best explanation I can give you in terms of where
11 those numbers came from.

12 DR. TAYLOR: Thank you very much. I just
13 have one -- my question was --

14 Sorry. The trouble I had was understanding
15 whether that included just clinical breaks or also
16 non-clinical breaks?

17 DR. CAREY-CORRADO: That is a great
18 question. This is the data sheet that I got from the
19 Sponsor, and breaks are identified as during use.
20 And so I am guessing that those are clinical breaks.
21 And I can only go from --

22 DR. TAYLOR: That's fine.

23 DR. CAREY-CORRADO: -- what we, yeah, what
24 we received.

25 DR. TAYLOR: Thank you.

1 DR. CEDARS: Okay. Dr. Whang, is the FDA
2 now ready?

3 DR. WHANG: Yes.

4 MS. BLYSKUN: Okay. So, good afternoon.
5 My name is Elaine Blyskun, and I'm the lead reviewer
6 for this PMA. I'd like to begin by introducing the
7 PMA review team. The individuals on this slide
8 contributed to the review of the device and will be
9 speaking to you today.

10 These team members conducted reviews in
11 areas such as chemistry, biocompatibility,
12 microbiology, and prostate-specific antigen. These
13 individuals contributed to the review of the labeling
14 and the inspection of the study and manufacturing
15 sites.

16 This is an outline of FDA's presentation.
17 I will begin with an introduction and the pre-
18 clinical review. This will include a discussion on
19 the physical differences between FC1 and FC2. Julia
20 Corrado will discuss the clinical review, which
21 includes FC1 contraceptive effectiveness studies, and
22 the RHRU study, the clinical study for this PMA.
23 Statistics will be covered by Zhiwei Zhang, and Heshu
24 Duggirala will discuss epidemiology review of FC1 STI
25 studies and the purpose of a PMA post-approval study.