

1 out a few things that are different.

2 As an overview of my presentation, I will give
3 you a background, and present some PK characteristics of
4 this drug, and describe the two studies that have already
5 been described in great detail, present efficacy results,
6 and the safety, and then -- and some issues for
7 discussion.

8 As a background, the proposed indication for
9 this Oritavancin is treatment of adults with complicated
10 skin and skin structure infections caused by susceptible
11 isolates of the Gram-positive organisms. And this
12 includes the methicillin-resistant Staph aureus.

13 The dosage proposed for Oritavancin is 200
14 milligrams daily for three to seven days, and 300
15 milligrams for those patients who weigh more than 110
16 KGs.

17 Some of the PK characteristics of Oritavancin
18 are as follows: The pharmacokinetics show that its
19 linear doses ranging from 0.05 milligrams per KG to 10
20 milligrams per KG, and at fixed doses ranging from 100
21 milligrams to 800 milligrams.

22 But when you look at the plasma

1 concentrations, it declines within the first 24-hours to
2 less than 11 percent. That is not substantial
3 accumulation of Cmax after 10 days. But when you look at
4 the Cmin after 10 days, it's -- there is a three-fold
5 accumulation.

6 And the volume of distribution shows that it
7 distributes in a wide -- about 1,000 liters, and it
8 distributes into the phagocytic cells.

9 As you already heard, Oritavancin is not
10 metabolized, but when you look at the excretion
11 pharmacokinetics of Oritavancin, less than 5 percent of
12 the dose is excreted in urine, and less than 1 percent in
13 feces after 14 days.

14 Most of the PK studies were done for 14 days
15 in the Phase 1 study, and when you look at it the
16 terminal half-life was approximately 320 hours.

17 I will now discuss each of the studies
18 separately. I will not attempt to combine the two
19 studies. And you will -- I will discuss that as I go on
20 in my presentation why I'm not doing that.

21 The bigger study was the second study,
22 actually, which was Study ARRI. And this was set up as a

1 Phase 3, randomized, double-blind, multicentered study
2 where patients were randomized to receive either 200
3 milligrams of Oritavancin, and those patients who weighed
4 more than 110 KGs received 300 milligrams. And the
5 comparative group was Vancomycin, and they received 15
6 milligrams per KG. And if they did not have resistant
7 organisms, that can be sort of switch on to get oral
8 therapy.

9 The randomization was also stratified by
10 disease categories where it was separated by wound
11 infection, major abscesses, or cellulitis.

12 In the second study, which is Study ARRD, this
13 was also a Phase 3 randomized, double-blind multicenter
14 study, but this study was set up as a three-arm study
15 where Oritavancin was given as two different doses, one
16 was 1.5 milligrams per KG, and the other one was 3
17 milligrams per KG, but the comparative drug was the same
18 as the previous study. And in this study, also, the
19 treatment duration was three to seven days in both of the
20 Oritavancin arms, and 10 to 14 days for the Vancomycin
21 arm.

22 Now I will just like to give you an overview

1 of how the 3 milligram dose of Oritavancin in the ARRD
2 Study compares to the 200 milligram dose in the ARRI
3 Study.

4 The few slides that follow after this slide
5 were given to me by our clinical pharmacologic reviewer,
6 Dr. Ryan Ovin (ph). So, if you have any questions about
7 that, I'm sure he's in the audience today and he can help
8 you out with that.

9 So, the mean comparisons were done between the
10 dose of ARRD that was 3 milligrams, because virtually the
11 entire 1.5 milligram per KG arm would have received a
12 lower dose than 200 milligrams. And this is the dose
13 that sponsor wants to use in the label. In the ARRI
14 Study, of course, the patients were dosed on a fixed 100
15 milligram dose.

16 So, when you look at the comparison of doses
17 in these two studies, the number of patients -- excuse me
18 -- in the ARRI Study who received a dose of 200
19 milligrams, or who were more than 110 KGs, received a
20 dose of 300 milligrams. But when you compare those
21 patients in the ARRD who received a dose of 3 milligrams
22 per KG, and you look at the range of patients who were

1 between 49 KGs and 110 KGs, they were -- this is not
2 inclusive of all patients, because there were some
3 patients who received less than 49 KGs who received --
4 who weighed less than 49 KGs, but they were just
5 identified as less than 49. So, those patients we have
6 not included in this table.

7 And here you can see that, in the ARRD Study
8 patients who received 3 milligram per KG dose, the
9 maximum dose that they received are -- sorry, the mean
10 dose that they received was 224 milligrams. So, it was
11 more than the 200 milligram dose.

12 The purpose of this slide is to illustrate how
13 close the 3 milligram per KG dose in the ARRD was to the
14 fixed dosing in the ARRI. In the second column -- I
15 don't know if I can -- in this column, in the second
16 column, we have doses that would correspond to 10
17 percent, 15 percent, and 20 percent difference from the
18 ARRI fixed doses of 200 milligrams, and 300 milligrams;
19 separated by the weight range in the third column, the
20 percentage of patients that were dosed within this
21 range. When you look at this, then, about 10 -- 21.3
22 percent of patients in this dose range received more than

1 10 percent of the ARRI dose. When you look at the third
2 column, this is the percentage of patients in the ARRD
3 that were dosed at less than or equal to higher dose
4 bound, which is like 220. And there were 42 percent of
5 patients in -- who received either 220, or less than 220
6 milligram.

7 So, overall the point of this slide is to
8 illustrate that the dosing regimens between ARRD and ARRI
9 were not at all similar. And that many of the patients
10 in ARRD, 300 -- 3 milligram per KG dose received a much
11 higher dose than if they would have been in that ARRI
12 Study.

13 This is the graphic presentation of the same
14 information that I provided at -- the patients in the
15 ARRD, 3 milligram per KG dose were -- received a higher
16 dose, because they were heavier patients.

17 So, in conclusions, on average, patients in
18 ARRD 3 milligram per KG received a higher dose and had
19 higher exposures, as compared to patients in ARRI.

20 So, any differences that we find in efficacy
21 between ARRD 3 milligram per KG group and ARRI fixed
22 dose, are definitely not due to patients in ARRD 3

1 milligram per KG dose receiving a lower dose.

2 Now, moving on I will present each study
3 outcome -- efficacy outcome separately. I'll start with
4 the larger study, which is Study ARRI, where the primary
5 efficacy endpoint was sponsor-defined clinical outcome at
6 the first follow-up visit, which was a test-of-cure
7 visit. The noninferiority margin set up for this study
8 was 10 percent.

9 When you look at the baseline demographics in
10 this study, in two -- core primary population, which was
11 the ITT population and the clinically-evaluable
12 population, in regards to sex, and ethnic origin, or the
13 age, they were comparable in both the groups.

14 When you look at the success rate in this
15 slide, the only difference that I have that is different
16 from the sponsor's projection of the efficacy data, is
17 all the missing and undetermined values were counted as
18 failures. So, when you look at the ITT population, you
19 might find that the cure rates are slightly different
20 than what the sponsor presented.

21 And basically what I want to show you, that
22 when you look at the 95 percent confidence intervals, the

1 difference between the two groups, whether it is the ITT
2 group or the clinical-evaluable group, it meets the 10
3 percent noninferiority margin.

4 We just looked at the ITT population and
5 calculated the 99.875 confidence intervals, which would
6 be like you are, you know, using this one study as two
7 studies. And the confidence interval was minus 5.9 and
8 upper bound was 12.

9 When you look at the baseline pathogens in
10 this study -- I have not included all the pathogens --
11 but these are, you know, the majority of the pathogens.
12 And I just want to bring your attention that -- when you
13 look at the Staph aureus, including MSSA and MS -- MRSA,
14 you see that the outcome was comparable to Vancomycin.
15 But when you look at MRSA, the outcome of -- for
16 Oritavancin group was about 12 percent less. But, just
17 to be fair, when you look at the Strep pyogenes,
18 Oritavancin did almost 20 percent better than the
19 Vancomycin group.

20 In the second study, ARRD, the primary
21 efficacy endpoint was investigator defined clinical
22 outcome at test-of-cure visit. The efficacy analyses

1 methods using this study were the same as in the ARRI,
2 except for the noninferiority margin, which was set at 15
3 percent.

4 This multiplicity issue has already been
5 mentioned by the sponsor, but I still would like to point
6 out that this study was set up to compare two different
7 dosing of Oritavancin with a comparator group. And the
8 sponsor had calculated the 95 percent confidence interval
9 for the success rate. And we requested that 97.5 percent
10 confidence interval should be calculated to sort of
11 adjust for this multiplicity issue.

12 And the demographics for this study also, in
13 both the core primary groups of ITT and clinical-
14 evaluable groups were comparable in the Oritavancin, both
15 the arms, as well as Vancomycin when sex, and ethnic
16 origin, and age was concerned.

17 When you look at the clinical outcome or
18 success rates in this study, I just highlighted the
19 clinical-evaluable population of 3 milligrams per KG
20 dosing, because we know that most of the patients in 1.5
21 milligrams per KG did not really get the level dose, so,
22 I will just look at the 3 milligram per KG column for the

1 outcome.

2 And there the cure rates was 73.4 percent
3 compared to 75.4 percent in the Vancomycin group. And
4 the point difference is minus 1.9. And the confidence
5 intervals barely made the 15 percent.

6 As far as organisms were concerned, this was a
7 much smaller study. And we have fewer organisms. But
8 methicillin-resistant Staph did much better in this study
9 than the previous one. But Strep pyogenes also did
10 better in the Oritavancin 3 milligrams group. And -- but
11 the numbers are very small.

12 Since we were -- we have been talking this
13 past two days about categorizing the chronic -- the
14 complicated skin and skin into different infections, I
15 just would like to sort of bring to your attention that
16 most -- most of the patients were divided into these
17 three groups. And the outcomes were the same as if you
18 would combine them together. So, there was really not
19 much difference, except that there were more major
20 abscesses in the larger study on -- than in the smaller
21 study. So, that basically gives you an outline of the
22 efficacy.

1 And moving on to safety, I really do not have
2 much to add to what the sponsors has already mentioned.
3 And there were two Phase 2 studies, in which 1,173
4 patients were treated with Oritavancin, and 590 patients
5 with Vancomycin. And when you look at the deaths, and
6 this was also discussed by the sponsor, that most overall
7 the majority of deaths were related to the underlying
8 medical conditions, because the patients really -- were
9 very sick, as when they were entered in this study.

10 There were some serious adverse events that
11 occurred in this -- also the numbers were the same as
12 whatever the sponsor had presented, like 9.1 percent of
13 patients in the Oritavancin group, and 11.4 in the
14 Vancomycin group.

15 I have listed some of selected serious adverse
16 events. This occurred in less than 1 percent of
17 patients. Usually we do not label this, but this was
18 very evident that there were some adverse events that
19 happened more in the Oritavancin group than in the
20 Vancomycin. And I just did this as an exercise to sort
21 of let you know that there were more infectious kind of
22 adversity actions in the Oritavancin group. But when you

1 look in detail as to how many of those patients got
2 osteomyelitis. And I went through the data and realized
3 that most of those patients were very complicated. One
4 of the patient had MRSA lipidemia, would have
5 osteomyelitis. There was -- most of the patients were
6 drug abusers. There was a group B sepsis patients with
7 hepatitis. There was a beta vascular disease patient
8 with insufficiency. He had developed gangrene and
9 diabetes mellitus. So, there were -- these were patients
10 who really developed these complications based on their
11 underlying disease.

12 As far as discontinuations of drug was
13 concerned, in the largest study, ARRI, the
14 discontinuation rates were comparable with Vancomycin.
15 And this table gives you a detail of -- that most of the
16 discontinuations were because of lack of efficacy.

17 In the same way, in the ARRD Study, the
18 discontinuations were comparable across the treatment
19 groups. And here also, because the numbers are smaller,
20 lack of efficacy was the -- sort of stands out as one of
21 the reasons for discontinuation.

22 There was a special interest in the IV

Capital Reporting Company

Page 313

1 phlebitis ADR based on the initial Phase 1 studies that
2 were done with Oritavancin. And -- because in that -- in
3 those -- a couple of Phase 1 studies, basically more than
4 90 percent of patients develop IV phlebitis, or -- the
5 company went on and did a separate Phase 1 single-center,
6 randomized, double-blind study, where they studied two
7 different doses of Oritavancin. They were separated by
8 14 days. So, there would -- to one group they would give
9 200 milligram doses, and then followed by a dose of 800
10 milligrams. And this 800 milligram was divided into two
11 doses of 400 milligrams. And 15 healthy men were
12 enrolled in this study, and 13 completed the study.

13 The -- when you look at the ADRS that were
14 reported, in this study there were three subjects who
15 experienced injection site phlebitis after receiving the
16 800 milligram dose. And then there were two subjects who
17 experienced injection site reactions, excluding the
18 phlebitis, but they had edema, and erythema swelling and
19 tenderness. And this happened after the 200 milligram
20 dose.

21 And there was one patient that just I think he
22 just -- the IV site was just bad, I guess. And this

1 occurred after -- during the infusion of the first of the
2 800 milligram dose. And two of the subjects experienced
3 the histamine-like infusion reactions after the first
4 exposure of 800 milligrams.

5 So, when you look at this study that was very
6 well done, it didn't really give us any additional
7 information than we -- what we already knew. And based
8 on this I just wanted to give you an idea of what the
9 treatment emergent ADR of this IV infusion phlebitis in
10 the Phase 3. And then you look at that are -- phlebitis
11 was noted in, like, 1.6 percent of Oritavancin patient,
12 compared to 1.5 percent of Vancomycin patients. And the
13 infusion site, pain was reported in 1.7 percent of
14 Oritavancin patient, compared to 1.9 percent of
15 Vancomycin patients. The only thing that was different
16 in the Phase 3 study was the Vancomycin patient had more
17 pruritus reported in the database. So, basically, this
18 is something that we can definitely address in labeling.

19 So, that really concludes my talk. And the
20 issues for discussion for today's Oritavancin NDA is,
21 does the Study ARRI independently provide evidence of the
22 efficacy, of the effectiveness of Oritavancin for cSSSI,

Capital Reporting Company

Page 315

1 the primary outcome of 95 percent versus 99.89 -- 99.875
2 percent confidence intervals for this study?

3 I want to discuss the outcomes for patients
4 with known baseline pathogens, particularly MRSA. The
5 second question would be: Does the second study, ARRI --
6 ARRD independently provide evidence of the effectiveness
7 of Oritavancin? And then to discuss the primary outcome
8 and the weight-based dosing regimen used in this study.

9 And the third question would be: Does the
10 data provide safety and effectiveness of Oritavancin for
11 the treatment of complicated skin and soft tissue
12 infections?

13 I would like to thank the team in my division
14 who helped me put this thing together. Thank you very
15 much.

16 DR. RELLER: Thank you, Dr. Moledina.

17 We're now open for questions for either the
18 sponsor or for the FDA. Dr. Bennett.

19 DR. BENNETT: Dr. Moledina, can I ask you
20 about your Slide 16? Can we put that up, please?

21 In this slide it shows that the difference in
22 the response rate to Oritavancin and Vancomycin for MSSA

1 is only 2.4 percent difference, with the favor being
2 Oritavancin. But in the MRSA it's the other way around,
3 with a 12 percent difference in favor of Vancomycin.

4 Can we also look then at Slide 21? Because
5 although the numbers are smaller, we see the same thing;
6 and that is, although there are two doses of Oritavancin,
7 the difference in MRSA is 22.5 and 17.1 percent in favor
8 of Vancomycin.

9 So, the question is: How do you evaluate
10 Oritavancin for the subset of MRSA? I've already said
11 I'm not thrilled about subset analysis, but this is a
12 subset of specific interest. So, am I missing something?

13 DR. MOLEDINA: I mean, that's why when I
14 presented I told you that all the numbers were jumping
15 around. There was -- there's no consistency. There is
16 really no -- because in one study, in the ARRI Study, the
17 MRSA did much worse in the Oritavancin group, but when
18 you look at the ARRD Study, MRSA did much better. I
19 don't know. Maybe the investigators are from different
20 sides. I don't know. But -- I don't know the reason why
21 this -- I don't know the reason for the outcome. Maybe
22 the sponsor can help you.

Capital Reporting Company

Page 317

1 DR. BENNETT: So, it is --

2 DR. RELLER: Dr. Bennett.

3 DR. BENNETT: So, it is your opinion that the
4 Oritavancin performed equally well in the MRSA subset?
5 That's a question.

6 DR. COX: I mean, I think, too, I mean, that's
7 one of the questions that we actually wanted to hear some
8 discussion from the committee on. I mean, if that's
9 okay.

10 DR. RELLER: Dr. Bennett put his finger on the
11 issue right at the outset. And we just need to hear,
12 what gives?

13 Dr. Fleming.

14 DR. FLEMING: Well, I just -- just to
15 reinforce, that he's getting at one of the issues that I
16 was noting. And he's right, it's an issue where it's a
17 subgroup. It's a small number, but MRSA is of real
18 interest. So, it is certainly less problematic when
19 you're delving into something that you would have in
20 advance specified to be of keen interest. It is a 12
21 percent difference in the study that has the larger
22 sample size. And actually it's a 7 -- I mean, pooling

1 the two dose arms, it's 50 percent against 57, so, it's
2 also a 7 percent difference there. So, I had the same
3 question. I don't know what to make of this, in terms of
4 how reliable it is. But it's certainly a suggestion
5 that, for MRSA, Vancomycin might look -- certainly
6 trending better.

7 DR. RELLER: Dr. Septimus, then we'll come
8 back to a response by Dr. Parr, and then on the other
9 side, Drs. Follmann and Kauffman.

10 DR. SEPTIMUS: I'll follow up on that and ask
11 whether or not there were any analysis of MICs between
12 the groups that might explain some of those differences.
13 And then I would also ask another interesting difference
14 on -- I think it's Slide 44. We looked at the response
15 for Group B Strep and Group A Strep, and there was some
16 interesting variations in response to those organisms as
17 well, and whether the sponsor had any comments as to what
18 those differences were. I think it's on Slide 44, I
19 believe.

20 Yeah. As you can see, Group B Strep 86 versus
21 67, group A is 66 versus 78. Interesting differences,
22 and I wanted to know if they had any explanation for

1 that.

2 DR. RELLER: To keep track of these items,
3 let's hear from Dr. Parr next.

4 DR. PARR: I think this is a summation of the
5 -- the question that we started to entertain, which is
6 from the briefing document -- the FDA's brief document,
7 Table 6.5, that points out what Dr. Fleming noted, that
8 there's a 12 percent difference in the ARRI subgroup for
9 MRSA.

10 We were surprised by this finding. When we've
11 looked at nearly 7,000 Staphylococcus aureus strains,
12 half of which are MRSA and half of which were
13 methicillin-susceptible, there was no indication, that,
14 based on a potency measure, there would be an anticipated
15 difference in the efficacy.

16 When we were doing animal experiments for
17 target attainment, understand how much drug was required
18 in order to treat successfully methicillin-susceptible
19 Staph, or methicillin-resistant Staph, we again did not
20 see a difference in the amount of drug necessary to treat
21 the animals if they were methicillin-resistant. The
22 first column in this graph is a methicillin-susceptible

1 type strain, 13709. The next four columns are
2 methicillin-resistant Staph aureus strains, with the last
3 two being Vancomycin-resistant Staph aureus strains.

4 The height of the bar indicates the area under
5 the concentration curve in mice normalized to exposures
6 in humans. That would allow you to provide a static dose
7 a one, a two, or a three log killing.

8 And, as you can see, this methicillin-
9 susceptible strain requires as much drug as the
10 methicillin-resistant strains, perhaps more in some
11 cases. Incidentally, on this slide, the actual exposure
12 in humans would be about up at the yellow line at the
13 top. So, it was unanticipated to us that we would see
14 the results that popped up in the analyses.

15 We are convinced that this is an imbalance
16 that was seen in the table is due to a protocol specified
17 failures being unusually low in the Vancomycin MRSA
18 subgroup in the MITT population, which was on that table
19 for MRSA.

20 Overall protocol driven failures could be
21 attributed to patients who did not receive surgical
22 intervention in -- under 48 hours following enrollment

1 into this study drug start.

2 In general, for the population overall, 50
3 percent were seen to be disqualified as failures for this
4 purpose. In the case of Vancomycin, the data are 29.4
5 percent.

6 Due to the randomization, the power given to
7 the Vancomycin numbers is magnified. And should the
8 imbalance based on this protocol rule be in harmony with
9 the rest of the study, the difference would shrink to 4
10 percent.

11 To give you, then, the data underlying the
12 assumption that they were imbalance overall, this is the
13 ITT analysis of failures. And, again, based on this
14 protocol-driven role, they -- it was balanced between
15 Oritavancin and Vancomycin in general, but not as I just
16 showed you with respect to the MRSA subpopulation.

17 There is also an underlying medical
18 explanation for the imbalance. And I'd ask Dr. Etienne
19 to elaborate on that.

20 DR. ETIENNE: Thank you, Dr. Parr.

21 So, Dr. Parr explained that there was a lower
22 than expected number of MRSA cases on Vancomycin who were

1 coded as failures because of their first surgical
2 intervention being done right outside -- or outside the
3 48-hour window.

4 And this raises a couple of questions. What
5 was the surgical condition of those patients at
6 baseline? What was the relationship between the surgical
7 condition of those patients and the timing of the
8 intervention?

9 So, what I'll -- I'll walk you through those
10 relationships in the entire study in general, and then
11 I'll walk to the MSSA subpopulation. And we'll look at
12 the MRSA's population to finish this.

13 Okay. Okay. Perfect. Thank you. So, this
14 is Study ARRI in its entirety. And, as you can see,
15 approximately 15 percent of all patients were coded for
16 failure, because their first indication -- their first
17 surgery was done after 48 hours, after the beginning of
18 study drug.

19 So, this rule is applied regardless of whether
20 the surgery was justified -- or what was planned or
21 unplanned. And the other important thing to realize,
22 that this rule is not limited to the ITT population, that

1 proportion, 15 percent, is carried through all the
2 populations, the -- including the CE population.

3 Now, when we look at the entire list of
4 patients who were coded as failures for that reason, and
5 we look at their surgical condition at baseline, we
6 realize that half of them, approximately, had a condition
7 at baseline that justified a surgical intervention.

8 Now, you know, this was not done by case-by-
9 case review, this was done programmatically, taking into
10 account the information coded by the investigators.

11 And we are talking about real indications for
12 surgery, the presence of moderate or severe devitalized
13 tissue, or the presence of moderate to severe purulent
14 drainage.

15 We then looked at the population of MSSA
16 patients, and you can see that that relationship is
17 maintained in that population. Approximately half the
18 patients coded as failures have a surgical condition at
19 baseline that is a -- is an indication for surgery.

20 The symmetry is kept here, but when we go to
21 the MRSA population, we lose that symmetry. And we have
22 17 patients in the early group, versus two patients in

1 the Vancomycin group, who really have three things in
2 common. They have a condition at baseline, which is an
3 indication for surgery. They're coded as failures
4 because their first -- you know, their first indication
5 -- their first surgery is done after 48 hours. And they
6 are coded as failures because of that rule.

7 So, the bottom line is, that this rule was
8 systematically applied in the entire trial and had
9 symmetrical consequences in the entire Phase 3
10 population, except in the MRSA group where it had
11 unintended consequences.

12 DR. RELLER: We'll come back to Dr. Fleming,
13 because earlier we had -- is it related to this specific
14 issue? Yes. Then go ahead.

15 DR. FLEMING: It is. And you can tell me if
16 you want to come back to it.

17 DR. RELLER: Right.

18 DR. FLEMING: I -- what's possibly underlying
19 this is even a more fundamental issue. And I'm really
20 struggling with the definition of the primary endpoint,
21 which is very much a part of what these slides are trying
22 to get at. So, you tell me whether you want to discuss

1 that right now or wait a moment.

2 DR. RELLER: Go ahead.

3 DR. FLEMING: Okay. Obviously the primary
4 endpoint is very key. It needs to be clinically
5 relevant. It needs to be interpretable. But it's
6 particularly key in a noninferiority trial, because the
7 justification of the margin is specific to what the
8 endpoint is. We talked about that a great deal
9 yesterday. And IDSA had defined a set of A criteria that
10 were all very specific to looking at, judging resolution
11 of the disease, and directly measuring resolution of
12 symptoms, et cetera. And the justification of the margin
13 is, therefore, based on using an endpoint that is, in
14 some real way, similar to the endpoint that you used for
15 evidence-based formulation of the margin. That's one
16 major issue.

17 Another major issue is, it needs to be very
18 relevant and interpretable. The -- we see variations of
19 the idea, say. And it's looking at actual resolution of
20 symptoms, direct measurement of resolution of symptoms.
21 This endpoint I've looked at over and over and over
22 again, trying to really get the best sense of what it

1 is. And it's in the FDA -- the best place where I see
2 its definition is in the FDA briefing document on Page
3 15. It wasn't really presented in detail by either of
4 the presentations today. And it's got three fundamental
5 components on Page 15 of the FDA briefing document.

6 If a patient was given a systemic antibiotic,
7 with activity against Gram-positive pathogens at any
8 time, that's a failure. So, a specific antibiotic with
9 activity against Gram-positive.

10 Second, if any procedure was performed to
11 treat a primary study condition, but it had to have
12 started more than 48 hours after initiation of therapy,
13 then that counts -- something that goes before 48 hours
14 and repeated after 48 hours doesn't count -- or if it's
15 missing then you're a failure.

16 So, the endpoint seems to be, basically, do
17 you need rescue antibiotics at some point for Gram-
18 positive, or do you need an intervention but it has to be
19 for the primary clinical condition? It can only be
20 counted if it's after 48 hours. It's a -- my trouble --
21 the trouble that I'm having with this is, it in some
22 sense represents the clinical condition, but it's surely

1 a surrogate for resolution. And it's a surrogate that
2 could be very misleading. I remember back to my days
3 more than 15 years ago with NIAID sitting on data
4 monitoring committees looking at PCP prophylaxis and HIV,
5 and looking at agents like Bactrim that didn't look very
6 good when you used these measures, because they were very
7 potent, but couldn't be tolerated by everybody. So, if
8 you used time to discontinuation measure, you would have
9 thought it was not a very good intervention.

10 And there are some differences. They're not
11 profound. But when you look at the reasons for
12 discontinuing, people are discontinuing somewhat more
13 often on Oritavancin because of lack of efficacy. So,
14 they're discontinuing because of lack of efficacy.

15 As the sponsor pointed out -- and they're
16 right -- there's more discontinuation for adverse events
17 on Vancomycin. So, people are discontinuing for
18 different reasons. It has a little bit of that flavor of
19 that Bactrim scenario, but it leaves me incredibly
20 confused about how to interpret it. To me it's a proof
21 of concept measure. It's giving me a sense, but it by no
22 means is measuring directly, what is the difference in

1 these two resolutions in resolution of the clinical
2 condition? Much like the FDA worked hard to try to
3 achieve, and IDSA tried to -- worked hard to try to
4 achieve, and some of the rest of us who did literature
5 reviews as well.

6 So, two things. First, I don't know how to
7 justify a margin using this endpoint.

8 Secondly, this isn't a direct measure, as
9 other measures that we would look at, that's directly
10 looking at resolution of symptoms. So, I guess one
11 positive is, maybe there isn't a problem with MRSA. I
12 don't know. I can't interpret this measure clearly.

13 DR. RELLER: Dr. Etienne, can you connect the
14 dots here? I mean, we see a disproportionate falling
15 into the categories you described, but how does that
16 specifically relate to the greater failure, specifically
17 in methicillin-resistant, as opposed to methicillin-
18 susceptible Staphylococci?

19 DR. ETIENNE: Well, I -- we haven't done --
20 the difference we observe in the MSSA subgroup is quite
21 small, right? So, the difference that is perplexing is
22 in the MRSA subgroup. And I can only share Dr. Fleming's

1 perplexity about the use of those rules. But those rules
2 are not -- the first measure of outcome is the resolution
3 of signs and symptoms, which is included in the
4 investigator defined clinical outcome. It is only in two
5 situations where those rules may interfere, so to speak,
6 with the judgment of the clinician. And those two rules
7 make sense, but they do not reflect -- they don't -- they
8 do not always reflect clinical practice. I mean, the
9 rule of concomitant antibiotics use, for instance, is not
10 error proof, in the sense that another antibiotic may be
11 prescribed almost by accident.

12 And, similarly, the rule -- the surgical
13 window rule is not error proof either. I mean, the logic
14 behind the rule is that, since the patients are expected
15 to be treated surgically within 48 hours, if they are
16 treated surgically after 48 hours, something may have
17 gone wrong with that patient or the patient may have
18 deteriorated. And that rule is to catch those patients
19 deteriorate. And the reason why you put a limit on that
20 window, is to try to equalize a variety of practices that
21 -- and surgical response teams -- or the response of
22 surgical teams across -- in a multicentered trial.

Capital Reporting Company

Page 330

1 But was this rule a little too strict in this
2 case? The data would suggest that, yes. And it had
3 symmetrical consequences overall, and asymmetrical
4 consequences for the MRSA subgroup.

5 DR. RELLER: Before Dr. Goetz and Dr. Alston,
6 we had two questions over here on the right, Dr. Follmann
7 and Dr. Kauffman, who were waiting to speak.

8 DR. FOLLMANN: I have a couple of comments.
9 The first relates to, you know, the issue of whether
10 there's a difference in chair as a function of the
11 baseline pathogen, in particular MRSA. So, I noticed the
12 same thing you had said, Dr. Bennett.

13 And, if you could bring up the Slide 16 of the
14 FDA, I could make the point more easily. It was
15 mentioned earlier, I think there's a 14 or so difference
16 in the cure rate for MRSA. If you look at the line below
17 it, there's like a 20 percent difference in the cure rate
18 favoring Oritavancin. And if you do statistical tests of
19 those, there's a P of .07 for the MRSA test of whether
20 there's a difference in cure rates, but there's a P of
21 .01 for the pathogens just below at the pylotaneze (ph).

22 So, when I did that I thought, you know, I was

1 inclined to think this is just subgrouping safari
2 basically, and I didn't really know what to make out of
3 it. So, that's my comment regarding the baseline
4 pathogens.

5 I have two other comments I wanted to make.
6 One thing, I guess, gets to, you know, ultimately the
7 provable question and it relates to whether we have one
8 study or two. And related to that is, how can we combine
9 ARRI and ARRD when the dosing is so different? And, so,
10 I think the FDA did an interesting analysis where they
11 compared the doses that were actually achieved in ARRD
12 and tried to match them up with a dosing in ARRI.

13 And on Slide 10 they showed that over 50
14 percent of the doses, the 3.0 milligram per kilogram
15 dose, which we see on Slide 10 here on the bottom, over
16 half of them were outside of 20 percent window. So, to
17 me my inclination is to think, you know, dosing does
18 matter. And, so, this makes it rather hard to use ARRD
19 in support of the dosing used in ARRI. So, I don't know
20 if anyone wants to comment on that, but that's my
21 impression, basically. So, I'm doing it more like a
22 Phase 2 study of a related kind of compound really,

Capital Reporting Company

Page 332

1 because dose I think is part of the whole package.

2 DR. FLEMING: Could we comment on that? I'd
3 like --

4 DR. RELLER: Please.

5 DR. FLEMING: I'd like Dr. Ambrose (ph) to
6 talk about the dose.

7 DR. AMBROSE: Hi. My name is Paul Ambrose.
8 I'm a PKPD consultant from the Ordway Research Institute
9 and the Institute of Clinical Pharmacodynamics in Albany,
10 New York.

11 What you noted on that FDA slide is a
12 difference between ARRD and ARRI, where between 10 and 15
13 percent higher dose on milligram per kilogram basis irons
14 out on a flat milligram basis rather and D versus I.
15 However, dose is a very imprecise measure of drug
16 exposure.

17 And if we take a look at Slide 14, please, to
18 illustrate this point. There we go. Push the button.
19 So, what we're looking at is from the population of
20 pharmacokinetic model, which included 360 infected
21 patients. And if you -- data stratified a couple of
22 different ways, but you're looking at AUC on the Y axis

1 on day one of dosing. And you've got the two patients
2 laying -- weighing less than 110 kilograms on the left-
3 hand side, using the 200 milligram fixed dose in red; and
4 a 3 milligram per kilogram dose in the blue. And you can
5 see, when you look at it as exposure relative to dose,
6 the data closely overlies each other.

7 If you look at higher -- higher weight
8 patients, those greater than 110 milligrams, and you dose
9 on a fixed 300 milligram basis, what you see is that box
10 plot basically looks very similar to the ones further
11 over to the left.

12 As you go further right, this is if you dose 3
13 milligrams per kilogram, you know, increasing shows
14 actually you bump -- you know, since clearance changes,
15 the exposures get higher on a 3 milligram per kilogram
16 basis. But my point, basically is, the 3 milligram per
17 kilogram fixed dose, when you consider inner patient
18 variability in pharmacokinetics, is not all that
19 different than the 200 milligram fixed dose.

20 DR. FOLLMANN: What is the sample size for
21 this, by the way?

22 DR. AMBROSE: 360 patients overall in the

1 population pharmacokinetic analysis.

2 DR. FOLLMANN: I have one more comment. This
3 relates to the 99.875 confidence intervals that the FDA
4 talked about and calculated for the last Study ARRI, I
5 believe. And I think -- there wasn't much discussion
6 about this, but I -- my sense is that this is a try --
7 this is an attempt to take one study and give it the
8 weight of evidence of two studies, which is not often
9 done. I think typically the FDA, and people who are
10 approving drugs like to see consistency across studies.
11 And I think having two separate or independent studies
12 provides a level of evidence beyond what you get, even
13 from a very small P value, a very tight confidence
14 interval, that you get in a single study. There's just
15 more variability, in some sense more reproducibility when
16 you have two separate studies.

17 On yesterday, yesterday I -- Dr. Laessig
18 talked about under what one conditions one study could be
19 viewed as sufficient for approval. And paraphrasing her,
20 she said, one study with a highly reliable treatment
21 effect on an important clinical endpoint might be
22 considered as providing sufficient evidence based on a

1 single study.

2 And, so, just to refresh everyone's memory, I
3 think that, you know, ultimately on question three is
4 what we should be thinking about, highly reliable
5 treatment effect on an important clinical endpoint. And,
6 you know, to the confidence interval you get from that
7 wide alpha 99.875 still is outside. You don't include
8 zero. So, that's the comment I have.

9 DR. RELLER: According to our schedule, it
10 would now be time for the public hearing. There being no
11 speaker in the open public hearing, we'll continue with
12 the questions and the comments.

13 Dr. Kauffman.

14 DR. KAUFFMAN: So, I think when Dr. Moledina
15 started, she said, in essence, in Study ARRD, the 3 MG
16 per KG dosing is what we should consider to be equivalent
17 to what happened in ARRI. It's about 200 milligrams.
18 And the 1.5, then, is a lessor dose and not what the
19 company is aiming toward.

20 So, if you look at just those data, then, in
21 fact, the MRSA is a little bit better than the Vanco, and
22 the Oritavancin study is a little bit better than the

1 Vancomycin. The numbers are really tiny, however.

2 But the other thing I wanted to point out was,
3 that it looked like the endpoints are different. So, it
4 was the investigator defined clinical outcome for ARRD,
5 which is symptoms -- resolution of symptoms and signs.
6 And then it's the sponsor-defined clinical outcome for
7 ARRI which put in this sort of bizarre, as far as I'm
8 concerned, surgery intervention more than 48 hours. I
9 can conceive of a lot of reasons that the surgeon doesn't
10 do it until after 48 hours, even though they plan to do
11 it before that. Certainly in our hospital we see that
12 all the time. So, I think that hurts you actually by
13 having that as a failure.

14 DR. RELLER: Dr. Goetz.

15 DR. GOETZ: Yeah. My questions are related,
16 again, to the surgery. So, there's sort of two ways of
17 looking at this. There are those people who plan to have
18 surgery and then didn't get it within the first 48 hours,
19 but then at least to what's -- the question is how you
20 define planned surgery, because there are also patients
21 in whom we think we're going to need to do surgery who it
22 turns out do better than expected and don't need to do

1 surgery.

2 So, if we're going to look at the surgery as
3 being the cusp upon which this rides, I think we need to
4 look at both at both categories of patients.

5 And the related question that I have is, that
6 I understand we have prespecified endpoints and we need
7 to live and die by them sometimes. But I wonder if there
8 are any data that you have, if you were to change the
9 window to 72 hours or something of that sort, a little
10 bit of leeway, does that balance things out altogether?
11 I recognize the statistical weakness of that, though.

12 DR. ETIENNE: With regards to changing the
13 window retrospectively and undoing the consequences of
14 that rule, this is not simple to do, for the reason that
15 the application of the rule by the investigator effects
16 the conduct of treatment. So, we cannot undo this and
17 recalculate what would have happened with a more -- a
18 broader window.

19 Now, I don't know whether I made myself
20 completely clear about the surgical condition at
21 baseline. What is -- what I would -- what I tried to get
22 across, were that those patients, before starting study

1 drug, had those surgical characteristics.

2 DR. RELLER. Okay. Dr. Goetz.

3 DR. GOETZ: I understand the characteristics,
4 but I guess the question I'm asking -- I mean, I assume
5 that the case report form captured an intention to do
6 surgery at entry into this study, or is it a
7 retrospective reading of what the patient looked like
8 that you're using to determine?

9 DR. ETIENNE: It's a retrospective reading.
10 The case report forms did not capture whether the
11 treatment was planned or not, so, we can only assume. We
12 can only -- the percentage of patients, the 15 percent of
13 the entire population coded as failures are coded as
14 failures whether the surgery was planned or unplanned.
15 Okay. And those characteristics are measured -- captured
16 before study drug is started.

17 DR. RELLER: Dr. Alston.

18 DR. ALSTON: I think this is just a comment.
19 I think what we're looking for and what we need are MRSA
20 drugs, because for MSSA and for beta hemolytic Strep we
21 have beta-lactams.

22 And I think MSSA and MRSA have become

Capital Reporting Company

Page 339

1 different diseases, in that MRSA characteristically forms
2 abscesses which require surgical drainage. And, so,
3 because the MRSAs in this day and age are going to need
4 surgery, this gets back to my point that I tried to make
5 yesterday and this morning, is that I think this is a
6 problem of study design, and not a problem of statistics,
7 that these patients need surgery at some point, and when
8 they ended up getting surgery determined their outcome.
9 And because the numbers are so small -- I think it's 154
10 MRSA patients got the study drug -- I think you ended up
11 with peculiar small numbers. And I think it's a clinical
12 issue, not a statistical one. And I think it's because
13 MRSA behaves differently than MSSA.

14 DR. RELER: Dr. Fleming.

15 DR. FLEMING: Well, I was just thinking along
16 the lines of what Dr. Goetz was talking about in terms of
17 refining the endpoint. Actually I was thinking more in
18 line of, did you collect data that was directly getting
19 at resolution of symptoms that would allow us to assess,
20 maybe as a supportive outcome measure, the kind of
21 measures that IDSA was looking at, FDA was looking at,
22 that isn't an endpoint driven by when you -- whether you

Capital Reporting Company

Page 340

1 get Gram-positive antibiotics or surgery after 48 hours,
2 or indeterminate? Did you collect direct evidence about
3 resolution of clinical condition that the patient is
4 specifically trying to address?

5 DR. MCALEM: Good afternoon. My name is Jill
6 McAlem (ph). I'm the clinical director for Targanta
7 Therapeutics. At the time that these studies were
8 conducted I was an employee of Eli Lilly and Company, and
9 actually ran the ARRD Study in the US affiliate for that
10 company.

11 And regarding your question about what signs
12 and symptoms were collected. I think if you put up Slide
13 739, 740. And while we're waiting for this slide to come
14 up, there were signs and symptoms that were required for
15 the definition of wound, cellulitis and abscess. And
16 they are coming up on the slide now. Some of these were
17 signs, obviously some were symptoms. But the disease
18 categories that we included, as you know, are wound
19 infection, cellulitis, and major abscess. And we looked
20 for the resolution of the pain, the erythema, the
21 localized swelling that was present at baseline.

22 These are the definitions that we used. And

1 in order to be considered a cure -- if you -- can you
2 pull up 727, please -- in order to be considered a cure,
3 there were specific definitions that we're required in
4 order to meet the definition of cure. And these were
5 assessed by the investigator. And in both studies they
6 were very similar. There had to be complete resolution
7 of drainage, pain, edema, fever, erythema, tenderness, et
8 cetera. We did allow for some serious drainage to be
9 considered a cure, or some granulation tissue in the ARRD
10 Study, which is the study of question.

11 And then they also looked at the presence or
12 the need for surgery. So, the investigator also
13 indicated whether or not surgery was required within the
14 48 hours. They could code the patient as a failure for
15 that as well. If they missed it, that's when we went
16 back and added it. And that's why we had so many
17 patients in that failure group.

18 Does that answer the question?

19 DR. RELLE: Dr. Kauffman.

20 DR. KAUFFMAN: Can you show us results using
21 the investigator defined clinical outcome?

22 DR. MCALEM: Sure. Sure we can. Dr. Hartman

1 will show you the results.

2 DR. HARTMAN: May I ask which study you would
3 prefer to see, the IDC-1 or would you like to see them
4 for both? May I remind -- I'll remind you, that for
5 Study ARRD, our primary outcome was the IDCO --

6 DR. KAUFFMAN: Right. Yeah.

7 DR. HARTMAN: -- which we shared in the core
8 presentation. And then for ARRI it was the sponsor-
9 defined clinical outcome.

10 DR. KAUFFMAN: It's ARRI one that you haven't
11 given us those data.

12 DR. HARTMAN: Okay.

13 DR. FLEMING: But the results that you've
14 shown us thus far are for your use of antibiotics that
15 are Gram-positive, or use of surgery after 48 hours
16 driven outcomes.

17 DR. MCALEM: So, the here -- here's Study
18 ARRI. These are the outcomes for all four patient
19 populations of ITT, CE, MITT, and ME, using the
20 investigator-defined clinic welcome. And these outcomes
21 are consistent across the populations and are consistent
22 with what we saw also looking at it with SDCO. Because

1 of the way we define SDCO where we can do those
2 overrides, where if they've missed, you know, defining
3 somebody as a failure because of the surgical
4 intervention, or if they accidentally start an antibiotic
5 and we were able to see that and we overrode those, the
6 sponsored-defined clinical outcomes will be slightly
7 lower just by that definition than the investigator-
8 defined clinical outcomes. But they are similar.

9 DR. KAUFFMAN: Do you have that broken down by
10 organisms, then?

11 DR. MCALEM: The IDCO or the --

12 DR. KAUFFMAN: The IDCO. Yeah.

13 DR. MCALEM: Let me see if we have a slide on
14 that.

15 DR. RELLER: And, while looking for that, it
16 would be of interest to see the successes and failures in
17 the MRSA by MIC of the Staph aureus, if that be
18 available.

19 While looking for that we'll entertain
20 Dr. Septimus' query.

21 DR. SEPTIMUS: You want me to entertain or --

22 DR. RELLER: We shall listen attentively.

1 DR. SEPTIMUS: I'm glad we came back to the
2 MIC. But I wanted to get that -- couple of issues. One
3 is the incision and drainage in surgical intervention
4 issue. There's two ways to look at that. One there was
5 a delay in a more definitive therapy, which resulted in a
6 lower response rate, or that the patient didn't respond
7 well in the first 48 hours and got worse. So, you can
8 look at that from both sides of the coin.

9 And I want to get back to -- and I know that
10 Dean said the same thing. I'm looking at Group A Strep,
11 Strep pyogenes, and I mentioned this question earlier,
12 and also Group B Strep, which there also are some
13 differences between the two arms.

14 And I'm also looking at -- let me -- this is
15 Slide 44 of the sponsor, and maybe I'm not reading this
16 correctly. But it looks to me like there actually may be
17 almost as many Group A Strep as there are MRSA isolates
18 in this study. So, it is somewhat of a weighted amount
19 on Streptococcus in this study versus some other skin and
20 soft tissue studies that I've seen in recent times. And
21 I don't know whether that -- whether the sponsors want to
22 comment on that. And also what the differences were in

1 Group A Strep and Group B Strep between these two drugs.

2 DR. PARR: So, with respect to the MRSA versus
3 Group A Strep numbers, the -- you have to remember the
4 time that the studies were done. They were conducted
5 between '98 and 2002. And the incidents of MRSA in these
6 studies was around 20 percent. In our more recent Phase
7 2 studies we're running 50 to 60 percent. So, it has to
8 do with the demography of the period.

9 DR. HARTMAN: May I address the rest of
10 Dr. Kauffman's request a little while ago about looking
11 at the outcomes by IDCO, the investigator-defined
12 clinical outcome by pathogen?

13 DR. RELLER: Please.

14 DR. HARTMAN: I only have two slides to show
15 you. Since the IDCO was not the primary endpoint, and
16 the SDCO was, I have only the two slides here. So, these
17 are the IDCO outcomes for Staph aureus overall, which are
18 similar. And then I can share with you the IDCO outcomes
19 for MRSA as well.

20 Now, not surprisingly these are going to be
21 very similar to the sponsor-defined clinical outcomes,
22 because if you recall, the definition of the sponsor-

1 defined clinical outcome is based off of the IDCO. And
2 we just have a -- it's a conservative review and revision
3 to overcome any inconsistencies in the way we had asked
4 -- or the way we defined failures -- or for -- directed
5 them to define failures.

6 So, if they had missed any surgical
7 interventions that possibly had occurred after 48 hours,
8 or the concomitant antibiotic for use for primary study
9 indication, those were the overrides that we deemed to
10 failures. So, you'll see very similar outcomes here.

11 Something that made -- that I would like to
12 point you to, though, are the microbiological outcomes.
13 And these are a slightly different way of looking at
14 outcomes.

15 So, whereas the SDCO and the IDCO are a
16 clinical evaluation, we do have a patient microbiological
17 outcome that we evaluate patients on. And that -- it
18 primarily takes into consideration just the
19 microbiological outcome. And when there are no
20 microbiological results or -- to be had, then the SDCO
21 would play into it. It's in this microbiological outcome
22 by pathogens that you see a more similar result between

1 the two groups.

2 This was presented earlier by Dr. Etienne in
3 the core presentation. And you can see here on the MRSA,
4 when you look at the microbiological outcome, which
5 doesn't take into account that SDCO as much, the outcomes
6 are much more similar for MRSA.

7 DR. PARR: And we have also now located the
8 MRSA by MIC data that was requested.

9 DR. RELLER: May we see it?

10 DR. MOAK: My name is Greg Moak (ph). I'm the
11 senior director of biology at Targanta.

12 On the screen now, what we're looking at is
13 the sponsor-defined clinical outcome, and also the
14 pathogen-level outcome for Staph aureus. This is the
15 MRSA subset of all Staph aureus, as a function of
16 Oritavancin MIC at baseline for the MITT population. The
17 orange columns provide the pathogen eradication rates,
18 and the blue bars provide the clinical response. We can
19 see that there is no significant trend of outcome by
20 Oritavancin MIC. And this is quite consistent with MSSA,
21 and also Staph aureus as a whole. We see the same type
22 of relationships when we look at the different

1 populations, namely ME population, and also when we look
2 at IDCO.

3 DR. RELLER: Exactly. Do you have the same
4 plots? Which of these are -- this is MRSA, but these are
5 the Oritavancin MICs. What about the Vancomycin MICs?

6 DR. MOAK: So, we've not plotted Vancomycin
7 MICs by the same extent. These are the Oritavancin --
8 this is the Oritavancin treatment arm. But, if you wish,
9 what I could show is the outcome as a function of
10 Vancomycin MIC. Was that what you were interested in?

11 DR. RELLER: Yes.

12 DR. MOAK: So, what we're looking at now are
13 the outcomes, here both the sponsor-defined clinical
14 outcome shown as the cures, and also the pathogen-level
15 outcome shown as the eradication. Little N, large N, and
16 percent. The percent values being in orange. The two
17 data columns to the left present the Oritavancin
18 treatment arm, and the two data columns to the right
19 present the Vancomycin treatment arm.

20 What we see is that there are no substantial
21 changes in outcomes for the Oritavancin-treated patients
22 as a function of Vancomycin MIC.

1 DR. RELER: Limited by the small numbers at
2 two, and even fewer at that point, so, that this looks
3 like the wow-type distribution of MICs in most of the
4 European and US studies. I mean, these are -- look like
5 garden variety Staphylococci without any of the more
6 recent problematic strains in the mix.

7 I understand it's all Staph aureus, but we
8 don't see the less susceptible Staphylococci with
9 sufficient numbers to give any hint that Oritavancin may
10 be more or less effective as the MICs creep upward.

11 DR. PARR: That's right. In the clinical
12 studies that were conducted, the highest Vancomycin MICs
13 we saw were two micrograms per mill. So, representatives
14 of the VISA subgroup, for example, were not members of
15 either populations treated by Oritavancin or Vancomycin.

16 DR. RELER: Right. These numbers reflect
17 exactly the so-called wow-type distribution of
18 Staphylococcal isolates in -- at least in Europe and the
19 United States -- in the more recent -- so that --
20 basically what we do not have for either Vancomycin in
21 these trials, nor for Oritavancin, is the most
22 challenging organisms would be one way of looking at it.

1 DR. MOAK: If I may, what I could indicate is
2 that there is -- Oritavancin does show significant in
3 vitro activity against the hetero-VISA and also VISA
4 strains.

5 As Dr. Parr had mentioned, we weren't lucky
6 enough to encounter those strains during the Phase 3
7 studies, but a number of studies that were released at
8 ICAAC/IDSA this year by collaborators of ours have shown
9 significant activity against those strains.

10 DR. RELLER: Thank you. Dr. Bennett.

11 DR. BENNETT: Now for an easier question for
12 the company, and that is: Are we sure that the dizziness
13 was not vestibulitis from vestibular damage? Patients
14 confuse vertigo with dizziness. Dizziness is a very
15 difficult word to pin down, even talking to the patient.

16 But let me return to the old days when
17 Streptomycin was being used, and the patients who had
18 vestibular toxicity from Streptomycin got bad vertigo.
19 And then vertigo went away, even if their vestibular
20 apparatus was totally destroyed, and they didn't notice
21 that actually until they got up in the night to go
22 urinate and then ran into the door because they had lost

1 their vestibular control. So, vestibulitis can be very
2 severe and permanent and just manifested as dizziness.

3 So, the way you tell this, of course, is do we
4 have nystagmus? Oh, yes, look at the patient's eyes.

5 So, we -- anytime we do 20 P values something's going to
6 pop up. So, the weakness is saying that dizziness was
7 significantly more common in the Oritavancin as a lot of
8 P tests were being done. So, my question is: Are we
9 sure that that's not vestibulitis and nystagmus?

10 DR. MORIARTY: Thank you. I'm Susan Moriarty,
11 Targanta Therapeutics.

12 Again, we were very concerned about this
13 significant difference in dizziness in the Oritavancin
14 treatment group. It's something that we had not seen in
15 -- prior to Phase 3 or since Phase 3.

16 What I can tell you, is that each of these
17 patients complete case report forms reviewed. And I
18 could find absolutely no indication of true vertigo or
19 vestibular or inner ear toxicity. I'll show you here how
20 the investigators described these patients. And, you
21 know, dizziness is, unfortunately, a rather vague term
22 for a lot of people. And it is difficult to tease out

1 just exactly what the symptom is and what it might
2 represent.

3 The terms actually used by the investigators,
4 the patient's investigators, were a combination of
5 dizziness, light headedness, and giddiness, all of which
6 code to dizziness preferred term in the MedDRA Coding
7 System. I would like to point out, that when we looked
8 at dizziness, as far as related adverse events, that
9 there was no significant difference between the
10 Oritavancin and treatment groups when we looked at those
11 events that were considered by the investigator to be
12 possibly related to study drug.

13 None of these events were serious adverse
14 events. None of them led to discontinuations. And here
15 you're looking at the Oritavancin group. I can show you
16 the Vancomycin group in just a minute. Eighty percent
17 were described as mild, 20 percent were described as
18 moderate. Ninety-one percent of them resolved within
19 seven days. Three percent, one patient, had intermittent
20 mild episodes unrelated, considered by the investigator,
21 for about 25 days. Six percent, or two of the patients,
22 had ongoing, both mild, and both considered unrelated by

1 the investigators. And when I looked at when these
2 events occurred, it was really a -- throughout the study
3 period, but most of them occurred while the patient was
4 still hospitalized. And I know that we all have seen a
5 variety of different causes of dizziness, even bed rest
6 causing dizziness, especially dizziness that resolves,
7 you know, within a relatively short period of time.

8 In addition, I can also tell you that,
9 throughout the rest of the Oritavancin development
10 history, there has only been one incidence of vertigo
11 reported in the safety database. That was a Phase 1
12 patient and it last -- it was mild and lasted for less
13 than three hours. Thank you.

14 DR. RELLE: Dr. Nelson.

15 DR. NELSON: Thanks, Dr. Moriarty. This is
16 for you, too, Dr. Moriarty.

17 We seem to have moved off of efficacy. So,
18 I'll talk for a moment about -- at least for a moment
19 about toxicity and toxicology.

20 You had mentioned something, I guess, that I
21 thought was interesting. And I was hoping maybe you had
22 a little data. In -- you know, in the earlier drug that

1 we talked about today, there were clear signals that
2 there were issues with the kidney and with the heart.
3 The kidney seemed to be pretty reasonably easy to
4 evaluate. And I think that the testing you would have
5 done would have found acute kidney injury. But I'm still
6 stuck on the fact that assessing QT is a very difficult
7 thing to do, particularly given the nature of the
8 susceptible population and how hard you have to look to
9 find them.

10 So, I know you said that there was no
11 difference, but I'm not sure if that meant that there was
12 just no statistical difference, if it meant that there
13 was not one single individual who prolonged his or her
14 QT. And I don't know if you had any specific data about
15 that.

16 DR. MORIARTY: We do for the Phase 1 QTc trial
17 -- the thorough QTc trial. If you would like to hear
18 about that, I'd --

19 DR. NELSON: Was it not part of the clinical
20 trials, the ARRI, or D -- you didn't follow QT on those
21 patients?

22 DR. MORIARTY: We did. If I could have the

Capital Reporting Company

Page 355

1 core slide on QT. We actually have a rather extensive, I
2 think, safety database regarding QT changes. I will get
3 this slide up from the core presentation in just a
4 minute. But we did examine around 350 patients on
5 Oritavancin. And a comparable number -- with a two-to-
6 one randomization throughout our clinical trials,
7 comparable number of Vancomycin patients. And there was
8 no evidence of QT prolongation with Oritavancin in those
9 patients, no evidence of arrhythmias that might represent
10 torsade or other ventricular arrhythmias.

11 As far as outliers, I'm trying to remember.
12 There was just no signal there. I -- I'm sorry, I don't
13 remember the specific numbers for the patient trials. We
14 can give you more specific numbers for the Phase 1
15 trials, but I know that we worked with Dr. Morganoff (ph)
16 on that report, and that we found no evidence of QT
17 prolongation caused by Oritavancin.

18 DR. NELSON: Right. I mean, I just hope you
19 understand why I'm asking, because this is the kind of
20 syndrome that, you know, the vast majority of people
21 don't have. So, if you just look at the large means, or,
22 you know, even means with any sort of statistics around

1 it, you're not going to find it but one person or --

2 DR. MORIARTY: Right.

3 DR. NELSON: -- two people out of a group
4 might have it. It might be one out of a 1,000 people.
5 And if you don't look at that individual, you're going to
6 miss the syndrome. And, again, that's something that we
7 really need to know.

8 DR. MORIARTY: And I can tell you that that
9 was done in this study. I cannot give you exact numbers,
10 but I can tell you that outlier analyses were performed
11 as well, and the conclusion at the end of the study was
12 that there was no evidence of QT prolongation.

13 DR. RELER: Dr. Kauffman, and then Hilton,
14 Cross, and Guterrez.

15 Dr. Kauffman.

16 DR. KAUFFMAN: So, my main concern in reading
17 through the information I had gotten in terms of
18 toxicity, is the fact that this drug looks like it lives
19 forever and has a long half-life. And you describe in
20 rats, dogs, rabbits accumulation of cytoplasmic granules
21 in a variety of different cells, including macrophages,
22 which ultimately disappeared.

1 And the question is: Is that drug that's
2 accumulated in there, and what's the long-term toxicity
3 of stuffing your macrophages full of something that's not
4 metabolized away? And maybe -- and do you have enough
5 data in humans to say this is safe?

6 And I'm wondering then if Jack Bennett, after
7 you answer, could comment on Amphotericin which sounds
8 sort of similar to me, in that it lives around for a long
9 time, slowly excreted in urine. But I'm not sure it
10 accumulates in macrophages.

11 DR. RELER: I'm glad Dr. Kauffman asked that
12 question, because if it got to me I was asking exactly
13 the same thing. And for the pharmacologists, as well as
14 the toxicologists, are there -- what are the -- is there
15 any other drug like this, I mean, the volume of
16 distribution of 100 liters staying there seemingly in
17 almost perpetuity? What are the precedence and what are
18 the implications, as best as we know, with what is a
19 short follow-up time after therapy that we see in the
20 documents?

21 DR. PARR: Would you like to speak about the
22 clinical or the toxicologic implications first?

1 DR. RELLER: Your -- presenter's choice in the
2 order, just as long as we cover the questions.

3 DR. PARR: So, Dr. Freidman -- or, sorry,
4 Dr. Polace will talk about the so-to-speak
5 phospholipidosis, and histiocytosis, and the
6 implications.

7 As he's coming forward, it is interesting to
8 note that Oritivancin, when accumulated in macrophages,
9 is active as an antimicrobial and is more active than
10 other compounds that are accumulated into those kinds of
11 cells.

12 DR. POLACE: Good afternoon. I'm Guy Polace,
13 consultant toxicology for Targanta. And I -- what I
14 would like to do is just try to convey to you the
15 significance of these -- what is being described in the
16 animal studies as the macrophages, with the presence of
17 eosinophil granules in their cytoplasm.

18 I have here a slide that speaks to the
19 significance. As you said, indeed, one of the hallmark
20 in this compound in animals is the presence of
21 macrophages with enlarged cytoplasm with eosinophil
22 granules. And we looked at this and we looked at this

1 ultra structurally. And we see that these eosinophil
2 granules translate into large secondary lysosomes that
3 contain lamellar membrane inclusions and can preform
4 electron-dense material. Now, the fact that there are
5 these lamellar membrane inclusions is indicative of the
6 presence of phospholipidosis in these cells.

7 And we also know from published literature on
8 macrophage cultures, that in vitro, these secondary
9 lysosomes with -- that we see with Oritavancin are
10 associated with high concentrations of Oritavancin. So,
11 there is a combination that getting together of the
12 phospholipidosis is in the high concentrations of
13 Oritavancin.

14 Now, there are several drugs on the market
15 that show phospholipidosis. And there is a body of
16 opinion that says that the presence of phospholipidosis
17 in itself is not evidence of toxicity. It is an adaptive
18 cell of process. And as a reaction against intracellular
19 concentrations of drug.

20 Functional or toxic effect can arise as the
21 result of either excessive accumulation of phospholipids
22 or -- and that is what many people believe to be the case

1 in these circumstances -- the intrinsic properties of the
2 drug at high concentrations.

3 Now, to your question about in the anti-
4 infective area, I would mention two compounds that are
5 being -- are currently on the market, and one is
6 Azithromycin, that it causes such a kind of effect. And
7 another compound that I would like to mention also is
8 Chloroquine, which causes phospholipidosis and has high
9 volumes of distribution.

10 What I should emphasize here, is that we are
11 talking about a very short course of treatment. While in
12 the animal studies, like the dog study that is referred
13 to in the package, the toxicology package, we actually
14 give a daily dose during 90 days. So, there is a big
15 difference when you compare cumulative doses.

16 Could I have Slide 24? On this slide here you
17 see I simply calculated the cumulative dose in the dog in
18 that 90-day study that we have in our toxicology
19 package. And this leads to a cumulative dose of 4,000
20 milligram per kilogram, and compare this to the
21 cumulative dose over the treatment course that is being
22 proposed, which is rather around 20 milligram per

1 kilogram.

2 So, there is a huge difference here in the
3 amount of drug that has been given to the animals. And
4 looking at cumulative dose is not as appropriate given
5 the excretion that results are low in dogs. So, we are
6 entitled to study and compare cumulative doses.

7 Now, if we go back to the two-week study that
8 we have done in dogs with four-week reversibility, we
9 still have a factor of 10 between the cumulative dose in
10 man and the cumulative dose in that study. Then you see
11 that there is, indeed, reversibility of the histiocytes,
12 the presence, and the size of the macrophages, with the
13 eosinophilic granules. And we only have at the end of
14 the reversibility a minimal presence in liver, spleen,
15 and bone marrow.

16 And what is also important, is that under
17 those circumstances, the perivascular inflammation at the
18 injection sights are no longer present.

19 I hope this answers your question.

20 DR. KAUFFMAN: Have you done any studies on
21 macrophage function?

22 DR. POLACE: We have not done studies on

1 macrophage function. We have -- in fact, we have not
2 done in vitro studies on these, or ex vivo studies on the
3 macrophage function.

4 DR. PARR: Susan -- or Dr. Moriarty would like
5 to add a bit there.

6 DR. MORIARTY: I wanted to try to answer the
7 clinical portion of your question.

8 We -- given this compounds tissue penetration
9 and long residence time in the body, we looked very
10 careful for any evidence of toxicities associated with
11 that. And after extensive analyses, we have found no
12 evidence that that has adverse effects on the safety
13 profile of the drug.

14 Let me first review with you the analyses that
15 we did, looking for any evidence of liver toxicity. The
16 -- one of the primary organs of concentration, primarily
17 within macrophages -- tissue macrophages or kupffer cells
18 is in the liver. And, so, we did look very closely at
19 the liver.

20 If I could have 826, please. First I'll show
21 you the standard laboratory analyses that were done.
22 And, as you can see, Oritavancin and Vancomycin really

1 show no significant changes in the degree of change to
2 the maximum high value from baseline of the
3 hepatocellular enzymes.

4 And then when we look at alkaline phosphatase
5 and bilirubin, we again see no evidence of hepatotoxicity
6 with Oritavancin administration.

7 In looking at the liver, we also did the
8 screening that I mentioned in the opening presentation,
9 looking for any evidence of patients that might have drug
10 induced liver injury as represented by meeting Hy's law
11 or Hy's criteria. We did that also for Phases 1, 2 and
12 3. And we found no patients who met Hy's law criteria.
13 All patients who met the screening criteria -- which I
14 mentioned were relatively broad in order to be very
15 sensitive -- they all had underlying liver disease at
16 study entry, and none of them in Phases 1, 2, or 3 had
17 clinically relevant changes in their liver enzymes, the
18 hepatic transaminases, or the bilirubin.

19 In addition, then, we looked at a vulnerable
20 liver population. We defined a vulnerable liver
21 population as those with significant underlying liver
22 disease at study entry, and patients who had significant

1 abnormalities with their liver labs at study entry.

2 And we found no evidence of any increased
3 liver toxicity in those patients represented by
4 laboratory analyses, or by adverse events.

5 In addition, I'd like to go to the long
6 residence time in the body and the general adverse event
7 analyses we have done with regards to that. If I could,
8 again, remind you of -- Core Slide 56 -- where we show
9 the time to onset of adverse events, and the fact that we
10 don't see later adverse events with Oritavancin, and we
11 don't see any evidence of adverse events starting to
12 occur later in this study.

13 In addition, I'd like to show you the late
14 post study drug period. If I could have Slide 934,
15 please.

16 The late post study drug period was days 37
17 through 90. And as you can see as represented by adverse
18 events, we see no indication of later adverse events
19 occurring in the Oritavancin-treatment group compared
20 with the Vancomycin-treatment group, nor do we see any
21 evidence of real differences in what those adverse events
22 might be.

1 One other point I would like to make, is at
2 the end of the study period, comparable, almost identical
3 percentages in patients in the Oritavancin and
4 Vancomycin-treatment groups had ongoing adverse events
5 that were considered by the investigator to be possibly
6 related to study drug, with Oritavancin group having 2.9
7 percent, and the Vancomycin group having 2.7 percent
8 ongoing adverse events possibly related to study drug.

9 So, in all of these extensive analyses, we
10 have just not seen any indication that Oritavancin's long
11 residence time in the body, or the organs where it
12 resides in the body, that there is any adverse effect of
13 that.

14 DR. RELLER: Dr. Hilton.

15 DR. HILTON: I wanted to come back to a couple
16 of points that were made earlier. First, Dr. Bennett's
17 point about the ARRI/MRSA rate being higher in the
18 Vancomycin group, and the reverse direction in the ARRD
19 group.

20 So, I'm looking at the FDA Slides 16 and 21.
21 And there's a 12 percent difference in one direction in
22 one, and 12 percent in the other. And it occurred me to

1 that ARRI sample is much larger. In the ARRD sample, if
2 we look at the 3 milligram per kilogram arm, if there
3 were just two fewer events, 8 out of 16, that rate would
4 go down to 50 percent and the whole trend would disappear
5 entirely.

6 And then I tried to reconcile those two slides
7 with the Industry Slide Number 44. And the FDA slides
8 are in the MITT population, and the industry slide is in
9 the ME population, so, it's a subgroup of MITT. And it
10 combines all the ORI data into one pool. And since it's
11 dominated by the larger ARRI study, again, that
12 difference just disappears and washes out. The imbalance
13 equalizes kind of. And, so, I think that we have the
14 explanation. And I think it's just mainly driven by
15 small numbers in the ARRD study. So, that was one loose
16 end that was bothering me that I wanted to reconcile.

17 And then a second one that I wanted to address
18 that Dr. Follmann brought up was about the 95 percent
19 confidence intervals. And I'm looking at the FDA Slide
20 20, and they made a strong case for using only the 3.0
21 milligram per kilogram arm in that study. And I think
22 that industry also made a strong case for doing that when

1 they showed the dosing slide a couple of several
2 presenters back. So, if everyone is agreed that only the
3 3.0 milligram per kilogram arm is relevant and we can
4 just disregard the 1.5, then why not use a 95 percent
5 confidence interval there? Then you get a narrower
6 confidence interval and you're further from the 15
7 percent margin. So, just a thought that we might
8 consider.

9 And in connection with Dr. Follmann's remarks,
10 it goes to the point of, do we want to look at these as
11 two independent studies that are independently giving
12 evidence, or do we really want a pool of cross studies?

13 So, looking at them independently, and both
14 groups independently saying this is the more important
15 arm to focus on, one possibility is to think in terms of
16 a 95 percent confidence interval there.

17 DR. RELLER: Dr. Cross.

18 DR. CROSS: I had two questions. One is: You
19 said that your in vitro and your animal data showed
20 efficacy against VRE. Apparently you didn't see any VRE
21 cases in your two clinical studies?

22 DR. PARR: In the two Phase 3 clinical studies

1 we did not. We have open label Phase 2 bacteremia study
2 dosing on a different schedule that we successfully
3 treated VRE.

4 We also have VRE data from an ascending
5 pyelonephritis model in rodents, where we show activity
6 where Vancomycin does not. But we -- in these studies,
7 VRE was not encountered.

8 DR. CROSS: And my second question is: You
9 had, apparently, some number of patients, who in addition
10 to having Vanco were switched over to Cephalexin. Is
11 there any difference in the outcomes with the Vanco arm
12 in those who had only Vancomycin versus those who
13 switched over to Cephalexin for over three days, or for
14 some period of time, more than one day?

15 DR. PARR: As Dr. Hartman approaches the
16 podium, the rule was, for MRSA, a requirement of
17 Vancomycin, obviously, for confirmed methicillin-
18 susceptible Staph aureus, following three days of
19 administration of IV Vanco. At the physician's
20 discretion, they were possibly switched to the oral
21 medication.

22 DR. CROSS: Well, it's important, with regards

1 certainly to MRSA, but I think your data on the Strep
2 pyogenes and the Group B Strep is also a bit puzzling
3 why, first of all, you had such low response rates and
4 how it was a positive in one arm and not in the other.
5 So, I was just wondering whether the Cephalexin therapy
6 may have had a role in those really puzzling results.

7 DR. HARTMAN: It's difficult to tease out how
8 Cephalexin played in. So, the only way to really -- to
9 approach is -- because, I mean, all patients received
10 this -- or most -- the majority of the patients switched
11 over to Cephalexin. Eighty-five percent of our patients
12 had an IV-to-oral switch. And, so, if you are of the
13 belief that it is active, then you have -- we can't
14 divide out the outcomes, then, and negate that.

15 If you are of the belief that Cephalexin is a
16 placebo and it's not offering any advantage, then it
17 would be our overall outcomes, which show similarity.

18 In trying to pick this out, though, we did try
19 to look at outcomes by IV use over -- by total duration,
20 and I did not see any difference in the IV use between
21 Oritavancin and Vancomycin, in terms of the outcomes.

22 DR. PARR: With regard to the possibility of

1 Cephalexin as a placebo, I'd like Dr. Ambrose to talk
2 about the PKPD exposures in the patients that were
3 administered the Cephalexin drug.

4 DR. AMBROSE: Hello again. Let me start off
5 by sharing with you the in vitro activity of Cephalexin
6 against methicillin-susceptible Staph aureus and Group A
7 Streptococci. And what you can see in the red bars are
8 the MIC distribution for S. pyogenes, and in the yellow
9 bar MSSA.

10 And what one can see is the MIC distribution
11 for Strep pyogenes is essentially one or less. And for
12 MSSA it's 16 or less in this collection of isolates. And
13 I note that there -- they always -- well, the cSSSI
14 susceptibility break point for these organisms base is 8
15 micrograms per ML. So, 94 percent of the MSSA would be
16 considered susceptible, and 100 percent of Strep
17 pyogenes. But let's bring forth a little bit of PKPD and
18 look at that data in a different kind of a way.

19 What you're looking at is the concentration
20 time profile of Cephalexin administered to 12 healthy
21 volunteers following a 1 gram dose. And that's the black
22 line. In preclinical animal models, what one finds for

1 Streptococci, is you need approximately 30 percent time
2 above MIC, and for Staphylococci about 25 percent time
3 above MIC for stasis.

4 And, so, if you look at the red line, which is
5 the MIC 50 slash 90 value for Strep pyogenes, you can see
6 all patients would achieve this particular time above MIC
7 threshold. If you look at the MSSA MIC 50 value again,
8 at 25 percent of the dosing interval again, all of the
9 patients would be predicted to achieve this particular
10 PKPD threshold.

11 If you look at the MIC 90 value, you can see
12 the majority, but not all, hit this particular time above
13 MIC threshold 25 percent time above MIC; however, it's
14 important to remember there are very few isolates at an
15 MIC value of 08. So, these PKPD data would suggest
16 Cephalexin dose, that one gram every 12 hours is not a
17 placebo.

18 DR. RELLER: Dr. Gutierrez.

19 DR. GUTIERREZ: My question was the same as
20 Dr. Kauffman's, so, I'll pass.

21 DR. RELLER: Dr. Fleming then Dr. Goetz.

22 DR. FLEMING: Just returning for a moment to

1 Table 7.3, Page 29 of the FDA document. Looking at SAEs,
2 I always have the most interest in understanding the more
3 serious events. And it's already been noted that, where
4 there seems to be an excess is in infectious-related
5 processes. We note here that sepsis is 7/3. That's just
6 a slight excess. But septic shock 5 against 0, 4 against
7 0, osteomyelitis 5 against 0. And if we go back two
8 pages earlier to the deaths, we see that there -- one of
9 the deaths occurred in the patient with septic shock.
10 Sepsis, having occurred in advance of death, occurred
11 once in Vancomycin, four times in Oritavancin. And, so,
12 there's an excess of 5 against 1 also in occurrences of
13 sepsis or septic shock in advance of death.

14 Have -- in all of our discussions today have
15 we got any insights about this?

16 DR. PARR: Just a little additional comment.
17 The -- remember the -- we should remember the
18 randomization at 2 to 1.

19 DR. FLEMING: That's right. So, I am
20 definitely factoring that in.

21 DR. PARR: Yeah. Okay.

22 DR. FLEMING: That's why I said, when shock is

Capital Reporting Company

Page 373

1 7 against 3, that's just a modest increase --

2 DR. PARR: Sure.

3 DR. FLEMING: -- so -- .6 against .5. But the
4 osteomyelitis and septic --

5 DR. PARR: Right.

6 DR. FLEMING: -- shock cases are 9 against 0,
7 and the deaths are 5 against -- the deaths occurring
8 where you had prior septic shock or sepsis --

9 DR. PARR: Right.

10 DR. FLEMING: -- is 5 against 1, which is not
11 a five-fold, but a two-and-a-half-fold increase.

12 DR. PARR: Correct. Yeah.

13 DR. MORIARTY: I'd be happy to address that,
14 Dr. Fleming. We looked again very closely at these
15 patients to describe what -- just what exactly was
16 represented by this adverse event term of septic shock.
17 And what we found were, indeed, that there were four
18 Oritavancin patients who had a serious adverse event of
19 septic shock. Two of these were apparently related to
20 Gram-positive organisms and two were not.

21 First for the two that were not. One of these
22 cases had septic shock due to a gangrenous colon. And,

1 so, that's not only a polymicrobial disease process
2 including Gram-negatives and anaerobes, but it also
3 involves necrotic tissue and sepsis on that basis.

4 The second one was a Gram-negative bacteremia
5 on day 6, despite being on Aztreonam.

6 Now, if we move on to the Gram-positive
7 situations. The first patient is a patient with severe
8 diabetes mellitus at study entry. She was treated with
9 Oritavancin, 201 milligrams on day one for cellulitis of
10 the lower leg. She had debridements on day one and day
11 two, telling us that even though her diagnosis was
12 cellulitis, that she obviously had necrotic tissue and
13 possibly a necrotizing fasciitis, but certainly had a
14 very severe infectious process well on its way by the
15 time she entered our clinical trial. She did just get
16 the one dose of Oritavancin. And then on day two, after
17 her second surgical debridement, developed septic shock,
18 as we can see happen in these patients, postoperatively.
19 And that resulted in her death.

20 The second patient is a younger gentleman who
21 was a IV drug abuser and a skin popper who had a very
22 large shoulder abscess from skin popping. The abscess

1 grew methicillin- sensitive Staphylococcus aureus and
2 blood cultures were negative. He developed hypotension
3 after surgical drainage. And, unfortunately, there was a
4 fatal medication error when Dopamine was ordered, but
5 Nitroglycerin was hung. And, so, this medication error
6 actually contributed significantly, I believe, to this
7 patient's demise and death.

8 Now I'd like to move on to the osteomyelitis
9 cases. And I'd like to go to the general discussion of
10 osteomyelitis first, if we could have -- I'd like to
11 discuss all of the osteomyelitis cases together, because
12 we did see an imbalance in the Oritavancin and the
13 Vancomycin groups, with regard to osteomyelitis. There
14 was one Vancomycin patient with acute osteomyelitis,
15 which gets coded just a little differently than
16 osteomyelitis. But basically we had -- altogether we had
17 12 patients in the Oritavancin-treatment group with
18 treatment emergent osteomyelitis. None were considered
19 to be possibly related by the investigator.
20 Unfortunately, none had imaging studies reported at study
21 entry or any time greater than one day prior to the event
22 of osteomyelitis being reported.

1 I believe that these cases represent the
2 clinical difficulty with establishing a diagnosis of
3 osteomyelitis at the beginning of a patient's
4 presentation, rather than a failure of study drug.

5 We see that of those 12 patients with
6 osteomyelitis, six with -- and all of these were likely
7 contiguous osteomyelitis by their descriptions -- six
8 were diagnose on or before day seven. And the ones that
9 we know of their imaging studies, one had an MRI done on
10 day two, one had an x-ray on day five, and one had an
11 x-ray on day six. And given the length of time that it
12 takes to see x-ray changes, plain film changes, the --
13 all six of these surely had osteomyelitis at study entry.

14 An additional four had contiguous
15 osteomyelitis diagnosed or were reported between days 8
16 and 14. Again, none of these patients had imaging
17 studies reported at baseline or any time more than a day
18 prior to their adverse event being reported. One of
19 these was diagnosed with an x-ray and bone scan on day
20 13. I believe it's most likely that these patients also
21 had their osteomyelitis at study entry, but went
22 unrecognized. As we know, that is a clinical problem.

1 There were two patients with osteomyelitis
2 reported later in this study. And I believe that both of
3 these patients most likely had osteomyelitis earlier on.
4 They weren't diagnosed. And the study drug that we
5 administered in this trial wasn't meant to cure
6 osteomyelitis on its own without surgical intervention.

7 Now, the -- would you like to hear more in
8 detail about the SAEs? I can go into that as well, what
9 specifically they were. But basically they were serious
10 adverse events included in this 12 patients. And the
11 serious nature was with regards to -- leading to more
12 prolonged hospitalization in four of the patients. And
13 in one of the patients who had diabetes in a lower leg,
14 complicated skin infection at study entry, the serious
15 indication was that the patient had some disability from
16 that.

17 Have I answered your question? Are there any
18 other?

19 DR. RELER: Owing to time we'll take any --
20 we'll take the three persons who have already been
21 recognized waiting for questions or comments, Dr. Goetz,
22 Miss Thomas, and Dr. Cross. And then we'll move to the

1 question. Dr. Goetz.

2 DR. GOETZ: My question actually follows up on
3 Dr. Fleming's question. In regards to the osteomyelitis,
4 I'm interested in pathogen identification of the cases
5 where osteomyelitis arose in the patients receiving
6 Oritavancin. And I also note on that same slide, 26,
7 that if you -- when it adds up, the cases of cellulitis,
8 abscess, sepsis, abscessed limb, and osteomyelitis in the
9 Oritavancin versus Vancomycin patients, there are 35 such
10 instances amongst Oritavancin patients, and 11 in
11 Vancomycin. Granted, randomization was two to one, so,
12 we'd expect to see some imbalance, but this is
13 essentially three-fold.

14 My questions are related to the identification
15 of the pathogens causing these serious infectious
16 complications amongst the patients who received
17 Oritavancin.

18 DR. MORIARTY: Yes. I can address both of
19 those questions for you.

20 First, with regards to pathogens, if we could
21 go ahead and put up the SAE osteomyelitis slide.
22 Actually I can tell you that the five patients who had

1 serious adverse events of osteomyelitis have methicillin-
2 sensitive Staph aureus, MRSA.

3 The third patient, no pathogen was reported.

4 The fourth patient, it occurred post
5 bunionectomy, and it was methicillin-sensitive Staph
6 aureus.

7 And the fifth serious adverse event,
8 osteomyelitis patient, had MSSA after a flip puncture
9 wound.

10 In the patients who had later onset
11 osteomyelitis, that fifth patient I just mentioned, was
12 one of those. And, so, it was MSSA after a puncture
13 wound. And I think clinically one of those situations
14 that unfortunately we see happen from time to time when,
15 again, the inoculation of the bone isn't recognized at
16 the beginning.

17 And then the other patient had a Gram-negative
18 pathogen in combination with Gram-positive at study
19 entry. If we could -- I'm sorry, I would like to have
20 the -- it's either 910 or 913, the patient with
21 klebsiella pneumoniae. But we did have one of those
22 patients with late onset osteomyelitis, who had

1 klebsiella pneumoniae isolated from his wound at study
2 entry, and then again when osteomyelitis was diagnosed.
3 Unfortunately that patient received no Gram-negative
4 therapy.

5 DR. RELLER: Miss Thomas and Dr. Cross.

6 DR. THOMAS: I was just wondering if you had
7 any data on how many patients contracted C. diff in your
8 study. This is a huge problem. And it seems to be
9 really susceptible in MRSA patients.

10 DR. MORIARTY: Yes. We did look at
11 clostridium difficile. As far as adverse event
12 occurrence, identical percentages of Oritavancin and
13 Vancomycin patients developed pseudomembranous colitis or
14 clostridium difficile infection during this study
15 period. So .5 percent in each study group. In the
16 Oritavancin group, there were six patients with seven
17 events of pseudomembranous colitis, two were mild, four
18 were moderate, one was severe and consider unrelated to
19 study drug. Onset was anywhere between day 5 and 48.
20 And all of these patients had received other systemic
21 antibiotics prior to the onset of clostridium difficile.
22 And as you can see an identical percentage,

1 half as many, because of the two-to-one randomization, an
2 identical percentage of Vancomycin patients developed
3 evidence of clostridium difficile infection. And, again,
4 those -- the onset of illness in the Vancomycin group was
5 22 to 23 -- I'm sorry, 22 to 32 days. And, again, all
6 had received other systemic antibiotics. And, so, cause
7 and effect with study drug is somewhat uncertain.

8 DR. RELLER: Dr. Cross.

9 DR. CROSS: Following up on Dr. Fleming's
10 point in Table 73, if you take all the SAEs from
11 cellulitis to septic shock, you end up with 39 in the
12 Oritavancin group versus 9 in the Vancomycin. And I
13 think a possible common theme there, is that if you had
14 macrophage dysfunction caused by the granules, then one
15 can have both Gram-positive and Gram-negative, or fungal
16 infections makes no difference, which just emphasizes
17 the -- a point made by Dr. Kauffman, that it would be
18 important to have some in vitro macrophage function test,
19 looking at least at uptake and killing of bacteria in
20 macrophages exposed to the Oritavancin.

21 And, secondly, to see whether or not
22 stimulation macrophages with a stimulus will induce the

1 same type of cytokine response, TNF, or anything of your
2 choice as untreated macrophages.

3 DR. MORIARTY: Let me address first the
4 clinical question of any imbalance in infections in the
5 Oritavancin group, any evidence of immune dysfunction.
6 First I'd like to show -- at least in our clinical
7 trials.

8 First I'd like to show you that there was no
9 imbalance under the system organ class of infections and
10 infestations, and although you see some imbalances when
11 you look at each individual preferred term. Again, of
12 course, this study is not powered to equal those things
13 out, you know, completely. And when we look at all of
14 the infections and infestations, we can see that
15 comparable percentages of Oritavancin and Vancomycin-
16 treated patients were reported with an adverse event of
17 infection and infestation.

18 With regards to immune function under the
19 system organ class of neoplasms, there have been
20 malignant -- excuse me. We see a significantly higher
21 percentage of Vancomycin than Oritavancin patients with
22 an adverse event in that category. I tend to think that

1 that's probably a result of doing multiple tests, and
2 you'll eventually finally get something that's
3 statistically significant.

4 Under the high level term of fungal
5 infections, which is under the infections and
6 infestations, we looked for any evidence of disseminated
7 fungal infections or endemic mycoses. The endemic
8 mycosis was a coccidiomycosis case in the Vancomycin
9 group.

10 In the -- under the high level term of Canada
11 infections, we saw, again, comparable percentages of
12 Oritavancin and Vancomycin patients. We did have one
13 patient in the Oritavancin group who had Canada
14 bloodstream infection. He had multiple risk factors for
15 that, abdominal surgeries, a colonic fistula,
16 hyperalimentation for a long period of time,
17 malnutrition, other antibiotics, all those things.

18 And then under microbacterial infections,
19 there was one patient in the Oritavancin group who had
20 presumed disseminated tuberculosis, according to the
21 investigator. But this occurred in day two. And, so, it
22 could not have been associated with Oritavancin use.

Capital Reporting Company

Page 384

1 Dr. Parr, did you want anyone to comment on --
2 thank you.

3 DR. PARR: That sounded good to me.

4 DR. MORIARTY: Did that address your
5 question?

6 All right. Thank you.

7 DR. RELLER: Dr. Fleming has a comment on this
8 line.

9 DR. FLEMING: A very quick comment. Just if
10 that slide could be back up. It's certainly relevant to
11 look at all AEs that are infections, and infestations,
12 but sometimes we can miss the most important things when
13 we're dominating this by mild adverse events. That's why
14 the other tables that were really focusing on the more
15 serious adverse events that were in the infectious
16 categories is very relevant.

17 DR. MORIARTY: Yeah. I agree. And also I
18 would like to point out that among all of those
19 individual preferred terms under infections and
20 infestations, osteomyelitis was significantly different
21 in the Oritavancin and Vancomycin-treatment groups. And
22 that's why we looked so carefully at when those cases

1 occurred, how they were diagnosed when we had that
2 information to put it together. And again came the
3 conclusion that the vast majority of those were almost
4 surely present at study entry.

5 DR. RELLER: Dr. Cox, do you have -- do you
6 wish to present the charge to the committee regarding
7 questions?

8 DR. COX: Sure. Thank you, Dr. Reller.

9 I'll try and do somewhat sort of approach,
10 just some comments and then we'll walk through the
11 questions.

12 I want to start just by thanking all the
13 presenters, and all the discussants for touching on a lot
14 -- different, you know, issues related to the drug, and
15 talking about -- and giving a lot of good information for
16 the discussion.

17 We heard about data on efficacy. We discussed
18 the issue of the different dosing regimens, talked about
19 Study ARRI, which had a fixed dose regimen, and also
20 ARRD, which had the weight-base dosing. And there was
21 also some discussion about, you know, different
22 noninferiority margins. You'll notice this will come up

1 in the questions, too.

2 For study ARRI, we just briefly touched on the
3 issue of the 95 percent confidence interval versus a
4 99.875 percent confidence interval. And for ARRD there
5 was some discussion of 97.5 versus 95 percent confidence
6 intervals.

7 Also briefly noted was the different
8 noninferiority margins for each of the two studies. We
9 also had some discussion, too, on the issue of MRSA, and
10 then also on the endpoints that were utilized in the
11 studies.

12 With regards to safety issues, we also talked
13 some about the issue of infections in the overall
14 population.

15 So, now moving to the questions. Question 1,
16 it really is asking the question of, you know, does Study
17 ARRI, is that study alone a win? So, this is not the
18 safety and efficacy question with regards to, you know,
19 have they provided the overall database to support safe
20 efficacy? This is just looking at this single trial. Is
21 this single trial in essence a win?

22 So, our question is: Does Study ARRI

1 independently provide evidence of the effectiveness of
2 Oritavancin for complicated skin and skin structure
3 infections? Please vote yes or no.

4 In your response, please discuss the
5 following: We brought up the issue in the discussion
6 with regards to the strength of the evidence here, and
7 also it's in relation to the primary outcome for the
8 study. And then we've also asked if, following the vote,
9 if there could be some discussion with regards to
10 baseline pathogen, and specifically note MRSA.

11 Any questions on that question? If not, I'll
12 go on to two. So, that's in essence thinking about ARRI
13 in the setting of when you have -- you know, in essence
14 the default here is, if you had two studies and this was
15 for one of them, would this one independently provide
16 evidence? And that we include the question -- also the
17 discussion about the 99.875 confidence interval so that
18 folks can discuss what weight of evidence this study
19 might provide.

20 The second question asked is: Does Study ARRD
21 independently provide evidence of the effectiveness of
22 Oritavancin for complicated skin and skin structure

1 infection? Please vote yes or no.

2 Again, this is just asking specifically in
3 reference to this one study. And we ask that you discuss
4 the primary outcome. And the question specifically cites
5 the 97.5 percent confidence interval, any comments
6 related to the 95 percent confidence interval would
7 certainly also be welcome. And that came up in the
8 discussions here today. And we also -- in your
9 discussions, if you can comment on the weight-based
10 dosing.

11 And then the last question, Question 3 -- this
12 question gets to the overall issue of demonstrating
13 safety and effectiveness, from the overall database. So,
14 that would be looking at the collective evidence from the
15 two studies. And I will just make a comment, too, as
16 Dr. Laessig and her slides presented yesterday, it is
17 possible for the agency to consider one adequate and
18 well-controlled study and confirmatory evidence. And,
19 you know, typically when we are doing that, we are
20 looking at statistically compelling study, it's
21 multicenter and internally consistent across all the
22 centers.

Capital Reporting Company

Page 389

1 And then after you vote, if there are any
2 specific labeling issues, if the vote is yes. If the
3 answer is no, if you can provide comments on additional
4 data or studies that would be needed.

5 So, those are the three questions and we look
6 forward to your advice. Thank you.

7 DR. RELLER: Question Number 1, may we have
8 the activation of the -- please vote.

9 All set. We'll vote -- lock in the vote.
10 Results, please.

11 The results are 11 yeses, 6 nos, and 1
12 abstention.

13 We'll start at the left for supplementary
14 comments regarding your vote.

15 Dr. Katona.

16 DR. KATONA: Well, I think that the study met
17 the noninferiority margin that it was designed to do.
18 But certainly the Staph aureus was somewhat bothersome,
19 and what was it statistically significant difference.
20 There was a small number of people. They did get less
21 drug. We did have some MIC data. And we -- I don't know
22 what to make of the delay in surgery. But that was

Capital Reporting Company

Page 390

1 somewhat bothersome, because that is really the key issue
2 that we're trying to address here above and beyond the
3 other drugs that were in the study itself.

4 DR. RELLER: Dr. Alston.

5 DR. ALSTON: I voted no, because I think
6 treating complicated skin and soft tissue infections in
7 this day and age is treating MRSA, and I'm not sure that
8 was shown.

9 DR. GOETZ: Similarly I voted no. My concern
10 is, that in the study if I added up the numbers and they
11 differ across the various tables, but there were 470
12 MSSA, 160 MRSA, at least according to one of the
13 tables -- I think that's table -- Slide 44 presented by
14 the sponsor. Considering that the majority of the
15 isolates that we're now dealing with in complicated skin
16 and soft tissue infections are MRSA. The study, while
17 technically well-performed, is not an answer of today's
18 question. And I'm concerned that the very wide
19 confidence limits around the results with MRSA that were
20 found here, do not support use of this drug today with
21 our current patient population.

22 DR. RELLER: Dr. Fleming.

1 DR. FLEMING: I voted no. I start with the
2 fact that I struggled greatly with the definition of the
3 primary endpoint and understanding the primary endpoint.
4 It's critical that the primary endpoint provider capture
5 the essence of what it is that patients are trying to
6 address, the resolution of the clinical condition that
7 the patient is specifically trying to address. And I had
8 a great deal of difficulty in interpreting that.

9 Also it deviates significantly from the
10 historical evidence that we used to set up margins. So,
11 technically being able to justify the 10 percent margin
12 is also problematic. We need to address the separation
13 of the subcutaneous abscess or major abscess, but in this
14 case it doesn't alter the conclusions that I would derive
15 from this.

16 The MRSA results are obviously complicated to
17 interpret. There's been great discussion, Dr. Bennett
18 from the beginning in pointing out the recognition of
19 this trend, but pointing out the need for caution and
20 subgroup analyses, as Dr. Follmann has also done. But
21 it's not just any subgroup. It's certainly a group of
22 keen interest. I'd call it hypothesis generating. And,

1 in fact, I think the entire trial is hypothesis
2 generating. It serves as a proof of concept supported
3 trial to another very good trial that I would hope could
4 give us more insight about the MRSA aspect of this.

5 I worry some. The safety profile looks quite
6 favorable. I do worry about the infectious complications
7 results that are serious that do seem to be in excess.
8 The trial was done in '98 to '02, and I think that is
9 part of what also is complicating its interpretation.

10 One last point that I guess is fairly
11 apparent, the concept of essentially using 000625,
12 strength of evidence of two trials. I think Dr. Follmann
13 has appropriately pointed out reasons for caution about
14 that. Two independent trials is certainly more
15 persuasive. But I do believe -- I do believe that you
16 could have a result that is highly compelling
17 statistically, but it's got to be highly compelling
18 clinically, and highly compelling statistically, and
19 internally consist. And the results can't be ignored
20 from the other trial, which look less favorable than this
21 trial. An absolute difference is against Vancomycin
22 until we had a clarification of the IDCO, and those

1 results are a lot less favorable than their primary
2 endpoint.

3 So, many issues of concern in interpreting
4 this trial that lead me to say I wouldn't view it as one
5 of two adequate, well-controlled trials. But I could be
6 persuaded that it would be a supportive trial with a
7 second high-quality study done with an adequate sample
8 size and a strong endpoint with results that could also
9 provide us more insight about MRSA.

10 DR. LEGGETT: I voted yes, having considered
11 the same factors as those who just preceded me, but
12 looking at the confidence intervals, which were well
13 within the limits, whether it's 95 or 99. And also
14 justly regarding a subgroup safari is just that, as noted
15 by Dr. Follmann. And I thought the drug was safe, as
16 well.

17 DR. RELER: Thank you. Dr. Bennett.

18 DR. BENNETT: I have nothing really to add. I
19 voted yes, because I thought the overall weight of
20 evidence was that the drug was effective.

21 DR. RELER: Dr. Lesar.

22 DR. LESAR: I voted yes, but I have nothing to

1 add to previous comments.

2 DR. RELLER: Dr. Nelson.

3 DR. NELSON: Yeah. I voted yes. And I think
4 the main reason was the question actually asked about the
5 overall effectiveness of the drug, not necessarily about
6 its MRSA effectiveness. If the question really did ask
7 about MRSA, I would be much less comfortable. I mean, I
8 know there was some explanations for the findings,
9 although they are explanations and not necessarily
10 proof. And I think I would go back and try to look for
11 more proof that MRSA is -- which is really the target
12 organism, I think, that we're probably looking at. I
13 think there needs to be a little more proof that it
14 actually is more effective.

15 But in the overall picture, I think that for
16 cSSSI, I think it seems to be -- it seems to be
17 effective.

18 DR. RELLER: Dr. Septimus.

19 DR. SEPTIMUS: I voted yes with some
20 reservations. This is actually a very attractive drug
21 wound, one looks at dosing, and toxicity, except for some
22 of those infectious complications, which I really don't

1 think is related to the drug.

2 It certainly meets the noninferiority
3 confidence intervals. I'm still a little concerned about
4 the Strep. I'm not sure that I ever got that question
5 answered.

6 And as far as the MRSA is concerned, it would
7 be nice at some point to go back, or start today with
8 MRSA and do a really in depth evaluation of this drug
9 against MRSA alone.

10 DR. RELLER: Miss Thomas.

11 DR. THOMAS: I voted yes. I would have liked
12 to have seen a larger study. I was a little perplexed by
13 the MRSA result being low as it was, but I can understand
14 that this was done years ago.

15 The osteo rate is a concern -- osteomyelitis,
16 but I know that that is hard to diagnose.

17 DR. RELLER: Dr. Cross.

18 DR. CROSS: I voted no. I also agree, it's a
19 very attractive drug, and I think overall it showed a
20 efficacy for cSSSI. However, again, in my case I'm
21 bothered by the MRSA. And the fact of the matter is,
22 that, if anything, the MRSA has become more resistant to

Capital Reporting Company

Page 396

1 Vancomycin. And I don't think in this clinical trial we
2 really even tested the more difficult cases of MRSA.
3 Some -- until we have more of that data, and since this
4 really is directed towards MRSA, we have to cover that
5 empirically, that I came down on the no side.

6 DR. RELER: Dr. Hilton.

7 DR. HILTON: I voted yes, because the question
8 did not focus on MRSA. If it had, I would have voted no.

9 And based on this morning's discussion, I'm
10 curious to know if pregnant women were eligible. And I'm
11 hoping that the sponsor is going to be alert to what we
12 learned this morning in the other study.

13 DR. RELER: Mr. Levin.

14 MR. LEVIN: So, uncharacteristically I voted
15 abstaining, because as the discussion went on I -- it
16 might be the hour or it may be this is beyond my pay
17 grade -- but I find -- I found myself more and more
18 confused. That probably should be a no vote, because I
19 was not convinced on the yes side of the equation. But
20 given the option to abstain, I really -- I just couldn't
21 get behind any of the other two buttons with great
22 conviction.

Capital Reporting Company

1 DR. RELLER: Dr. Weinstein.

2 DR. WEINSTEIN: Like Dr. Nelson, I based my
3 vote for yes on the overall issue of efficacy for these
4 infections. But had it come down to only MRSA, I would
5 have voted no.

6 I think the data presented, perhaps, because
7 of the study design, simply don't convince me that
8 there's noninferiority. And I think we need data from
9 contemporary isolates and contemporary studies.

10 DR. RELLER: Dr. Follmann.

11 DR. FOLLMANN: I voted yes, because I thought
12 the overall cure rates reliably show that the Oritavancin
13 was reliably noninferior to comparator.

14 I had concerns, though -- my comments pretty
15 much echo Tom's. I thought the endpoint was suboptimal
16 and in future a better endpoint could be used.

17 You know, I noted that, you know, about 40
18 percent of the patients had abscesses, and that has
19 issues or consequences, both for the endpoint they used
20 here and for the margin that we discussed yesterday.

21 I view this as one study. I don't view it as,
22 you know, having the weight of evidence of two studies as

Capital Reporting Company

Page 398

1 I mentioned before. And then I'm inclined to think that
2 the MRSA results are murky and that, you know, it's more
3 like an hypothesis-generating thing that could be looked
4 in future studies as Tom suggested.

5 DR. RELLER: Dr. Gutierrez.

6 DR. GUTIERREZ: Well, I voted no. And like
7 the others on the panel that voted no, I really struggled
8 with this. Because, you know, this is a very attractive
9 drug and I like the safety profile, but I just in this
10 day of complicated skin soft tissue infections being
11 mostly MRSA, I just couldn't be convinced that it was
12 noninferior to Vancomycin. And, so, I -- you know, I
13 really hope that the sponsor will pursue further studies
14 looking at MRSA in particular, because I think it is a
15 very attractive drug.

16 DR. RELLER: Dr. Kauffman.

17 DR. KAUFFMAN: I voted yes, because I thought
18 that was the answer to the question. It did indicate --
19 it provided evidence for the effectiveness overall for
20 complicated skin and soft tissue infections. I'm very
21 concerned about the MRSA data, and I feel that they
22 clearly need to have more information for us to use it

Capital Reporting Company

Page 399

1 for that. And that really is going to be the driver in
2 the future for using drugs other than beta-lactamase for
3 skin soft tissue infections.

4 DR. RELLER: I voted yes, but with full
5 recognition of all the reservations that have been
6 expressed by most of the people with the yes votes, and
7 causing those with the no votes to vote no.

8 Question 2: Does Study ARRD independently
9 provide evidence of the effectiveness of Oritavancin for
10 complicated skin and skin structure infections. Please
11 vote yes or no.

12 The vote is closed.

13 Results.

14 UNIDENTIFIED SPEAKER: One person didn't
15 vote? Okay. Somebody didn't vote.

16 UNIDENTIFIED SPEAKER: Should we vote again?

17 UNIDENTIFIED SPEAKER: Should we all vote
18 again?

19 DR. RELLER: So --

20 UNIDENTIFIED SPEAKER: Can they vote again?

21 DR. RELLER: So, we'll -- we need to verify
22 the vote. We could consider this a recount. Please vote

1 again.

2 So, the voting result is 8 yes, 10 no, 0
3 abstentions.

4 We'll go in the reverse direction.

5 Dr. Kauffman.

6 DR. KAUFFMAN: I voted no in the -- for this
7 study, because I thought the numbers really were too
8 small. I think you inherited a dose finding study and
9 then tried to make maybe a silk purse out of a sow's
10 ear. I don't know. But you were sort of stuck with
11 small numbers. And the only data that probably is
12 relevant or -- is the 3 milligram per kilo dose. And
13 then you get down to very tiny numbers within each of
14 those subsets. So, I didn't think it really proved a
15 point.

16 DR. RELLER: Dr. Gutierrez.

17 DR. GUTIERREZ: I also voted no. Again, I
18 thought the numbers -- I agree with Dr. Kauffman, the
19 numbers were small, and really were only looking at the 3
20 milligram dose.

21 DR. RELLER: Dr. Follmann.

22 DR. FOLLMANN: I voted no for similar

1 reasons. I thought the margin of 15 percent was too
2 large. I, you know, had questions about the endpoint. I
3 echo the issues that were raised earlier. I think in
4 some sense it inherited an underpowered study and I
5 wondered what you could do about that, because it's not
6 as if you designed a large study and then made a margin
7 of, you know, 15 percent, or didn't make a margin of 15
8 percent, or were right on it. It's as if you designed an
9 underpowered study. But, you know, I don't think that
10 changes really anything. It's just an underpowered
11 study.

12 The -- in terms of the 97.5 percent confidence
13 interval issues, I'm inclined to think that's more of a
14 technical point. And I would probably be okay with a 95
15 percent confidence interval, thinking that we're more
16 interested in the 3 milligram dose. So, I would focus on
17 that if I was going to align the two studies. And I
18 think that's all I have to say.

19 DR. RELLER: Dr. Weinstein.

20 DR. WEINSTEIN: I voted no for the same
21 reasons, mainly the inadequate number of observations.

22 DR. GOETZ: I voted no, and ditto to the

1 previous comments.

2 DR. RELLER: Mr. Levin -- Dr. Hilton.

3 DR. HILTON: I was the person who didn't vote
4 on that first partial round. I just couldn't make my
5 finger go to any particular button. And now I've sort of
6 pinpointed what my dilemma was. I think it's the 15
7 percent noninferiority margin that was bothering me so
8 much. So, I did vote yes, but I think everything worked
9 pretty nicely for a 15 percent margin, but I'm actually
10 not content with the 15 percent margin.

11 So, I agree with Dr. Follmann's comment, that
12 it's basically an underpowered study. But -- can -- with
13 good results.

14 DR. RELLER: Dr. Cross.

15 DR. CROSS: I voted yes with reservations. I,
16 too, feel it's underpowered. We have to really discard
17 the low dose and -- at the 3 MG per KG dose. I think
18 there's a signal there, but in and of itself it probably
19 isn't enough to support anything.

20 DR. RELLER: Miss Thomas.

21 DR. THOMAS: I voted yes. I did think it was
22 a small study, and the margins were maybe problematic,

Capital Reporting Company

1 but I thought it was a fairly good design of a study.

2 DR. RELER: Dr. Septimus.

3 DR. SEPTIMUS: You'll get that. I voted yes
4 at reservations for the same reason that Dr. Cross
5 mentioned.

6 DR. NELSON: I voted yes. And I wasn't
7 particularly feeling strong about my decision either.
8 And I had some concerns. But, again, I was being a
9 stickler for the question. I mean, they did find what
10 they set out to find. Yesterday's discussion about the
11 noninferiority margin of 10 percent didn't really come up
12 today as being the definitive answer as what our baseline
13 was going to be. And they did fine within their range at
14 a higher confidence or at a higher statistical
15 probability than 95 percent. So, I think they did find
16 what they were looking for.

17 I would agree, though, that -- I mean, small
18 numbers are fine, as long as they are -- if they're
19 statistically, you know, reasonable, and they seem to
20 be. So, I'm not so concerned about small numbers, but,
21 of course, I would like to see more numbers, regardless
22 to increase, you know, the confidence I have.

Capital Reporting Company

Page 404

1 DR. RELER: Those are the comments of
2 Dr. Nelson. Dr. Lesar.

3 DR. LESAR: I've been trying to consistency in
4 the way I formulated the answer. I voted again yes, but
5 with more reservations. I think they have been
6 expressed. But, again, more reservations but -- so, yes.

7 DR. RELER: Dr. Bennett.

8 DR. BENNETT: As always, Carol Kauffman says
9 everything I wanted to say, so, I'll just say it over
10 again. That is, I think it's underpowered. I don't like
11 the 14.1 percent confidence interval with the
12 registration dose of 3 milligrams per kilogram. Two
13 smalls, not enough cases of MRSA, 16 cases of MRSA
14 treated with the registration dose of Oritavancin. So,
15 underpowered and not convincing.

16 DR. RELER: Dr. Leggett.

17 DR. LEGGETT: My thoughts exactly, except I
18 would like to point out that they did follow the
19 noninferior margin that was dictated at the time.

20 DR. RELER: Dr. Fleming.

21 DR. FLEMING: While I agree the results are
22 underpowered and makes it less reliable, the question

1 we're asking is: Does this provide strength of evidence
2 of a positive trial? And we spent an entire day
3 yesterday going through, with I thought great clarity,
4 the challenges of defining -- justifying any margin under
5 any circumstance greater than 10 percent, unless you have
6 a huge safety advantage and other factors along those
7 lines.

8 And even at that, 10 percent as a margin is a
9 difficult one in this setting, because the endpoint is so
10 different from the kinds of measures that were used to
11 justify that margin. Using a 10 percent margin, the
12 study fails on both arms. It fails on ITT, MITT, CE,
13 even without adjusting for multiplicity, even without
14 adjusting for, we need to take the abscess patients out
15 to see how the results stand. You don't even meet the 15
16 percent margin when you do that. So, the results are
17 what they were, as they said it was designed. It's a
18 result from a Phase 2 screening trial. And part of the
19 reason that these results are unfavorable, though, is not
20 only the small sample size, but the trends are slightly
21 in the wrong direction in this trial. They were at least
22 slightly in the right direction in the other trial.

Capital Reporting Company

Page 406

1 So, with the results -- with the estimate
2 slightly in the wrong direction, and with the limited
3 sample size, the confidence intervals don't even
4 consistently exclude 15 percent, particularly when you
5 leave the major abscess patients out of the analysis.

6 So, for multiple reasons, this, in my view, is
7 not a one of two adequate, well-controlled positive
8 trials.

9 DR. RELLER: Dr. Goetz.

10 DR. GOETZ: I voted no. I have really nothing
11 to add to the prior comments.

12 DR. RELLER: Dr. Alston.

13 DR. ALSTON: I voted no and I have nothing to
14 add.

15 DR. RELLER: Dr. Katona.

16 DR. KATONA: I voted yes. I think the study
17 barely met the criteria that it was designed for. I
18 didn't have a problem with the 15 percent. And overall I
19 think it accomplished what it was supposed to have
20 accomplished. They did get more drug in this study.
21 Staph aureus issue wasn't quite as prominent.

22 DR. RELLER: As in the previous question, I

Capital Reporting Company

Page 407

1 voted yes, but I did not disagree with the reservations,
2 while the other yes votes.

3 But additionally, I was influenced on this
4 particular one by, you know, what our discussions were
5 yesterday, but yet at the time that it was done, the 15
6 percent was proved in a matter of consistency.

7 We'll move to Question Number 3.

8 The voting is open. And Question Number 3
9 is: Do the data presented demonstrate the safety and
10 efficacy of Oritavancin for the treatment of complicated
11 skin and skin structure infections? Please vote yes or
12 no. And this is a comprehensive question that
13 encompasses considerations from the previous two
14 questions correct, Dr. Cox?

15 DR. COX: Yes. This is essentially, you know,
16 has the -- you know, the two-study standard -- and we
17 talked about how you can do that with one study -- but
18 has that -- in essence, has that standard been met?

19 DR. RELER: So, a decision on balance.

20 Please vote.

21 Voting is closed.

22 Results, please.

Capital Reporting Company

Page 408

1 The results are yes 8, no 10, 0 abstentions.

2 Dr. Katona.

3 DR. KATONA: I voted yes. I think the Staph
4 issue is the one that I'd really like to resolve and get
5 more data on to see where we are. Obviously these trials
6 were done a long time ago. The bugs were slightly
7 different. But I think they did accomplish what they set
8 out to accomplish, and I'd just like to have more data on
9 the Staph.

10 DR. RELLER: Dr. Alston.

11 DR. ALSTON: I voted no and I think you need
12 an MRSA study.

13 DR. RELLER: Dr. Goetz.

14 DR. GOETZ: I voted no, thinking that I could
15 not see how I personally could approve the use of this
16 drug for treatment of MRSA infections.

17 DR. RELLER: Dr. Fleming.

18 DR. FLEMING: I voted no based on the issues
19 raised in my responses to the first two questions. I
20 would, though, think that one additional trial that was
21 conducted in modern time that would give us a reliable
22 answer with -- I would like to see a -- an endpoint that

1 is more nearly aligned to addressing resolution of
2 clinical conditions that patients are seeking to have
3 addressed, and also giving us more insight about MRSA
4 will be invaluable. So, I would recommend that one
5 additional quality study be conducted.

6 DR. RELLER: Dr. Leggett.

7 DR. LEGGETT: I voted yes, because I think in
8 balance they answered the question satisfactorily.

9 DR. RELLER: Dr. Bennett.

10 DR. BENNETT: Push the right button. I had a
11 very unenthusiastic yes. I think that the problem here
12 is it's extraordinarily expensive to do these kinds of
13 studies. The question -- it's not a wonderful drug. We
14 don't have wonderful information, but in the balance, if
15 -- do we think this is worth another several million
16 dollars to do it modern? I thought probably not. I
17 thought we probably had enough.

18 DR. RELLER: Dr. Lesar.

19 DR. LESAR: Again, I voted yes to -- trying to
20 be consistent in my thinking. Again, with great
21 reservations, but safety profile looks very good, and
22 doesn't seem -- it seems to reduce my concern about the

Capital Reporting Company

Page 410

1 balancing and effect, other than the MRSA, which I do
2 have tremendous concern about.

3 DR. RELER: Dr. Nelson.

4 DR. NELSON: You know, in the first two -- I
5 voted no. In the first two studies there was a very
6 specific question about the studies, not about the big
7 picture. I think in the big picture the drug ultimately
8 doesn't really show to do what it's purported to me to
9 do. And, although the safety profile does look good, it
10 certainly -- compared to other drugs we've talked about.
11 There are some lingering issues. And the words here are,
12 does it demonstrate safety? And I certainly think it
13 demonstrates that it's not terribly unsafe, but I'm not
14 sure it's actually demonstrated that it's completely
15 safe. There is still lingering questions that I have.

16 DR. RELER: Dr. Septimus.

17 DR. SEPTIMUS: I voted a soft yes. Again,
18 answering the question, looking at safety and
19 effectiveness. I think it's, again, attractive because
20 of some of the safety and dosing issues we've already
21 talked about. If you're asking me specifically about
22 MRSA, I would say no. But in answer to the general

Capital Reporting Company

Page 411

1 question I would say yes. And I'll just echo what I said
2 before in others, it would be nice to have this study
3 updated with MRSA.

4 DR. RELLER: Miss Thomas.

5 DR. THOMAS: I voted yes. And I just wanted
6 to say I echo Dr. Katona's comments.

7 DR. RELLER: Dr. Cross.

8 DR. CROSS: I voted no for the same reasons
9 that Dr. Goetz did, even though they technically fulfill
10 the noninferiority, I think you do need something that
11 covers MRSA better.

12 DR. HILTON: I voted yes because MRSA wasn't
13 the stated goal.

14 DR. RELLER: Thank you, Dr. Hilton.

15 Mr. Levin.

16 MR. LEVIN: I voted no, and I have nothing to
17 add.

18 DR. RELLER: Dr. Weinstein.

19 DR. WEINSTEIN: I voted yes for all of the
20 same reasons that Dr. Septimus did.

21 DR. RELLER: Dr. Follmann.

22 DR. FOLLMANN: I voted no. I thought we had

Capital Reporting Company

Page 412

1 one study that would, if coupled with another study done
2 in the modern era, would, I think, provide evidence. But
3 I think the ARRD is just dose-finding study. It doesn't
4 really add.

5 DR. RELLER: Dr. Gutierrez.

6 DR. GUTIERREZ: I voted no because of the MRSA
7 issue.

8 DR. RELLER: Dr. Kauffman.

9 DR. KAUFFMAN: I voted no, echoing what
10 Dr. Follmann said. But I would really like to encourage
11 the FDA to encourage the company to do a trial that
12 involves a lot of Staph cases, especially MRSA, and then
13 to do some tox data in terms of those macrophages. It
14 might be a wonderful drug, we just need to have more
15 information, I think.

16 DR. RELLER: I voted no. And the context of
17 that was the on balance question. And it's interesting,
18 in one reviewing the votes, there are multiple votes on
19 this question where there are differences between
20 Question 1 and 2 and Question 3. And that to me sends a
21 very strong signal, that people want an effective drug,
22 but we just haven't seen enough evidence with what the

1 contemporary problem is. And I do not necessarily see
2 inconsistency, what it is, is the consistent message that
3 we need more information to be perfectly comfortable on
4 the question of toxicity, but most importantly on the
5 efficacy of this drug as a drug that is started
6 empirically in serious questions in the hospital, and for
7 that, in practical terms, requires a drug that is of
8 demonstrated effectiveness against MRSA.

9 And, also, I think a follow-up study that
10 would provide that assurance should be done with a
11 single, appropriate dose so that we have the numbers to
12 have the adequate comparisons.

13 Dr. Cox, do you have a clear -- or clear
14 enough message from the committee?

15 DR. COX: Yes. Thank you, Dr. Reller. And
16 I'd also like to thank the committee, too, for all your
17 work over the course of the day, and your comments and
18 advice.

19 DR. RELLE: I realize that we are 25 minutes
20 over time, but given the half an hour late start, in
21 trying to be fair for sponsor and hear full discussion,
22 I'm pleased that we've been able to provide what I hope

Capital Reporting Company

1 is -- useful information to the agency. We now stand
2 adjourned until tomorrow morning at 8 a.m.

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1 CERTIFICATE OF COURT REPORTER

2 I, NATALIA KORNILOVA, the officer before whom
3 the foregoing was taken, do hereby certify that the
4 following was taken by me by audio recording and
5 thereafter reduced to typewriting under my
6 direction; that said transcript is a true record of
7 the recording taken by me; that I am neither counsel
8 for, related to, nor employed by any of the parties
9 to the action in which this deposition was taken; and,
10 further, that I am not a relative or employee of any
11 counsel or attorney employed by the parties hereto,
12 nor financially or otherwise interested in the outcome
13 of this action.

14
15
16
17
18
19

NATALIA KORNILOVA
Notary Public in and for the
State of Maryland