

1 So, in conclusion, I can only say that I
2 see no reason why the FDA should not approve the
3 collagen scaffold for reinforcement and repair of
4 soft tissue injuries of the meniscus.

5 MR. DICHIARA: I'd like to address one
6 other issue that was brought up by the Panel before,
7 and that was the issue of -- that was brought up by
8 the FDA and was discussed, and that was the issue of
9 the type of collagen and the type of tissue and the
10 biomechanical viability of that tissue. I'd like to
11 have Dr. Vigorita talk to the pathology and the
12 histology that was done on this study.

13 DR. VIGORITA: Well, with all due respect,
14 I'm not going to try to read tea leaves, but the
15 pathologist does report on what he or she sees, and,
16 of course, there are things that we do not see. And
17 what I saw in this tissue, both in the canine and
18 human model, was fibrocartilage, and I can say that
19 because I've looked at hundreds of thousands of
20 specimens of tissue, and this looks like
21 fibrocartilage.

22 But let me get to the very important point
23 that Dr. Kessler raised. Is this normal
24 fibrocartilage? Well, what is fibrocartilage? It is
25 glycosaminoglycans, aggrecan moieties. We're all

1 familiar with that. It's water. And it's collagen.
2 And his question, I think, was directed at the
3 architecture, the three-dimensional architecture of
4 the collagen. I can't see that looking under the
5 microscope. But I would ask the question: Do we
6 really know the relevance of the answer to that? And
7 I believe it was Dr. Kelly in the last hour who said
8 maybe it is a type of fibrocartilage that will be
9 beneficial, which is not normal under our
10 understanding of the three-dimensional structure of
11 normal fibrocartilage.

12 Now, I did mention in my last comment when
13 I presented the histology that if this was tissue
14 which biomechanically was defective in some way, we
15 might anticipate seeing damage to that tissue even at
16 the one-year mark. And I reported that I did not see
17 the type of damage which I would expect from
18 cartilage damage from Achilles tendon, from an
19 annulus fibrosis, you name it, cystic changes, and
20 bursa-like formation. So I think it was a good,
21 provocative question, the relevance of which may be
22 elusive.

23 MR. DICHIARA: I'd like to have Dr. Stephen
24 Badylak talk about the meshes and what to expect with
25 these meshes.

1 DR. BADYLAK: Thank you. I'll also be
2 brief. Been a lot of discussion about what type of
3 cartilage is being -- or what type of tissue even is
4 being laid down in place of the mesh. And if we
5 think of this in the terms of other surgical meshes
6 that have been approved, one's never asked or
7 expected those meshes to turn into exactly the type
8 of tissue. The hernia repair, for example, doesn't
9 turn into a musculotendinous -type tissue when put in
10 a ventral hernia location. The rotator cuff, all the
11 meshes out there, aren't asked to turn into normal
12 rotator cuff.

13 When we use these meshes, they're meant to
14 reinforce the damage to injured tissue. And the type
15 of tissue that will be deposited there is what the
16 body considers to be appropriate for that particular
17 location. I talked a bit this morning about the
18 microenvironmental influences and the way that the
19 cells respond and the tissues that are formed. The
20 body does know what it needs in these locations. And
21 I, you know, I think it's more appropriate to look at
22 the outcomes studies and see that you've got a tissue
23 there. Whether it's perfect, you know, cartilage,
24 it's got Type 2 collagen in it, or a fibrocartilage,
25 if it's serving as a weight-bearing tissue that keeps

1 the articular surface of the femoral condyle on a
2 tibial plateau from rubbing on each other, then it's
3 doing its job. That's probably a more adequate
4 measure of effectiveness than suture pull-out
5 strength.

6 And the bottom line is that I would never
7 expect -- in fact, I would be very surprised if
8 normal meniscal cartilage formed in what is clearly
9 and abnormal joint. These studies are being done on
10 patients that have had two and three surgeries.
11 Their weight-bearing, load-bearing situation is
12 completely different. They've already got damage in
13 this joint. It's not a normal joint. Why would we
14 expect normal cartilage to replace any of these
15 surgical meshes. That, I think, is unrealistic. The
16 question should be is what does form there, like in
17 the other surgical mesh applications, adequate to do
18 the job? Are we doing good? That's all I have.
19 Thank you.

20 DR. MABREY: Yes, Dr. Kessler?

21 MR. DICHIARA: Yeah, one other comment is
22 regarding the serious device-related adverse events.
23 You have to be careful in looking at that. In the
24 study, since the control group had no device, there
25 is no comparison, so you can't look at serious

1 device-related adverse events. What we did look at
2 is we looked at all serious adverse events in the
3 study, and when we looked at those numbers, there is
4 never a statistically significant difference in the
5 rate either on a per patient or a per event rate at
6 any time point or cumulatively in the five years'
7 mean follow-up with those patients, you know? And
8 that's significant.

9 Also, when they, when Dr. Kessler presented
10 information about the serious -- the non-serious
11 device-related adverse events, you have to remember
12 the definition in this trial of a non-serious adverse
13 event. An adverse event here, a device-related
14 adverse event would mean that it's anything that you
15 thought may be related to the device, but those
16 events would be defined as anything that's not a
17 benefit to the patient. So if the patient reported
18 pain, you would not then -- that would be reported as
19 an adverse event even if pain was expected at that
20 time point.

21 So you have to look very carefully at those
22 numbers. Thank you very much. I appreciate your
23 attention, and your questions were really good. I
24 hope that you can get the answers that you need.
25 Thank you.

1 DR. MABREY: Dr. Kessler, you have
2 something to add?

3 DR. KESSLER: Thank you very much, Chair.
4 So the first thing I'd like to do is I'd like to
5 thank the Sponsor because of the debate and the
6 issues they're bringing to the table because I think
7 that's exactly what we're hoping to get from you is a
8 reflection back and forth of what you hear
9 scientifically about these issues.

10 Let me talk about the comments about
11 tissue. Excellent comments from Dr. Vigorita and
12 Dr. Badylak, and I think we asked some of the same
13 questions when we were reviewing the 510(k). What we
14 wondered about when we didn't see any evidence of the
15 tissue that we expected to see, oriented in the way
16 that would work like the meniscus, we were wondering
17 why the staining wasn't done to determine whether it
18 was Type 1 or 2 collagen. If indeed, as Dr. Badylak
19 says, you may not care what kind of tissue it is --
20 it could be disorganized, or something else, then
21 we'd want to see if the body is smart enough and it's
22 producing tissue that's going to work, then we want
23 to see effectiveness.

24 We look at the data. There is no
25 effectiveness in any of the measures. So if it's

1 working, it's not working clinically. So if this
2 tissue is replacing and reinforcing in a way that the
3 body wants it to, it's not showing any clinical
4 improvement. Over and over and over, we go back to
5 the clinical data. And we wonder whether the
6 mechanical forces, which both doctors commented on,
7 may or may not be having an effect on this tissue.
8 We've got five or six, depending how you count,
9 explants, which may be the underlying cause having to
10 do with the tissue.

11 So it just raises those questions. We
12 don't think we have all the answers by any stretch of
13 the imagination. We don't think the Sponsor does
14 either, and we hope that you'll reflect on those
15 issues.

16 Now, this is very tricky. The Sponsor
17 began and just referred to a recently cleared device
18 for use in the knee. And, unfortunately, I have to
19 say that we're concerned that these statements
20 misstate the indication for which this product was
21 cleared. We emphasize that you are here to provide
22 your expert advice on the scientific issues relevant
23 to this product, that is, the ReGen Collagen
24 Scaffold, and ask you to concentrate on those issues.

25 I stated earlier today that compliance with

1 regulatory precedents is FDA's responsibility. We
2 cannot disclose confidential information to you
3 related to other applications in this meeting. The
4 Sponsor has access to what's publicly available.
5 What's in our house, for what we clear these products
6 for and the data on which they're based is not
7 necessarily available. Take Abbott. You would not
8 want me to casually say, "Oh, what they said about
9 your product is wrong. Here's what Abbott really
10 told us," if that's confidential. We cannot do that.

11 Accordingly, we can express concerns about
12 misstatements or inaccuracies and half-truths, but we
13 must honor our obligation to respect the
14 confidentiality of the information with respect to
15 other applicants. And so, again, go back to the
16 DePuy Restore product. You saw the indication for
17 which we cleared it. It was different than what the
18 Sponsor believed it to be either by clinical use or
19 by other information. But what we cleared for is
20 what we review. So we're responsible for that, and
21 those precedents are what we're responsible for.

22 I want to thank you for your time. I'm
23 very sorry, sort of, that I can't stay for the rest
24 of the afternoon. If you have further questions of
25 FDA, Mark Melkerson from the Office of Device

1 Evaluation will help with the answers. And I once
2 again want to thank the Panel, and I really do want
3 to thank the Sponsor as well. Thank you.

4 DR. MABREY: Does the Sponsor have any last
5 comments, any brief last comments?

6 MR. DICHIARA: Yes, you know, I want to
7 make clear the BioDuct is not a predicate device.
8 And you have to look at the shoulder mesh, for
9 instance, and the indication for use that was cleared
10 by the FDA and what they think the understanding is
11 or the wording says and what the -- what your
12 interpretation of it is. If you look at the labeling
13 for the shoulder mesh, it certainly does not have the
14 wording that other labeling that's used for suture
15 line reinforcement has. Suture line reinforcement is
16 specifically called out in other predicate devices
17 when the intention of that device is suture line
18 reinforcement. In the shoulder it's clear when you
19 put a patch over an entire area, not just a suture
20 line, and you're saying that it's within the
21 delaminated tissue, and the use of the surgical mesh,
22 you would use it in the shoulder to thicken thinned
23 delaminated tissue, you know? So it's not exactly
24 what their wording is, but they have to be able to
25 look at the device and understand how the device

1 functions and be able to address those issues.

2 The BioDuct product is another one. It's
3 cleared as a device for meniscus repair. However,
4 the device has to be used with suture, which is the
5 device that does the meniscus repair. And this
6 device is actually a hollow tube that guides cells to
7 the site of the repair. Now, you can say that, you
8 know, the labeling that they submitted said that this
9 is for suture repair, but when the device looks and
10 behaves and the actual published study talked about
11 it as a conduit for cells, that's a very different
12 thing than the way that the device is used and what
13 FDA may think that they cleared the device for.

14 Thank you very much.

15 DR. MABREY: I'd like to thank the Sponsor
16 and the FDA for some great presentations and for
17 helping to clarify many of the issues before the
18 Panel today.

19 At this time, we will focus on the FDA
20 questions. The Executive Secretary will now read the
21 questions to the Panel.

22 COL KRAGH: Jay, can I ask a question?

23 DR. MABREY: Yes, Dr. Kragh?

24 COL KRAGH: I'd like to ask a question.

25 I'm not really sure who would best answer it. And a

1 Public Citizen person gave a talk and in his writing
2 nearly equated indication with intended use, and the
3 Sponsor specified intended use as being a subset of
4 the written indication. Does that matter? And that
5 seems to affect the flow chart if they are one way or
6 the other. Is that relevant and --

7 DR. MABREY: Dr. Schultz, can you clarify
8 that for us?

9 DR. SCHULTZ: I think what we're saying is,
10 you know, there can be a general intended use, and
11 within that intended use, there can be multiple
12 indications. I think it was a little bit different
13 than the way you phrased it. We've obviously cleared
14 a lot of surgical meshes under the intended use of
15 repairing and reinforcing tissue. And the question
16 is -- and each of those has had specific indications
17 whether it's an indication for repairing and inguinal
18 hernia, repairing a ventral hernia, reinforcing a
19 suture line in the lung to prevent air leaks,
20 reinforcing a shoulder repair. So -- but the over --
21 sort of the overarching question is does it
22 satisfactorily reinforce and repair tissue? That
23 would be the intended use.

24 And I guess, you know, again, what I think
25 we're all struggling with, and obviously we're sort

1 of asking for your help, is whether or not this
2 particular indication can fit under that broader
3 umbrella. And, you know, as I think you've probably
4 figured out over the course of the last four or five
5 hours, there is no bright line, and there's no --
6 there's nothing like -- I think you said, you know,
7 there's a lot of data, there's a lot of questions,
8 and, basically, it's a matter of putting it all
9 together and trying to make our best judgment. And
10 that's why we brought you as orthopedic experts
11 together to try to help us make that best judgment.

12 DR. MABREY: Thank you. Can we have the
13 first question, please?

14 DR. JEAN: There is a preface statement to
15 all this. ReGen is requesting clearance of the ReGen
16 Collagen Scaffold for the following indications: For
17 use in surgical procedures for the reinforcement and
18 repair of chronic soft tissue injuries of the
19 meniscus (one to three prior surgeries to the
20 involved meniscus) where weakness exists. In
21 repairing and reinforcing meniscal defects, the
22 patient must have an intact meniscal rim and anterior
23 and posterior horns for attachment of the mesh. In
24 addition, the surgically prepared site for the
25 collagen scaffold must extend at least into the

1 red/white zone of the meniscus to provide sufficient
2 vascularization. Please note that the acute
3 indication as proposed by the Sponsor is
4 acknowledged, and there will be a question related to
5 this issue.

6 FDA has not previously cleared a surgical
7 mesh device for this specific indication. In its
8 510(k) submission, ReGen referenced several legally
9 marketed surgical meshes used in orthopedics,
10 thoracic, and general surgery as predicate devices
11 (these are included in your panel pack).

12 In order to establish that a device with a
13 new indication is substantially equivalent to a
14 legally marketed predicate device, the 510(k)
15 submission must include appropriate supporting data
16 showing that the manufacturer has considered the
17 consequences and effects the new use might have on
18 the safety and effectiveness of the device. The
19 510(k) submission also must explain why the new
20 indication does not affect the safety and
21 effectiveness of the device when used as labeled.
22 With respect to this 510(k), then, FDA must determine
23 whether use of the device for the indication
24 described above affects the safety and effectiveness
25 of the device when used as labeled. FDA is

1 requesting the assistance of this Panel in evaluating
2 the data submitted by ReGen in making this
3 determination.

4 The first question is: Compare the
5 mechanical properties of the ReGen device and the
6 mechanical properties of the referenced predicate
7 devices as they relate to the ability of the devices
8 to serve as a scaffold for tissue in-growth in the
9 parts of the body for which they are indicated.

10 Please consider the following:

11 Are the devices able to withstand the
12 mechanical forces present in the joint or other parts
13 of the body for which they are indicated sufficiently
14 to achieve their intended purpose?

15 What is the impact on joint or other bodily
16 function should the devices fail?

17 DR. MABREY: We'll just go around the Panel
18 and get your thoughts on this. Dr. Shawen?

19 LTC SHAWEN: As far as the strict wording
20 of the question, I think that we can say that the
21 device withstands the mechanical forces present.
22 From what I've seen from the relook surgeries, the
23 device isn't failing or falling apart. What I don't
24 know is if it's functioning to the level of a normal
25 meniscus. All I know is that it's not falling apart

1 in those instances.

2 As far as what impact on the joint, I think
3 that it probably has, as far as if it just fails or
4 tears, it's not much different than having a torn
5 meniscus again. And from the safety data that
6 they've provided, I can't say that there's been a
7 significant detriment by having the device in place.
8 I'm being technical on my wording here just because I
9 don't know all of these answers, and I don't think
10 that we will know the answers at this time.

11 DR. MABREY: Dr. Kadrmas?

12 MAJ KADRMAS: I'd agree with Dr. Shawen. I
13 think they're able to withstand mechanical forces
14 based on the second look, as well as comparison to
15 the predicate devices. They're not being asked to
16 perform at the same level and function as native
17 meniscus, so -- but compared to the predicate
18 devices, I think they're adequate.

19 Impact on the joint, I think from what I've
20 seen in the data has been pretty minimal should they
21 fail. Oftentimes, we'll repair a questionable
22 meniscal tears just because it's our only option.
23 You know, and the reason we do that, if they fail,
24 they'd just take them out anyway. So I think if this
25 fails, they just end up with the partial

1 meniscectomy. So I don't see a big impact on the
2 joint or bodily function should it fail.

3 DR. MABREY: Thank you. Dr. Potter?

4 DR. POTTER: My sense is that we don't have
5 sufficient data to really comment on the mechanical
6 properties of the scaffold. We have the pull-out
7 data in the canine meniscus, and we've discussed that
8 on both sides. If we look to the interpreted
9 mechanical properties, that is, the rate of
10 progression of arthritis, it seems to -- there was no
11 difference. So it does not seem to -- it does seem
12 to have somewhat of a chondroprotective effective. I
13 would feel more comfortable with the data if it had
14 been more independent in term of the evaluation of
15 cartilage. It's very subjective by the orthopedic
16 surgeon that put the implant in. That being said,
17 the Outerbridge scores did not show any difference,
18 so in that sense, they do -- did meet their purpose.

19 Impact on joint, again, similar to what was
20 previously stated, I don't see any potential concern.
21 It's not a bio-absorbable type of device. It's not
22 something that incited any kind of immune reaction in
23 their cohorts, so there is nothing to suggest that it
24 would have an adverse effect.

25 DR. MABREY: Yes?

1 DR. ENDRES: From the histological and
2 clinical data that's been presented, I do feel that
3 the device seems to serve as an effective scaffold.
4 In terms of tissue in-growth, although I don't think
5 it is likely that the new tissue functions in a
6 normal biomechanical way similar to the normal
7 meniscus, I do think it probably is able to withstand
8 the mechanical forces in the knee, and I think there
9 is a low impact if the device fails.

10 DR. MABREY: Thank you. Dr. Kelly?

11 DR. KELLY: I think from the data
12 presented, I think that the substrate is at least
13 substantially equivalent to the predicates. I read
14 the fine print. It's 43 percent of the patients had
15 at least 80 percent of the meniscus removed. So
16 looking at the shear stresses across the joint, I
17 think that it indeed suffices mechanical properties.
18 And in terms of deleterious effects, there were none
19 that I could see were discernible.

20 DR. MABREY: Dr. Kragh?

21 COL KRAGH: I take the first bullet,
22 withstand the mechanical forces, as an orthopedist,
23 we think of it as tearing up the meniscus or the
24 implant, and so that data that we know seems to
25 indicate that, yes, it is able to do that.

1 What's the impact on the joint if it fails?
2 In the big picture, it seems no different than a
3 partial meniscectomy with a certain degree of
4 fuzziness.

5 DR. MABREY: Thank you. Dr. Propert?

6 DR. PROPERT: No additional comments.

7 DR. MABREY: Thank you. Ms. Dalrymple?

8 MS. DALRYMPLE: I don't have anything to
9 add. Thank you.

10 DR. MABREY: Great. And Dr. Spindell?

11 DR. SPINDELL: The only other thing I would
12 add is that part of the question says serves as a
13 scaffold for tissue in-growth, and I think if I look
14 at the data, it looks like it did serve that purpose,
15 that there was tissue in-growth into the scaffold. I
16 think that was shown in the studies.

17 DR. MABREY: Great. Dr. Schultz, in
18 regards to Question 1, the Panel generally believes
19 that there is evidence of some soft tissue in-growth.
20 However, it is not clear if the device is actually
21 functioning like a meniscus. However, failure of the
22 device appears to be no different from a simple
23 meniscal tear, and, therefore, the device does not
24 appear to carry any additional harm or risk. Is that
25 adequate for the FDA?

1 DR. SCHULTZ: Thank you.

2 DR. MABREY: Thank you. Question 2?

3 DR. JEAN: Discuss any issues related to
4 fostering the growth of tissue by the ReGen device in
5 the knee as compared to issues relating to fostering
6 the growth of tissue by the referenced predicate
7 devices in the parts of the body for which they were
8 indicated. Please consider the following:

9 Histologic and clinical description of new
10 tissue.

11 Effectiveness of the devices in achieving
12 their labeled indications.

13 Risks associated with use of the devices
14 for their labeled indications.

15 And timeline for tissue in-growth.

16 DR. MABREY: Dr. Kadrmas, I'll start with
17 you this time.

18 MAJ KADRMAS: Yeah, I think based on some
19 of the histology we saw and what we saw in our
20 orthopedic packets, I think the implant did foster
21 growth of tissue with the ReGen device. It's similar
22 to other predicate devices. The tissue, like we say,
23 based on the forces it sees in the part of the body
24 it's in, is going to form different types of tissue,
25 different makeup of the tissue, fibrocartilaginous

1 tissue that's within the body. I did think that
2 fostered that. We saw the in-growth as well as the
3 histology in the biopsy samples.

4 So in that regard, for it's labeled
5 indications, it served a scaffold for tissue in-
6 growth and -- repair. I think it met those
7 indications.

8 I think there is minimal risk with the use
9 of the device for the labeled indications. Again,
10 like we talked about with the last question, if it
11 fails, they end up with a simple meniscectomy or
12 partial meniscectomy and are generally no worse off
13 than they would be without the ReGen device.

14 The timeline for tissue in-growth, we saw
15 the histology of three to six months and the weakness
16 between eight and twelve weeks, which is fairly
17 standard I think. So based on these issues, I think
18 it met its labeled indications.

19 DR. MABREY: Thank you. Dr. Potter?

20 DR. POTTER: I think we saw good histologic
21 evidence of lack of inflammatory infiltrate. We have
22 to remember that the histology is limited to a single
23 punch biopsy and it's not a global assessment of the
24 knee.

25 As I previously stated, I do have concerns

1 about the assessment of tissue regeneration based on
2 its subjective analysis and to some extent based on
3 bias of the operative surgeon performing a second-
4 look arthroscopy.

5 I think it's important to recognize that,
6 to a large extent, based on the ability to see some
7 tissue in-growth and a lack of any serious
8 immunologic effect, that they did achieve their
9 labeled indications.

10 I think as we potentially move forward,
11 it's important to recognize that the loads placed
12 upon the scaffold will vary tremendously based on the
13 patient that it's indicated for. And that's an
14 important point in terms of what are the recommended
15 inclusion/exclusion criteria for use of such a
16 device, based on the contact pressures and its
17 success clinically and also biologically.

18 DR. MABREY: Thank you.

19 DR. ENDRES: I think in terms of the device
20 fostering the growth of tissue as compared to the
21 referenced predicate devices, it's very similar. In
22 terms of the histologic and clinical description of
23 the new tissue, it appears to be appropriate. I
24 think it'd be outstanding if the new tissue
25 functioned like the normal meniscus, but I don't

1 think it's fair to expect that.

2 In terms of the effectiveness of the device
3 in achieving its labeled indication, I do think it
4 has shown that in terms of the ability to foster new
5 tissue. As I stated earlier, when you compare the
6 effectiveness to the predicate devices, specifically
7 the patches used for rotator cuff surgery, I think
8 the bar is actually very low. There has been no
9 evidence, to my knowledge, that the mesh devices in
10 shoulder surgery have been shown to be particularly
11 effective. So, in that regard, it's at least as
12 equivalent, if not better.

13 I think, again, the risks of the device
14 seem to be low. The biggest risk I would be
15 concerned about would be an infection in the knee,
16 and I think there was only one case of that.

17 And I think the timeline for tissue in-
18 growth is appropriate.

19 DR. MABREY: Thank you. Dr. Kelly?

20 DR. KELLY: Just addressing the questions
21 in order, I think that the histological, clinical
22 description of the new tissue is at least
23 substantially equivalent. It's a more of a kinder,
24 gentler, I think, tissue substrate, although it's not
25 normal tissue it's regenerating.

1 And the effectiveness of achieving the
2 labeled indications, if the indications are truly for
3 repair and reinforcement, I think it indeed does do
4 that because that sort of connotes a scar or some
5 sort of mending tissue, which, again, is not normal.

6 The risks, I think, are really more with
7 the application than the device itself, pain,
8 effusion, and so forth. So I think the inherent
9 risks of the device alone itself are minimal.

10 And I have no comment on the tissue
11 timeline because there really is no data, there's no
12 dose response or any kind of time sequence data
13 available.

14 DR. MABREY: Dr. Kragh?

15 COL KRAGH: I think that all these four
16 bullets were addressed as best we could, and I see no
17 outstanding issues.

18 DR. MABREY: Thank you. Dr. Propert?

19 DR. PROPERT: I do want to comment on the
20 effectiveness data at some point. Is that going to
21 be in a later bullet? Are we still limiting ourself
22 to fostering the growth?

23 DR. MABREY: Are we still what?

24 DR. PROPERT: Is this question limited to
25 fostering the growth of tissue? I do want to make a

1 comment about some of the other clinical
2 effectiveness data. I wasn't sure where in the list
3 that would come up.

4 DR. MABREY: We're going to address that in
5 Question 4.

6 DR. PROPERT: Okay. Then no additional
7 comments on this.

8 DR. MABREY: All right. Thank you.
9 Ms. Dalrymple?

10 MS. DALRYMPLE: My only question concerning
11 this, and it's probably because I don't have the
12 knowledge that the surgeons do but on the FDA Slide
13 15, it says the rehabilitation protocol, and I had
14 asked about that before, about the difference between
15 the control group being two to three weeks
16 rehabilitation versus the six months. And I've heard
17 several times that that's to be expected. But I'm
18 wondering why is it to be expected? Is it because it
19 was an implant versus something else because I'm
20 wondering as far as the patients themselves. Why
21 would they opt for this procedure versus just the
22 control group procedure if they know that the
23 rehabilitation time is going to be long and we don't
24 have any data to show that five years out there is
25 going to be a real potential benefit to them.

1 DR. MABREY: Well, maybe one of our sports
2 medicine experts who routinely repairs menisci can
3 tell us why someone would volunteer to have
4 restricted weight-bearing for six to eight to twelve
5 weeks in the hopes of --

6 MAJ KADRMAS: I think any time we repair
7 something be it with the ReGen CS device or meniscal
8 repair, we limit their weight-bearing in an attempt
9 to -- just what the sutures do is they provide
10 opposition for the tissue so they can heal. So you
11 have to give that tissue a chance to heal. With a
12 partial meniscectomy, there is nothing that needs to
13 heal, so they can get back to their activity quicker.

14 Now, when you ask about why would someone
15 opt for something when there is no great proof that
16 it's going to be any benefit, some people don't. But
17 I think that's the risks and benefits you present to
18 the patient. And if athletes say, "I just want to
19 get back in and start playing again," then they'll
20 opt to undergo the meniscectomy. Others, if they
21 think there is a chance that you will be able to
22 preserve some meniscus, even though we don't have
23 proof that it'll have a long-term benefit to them,
24 will opt to limit their activity in the hope that
25 that will give them benefit down the line. We may

1 have some data support that later on.

2 But I think those are -- you give the
3 patient the options and the risks and benefits of
4 each and let them choose as long as they know and
5 accept the longer rehab. But that's the difference
6 in the rehab. You're allowing tissue to heal versus
7 one you don't have to allow anything to heal and you
8 can get them --

9 MS. DALRYMPLE: Okay. Thank you.

10 DR. ENDRES: I think another thing to keep
11 in mind is that there is -- I don't think there is a
12 gold standard for rehabilitation after meniscus
13 repair. I think probably each one of us on the Panel
14 who does meniscus repairs rehabs our patients
15 differently. And I expect that would be the case
16 potentially for this device as well. For example,
17 after a meniscus repair, I may allow a patient to
18 weight-bear immediately in extension in a brace. So
19 they aren't necessarily going to be non-weight-
20 bearing for six weeks. It may differ among different
21 surgeons.

22 MS. DALRYMPLE: Thank you.

23 DR. MABREY: Thank you. Dr. Spindell?

24 DR. SPINDELL: Yeah, my only comment is
25 sort on that same line is that in the study that

1 compared partial meniscectomy, partial and this
2 implant of this surgical mesh, they're different
3 procedures, right? There is one -- one has a lot
4 more activity involved, and, potentially, when you
5 look at the -- that's why it's so hard for us to look
6 at the adverse events rates because I'm not sure that
7 they're comparable surgeries, which is -- would also
8 explain why there's a different rehab. I mean,
9 potentially, and I'll ask the orthopedic surgeons,
10 would the more appropriate comparison be to a
11 meniscal repair as far as timeline, time for rehab,
12 et cetera, and not partial meniscectomy?

13 COL KRAGH: I think in the study it was
14 irreparable, so for the study purpose, I think it's a
15 moot question, but for the intellectual question is a
16 good one and I think appropriate, and I think that
17 the science of meniscal repair has more complications
18 than partial meniscectomy. So I think is what your
19 gut feeling was to ask the question. I mean,
20 obviously, if there was a predicate device that they
21 had compared it to, that would be the most
22 interesting, but, of course, that's just fantasy.
23 But, yes, I agree.

24 DR. MABREY: Okay. Dr. Schultz, with
25 regards to Question 2 --

1 UNIDENTIFIED SPEAKER: -- Dr. Shawen?

2 DR. MABREY: I'm sorry. I started off --

3 LTC SHAWEN: That's all right. I don't
4 have any significant comments other than I am
5 surprised at how much of the histologic tissue
6 actually came to look like meniscus at that time
7 point. And I would also say that for the labeled
8 indications, I think the effectiveness is met. The
9 risks involved, again, are low, and then I am
10 actually a little bit surprised at how quickly the
11 in-growth is given that this is a collagen scaffold
12 and not autogeneic tissue.

13 DR. MABREY: Thank you. Dr. Schultz, with
14 regards to Question 2, the Panel generally believes
15 that the device does foster in-growth similar to its
16 predicate devices, that there does appear to be a
17 lack of inflammation, it seems to meet its
18 indications, and the risks associated with the use of
19 the device seem to be minimal. With regards to the
20 timeline, it appears to be appropriate when compared
21 to other orthopedic procedures of similar nature.
22 The Panel has some concerns about tissue regeneration
23 and also about the varying loads that the device will
24 see depending upon the individual into which it is
25 implanted. Is this adequate for the FDA?

1 DR. SCHULTZ: Thank you.

2 DR. MABREY: Thank you. Question 3?

3 DR. JEAN: Please discuss any clinical
4 issues related to use of the ReGen device in the knee
5 as compared to use of the referenced predicate
6 devices for their cleared indications.

7 DR. MABREY: I'll start with you,
8 Dr. Potter.

9 DR. POTTER: I think this Panel was placed
10 with, or faced with, very differing interpretations
11 of the clinical data on both sides. I think most of
12 us can glean just based on reading the *JBJS* article
13 that there was an improvement in function in the
14 chronic group. There was no discernible difference
15 in the acute setting in pain scores. I think one
16 thing that we need to keep in mind on the clinical
17 front is that there is no other option for these
18 patients and that indeed comparing meniscal,
19 essentially a meniscal scaffold to a meniscal repair
20 are apples and oranges, both in terms of rehab that
21 was brought up, but also what we expect in terms of
22 patient function in the perioperative period.

23 If we compared this to what is available,
24 which is meniscal transplantation, which is a heavy
25 hit to knee with bone plugs put in, slots put in, we

1 might expect very disparate type of pain and function
2 scores compared to the scaffold, but we don't have
3 those data to review.

4 So I think part of it we have to interpret
5 based on the fact that we can't compare them as equal
6 groups. They're very different groups, and we have
7 to take away from it -- essentially, what we get is
8 that the chronic group had improvement in function
9 but little difference in pain scores.

10 DR. MABREY: Dr. Endres?

11 DR. ENDRES: I think a couple comments. I
12 think in terms of this device as with any procedure,
13 there is no substitute for clinical judgment. I
14 think the Sponsor would agree that this device is not
15 appropriate for every single patient who has a
16 meniscal. And, clearly, clinicians need to use their
17 judgment when discussing the use of this product.
18 And, for example, I may choose not to offer it to an
19 older patient and reserve it for a younger patient
20 to -- a young patient with a subtotal meniscectomy,
21 that's a very challenging, difficult problem. And,
22 as Dr. Potter said, there really is no real good
23 solution right now for that. And this offers
24 potentially an alternative treatment to a meniscal
25 allograft, which has mixed results at best. And,

1 clearly, you would have a very frank discussion of
2 the risks and potential benefits of this device with
3 the patient before you would ever choose to do it.

4 I think the second comment is when you look
5 at the potential benefits of this device, I break
6 them up into short-term and long-term. In terms of
7 long-term benefits, I think the ideal goal is
8 delaying or preventing osteoarthritis of the knee,
9 which has become a significant public health burden
10 in this country and probably will increase as the
11 population ages. I don't think we have any evidence
12 available to us now that this device serves that
13 goal. But the potential is there. I think we need
14 to follow these patients out longer. But in terms of
15 short-term goals, I think that's why a lot of us do
16 this surgery is for pain relief and improving quality
17 of life, which is restoring function. And I think
18 those end results cannot be understated. I think
19 those are very important to patients. And I do think
20 although the data is somewhat limited, there is some
21 data that shows potentially improved function in
22 these patients, and I think that's potentially very
23 important.

24 DR. MABREY: Thank you. Dr. Kelly?

25 DR. KELLY: I think clinically, this

1 product alone offers great promise, alone it is safe.
2 The applications I think, though, are concerning in
3 that what I don't want to see happen is some
4 journeyman arthroscopist say, well, I can help you,
5 ma'am or sir, and do a very, very sort of morbidity-
6 associated elaborate repair.

7 But I have to say that just thinking out of
8 the box here, anything that increases surface area is
9 probably good for the knee even though it's not
10 perfect tissue. We know that the contact stresses in
11 that compartment are probably going to be less. And
12 I actually received this epiphany that if you look at
13 the allograft data, up to 40 percent of some
14 allografts have resorbed short term.

15 So the fact that we haven't seen that here
16 I think is a very good thing. And in this generation
17 of growth factors, and so forth, this may be a
18 substrate that could be used in conjunction with
19 other elements. But my concern is the application,
20 that the bar is lowered, and that we could cause more
21 damage to a knee than we could help.

22 DR. MABREY: Thank you. Dr. Kragh?

23 COL KRAGH: I think regarding its relation
24 to predicate devices for other body parts, obviously,
25 we can only really speculate on that direct Question

1 Number 3. But I think I am generally impressed with
2 what we've been given and, you know, knowing the
3 realistic ambiguities, I think we've tried to address
4 them as best we can.

5 DR. MABREY: Thank you. Dr. Propert?

6 DR. PROPERT: Nothing more just yet.

7 DR. MABREY: Ms. Dalrymple?

8 MS. DALRYMPLE: Yeah, I don't have
9 anything. Thank you.

10 DR. MABREY: Dr. Spindell?

11 DR. SPINDELL: No comment, nothing.

12 DR. MABREY: Thank you. Dr. Shawen?

13 UNIDENTIFIED SPEAKER: Thank you -- oh,
14 sorry.

15 LTC SHAWEN: I actually have fewer concerns
16 with this device when I compare it to the predicate
17 devices being compared given that the SIS graft is
18 shown to be very pro-inflammatory and very possibly
19 detrimental in its treatment in the shoulder. And
20 I'm very encouraged, actually, by the lengths that
21 this device has been studied to show that this
22 inflammation did not occur, that we don't have an
23 immune response.

24 DR. MABREY: Thank you. Dr. Kadrmas?

25 MAJ KADRMAS: I think most of the issues

1 have been similarly raised. I think clinical issues
2 compared to predicate devices, I think they have
3 shown adequately that it has provided some tissue
4 within the knee. Whether that functions like
5 meniscal tissue is doubtful, but, you know, like we
6 talked about earlier, meniscal repairs probably don't
7 function as a normal meniscus following healing of
8 the repair. I think they did a good job.

9 It is a bridging, or another option, like
10 Dr. Potter alluded to. Right now, partial
11 meniscectomy leaves them with no meniscus, and you
12 simply wait on a meniscal transplant, which is a
13 morbid procedure in the young, active population. So
14 another tool in your toolbox, when used
15 appropriately, I think is a good option if it's not
16 going to do any harm, which I think this is not.

17 DR. MABREY: Dr. Schultz, with regards to
18 Question 3, the Panel generally believes that for
19 this particular clinical problem that patients really
20 have no other choice except for partial resection
21 versus partial repair, and, therefore, it is
22 difficult to compare it with other techniques.
23 Clinically, it appears to offer some promise. The
24 Panel does have some concerns about the device being
25 offered to inappropriate patients and for

1 inappropriate indications. And the Panel and, I,
2 too, can see this being promulgated as the next
3 latest and greatest thing. And every corner
4 arthroscopist may be offering it to anyone that walks
5 in the door. That was just my editorial comment.
6 Now, is this adequate?

7 DR. SCHULTZ: Well, let me ask a question.
8 I mean, you've raised the concern. Do you have any
9 suggestions in terms of how to prevent that from
10 happening?

11 DR. MABREY: Suggestions for?

12 DR. SCHULTZ: Well, I think several of you
13 have raised concerns about overuse and about the need
14 for appropriate skill in judging who should get this
15 and who shouldn't and appropriate skill in making
16 sure that it's implanted properly. Do you have any
17 suggestions for the Agency in terms of how that might
18 be done?

19 DR. KELLY: The first thing that comes to
20 mind would be there's a certain shoulder implant
21 that's only allowable if you attend a certain course,
22 and there has to be some qualifiers that the product
23 insert should mention, you know, skilled
24 arthroscopists that are well-versed in meniscus
25 repair techniques. And I would offer that maybe a

1 course could be offered that would be at least a
2 fulfillment to be able to even use it.

3 DR. MABREY: I would just add that if this
4 were a PMA, this is the part where we start adding
5 all the amendments for the Sponsor. So chime right
6 in.

7 COL KRAGH: If I recall, the Sponsor group
8 addressed this issue to a limited degree when they
9 were talking about bringing surgeons to training and
10 doing it in a cadaver and apparently had great
11 results on the first try, implying that the learning
12 curve, essentially, was zero in people that were
13 apparently surgeon researchers interested in this.
14 So that's obviously an extremely small subgroup, but
15 it's hard for me to say how hard this procedure would
16 be having never done it, per se. It does seem to be
17 technically demanding on its first go-round, but it's
18 hard for me to comment any further without
19 speculating.

20 DR. MABREY: I think from my own personal
21 experience, having been on the Panel that approved
22 the Birmingham Hip and having introduced the
23 suggestion that there be extensive clinical training
24 for surgeons attempting to implant the Birmingham
25 Hip, that held for about six months or so after the

1 implant was introduced. And then, after that,
2 literally every orthopedic surgeon in the city was
3 putting in Birmingham hips whether correct or
4 incorrect. So my concern would be if this device is
5 offered that there be some type of training program
6 offered and some evaluation of skills because it does
7 appear to be somewhat technique-dependent.

8 DR. SCHULTZ: Thank you.

9 DR. MABREY: I haven't had a chance to let
10 the rest of the Panel respond to that. Okay. So I
11 think the sense of the Panel members is that some
12 type of training, some type of evaluation be offered
13 for this whether it's cadaver lab or a wet lab or
14 even surgical visitation, that that be considered as
15 part of the approval. This is just a response to
16 your question, of course. All right. Are we ready
17 for -- is that adequate?

18 DR. SCHULTZ: It is, thank you.

19 DR. MABREY: All right.

20 DR. JEAN: Considering the data provided by
21 ReGen on the collagen scaffold device, the nature of
22 the indication for the reinforcement and repair of
23 chronic soft tissue injuries and your own experience,
24 do you believe that ReGen has demonstrated that the
25 collagen scaffold device is at least as safe and

1 effective as the predicate devices?

2 DR. MABREY: Dr. Endres, I'll start with
3 you.

4 DR. ENDRES: I do.

5 DR. MABREY: Yes?

6 DR. KELLY: I'd like to qualify it by
7 saying I'm not crazy about the predicates, but, yes,
8 indeed, it is at least as substantially equivalent.

9 DR. MABREY: Dr. Kragh?

10 COL KRAGH: Given what we've got, yes.

11 DR. MABREY: Okay. Dr. Propert?

12 DR. PROPERT: I'm going to have to put this
13 here because I don't know where else to put it. I
14 just wanted to comment on the safety and
15 effectiveness in the context of evaluating those
16 clinical results from the trial just to say -- and
17 I'm not going to discuss the safety because I don't
18 feel qualified to discuss the issues there. But in
19 terms of the efficacy, I feel like there isn't
20 adequate data or the data isn't adequately presented
21 in order for me to address that; specifically because
22 issues of missing data and changes in follow-up are
23 not adequately addressed, and I really don't feel
24 like I can assess the effectiveness data.

25 And I specifically want to highlight the

1 reoperations data. And I can't address what should
2 be considered a reoperation. I don't have the tools
3 for that. But I can say it makes me very nervous
4 when two different fairly competent groups come up
5 with such opposite answers. It makes me wonder if
6 the data is sufficient.

7 DR. MABREY: Thank you. Ms. Dalrymple?

8 MS. DALRYMPLE: I don't really have
9 anything to add about the safety.

10 DR. MABREY: Okay.

11 DR. SPINDELL: I'm not a surgeon, so I
12 don't have any experience to go on.

13 DR. MABREY: Dr. Shawen?

14 LTC SHAWEN: Yeah, I think I already
15 answered that. Yes.

16 DR. MABREY: Okay. Dr. Kadrmas?

17 MAJ KADRMAS: Yes.

18 DR. MABREY: And Dr. Potter?

19 DR. POTTER: Safety, yes. I have some
20 questions about effectiveness because there is no
21 real true predicate device that's similar that we
22 have available to evaluate, but what we have is
23 limited. But safety, yes.

24 DR. MABREY: Thank you. And Dr. Schultz,
25 it is the -- with regards to Question 4 on safety and

1 efficacy, the Panel generally believes that the
2 device is safe and that its effectiveness may remain
3 to be seen. There does seem to be some holes in the
4 data with regards to efficacy, but there does not
5 appear to be any outright problems with the device.
6 Is that adequate for FDA?

7 DR. SCHULTZ: Well, I think, you know, I
8 guess I'd like to hear more specifically CS device is
9 at least as safe and effective as predicate devices.
10 So, again, the way you said that, I think I would
11 like to --

12 DR. MABREY: Well, I think I'm also trying
13 to reflect that we're having trouble with comparing
14 this with predicate devices because they really
15 aren't used in the same way --

16 DR. SCHULTZ: Are different, right.

17 DR. MABREY: But as far as one can make
18 those comparisons, I think it's the sense of the
19 Panel that, yes, it is as safe and effective --

20 DR. SCHULTZ: Thank you.

21 DR. JEAN: Please comment on an indication
22 of the device for the reinforcement and repair of
23 acute soft tissue injuries.

24 DR. MABREY: Let's start with Dr. Kelly
25 this time.

1 DR. KELLY: I think from the data
2 presented, we can say that it is -- reasonable
3 indication would be acute or chronic loss of meniscal
4 tissue, which is at least 60 percent or greater.

5 DR. MABREY: Okay. Dr. Kragh?

6 COL KRAGH: Given what we got, I think it's
7 adequate. I think that those that have an acute
8 injury have the most potential benefit given what we
9 understand about the disease process.

10 DR. MABREY: Thank you. Dr. Propert?

11 DR. PROPERT: No comment.

12 DR. MABREY: Ms. Dalrymple?

13 MS. DALRYMPLE: I'm not quite sure if this
14 is the right place to include this, but, before, we
15 had talked about the explants that had occurred, and
16 the FDA person told us that it had occurred because
17 they first were on a treadmill and then they had to
18 have the explant done, and then the next time was
19 because they were doing I think cycling or something.
20 So I guess my question would be about the compliance
21 of the patients and whether or not they're willing
22 to, you know, go through this process in order to --
23 does -- okay. You're smiling, so I'm not sure.

24 DR. MABREY: Being a surgeon and having to
25 deal with compliant and non-compliant patients, as

1 you bring that up, it would be wonderful if every
2 patient we had did exactly as we told them to do.
3 But if that were a requirement to get any type of
4 approval from the FDA, then there would be no devices
5 on the market ever.

6 (Laughter.)

7 DR. MABREY: So I think compliance of the
8 patient is an important factor. And that goes into
9 one of the points that was brought up earlier and
10 that is patient selection. You have to find someone
11 who is both motivated but will listen to instruction
12 as well, and especially, and we have a lot of sports
13 medicine docs here, being highly motivated doesn't
14 necessarily mean that your patient is going to listen
15 to your instructions. They want to get back and run
16 and play football or do whatever they're doing. So
17 I'm not sure that patient compliance is an issue as
18 much as patient selection.

19 MS. DALRYMPLE: Um-hum. Well, that was the
20 second part of my comment is maybe initial warnings
21 to the patient as far as what their physical activity
22 was before injury versus whether or not they're able
23 to have that six-month window there, and then just
24 the patient population. My other concern is if
25 they're very elderly, then possibly, you know, they

1 wouldn't be a good candidate either because they
2 would need to maintain mobility. So --

3 DR. MABREY: If they're very elderly, then
4 they usually come to me.

5 (Laughter.)

6 DR. MABREY: Sorry about that.

7 Dr. Spindell?

8 DR. SPINDELL: No comment.

9 DR. MABREY: Okay. Dr. Shawen?

10 LTC SHAWEN: As far as an acute injury,
11 given that there is a paucity of data, long-term data
12 saying that this is going to be good or bad, I have a
13 problem saying that that would be a primary
14 indication.

15 DR. MABREY: I'm sorry, you said you do or
16 don't?

17 LTC SHAWEN: I do have a problem that that
18 would be a primary indication for acute injury given
19 that there is a paucity of long-term data.

20 DR. MABREY: Okay. Dr. Kadrmas?

21 MAJ KADRMAS: I think based on the data and
22 the risks involved, I do think that the ReGen CS
23 device should be indicated for repair of acute
24 injuries. I don't see a big downside to that. And
25 making a patient wait until they've had one, two,

1 maybe three surgeries before they're a candidate I
2 don't think is completely appropriate either.

3 DR. MABREY: Okay. Dr. Potter?

4 DR. POTTER: I agree. I think that we need
5 some means by which to deal with the patient that
6 unfortunately has a subtotaled meniscectomy, and we
7 can't just wait for them to develop osteoarthritis.
8 That being said, my concern on the chronic side is
9 that the indication has to be very carefully
10 controlled, that in addition to the patient, the
11 surgical learning curve, you have to think about the
12 biologic environment that this implant is being put
13 into. And, specifically, in your initial exclusion
14 criteria, you excluded Grade 4 lesions. But if you
15 have diffuse Grade 3, the contact pressure is already
16 extraordinarily high in the knee.

17 So my sense is that you have to be very
18 careful about indications with regards to the degree
19 of osteoarthritis in the knee, that any patient, for
20 example, that has any kind of pre-existing adverse
21 synovial response, and that doesn't necessarily mean
22 RA, that can mean an OA patient with synovitis,
23 that's a toxic biologic environment for this type of
24 a device.

25 So I think, yes, acute and chronic

1 indicated but with strong caution given the surgical
2 community and their predilection for new devices and
3 putting it in every environment. I think we have to
4 be very careful about selection.

5 DR. MABREY: Thank you. Dr. Endres?

6 DR. ENDRES: I think the indications for
7 using it in an acute scenario should be extremely
8 narrow. I don't think there is any evidence to
9 show -- it's essentially implying that this should be
10 performed prophylactically because you're expecting
11 the patient to develop symptoms. And although a
12 large number of patients do go on to develop
13 symptoms, not all of them do, and there is certainly
14 no evidence, for example, that doing a meniscal
15 allograft prophylactically is indicated at all. But
16 I do think in the setting of a young patient who has
17 for whatever reason a subtotal meniscectomy and
18 especially if they have any mal-alignment of the
19 lower extremity, I would consider that, but that
20 would essentially be the only indication.

21 DR. MABREY: Thank you. Yes?

22 DR. KELLY: One comment that came to mind
23 is that if you look at the lateral meniscectomy data,
24 it turns out that older patients do far worse. If
25 you take out a lateral meniscus in a middle-aged

1 person, they go down the hill very rapidly, and it's
2 been, I think, clearly shown the young patients
3 actually do okay for several years. So I think that
4 when we consider this product we should not consider
5 age so much as a factor. I think it may, as Hollis
6 said earlier, sometimes that's all you can give them.
7 So if a middle-aged person loses their lateral
8 meniscus, this actually may potentially slow down
9 that better than an acute, younger.

10 DR. MABREY: Yes, Dr. Shawen?

11 LTC SHAWEN: May I comment. One of the
12 things, what if you have a surgeon out there that
13 this small meniscal tear -- now they're going to take
14 out a huge area of this meniscus in order to put in
15 this implant. I think that that would have to be
16 qualified. If this were to be considered for an
17 acute type of thing, you definitely would have to
18 have specific qualifications, and I think that
19 Dr. Kadrmas and Dr. Endres kind of alluded to that.

20 DR. KELLY: I think -- absolutely correct.
21 In fact, I mention I published a study years ago
22 looking at just mulberry knots causing chondrosis. I
23 mean, everything we do has morbidity. So you have to
24 have very, very, you know, limited indications, and
25 most important of all, in the right hands. This is

1 not to be the Holy Grail for meniscal surgery. Then
2 it'll be abused.

3 DR. MABREY: Any other comments from the
4 Panel?

5 (No response.)

6 DR. MABREY: Dr. Schultz, with regards to
7 Question 5, the Panel generally believes that there
8 is an indication for the device in the repair of
9 acute soft tissue injuries. However, that feeling is
10 not unanimous. There is also a very strong concern
11 throughout the Panel with regards to patient
12 selection, with patient compliance, and specific
13 qualifiers for the operation. Is that adequate for
14 the FDA?

15 DR. SCHULTZ: Thank you, yes. I would say
16 that I -- we may come back to some of you or all of
17 you for some additional assistance in helping us to
18 further guide us towards some of what you're calling
19 qualifications and a little bit of assistance in that
20 regard, but I don't think we need to do that today.
21 Thank you.

22 DR. MABREY: Well, at this point, I would
23 like to thank everyone on the FDA Panel, especially
24 our three military members, point out that it's Army
25 two to one over Air Force --

1 (Laughter.)

2 DR. MABREY: Three to one if you count me.
3 And, again, thank you for taking your time out for
4 this very special Panel meeting on extremely short
5 notice in some cases.

6 Dr. Schultz, do you have anything to add?

7 DR. SCHULTZ: I don't except to add my
8 sincere and overwhelming thanks to all of you for,
9 again, doing this on short notice, and thank you for
10 what I think was a very, very high-level and
11 thoughtful discussion of all the issues and for
12 providing your input to the FDA and to the American
13 public. Thank you very much.

14 DR. MABREY: We've now provided the FDA
15 with our responses to their questions related to the
16 ReGen Collagen Scaffold. The November 14, 2008
17 meeting of the Orthopedic and Rehabilitation Devices
18 Panel is now adjourned. Thank you all.

19 (Whereupon, at 3:03 p.m., the meeting was
20 concluded.)

21

22

23

24

25

C E R T I F I C A T E

This is to certify that the attached proceedings
in the matter of:

ORTHOPEDIC AND REHABILITATION DEVICES PANEL

November 14, 2008

Gaithersburg, Maryland

were held as herein appears, and that this is the
original transcription thereof for the files of the
Food and Drug Administration, Center for Devices and
Radiological Health, Medical Devices Advisory
Committee.

DOMINICO QUATTROCIOCCHI,
Official Reporter

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947