

1 standard and the high of ELISA to see what numbers may
2 correlate to serum bactericidal activity of 1 to 4 or
3 greater?

4 DR. CARLONE: Well, yes, we've looked at the
5 high avidity and the low avidity ELISA on a small
6 number of sera -- I guess a small number -- using the
7 different complement sources. We have not gotten at
8 that number yet in that correlation because the rabbit
9 complement gives you such high numbers. We don't have
10 a lot of low numbers in those cells. We are tempting
11 to answer some of those questions at this point.

12 DR. GREENBERG: Dr. Estes.

13 DR. ESTES: So you just implied that you
14 know that the polysaccharide put on these plates has
15 a different confirmation. Has there been any attempts
16 to try to capture that with, say, monodonal or
17 something to maintain the proper confirmation to
18 measure the appropriate antibody or perhaps more
19 functional antibody?

20 DR. CARLONE: Well, I think the approach has
21 been to try to optimize the binding on the plate which
22 we know binds both low and high avidity to, I think,
23 correlate it with what we know historically is
24 protective or correlates with protection which is the
25 SBA. So the idea has been to try to modify the ELISA

1 because it is, if you will, a correlate of a correlate
2 of a correlate so that's been the approach to do.
3 It's a straighter, we think, simpler approach to do
4 that.

5 DR. GREENBERG: Any other questions?

6 DR. BREIMAN: Just one quick one. Is there
7 any cross reactivity between the serogroups? It seems
8 to me that I remember a famous CDC immunologist
9 telling me that with meningococci there may be some
10 cross reactive components.

11 DR. CARLONE: No. Between the A and the C -
12 if that's what we're going to focus on. The answer is
13 essentially no.

14 DR. GREENBERG: Okay. Thank you. I would
15 like to move on now to some presentations from some
16 industry representatives. The first person I have
17 talking is Dr. Robert Ryall from Connaught. I would
18 ask that all of you please stick at the worst to the
19 time you are given.

20 MR. RYALL: What I'd like to talk to you
21 about today is two vaccines that we are currently
22 working on, bivalent AC and tetravalent AC Y & W
23 polysaccharide that conjugated with diphtheria toxoid
24 protein. This is the same diphtheria toxoid protein -

25 - PARTICIPANT: We're having trouble hearing

1 you. Is the volume turned up?

2 MR. RYALL: This is the same diphtheria
3 toxoid protein that we used to formulate BTP. The
4 majority of the talk will address the studies we've
5 done with the bivalent AC and the tetravalent AC Y &
6 W are in Phase I at the moment.

7 A number of years ago we embarked on a
8 collaboration with WHO and CDC to evaluate in a Phase
9 I study three formulations of a bivalent AC. This
10 study was performed in Niamey, Niger in Africa and is
11 now completed.

12 One of the things that we wanted to see in
13 this study was whether or not we were eliciting a
14 strong immune response following a primary series.
15 That in itself is good. In this population in Niger
16 the endemic rates are much higher than they are in the
17 United States. They can range from 20 to 30 times
18 higher. They are also subject to group A epidemics
19 that are sickleleal occurring approximately every 10
20 years.

21 In nonepidemic years the endemic rate of
22 surogroup C is actually quite high. Again, it
23 approaches 20 per 100,000. During epidemics the
24 epidemics strike not only infants but all of the
25 population. They see a lot of disease in younger

1 children and teenagers. The WHO is very interested in
2 developing a vaccine that will provide protection not
3 only in the first year of life, which has the highest
4 attack rate, but will provide protection as the child
5 ages.

6 One of the unknowns is whether or not the
7 conjugate vaccine will provide a longer duration of
8 protection versus the polysaccharide vaccine which is
9 known to not provide long duration of protection in
10 young children.

11 In this study we had three conjugate groups -
12 where both the A and the C polysaccharide formulated
13 at one, four, or 16 micrograms of polysaccharide per
14 dose. We had one group that received the license A/C
15 polysaccharide vaccine and one group that received
16 PRPT, the hemophilus conjugate vaccine as a control.

17 In this population the hemophilus conjugate
18 is not routinely administered so the other cocmitten
19 vaccines are DTP and OPV.

20 What we did was vaccinated six weeks, 10,
21 and 14 weeks. We had a prebleed at six weeks of age
22 and post primary bleed at 18 weeks of age. At 11 to
23 12 months of age we boosted with polysaccharide.
24 This is to really mimic an infection, if you will.
25 It's also to test whether or not we are seeing a

1 memory response to the primary series. Then we had a
2 one-week post bleed. I'm sorry, a four-week post
3 bleed on the polysaccharide injection.

4 In this slide or graph we have all the
5 vaccine groups at times zero. I'll show you the
6 actual GMTs. What I'm plotting here is the GMT of the
7 bactericidal activity to serogroup A at six weeks of
8 age, 18 weeks. These two groups here are the PRPT
9 control group and the licensed polysaccharide versus
10 the three conjugate. You can see a sharp rise in
11 bactericidal antibody following the three doses of -
12 vaccines.

13 As the children aged to 11 months of age,
14 you can see that the circulating bactericidal antibody
15 levels declined down to almost approximately the
16 original pretiter level. Upon administration of the
17 polysaccharide vaccine, the polysaccharide group rose
18 slightly but all three conjugate groups rose
19 significantly higher than the polysaccharide group but
20 there really wasn't a significant difference between
21 the three conjugate groups.

22 This gave us some early indication that we
23 are achieving a good immune response following a
24 primary series but, again, the concern is are these
25 children now susceptible to disease later on or do

1 they have a memory antibody that will allow them to
2 remain protected. That's with serogroup A. A very
3 similar plat with serogroup C.

4 The only noted difference is the relatively
5 high maternal antibody to serogroup C which may have
6 attributed to some of the differences in the response
7 following three doses and what we see at 18 months.
8 Again, you see the maternal antibody is dropping off
9 to less than a titer of 10. The three conjugate
10 groups are higher than the polysaccharide. Again, a
11 decline at 11 months of age but a good boost with the
12 polysaccharide to the three conjugate groups and a
13 relatively marginal response with the subjects who had
14 received two doses of polysaccharide.

15 On this table is the bactericidal antibody
16 responses to both serogroup A and C, the five
17 different vaccine groups, the relative preimmune
18 titer. As you can see, A is down around 10 but the C
19 is up around the 50 to 60 range.

20 The bactericidal antibody responses
21 following three doses of conjugate versus two doses of
22 polysaccharide were in the 170 to 370 ranges for the
23 three conjugates versus seven for the polysaccharide
24 which is really not any different than the infants who
25 had received no vaccine at all.

1 Then this group dropped out of the study and
2 at 11 months this is their preimmune titer prior to
3 polysaccharide boost. You can see a sharp rise in
4 bactericidal antibody and about a four-fold rise in
5 the subjects who had previously been vaccinated with
6 polysaccharide.

7 The same general trend holds true for the
8 group C. Again, the following primary series we have
9 a very good response versus the polysaccharide. They
10 all decay up to 11 and a sharp rise to the
11 polysaccharide boost versus not a very sharp rise in
12 the polysaccharide group.

13 This study ended at that point and we have
14 a second study that is ongoing. In the second study
15 what we want to do is to evaluate different schedules
16 that may be applicable to this population where we are
17 looking at anywhere from one to four doses in a
18 primary series and then following these children up to
19 two years of age.

20 As Brad mentioned earlier, we are also
21 looking at the effect of carriage but it's expected in
22 this population given the slow acquisition of carriage
23 we may or may not see an effect but that is one of the
24 things we are looking at.

25 George just talked about the ELISA antibody.

1 The bactericidal antibody data that I just showed you
2 was performed by the people in George's lab. The
3 ELISA antibody run by the CDC standardized method, not
4 the high avidity method but the method that measures
5 all the IgG antibody, was performed in our lab in
6 Swiftwater.

7 One interesting note, and it mirrors what
8 George was saying in his talk, we do see a fair amount
9 of maternal antibody, especially serogroup A. The
10 children have between two and three micrograms of
11 antibody. Group C anywhere from one to two and a
12 half.

13 Following primary series you don't really
14 note any difference between the antibody levels of the
15 conjugate group versus the polysaccharide group. They
16 are approximately the same. You can see that the
17 maternal antibody has declined to less than one.

18 Now, at 11 months of age all groups have
19 very little antibody but what we see is a similar
20 trend for what we observed with the bactericidal
21 activity but maybe not as pronounced, anywhere from
22 six to 10 micrograms of antibody per serogroup A for
23 the three conjugate groups versus three for the
24 polysaccharide group and approximately eight
25 micrograms of antibody versus 2.8 for the infants

1 receiving the polysaccharide.

2 We are currently following up and looking at
3 the high avidity antibodies that would distinguish if
4 there are any differences using that method in
5 comparison to this data set.

6 We've run a number of studies throughout the
7 world with the bivalent but we are quite interested in
8 developing the tetravalent, especially given the
9 recent increase in serogroup Y disease. We have
10 performed a Phase I step-down study design starting
11 with adults, toddlers, and then into infants.

12 This vaccine we formulated three different
13 vaccines, one at one microgram polysaccharide per ml
14 of each serogroup, the second at four, and the third
15 at 10 micrograms per ml. This was essentially a Phase
16 I safety study where we are looking at the safety of
17 the vaccine. It was an open study where we could
18 escalate the dose and look at the safety profile.

19 This study is completed and we are currently
20 assembling the clinical study report to be submitted
21 to CBER later this year. In the second stage of the
22 study we evaluated two doses of the vaccine in
23 toddlers, very similar to the schedule that was
24 presented in an earlier slide by George where we give
25 a dose of the conjugate at anywhere from 12 to 22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 months and then a second dose at two months following
2 that. We have a blood sample prior to the second dose
3 and one month post the second dose.

4 The third study which is currently ongoing
5 in infants. Again, the same three lots of vaccine.
6 We have a prebleed at two months. One difference is
7 a different schedule that we use. This is a typical
8 U.S. schedule versus EPI schedule or revaccinated at
9 six, 10, and 18 weeks. I'm sorry, two, four, and six
10 months dosing. We have a blood sample at six months
11 and seven months of age.

12 In this study we're looking at the same sort
13 of polysaccharide boost but at 15 to 18 months of age.
14 In the current study in Niger we have the
15 polysaccharide challenge at two years. We are getting
16 an idea of the duration of the memory antibody by
17 looking at different time points.

18 There is one paper that has been published
19 recently on the Chiron vaccine and it shows a lot of
20 promise where they followed up after five years.
21 There is a lot of promise that the meningococcal
22 conjugates will be effective.

23 Lastly, I just want to acknowledge the
24 various people who worked on this project as well as
25 our collaborators. People in these three columns

1 represent people within PMC who started their
2 collaboration with Bernard Ivanoff at WHL and Kim
3 Mulholland and Jay Wenger, Ann Schachat, George
4 Carlone, and people at CERMES at the site that are
5 doing the study in Niger. Thank you.

6 DR. GREENBERG: Thank you, Dr. Ryall. We
7 have a few minutes for some questions. Kathy.

8 DR. ESTES: It's really remarkable when you
9 look at your ELISA titers with the plain
10 polysaccharide in with the conjugates and then you
11 look at your bactericidal titers because there's --
12 really quite a disparity in what you get from the
13 polysaccharide and what you get for the conjugate.

14 I guess the other thing that I wanted to ask
15 is it looks like your bactericidal titer prior to
16 immunization or in children that aren't being
17 immunized with that vaccine has a titer of around six.
18 Again, it's a little hard to know how to -- that's
19 obviously not going to be protected so how that titer
20 extrapolates to a number that we are going to have to
21 try and establish a correlate is kind of interesting.
22 Do you have any ideas what these numbers might be from
23 this?

24 MR. RYALL: Well, the one thing that we have
25 to do is look at the human complement much like George

1 described to see if we see the same relative
2 difference. Is there a difference between our vaccine
3 and the Chiron vaccine or the Lederle vaccine.

4 In all the studies that I've seen so far
5 there is this dramatic decline of antibody and the
6 question is raised is how much circulating antibody --
7 do you need a certain level of circulating antibody to
8 always be protective. It may be much smaller than we
9 think.

10 The fact remains that it appears as if we
11 are inducing memory and that was really by design of -
12 the vaccine. I think the prevailing mood is that if
13 you do have memory antibody that you are likely to be
14 protective. However, there is somewhat of a leap of
15 faith there I would think.

16 DR. GREENBERG: Ms. Fisher?

17 MS. FISHER: In your past and present
18 studies, are the children all adhering to the same
19 vaccination schedule with the other vaccines? Are
20 they being given other vaccines on the same date that
21 they are being given meningococcal? Have you noticed
22 any differences in terms of response?

23 MR. RYALL: In the majority of our studies
24 the study vaccine was given at the same time as the
25 conjugate vaccines. However, we did have one study,

1 a very small number of subjects, only 10 per group,
2 where we gave the study vaccine one week prior to the
3 conjugate vaccines. In comparison of those responses,
4 we don't see any significant difference. Again, I
5 shouldn't really say significant because the numbers
6 are so small. There's no noted difference.

7 MS. FISHER: I'm talking about other
8 vaccines.

9 MR. RYALL: Yes. This is difficult because
10 we are comparing different populations as well but in
11 this study they have received the fewest number of -
12 vaccines. In all of our other studies they have
13 received hepatitis B, hemophilus vaccine as well.
14 This study population was a little unique in that they
15 only received OPD and DTP.

16 In comparing the responses across those
17 studies, we don't really see a problem with adding on
18 more vaccines to the schedule.

19 DR. GREENBERG: Dr. Gotschlich.

20 DR. GOTSCHLICH: In this presentation the
21 issue of memory responses came up. I thought it was
22 very encouraging that, in fact, the response to the
23 polysaccharide following the conjugate vaccines were
24 what appeared to be significantly higher.

25 Nevertheless, a comment needs to be made

1 about immunological memory in meningococcal disease.
2 That is that at least in adults, and it's also true in
3 children, although not as much is known, the serum at
4 the time that the patient arrives with meningitis is
5 loaded with antibodies to the meningococcus. The fact
6 that this person is capable of mounting an immune
7 response very early in the disease is not enough to
8 prevent the disease.

9 That was really the reason for having to do
10 the perspective collection of sera that I illustrated
11 this morning. While I look forward to memory immune
12 responses but to a B cell antigen which it remains.
13 After all, it's not going to see this in the same
14 context of the same T cell epitope. We have to be
15 careful about over interpreting.

16 DR. GREENBERG: Other questions?

17 DR. STEPHENS: The question concerns the
18 marked falloff that we see with this conjugate. It
19 relates to the issue of boosting natural immunity.
20 Have you looked at or was there active disease of A
21 and C? I know you are doing a study looking at
22 carriage but was there active disease going on at the
23 time the immunization study was being done?

24 MR. RYALL: In none of the study subjects
25 did they come down with the disease. Certainly in the

1 African population I recall study subjects in the same
2 house as siblings who did have the disease but none of
3 the subjects did come down with the disease. Relative
4 to follow-up, I don't believe we've seen any beyond
5 the point of the initial follow-up of the study.

6 DR. GREENBERG: I'm going to have to stop
7 because we're not -- we're just unfortunately going to
8 have to move on. The next speaker is Dr. John
9 Donnelly from Chiron. Again, since the panel has lots
10 of questions, if the industry representatives can try
11 to be as concise and brief as possible and limit their
12 discussion to slides that have data on them, that
13 might help.

14 DR. DONNELLY: Thank you. Do we have a
15 pointer? Yes. Good. Okay. I'm going to tell you a
16 bit about the assays that we use at Chiron to measure
17 antibody responses to our meningococcal vaccine, how
18 we do them, and a little bit about why we do them the
19 way we do.

20 You heard already from Dr. Carlone this
21 morning about the CDC standardized ELISA and the fact
22 that the simpler approach of putting the
23 polysaccharide onto a plate and then just looking at
24 what is bound to it detects antibodies that can be of
25 either relatively high or relatively lower avidity.

1 The higher avidity antibodies seem to be implicated in
2 functional effectiveness against bacteria and culture.
3 We'll go into that in more detail later.

4 The end result is in samples that contain
5 mixed populations of antibodies of higher and lower
6 avidity where they contain antibodies of lower avidity
7 to Professor Gotschlich's point earlier, this assay
8 does not correlate well with the bactericidal assay,
9 what we call the BCA for bactericidal assay that
10 others call the SBA for serum bactericidal assay.

11 Now, with Dan Granoff and George Carlone and
12 others, a modified ELISA was developed that detects
13 primarily antibodies of higher avidity, and as you saw
14 data from Dr. Carlone and you'll see some more data
15 from our group, correlates well with the bactericidal
16 assay.

17 This slide, similar to the ones Dr. Carlone
18 showed, frames the problem. There's a typo in this
19 slide. This shows the result of the standard ELISA
20 and this should be micrograms per ml, not units per
21 ml. On the bottom axes you see the modified ELISA and
22 this is units per ml and not micrograms.

23 At any rate, the important take away from
24 this, and this shows a population of three to five-
25 year-olds given either the meningococcal vaccine or

1 the polysaccharide vaccine.

2 If you look at the standard ELISA over a
3 relatively narrow range of ELISA titers from about two
4 to about 50 micrograms per ml, you can see a very
5 broad range of bactericidal titers. This is a
6 bactericidal assay that is done with human complement
7 and we'll get into the reasons for that and the
8 specifics of it in a little bit.

9 Clearly you have a lack of correlation of
10 ELISA titer in the standardized ELISA with the
11 bactericidal assay using human complement. If you
12 look at the pulled data for conjugate and
13 polysaccharide vaccinees, the R value is about .29.
14 I think it's important to consider the pulled data.

15 If you look at the second panel, you see
16 that what happens in the modified ELISA where you are
17 now selectively looking for higher avidity antibodies
18 is that relatively speaking some of these values are
19 pulled into line so that you now have an ELISA units
20 per ml range from about .2 up to 100 and a
21 bactericidal titer range of from eight up to several
22 thousand.

23 The other point that I wanted to make is
24 that there is a continuity of response here. The
25 pulled data gives a correlation coefficient of .87.

1 If you look at the population of higher avidity
2 antibodies from polysaccharide antibodies on up to
3 conjugate, you see a difference in magnitude. What
4 you don't see is a lack of continuity between the two
5 vaccines.

6 I think it's important, as Dr. Carlone
7 pointed out earlier, you have an assay that is
8 dependent on antibody activity but independent of
9 vaccine.

10 So the way that we arrived at this, and this
11 as I mentioned, is work of Dan Granoff and George
12 Carlone and others, was to make two modifications.
13 One is our assay uses derivatized polysaccharide
14 coated onto the ELISA plate. Dan and others did a
15 number of studies to show that the specificity of this
16 test is very high using competitive inhibition and to
17 show that the polysaccharide coated on the plate is
18 antigenically equivalent to the native polysaccharide
19 purified from the bacteria. Obviously a very
20 important point because if you use a modified antigen,
21 you need to know that you are detecting with high
22 fidelity antibodies that recognize the native antigen.

23 The advantage of this approach is that it
24 gives you a very solid coating of antigen onto the
25 ELISA plate. That allows you to perform the next step

1 which is the incorporation of a chaotrope salt, in our
2 case ammonium thiocyanate to minimize the binding of
3 the low avidity antibodies.

4 We selected a concentration of about 75
5 millimolar to give us the maximal discrimination
6 between a sera that had bactericidal activity and sera
7 that didn't. The resulting assay gives quite a good
8 correlation with the bactericidal assay. I'll show
9 data to explain this choice in just a second.

10 If we can go on to the next one, this is one
11 of a variety of experiments that we did to show the
12 antigenic equivalents of the antigen that is on the
13 ELISA plate in our test. Here you see a selection of
14 sera from polysaccharide vaccinees. These are adult
15 sera but we have also looked at infants and young
16 children.

17 We've titrated in native polysaccharide in
18 increasing concentrations. You can see that in all
19 cases with a sufficiently high concentration of native
20 polysaccharide we were able to basically abolish the
21 signal in the assay. Using various other controls we
22 can show that the antibodies that we are detecting are
23 specific for native polysaccharide. That's one
24 important issue.

25 The second important issue is how much

1 chaotrope to use. Here you see a comparison of an
2 adult serum and two toddlers, one toddler which has
3 bactericidal antibody and one toddler which doesn't,
4 although both of these individuals were positive by
5 the standardized ELISA.

6 What you see is as you increase the
7 thiocyanate concentration, toddler two who lacks
8 bactericidal antibodies falls out at much lower
9 concentrations of thiocyanate than does either the
10 adult or toddler one who does have bactericidal
11 activity and a concentration of about 75 millimolar
12 which is approximately here on the curve. You can see
13 we get quite good separation in binding between BCA-
14 and BCA+ samples.

15 Now, this slide was shown to you by Dr.
16 Carlone a little earlier but I'll go through the key
17 points again. This was a set of about 30 three to
18 five-year-olds that were looked at with a bactericidal
19 assay using human complement. There is a typo on Dr.
20 Carlone's slide, as he mentioned. This is the
21 corrected data here. You can see that post dose one
22 the conjugate vaccine is giving a cidal titer of about
23 74 and the polysaccharide of about 14. You go out to
24 post dose two and you see there is a bigger disparity
25 here, a ratio of about five-fold and a bit more than

1 20-fold.

2 If you look at the standardized ELISA you
3 see that you can't distinguish between the two
4 vaccines either post dose one or post dose two. If
5 you look at the modified ELISA with its preferential
6 ability to detect high avidity antibodies, you see
7 about a five-fold difference post-dose one and about
8 a 20-some-fold difference post-dose two just as you
9 see in the bactericidal assay.

10 So we believe that this method gives us a
11 way to detect antibodies that are of higher avidity -
12 and that are more likely to be functional.

13 Now, let me turn to a discussion of the
14 bactericidal assay that was described nicely by
15 Professor Gotschlich this morning. A number of
16 methods are in use in literature, the one that was
17 originally published by Goldschneider, Gotschlich, and
18 Artenstein; a method that is used at the Center for
19 Disease Control and the Public Health Laboratory
20 Service that was published by Maslanka which uses
21 rabbit complement; Chiron bactericidal assay which was
22 based on Goldschneider's method with some
23 modifications and it uses human complement. I'll show
24 data to show that our assay correlates well with the
25 method of Goldschneider, et al.

1 Just for techies in the group, a comparison
2 of what the differences are. Both Goldschneider and
3 the CDC PHOS group subcultured their bacteria on solid
4 media. We made a decision to use a subculture in
5 broth. This adaptation allows us to get a somewhat
6 higher frupote in our assay makes it more readily
7 applicable to larger numbers of samples.

8 We and Professor Goldschneider's group used
9 human complement where the other groups tend to use
10 rabbit. The assays that have been done more recently
11 tend to use a 60 minute incubation in the presence of
12 CO2 and that's true for the CEC assay and for ours.

13 In our hands, at least in the Chiron assay,
14 the bacteria grows during the 60-minute incubation so
15 that in the presence of complements and media and no
16 antibodies for a serum that has no cidal activity,
17 we'll see approximately a doubling in colony counts
18 over the 60-minute incubation period.

19 Now, I would like to have an opportunity to
20 discuss this with Professor Gotschlich not having seen
21 his original colony counts. At least in our hands
22 when we reproduced this method from the paper, we
23 didn't tend to see growth during the assay. Dr.
24 Carlone and his colleagues report that also there is
25 not growth in the 60 minutes using this method.

1 That makes this a somewhat more stringent
2 method because of the way the numbers work out of
3 doing the colony counts at the zero time and again at
4 60 minutes. To kill half the bacteria here, you only
5 have to remove half the starting inoculum where here
6 you have to remove an amount that is equal to the
7 starting in inoculum.

8 This shows a comparison of a set of about 80
9 samples from three to five-year-olds that were assayed
10 in our laboratory by the two methods, the method of
11 Goldschneider, et al., or the method that we have had
12 validated for use in our clinical program.

13 You can see that for both conjugate and
14 polysaccharide vaccinees shown in the two different
15 colors, there is quite a good straight line fit. The
16 correlation coefficient of the pulled data is .88 so
17 that we can establish a pretty good relationship
18 between the two methods.

19 Particularly there's not a lot of evidence
20 that the distribution is being pulled one way or the
21 other where, if you recall, the comparison of rabbit
22 and human complement that Dr. Carlone showed, the two
23 regression lines clearly diverged as you went to
24 higher titers for different data.

25 So if you look at some live data, and this

1 is a group of about 80 three to five-year olds, and
2 compare the method that we are using to measure cidal
3 antibodies with the method that was published
4 originally by Goldschneider, Gotschlich, and
5 Artenstein, in this particular group in the conjugate
6 vaccinees post one we had quite similar geometric mean
7 titers between the two methods for both the conjugate
8 and the polysaccharide vaccinees.

9 Using a cutoff of one to four as the
10 threshold for a positive sample, which was what was
11 used in Professor Goldschneider's paper, we found
12 basically identical results as far as the percent
13 greater than four among the conjugate vaccinees and
14 likewise among the polysaccharide vaccinees.

15 At Chiron we've chosen to use a cutoff of
16 one to eight in the human complement assay. That
17 gives us, we feel, an extra measure of stringency of
18 not miscalling a false positive sample. You can see
19 that slightly affects our percent sera conversion
20 relative to Goldschneider's original numbers. But
21 actually there is still quite a bit of overlap between
22 the confidence limits. The same relationship holds
23 true for the polysaccharide vaccinees.

24 Now, you've seen some rabbit complement data
25 all ready. We have also looked at rabbit complement

1 on the same dataset. I won't go into a lot of details
2 other than to confirm Dr. Carlone's observation that
3 the use of rabbit complement tends to yield much
4 higher results both in conjugate vaccinees and in
5 polysaccharide vaccinees. It's not absolutely clear
6 what the relationship between titers determined by
7 these two methods really is.

8 Now I would like to show you a little bit of
9 clinical data to make a couple of points. This is a
10 study in 15 to 23-month-olds who received two doses of
11 conjugate followed by a dose of polysaccharide. Here -
12 you see in the white bars the conjugate vaccine. In
13 the black bars polysaccharide vaccine given at the
14 same times. In the gray bars an unvaccinated placebo
15 control.

16 These are bactericidal antibody titers that
17 we get a response geometric mean about 10 after one
18 dose in 15 to 23-month-olds. A second dose of
19 conjugate gives us still a higher response whereas
20 there's only a very limited response to the
21 polysaccharide vaccine and no evidence of boosting.

22 We came back at 12 months later and looked
23 at the titers and you can see that although there is
24 some decay from the feet, they are still above
25 baseline and the mean is still above 10.

1 When we boosted all three of these groups
2 with polysaccharide vaccine, the toddlers that were
3 primed with conjugate showed a very substantial rise.
4 The toddlers that were primed with polysaccharide
5 basically did not respond at all. The key take-away
6 from this is that in this age group the conjugate
7 vaccine primes well for a response to the
8 polysaccharide and elicits a good response post-dose
9 one. The polysaccharide response does neither of
10 those things and, in fact, may prejudice if it's given
11 too early the ability to respond to the later dose of
12 polysaccharide vaccine.

13 In the next slide you can just sort of get
14 the gestalt of this is the modified ELISA titer
15 showing the higher avidity IgG antibodies. The
16 pattern of the bars is much the same.

17 You do see a little bit more ELISA response
18 with the polysaccharide vaccine whereas the
19 bactericidals were very low. Again, there is no
20 evidence of memory whereas there is substantial
21 evidence of memory in the conjugate vaccinees.

22 And I would like to close with some infant
23 data if I could have the next slide, please. These
24 are bactericidal responses of U.K. infants given the
25 three doses of conjugate at two, three, and four

1 months of age at zero, one, and two study months.

2 We weren't able to assay all of the
3 presamples by bactericidal because the samples were
4 not very large and the assay consumes a lot of
5 specimen. We looked at a subset of about 16 infants
6 and we found that they were less than one to four, an
7 interesting difference from the Los Angeles study in
8 1944 that Professor Gotschlich talked about. This is
9 40 years and halfway around the world and I'm not sure
10 what the relationship is.

11 At any rate, we didn't find bactericidal -
12 antibody in two-month-olds but we certainly did find
13 it after one dose and also after two doses of
14 conjugate and again in this population when we waited
15 eight months and came back with a boost of
16 polysaccharide.

17 This is 10 months of age so these children
18 would not normally be able to respond to the
19 polysaccharide vaccine. And you see that here in the
20 control group which didn't receive conjugate but did
21 receive polysaccharide and basically failed to respond
22 at the age of 12 months. We get a nice memory
23 response in the 12-month-old kids that were primed
24 with conjugate at two, three, and four months. This
25 looks much like the response to the Hib vaccine.

1 To close, the data that you've already heard
2 about from Professor Gotschlich using the
3 Goldschneider BCA provides a very useful bridge to
4 protection from disease in young adults. When we
5 compare the bactericidal assay that we use at Chiron
6 which uses human complement to the results obtained by
7 the Goldschneider method, we get very comparable data.

8 The modified ELISA is a very useful test.
9 It detects higher avidity IgG antibodies and it's much
10 easier to do than the BCA and in our hands by this
11 method correlates quite well with the BCA.

12 Lastly, as far as the vaccine, we found it
13 to be immunogenic in all the populations we've studied
14 including infants. We found it to elicit protective
15 levels of antibody by bactericidal methods in infants,
16 toddlers, and adults and to demonstrate both initial
17 response and priming for memory response to the
18 polysaccharide.

19 From these data and using these methods, we
20 think that it is possible to make a determination of
21 efficacy based on measurement of serological
22 endpoints.

23 DR. GREENBERG: Thank you, Dr. Donnelly, for
24 giving us a lot of information quickly and concisely.
25 We have a moment or two for a few questions.

1 Can I ask a question? This is a very naive
2 question. I don't know this field so I'm now thinking
3 all the data of the correlation of serology with
4 protection is based on a natural history study sort of
5 from Fort Dix.

6 Can somebody bring me up to date on what the
7 correlation of serology to protection was in the
8 polysaccharide vaccine studies? What did we learn
9 from those studies that registered those
10 polysaccharide vaccines that gives us a number or a
11 place to aim for?

12 DR. GOTSCHLICH: I'm afraid you're looking
13 at me.

14 DR. GREENBERG: You were the person who
15 talked about serologic correlates of protection.

16 DR. GOTSCHLICH: Ultimately the question is
17 a very difficult one. In the time period where trials
18 were done for efficacy and serological tests were
19 done, they were all by the radioimmuno assay either
20 done in my laboratory or done in Finland in a
21 comparative assay.

22 If you do the correlation that you wish to
23 do, mainly to look at the immune response and
24 correlate it with the efficacy data you come up with
25 the feeling that probably one to two micrograms of

1 antibody four weeks following immunization is
2 protective for a period of a year.

3 DR. GREENBERG: Okay.

4 DR. GOTSCHLICH: I would, however, caution
5 you that is primarily group A data and not group C
6 data.

7 DR. GREENBERG: Right. And you cautioned me
8 that it was a notion, not a fact.

9 DR. DONNELLY: Also, without a study to
10 bridge the RIA to the cidal, it's really hard to know
11 what the connection is to the modern assays because
12 the RIA data hasn't been generated.

13 DR. GREENBERG: Ms. Fisher.

14 MS. FISHER: So, Dr. Donnelly, with these
15 studies you are predicting a year's worth of immunity
16 or how long does immunity exist?

17 DR. DONNELLY: From meninge C per se it's
18 difficult to make that conclusion. The studies of
19 Hib, which I think are the next nearest parallel where
20 it's possible to elicit antibodies with a conjugate
21 vaccination regimen in young infants and elicit a
22 memory response at 12 months through a booster of
23 polysaccharide, once those children have received a
24 course of immunization at two, four, and six months or
25 on the European schedule and a booster, protection

1 seems to be quite long lived.

2 MS. FISHER: But because this disease is in
3 older populations also, are we looking at a situation
4 where there will be boosters throughout life?

5 DR. DONNELLY: I think that remains to be
6 determined. I think one would have to do the studies.
7 I believe that the U.K. is intending to basically
8 vaccinate a very large age range, up to age 18, in
9 their vaccination campaign.

10 So there may not be an opportunity to
11 collect data there on what the responses are going to
12 do over time. I think the question of memory versus
13 antibody titer is an important one. Professor
14 Gotschlich raised the view that since people with
15 active meningitis can have quite high titers of
16 antibody if you need antibody for prophylaxis.

17 For example, in invasive meningococcal
18 disease, vaccines that are very efficacious at the
19 time of boosting at 12 months rarely have any -- well,
20 at least a third of the kids frequently are sera
21 negative by quite sensitive assay.

22 A couple of possible take-aways from that,
23 the amount of antibody that is required for protection
24 may be quite small. Or that the memory may be just as
25 important or more important than the mass amount of

1 antibody in the circulation at any given time. I
2 think that has to be determined by further tests.

3 DR. GREENBERG: Last question. Dixie.

4 DR. SNIDER: Well, with regard to the
5 comment about the titers and the earlier comment about
6 people, if I understood correctly, who have
7 meningococemia having terminal complement
8 deficiencies, I'm wondering what I should take away as
9 a message about those high titers. I mean, are the
10 high titers not protective in those individuals or are
11 they just not functional antibodies because they don't
12 have the complement that's necessary to complete the
13 bactericidal activity?

14 DR. DONNELLY: That's a good question.
15 Address that to Professor Gotschlich as to whether in
16 sera from people with acute meningococemia there was
17 every an opportunity to look at functional activity.
18 You would assume that since the live bacteria are
19 there, that they are not getting killed by the
20 antibody complement but you would have to do an
21 experiment.

22 DR. GOTSCHLICH: I think I would like to
23 limit, because I don't want to obfuscate anything,
24 it's simply to the fact that RIA antibodies are
25 present in very high titers as are hemagglutinating

1 antibodies.

2 The only other thing that can be said is
3 that the convalescing serum two to three to four weeks
4 later is clearly highly bactericidal. Of course, the
5 majority of these individuals do not have genetic
6 complement defects. Whether you are addressing
7 yourself to whether they are decompemented during a
8 meningococcal infection I cannot speak to, but there
9 may be infectious disease experts who can.

10 DR. GREENBERG: I know that we could go on
11 with this but we have another industry representative -
12 and I really do not want to get behind schedule here.
13 I'm going to call this one and we can come back and
14 touch on this in panel discussion if people feel it's
15 necessary.

16 Our final presentation from industry is from
17 Dr. Peter Fusco from the North American Vaccine.

18 DR. FUSCO: I'll be speaking about serologic
19 studies on group C meningococcal conjugate vaccines.
20 This will be focused mostly on showing a lot of
21 correlations between bactericidal activity and the IgG
22 measured by ELISA. Before I get into those
23 correlations, I'm going to also talk about the nature
24 of the polysaccharide of this conjugate and how it
25 differs from most of the others you've heard about.

1 This is group C conjugate where we're using
2 a De-O acetylated polysaccharide. It's 10 microgram
3 polysaccharide dose, 15 to 20 micrograms tenus toxoid
4 conjugated by reductive emanation and it's absorbed
5 with aluminum hydroxide.

6 The key here is that we're using a De-O
7 acetylated polysaccharide. What that means is that
8 there is an acetyl group here on the oxygens of the C-
9 7 and C-8 for this polysaccharide and this acetyl
10 group shifts around. It's not constant. It can be in
11 either position but not both. Also it may not be
12 there at all. You can have variations in percentage
13 of O acetylation.

14 What we've chosen to do is remove this all
15 together for our vaccine so it's a De-O acetylated
16 polysaccharide. This may also have an impact on how
17 you do your ELISAs and how you measure your
18 antibodies.

19 The rationale behind this deal of O
20 acetylation, basically it's already been shown that
21 the De-O acetylated polysaccharide elicit similar or
22 better responses in humans when compared with the O-
23 acetylated. Preclinical studies have also shown this.
24 Recent clinical studies have shown greater
25 immunogenicity with this De-O acetylated

1 polysaccharide.

2 The De-O acetylation eliminated
3 inconsistency in the manufacturing of this product so
4 you don't have to worry about where the acetyl group
5 is appearing if it's appearing there at all.

6 Also, the last point here, I think, is
7 really critical. We've got some competitive
8 inhibition data now that is confirmed that it looks as
9 though bactericidal antibodies are directed against
10 De-O acetylated epitopes on O acetylated bacteria.

11 Here is an example, some evidence to support
12 this. This is looking at some mouse sera raised
13 against the conjugate vaccine. This is a competitive
14 inhibition bactericidal assay. This is distinctly
15 different from a competitive inhibition ELISA. We're
16 looking at blocking the actual killing antibodies that
17 are directed against the bacteria. We are using the
18 O acetylated bacteria.

19 As you can see here with these two different
20 sera, we're getting the same kind of inhibition
21 using this De-O acetylated polysaccharide. This is
22 the purified polysaccharide. When you use the O
23 acetylated polysaccharide in the same quantity, you
24 are getting much less inhibition. You're looking at
25 orders of magnitude differences here in the

1 effectiveness of this inhibition on the sera. This is
2 basically telling us that these antibodies are really
3 focused on the De-O acetylated epitopes or the bug.

4 We've seen similar results in humans. This
5 is just one example from some infant data, an infant
6 receiving the primary immunization. Again, the De-O
7 acetylated polysaccharide is inhibiting much better
8 than the O acetylated polysaccharide even though we
9 are using an O acetylated bacteria in this assay.

10 And this is just to show again that De-O
11 acetylation is not detrimental in any way for this
12 vaccine. This is a slider bar from Peter Richmond.
13 The PHLS presented this at the Neisseria conference
14 last year. It shows bactericidal activity with sera
15 conversion and IgG and, again, sera conversion. This
16 was also using everything O acetylated.

17 As you can see here the De-O acetylated
18 conjugate compares quite favorably with the other
19 vaccines. There's no problem using the De-O
20 acetylated conjugate here.

21 This is our clinical development plan in the
22 U.K. that's been going on now for the last two or
23 three years. We are obviously in different phases of
24 these studies in different target populations. The
25 point I want to make here is that I'll be showing you

1 data really from the top three studies; adults,
2 infants, and toddlers. I just showed you some toddler
3 data in the previous slide. That previous slide was
4 after a single injection in toddlers that had never
5 been vaccinated before.

6 Typically this is what you see in terms of
7 the kinetics of the response. In infants receiving a
8 vaccine at two, three, and four months of age, you get
9 a nice rise in IgG. Also bactericidal rise that
10 parallels the IgG showing this clear correlation. The
11 IgM, on the other hand, levels off. This is something
12 we have investigated further. It's clear that the IgG
13 is what is correlated. The IgM is not.

14 Now, the point I want to make here is we are
15 using rabbit complement in our assays. Here is where
16 we have prepared the rabbit complement with the human
17 complement. This is using infant sera after one, two,
18 and three injections comparing the bactericidal titers
19 with rabbit complement versus bactericidal titers with
20 the human complement. You can see here a fairly right
21 correlation between the two.

22 However, it has been pointed out before
23 there is a different, a clear difference, in the
24 titers that you generate. You generally get higher
25 titers with the bactericidal -- I mean, you get higher

1 bactericidal titers with the rabbit complement.

2 So you can look at this in terms of the
3 ratio of the titers. If you take the ratio of the
4 rabbit to the human titer and then plot the
5 distribution of those ratios, this is what you see.

6 Essentially most of the ratios are falling
7 down around 5 or less and the average ratio is 4.4
8 which is similar to what others have reported.
9 Basically what we're saying here is that the rabbit
10 titer is going to be about 4.4 fold higher than the
11 human complement titer.

12 Now, getting back to the correlations, this
13 is looking at the IgG correlation with bactericidal
14 titer. In this case we're looking at the conjugated
15 polysaccharide vaccine versus the unconjugated
16 licensed vaccine in the U.K. in adults.

17 Again, this shows good correlation
18 regardless of what you're looking at, although the
19 conjugate is coming out at a higher titer than the
20 unconjugated polysaccharide.

21
22 Now, I just showed you what was correlated.
23 This is what's not correlated. In adults the IgM
24 titer is clearly not correlated with the bactericidal
25 titer. This is the same adult sera that you just saw

1 previously.

2 Also, when you look at the infants there may
3 be some small correlation but it's really very poorly
4 correlated. This is after receiving three injections
5 in the infants. The IgM is clearly not really
6 correlated to bactericidal activity.

7 Coming back to the IgG, this next series of
8 three slides are going to show you the immune response
9 in the infants after each injection compared to the
10 adult data. The adult activity here is represented by
11 the yellow diamonds and the infant data are these blue
12 stars. Again, we're looking at IgG versus
13 bactericidal titers.

14 What you see here is that both sets of data
15 correlate very well but the infant data seems to be
16 shifted a little bit away from the adult data which
17 would indicate that you're getting less bactericidal
18 activity per microgram of antibody after one
19 injection. Remember, these are infants that receive
20 one injection at the age of two months. This is the
21 response at three months of age.

22 However, when you get to the second
23 injection at four months of age, the infant data is
24 essentially superimposable on the adult data. They
25 are showing basically the same kind of correlation

1 between the IgG and the bactericidal activity. By the
2 third injection, again they are essentially the same
3 as the adults.

4 This slide is just to basically put
5 everything all together in one slide where we have
6 infants, adults, pre and post, conjugated,
7 unconjugated. Again, we just generally see very good
8 correlation between the IgG and the bactericidal
9 activity.

10 Again, I want to point out that the IgG
11 ELISAs that we're running are using the De-O
12 acetylated polysaccharide as a coantigen.

13 In conclusion, strong correlations were
14 observed between the rabbit and human complement for
15 the SBA in infant sera at three to five months. Also
16 between the IgG and SBA in both adult and infant sera
17 with rabbit complement.

18 The rabbit complement provided greater SBA
19 sensitivity compared with human complement. IgM was
20 purely correlated with the SBA. The infant SBA versus
21 IgG correlations after two and three injections were
22 essentially identical to the adult correlation. Thank
23 you.

24 DR. GREENBERG: Thank you, Dr. Fusco. Can
25 we get the lights? We have some time for some

1 questions if there are any. People are getting into
2 serologic overload I bet.

3 I'll start off. It looked to me like I had
4 just been set up to feel that the high avidity ELISA
5 was the way to go. Your ELISAs are not high avidity.
6 Correct?

7 DR. FUSCO: That's correct.

8 DR. GREENBERG: But your correlation looked
9 quite good.

10 DR. FUSCO: Let me qualify that a little
11 bit. Whether or not it's high avidity, I can't say -
12 for sure on a relative scale but I can say that we did
13 try to investigate this a little bit using Dan
14 Granoff's technique. In fact, we're still doing some
15 studies on this. We're trying to work out a high
16 avidity ELISA in our laboratory. When we added the
17 thiocyanate to our ELISA, it essentially had no
18 effect. The net effect is that we may be actually
19 looking at higher avidity antibodies when using the
20 De-O acetylated polysaccharide.

21 DR. GREENBERG: Okay. That would be a good
22 understanding of it. Kathy.

23 DR. ESTES: I had a question where you
24 compared this serology of your vaccine and the Chiron
25 and the Y. I was left a little bit confused about

1 that. Were you comparing all of those post
2 vaccinations sera using the De acetylated method as
3 your ELISA?

4 DR. FUSCO: Actually that was not our data.
5 That was data generated in Ray Borrow's laboratory and
6 was presented by Peter Richmond. At their lab they
7 use the O acetylated polysaccharide in the ELISA.
8 They also use the O acetylated bacteria in the SBA.
9 I should point out, too, that all of our SBA results
10 are with the O acetylated bacteria.

11 DR. ESTES: Okay. So in that assay that you -
12 showed us then, the coating ELISA was O acetylated.

13 DR. FUSCO: That's right.

14 DR. ESTES: Okay.

15 DR. GREENBERG: Do we have other questions
16 here from the panel? If not, thank you very much. We
17 will move on then to Carl Frasch who is going to put
18 all of this back together again I hope.

19 DR. FRASCH: Okay. As it turns out, I think
20 my talk is basically going to be a summarizing of
21 everything we have heard. It wasn't initially
22 designed that way but here goes.

23 Again, we are looking at the use of immune
24 surrogates for demonstration and protective efficacy
25 of meningococcal vaccines.

1 To sort of reiterate a little bit, the
2 critical role of bactericidal provides the immunity to
3 meningococcal disease. We saw that the highest
4 incidence of meningococcal disease occurs in infants
5 between six and 12 months of age at the point when
6 they have the lowest levels of bactericidal
7 antibodies.

8 Two, studies by Goldschneider, et al., in
9 U.S. Army recruits showed a direct correlation between
10 susceptibility to meningococcal disease and absence of
11 serum bactericidal antibodies. That is, the large
12 portion of individuals for which there were
13 bactericidal antibodies, there was zero cases of
14 meningococcal disease in that recruit population.

15 Now, we've heard a number of times today
16 individuals deficient in complement component C5, C6,
17 C7, or C8 have markedly increased susceptibility to
18 systemic meningococcal disease. However, I would like
19 to point out that almost no one died in this group.
20 Therefore, it's not just bactericidal antibodies.
21 It's just that it's efficient if you don't have
22 bactericidal antibodies. Probably ultimately
23 phagocytosis ends up clearing the infection.

24 By contrast, there's a group of individuals
25 unfortunate enough to be deficient in preproparatin, part

1 of the ultimate complement pathway. There is a very
2 high mortality rate among these individuals.

3 Just to point out to you again that there
4 are a number of manufacturers working on meningococcal
5 vaccines. I'm telling you what has been publicly
6 reported. Chiron corporation is working on A and C.
7 North American Vaccine on C. Pasteur Merieux
8 Connaught on ACYW135.

9 You can see these are actually rather
10 different vaccines because the carrier proteins are
11 different, conjugation technologies are different. -
12 The same story that we've been looking at for
13 hemophilus and meningococcus. We're going to end up
14 with a number of different vaccines.

15 Now, I want to reiterate using a
16 meningococcal group C polysaccharide study when the
17 vaccine was used in British Columbia on children in
18 which they immunized essentially all the children
19 between two years and 19 years of age.

20 Now, what we see on the first part is the
21 ELISA looking at percent of individuals with greater
22 than two micrograms per ml. We can see that in the
23 three age groups two to six, nine to 12, and 13 to 19
24 years of age there is essentially no difference
25 between these three groups.

1 Yet, when we look at the bactericidal again,
2 percent of individuals with a titer greater than one
3 to four. I think the sera conversion rates are
4 probably more important to look at than just the
5 geometric mean titers.

6 Now, here we see quite a difference in the
7 age groups looking at bactericidal antibody with the
8 teenage group obviously having the best sera
9 conversion rate. Therefore, the standard ELISA
10 without chaotropic agents simply did not correlate
11 with the bactericidal results.

12 Now, I would like to bring up another topic
13 that was only mentioned sort of tangentially but I
14 think there's another factor that should have some
15 consideration today and that is a recently reported
16 problem of group C polysaccharide vaccine. This is
17 the observation of a persistent hyporesponsiveness
18 state following immunization of adults, toddlers, and
19 infants.

20 The hyporesponsiveness was demonstrated by
21 reimmunization of persons who had previously received
22 the group C meningococcal polysaccharide vaccine with
23 the polysaccharide. Their responses were much lower
24 than those of age matched controls receiving the
25 polysaccharide vaccine for the first time. I would

1 like to illustrate these points in a few slides.

2 Again, now we're looking at adults. This is
3 a study that was reported by Dr. Granoff, et al., in
4 1996. What we see is, again, this is a meningococcal
5 priming vaccine, individuals who received no vaccine,
6 had received the standard polysaccharide vaccine, or
7 the conjugate vaccine. Now, the interval between
8 receiving the vaccines and receiving a one microgram
9 challenge dose was four years.

10 What we see is individuals who received as
11 one microgram of polysaccharide that had no previous -
12 vaccination had a really very reasonable bactericidal
13 titer. There was a markedly less antibody response if
14 they had received the polysaccharide before.

15 Now, if they had received the conjugate
16 before as we have heard today, the conjugate
17 vaccinated individuals are primed and so there is a
18 large increase from the preimmunization level to 28
19 days post-immunization.

20 Now, we are going to looking at toddlers.
21 First we are going to look at the ELISA and then we'll
22 look at the bactericidal. What we have here is
23 primary immunization and then finally 12 months past
24 the second immunization, and then the polysaccharide
25 booster.

1 What we see here looking at the
2 polysaccharide first is that the levels in those who
3 received the polysaccharide before are actually lower
4 after the polysaccharide booster than in individuals
5 who had received hepatitis B control vaccine and
6 finally at the end received the booster.

7 Again, what we see with the conjugate, the
8 antibody persistence after 12 months is reasonable.
9 However, the polysaccharide boosted the ELISA response
10 very nicely.

11 Now, looking at the same groups of
12 individuals but now looking at the bactericidal, what
13 we see is, one, again the higher bactericidal titer
14 than those who received the polysaccharide for the
15 first time.

16 Again, we're looking at percent of
17 individuals with a titer of greater than one to four.
18 There was essentially no change on reimmunization with
19 a polysaccharide. On the conjugate we see two things.
20 One, twelve months after immunization with a conjugate
21 we still have over 85 percent of the children with
22 measurable bactericidal titers and that increased to
23 100 percent after the polysaccharide booster.

24 Again, in toddlers we see some difference in
25 polysaccharide boosting when the toddler had seen the

1 polysaccharide before.

2 Now, I would like to move to the next slide,
3 please, and we're going to look at the infant. Now we
4 are going to go to Gambian infants. There are Gambian
5 children at 20 months of age at the time of
6 reimmunization who had received a meningococcal
7 vaccine as an infant.

8 This column lists the initial vaccine, again
9 the initial vaccine being given as an infant, and then
10 the reimmunization vaccine. What we see is if there
11 is no vaccine before, they receive the polysaccharide. —
12 They get a nice ELISA antibody response as a toddler.
13 The bactericidal response is respectable.

14 If they had received two doses of the
15 polysaccharide vaccine and they are reimmunized with
16 the polysaccharide, we see a much lower and negligible
17 bactericidal level. Now, of these individuals who had
18 received the polysaccharide received the conjugate,
19 the conjugate was able to overcome whatever effect of
20 having received the polysaccharide before and we get
21 a good ELISA antibody level and they didn't do
22 bactericidal in these children.

23 Now, had the initial vaccine been two doses
24 of conjugate, receiving either the polysaccharide or
25 the conjugate, they had a very robust booster response

1 and a bactericidal titer of over 4,000.

2 What we would like to look at is this number
3 versus this number. There's a remarkable difference
4 between having received two doses of polysaccharide
5 versus two doses of conjugate and then reimmunization
6 with the polysaccharide.

7 So, to conclude, and you'll see these
8 questions later again, the questions the FDA would
9 like to ask the committee is; (1) can we use
10 immunological correlates to demonstrate protective
11 efficacy of meningococcal conjugate vaccines.

12 First, for individuals for which the current
13 polysaccharide vaccine is licensed. That means for
14 individuals aged two years and above.

15 Then, (2) for infants and toddlers below two
16 years of age. Then, two, for both age groups can the
17 presence of bactericidal antibodies be used as a
18 measure of functional and, therefore, presumed
19 protective activity.

20 Then, (3) can total antibody quantitated by
21 ELISA in some fashion be used as a surrogate for
22 functional bactericidal antibody and, therefore,
23 protection. These are the questions we would like the
24 committee to consider. Are there any questions?

25 DR. GREENBERG: Thank you, Carl. I think

1 your questions, there aren't a lot of them. They may
2 take a little while to go through. We are going to be
3 breaking for lunch. I think this is an opportunity if
4 you have any critical questions of Carl that he can
5 answer. We have the open public hearing but this is
6 your last crack at Carl before we get back to this.
7 Does anybody have any?

8 DR. FAGGETT: I just want a clarification,
9 Carl. Second question says both age groups presence
10 of antibodies used as the measure of functional
11 activity. Don't you mean as a measure? You're not
12 saying that you want that to be the only measure.

13 DR. FRASCH: You are correct. It's a
14 measure.

15 DR. FAGGETT: Thank you.

16 DR. GREENBERG: Dixie.

17 DR. SNIDER: You can tell me -- you will
18 tell me if this is not appropriate now. The point was
19 made about passive immunity with regard to one-month
20 olds. That is all I heard this morning about passive
21 immunization. I wondered if there is any more
22 information that hasn't been put out on the table for
23 us to consider.

24 DR. GREENBERG: If somebody can answer that
25 briefly.

1 DR. FRASCH: There's very little information
2 on the use of passive immunizations. There is some
3 data that Dr. Gotschlich may remember from Dr. --
4 anyway, a Czech investigator using hyperimmune serum
5 as a way of protecting against meningococcal disease
6 in sort of a day care setting in Mongolia, was it?
7 And they demonstrated some effectiveness of passively
8 administered antibody.

9 DR. GOTSCHLICH: I think the more telling
10 thing is that children with A gammaglobulin anemia are
11 protected against meningococcal infection by the
12 standard immunoglobulin treatment.

13 DR. FRASCH: Good point.

14 DR. GREENBERG: Other questions?

15 DR. FRASCH: Dr. Bud Anthony.

16 DR. ANTHONY: Bud Anthony with the Biologics
17 Consultant Group. Carl, in the studies of
18 bactericidal polysaccharide immunoglobulin, was there
19 enough meningococcal disease in those populations to
20 make any conclusions about protection?

21 DR. FRASCH: I have heard no data whatsoever
22 on that point. The bactericidal polysaccharide
23 immunoglobulin did contain antibodies against the four
24 meningococcal types. The only data that was reported
25 was against hemophilus and then against pneumococcus.

1 DR. GREENBERG: I'm going to ask one
2 question just to get back to this issue of whether it
3 is absolutely not possible to do an efficacy trial
4 just so I'm clear on that. That is, that there is no
5 possibility anywhere in the world with reasonable
6 resources to carry out an efficacy trial in any
7 population with either for meningococcus A or C? Is
8 that correct?

9 DR. FRASCH: I would like Dr. Perkins to
10 address that. However, from the standpoint of the
11 FDA, we have to look at not only whether it's possible
12 but whether the epidemiology and other conditions in
13 that foreign country are translatable to the U.S.
14 population because ultimately that's the population we
15 want to protect.

16 DR. GREENBERG: I totally agree with that.
17 I just, again, for my own thinking about this since
18 surrogate markers are always very important and if we
19 have them, they make things much more efficient. You
20 do like to think of them in the context of whether if
21 it is impossible to do efficacy, then you have to
22 figure out some other way to judge your vaccine. If
23 it's possible, then there's an alternative and I just
24 want to know.

25 DR. FRASCH: Dr. Perkins, please address

1 that.

2 DR. PERKINS: It's possible to do efficacy
3 trials in other parts of the world, I think, for both
4 C and A. It's not possible for the W135 or the Ys.
5 I think the major barriers are the places that have
6 high enough rates of disease to do it efficiently have
7 relatively poor infrastructure.

8 The other major barrier is the ethical
9 barrier that Emil alluded to with a vaccine that is
10 licensed, at least in the United States, for two years
11 and above and in many places is used in populations
12 younger than that.

13 Africa, for instance, where we used the
14 currently licensed polysaccharide down to six months
15 in writing. Actually we use it down further than
16 there. I mean, that ethical consideration has been
17 considered by most to be an absolutely contra
18 indication to doing a placebo controlled trial.

19 DR. GREENBERG: I meant a polysaccharide
20 control trial in very young children where you expect
21 the polysaccharide to be not very efficient.

22 DR. PERKINS: The sample size limitations
23 would, I think, be prohibitive in those situations.

24 DR. SNIDER: There's also another ethical
25 issue that needs to be brought out. That is, as you

1 know, there's been a lot of discussion and criticism
2 around the perinatal pretrials and subsequently other
3 trials. There is the issue if you try to do it in
4 another part of the world what are you going to do
5 about making vaccine available to those people?

6 DR. GREENBERG: Diane.

7 DR. GRIFFIN: This is again just to sort of
8 solidify my thinking as we try to tackle this issue
9 and to understand that there is absolutely no animal
10 model available for asking some of these questions
11 including chimps, baby rhesus macaques. No animal
12 like that is susceptible to this disease and,
13 therefore, we can't ask these kinds of questions in a
14 relevant primate trial.

15 DR. FRASCH: That's true. There is no
16 viable animal model. The meningococcus is uniquely a
17 disease of man and we don't understand exactly why but
18 it probably has to do with the fact that the bacteria
19 must establish itself through attachment to
20 meningococcal tissue and the receptors that uses
21 probably are lacking in some of the nonhuman primates.

22 DR. GREENBERG: Dr. Daum.

23 DR. DAUM: I want to press a little bit
24 about these ethical issues because I think to toss out
25 the option of doing an efficacy trial without every

1 stone looked under would be a shame. I guess that is
2 to wonder whether it's routine in some of these
3 foreign countries. I don't know which ones you're
4 speaking of. Is it routine to immunize young children
5 with the plain polysaccharide vaccine there or is it,
6 in fact, an idea? The vaccine isn't really available
7 there.

8 I raise this issue because I was impressed
9 in the hemophilus story in Chile PrPT was licensed
10 there but unavailable. There was already
11 epidemiologic data in that country to say flu is a
12 problem. There was already antibody data with that
13 vaccine in Chile in children to say that they
14 responded.

15 Yet, because the vaccine was unavailable to
16 Chile in children despite licensure in that country,
17 a trial went forward. That trial was half the kids in
18 Santiago got vaccine and half didn't and they compared
19 the occurrence of invasive hemophilus disease. This
20 was fairly recent, way after we know lots and lots
21 about H flu disease.

22 If, in fact, the vaccine is unavailable for
23 young children in that country, and most of us in this
24 country feel like it's not of great value in kids
25 under two years of age, then is that a real ethical

1 concern that some people use it as six months or could
2 we find the place to do it where infants could be
3 immunized with a conjugate and controlled with
4 placebo?

5 DR. FERRIERI: Could I add to the question?
6 Why could it not have been done in England in the U.K.
7 where the vaccine will now be used widely?

8 DR. DAUM: You can but I would still like my
9 question addressed.

10 DR. GREENBERG: Dixie.

11 DR. SNIDER: It's like taking a two-day
12 meeting that we've had around these issues and trying
13 to summarize it. All I was trying to say is that is
14 a problem to address. How would you make the vaccine
15 available? What efforts will you make as a sponsor of
16 these kinds of trials? It doesn't mean that you have
17 to buy it yourself. All I'm trying to say is this is
18 one of the problems you have to deal with. You have
19 to think through before you organize such a trial.
20 It's probably best to just leave it like that.

21 DR. GREENBERG: Hold on one second. I have
22 a feeling for this question. I think we all do. I
23 didn't mean to solve the question of carrying out
24 vaccine studies in less developed countries here. I
25 just wanted to know this discussion started with an

1 assumption, a clear-cut statement that this couldn't
2 be done.

3 If I read this correct, that isn't exactly
4 correct. It could be done. There are mitigating
5 questions, ethical questions, and population questions
6 that would leave you to say, (1) it's going to be hard
7 to do, and (2) the results might not be directly
8 transferable to the United States. Is that a good
9 summary?

10 Do I have any other questions? Diane.

11 DR. GRIFFIN: Just one small one that
12 relates to this. It looked to me like the
13 polysaccharide vaccine might actually be detrimental
14 to give it to very young infants. I guess I'm a
15 little puzzled by why this is considered such a good
16 thing to do.

17 DR. GOTSCHLICH: May I answer? It's a long-
18 established fact that the group C vaccine causes an
19 immunological tolerance which was reported to you
20 today in young children. This effect, at least in our
21 studies, disappeared by the age of two years. In
22 other words, once you immunize children at the age of
23 two years, you no longer saw this immunological
24 effect.

25 Let me just say one additional thing. The

1 way it was elicited in these particular studies was by
2 immunization with a microgram of group C
3 polysaccharide which is nowhere near anything like a
4 dose that one would normally consider. That's all I
5 wish to say.

6 DR. GRIFFIN: But the primary immunization
7 had still been given to very young infants and it's
8 just --

9 DR. GOTSCHLICH: The recommendations of this
10 country and the WHO is that the group C vaccine not be
11 given to children below the age of two. The
12 recommendations only apply to the group A vaccine
13 where a completely different immune response is seen;
14 namely, a booster response if the vaccine is given at
15 age three months and followed at seven months.

16 DR. DAUM: What about Dr. Perkin's comments
17 then that it's frequently used at six months of age?

18 DR. PERKINS: Yes. I do not know how to
19 reconcile the invitro immunology that Carl presented
20 with 20 years of observational experience with this
21 vaccine. There is essentially no clinical information
22 that would suggest that persons at whatever age when
23 given a single or multiple doses of the polysaccharide
24 vaccine are at subsequently increased risk for
25 invasive disease. Although we haven't tried to

1 address that question head on, there is lots of
2 anecdotal experience that would suggest if there is an
3 increased risk, it must be very small.

4 DR. GREENBERG: Do I have --

5 DR. GOTSCHLICH: May I make one last
6 comment? In your question, and it is an appropriate
7 one, to persist to try to see if there is a
8 possibility with efficacy studies, I would also tell
9 you that the only conceivable way to do this is under
10 conditions which are no longer considered ethical, at
11 least by the New England Journal of Medicine, and only
12 for group C. Because in the case of group A, there is
13 no question that if you give the vaccine correctly,
14 you will have a protective effect precisely in the
15 population which you are trying to see a protective
16 effect for the conjugate.

17 DR. GREENBERG: Ms. Fisher.

18 MS. FISHER: Just one quick question. Is
19 the reason that we cannot --

20 DR. GREENBERG: Who is the question
21 addressed to? To Carl?

22 MS. FISHER: Yes. Is the reason that we
23 cannot do clinical efficacy trials in this country
24 because there is so little disease in this country
25 relatively? Is that the overriding reason?

1 DR. FRASCH: That's the problem in this
2 country. The underlying level of group C
3 meningococcal disease or the endemic level tends to be
4 quite low. We do have problems of what I would call
5 focal outbreaks that Dr. Perkins mentioned. The
6 problem is there is absolutely no predictability of
7 where these outbreaks are going to occur and,
8 therefore, we can't go to a population and immunize
9 that population in advance.

10 If we could do that, then, yes, it might be
11 possible to do a study in the United States. Without
12 this predictability, it makes it very, very difficult
13 to try to do that.

14 DR. GREENBERG: One last question at the
15 microphone.

16 DR. GEBER: It's actually just a comment.
17 I'm Antonia Geber from the FDA. I just want to point
18 out that we have recently allowed a placebo controlled
19 trial on which Pasteur Merieux Connaught discussed in
20 Niamay Niger so that there are certainly ethical
21 considerations. It was a much smaller trial. It
22 doesn't address logistical issues of detecting disease
23 that I think we have allowed.

24 DR. GREENBERG: Okay. I would like to call
25 this discussion to a halt for now. We are going to

1 obviously I'm sure revisit some of these issues after
2 lunch. We now have time for open public hearing. Is
3 there anybody in the audience that wishes to make a
4 statement? I see somebody there.

5 DR. MADORE: Thank you. I'm Dace Madore
6 from Wyeth Lederle Vaccines. I think as many of you
7 know, Wyeth Lederle has made a meningococcal prime 197
8 conjugate vaccine that has been in evaluation in
9 infants over the last several years. As we saw in
10 some of the data that was presented, actually George
11 Carlone's slides, studies by Ray Borrows in the U.K.,
12 this vaccine is highly immunogenic in infants as well
13 as other populations whether evaluated by ELISA or by
14 the bactericidal assay.

15 Since we had some discussions about the
16 various methods that are used, high avidity ELISA and
17 the standardized ELISA, I was wondering whether I
18 would be able to show some of the Wyeth Lederle data
19 regarding the performance of our ELISA which I think
20 is relevant.

21 DR. GREENBERG: I think that's -- short yes.
22 Pick your best data. Representative but best.

23 DR. MADORE: Thank you. Thought Wyeth
24 Lederle assay differs slightly from the ELISA methods
25 that have been discussed previously in that we use

1 polysaccharide that is purified by the Lederle
2 organization and not the CDC supplied material and
3 it's what we consider our standardized ELISA.

4 It also varies from the CDC standardized in
5 that we do not use high binding plates. This is data
6 just to show in the adult population, on the left,
7 these are recipients of polysaccharide vaccine pre and
8 post immunization, that we get a very good correlation
9 between the IgG concentrations generated by our ELISA
10 and the bactericidal titer. This is using rabbit
11 complement, I'll make the note.

12 Similarly, with recipients of the conjugate
13 vaccine, we have a similar relationship. For the
14 purposes of time, I'm not going to show infant data or
15 other age groups clearly regenerating very high
16 antibody levels and so we're getting similarly very
17 high correlations.

18 What I would like to share with you is
19 another potential difference that has not been
20 discussed yet on why the ELISA may not perform well in
21 comparison to the bactericidal assay in some of the
22 previously shown data.

23 In some interlaboratory studies that we've
24 participated in, there were two different sources of
25 the polysaccharide that were utilized in the ELISA by

1 laboratory Y versus ourselves, laboratory X. One
2 notes this is the correlation between the ELISA output
3 between the two laboratories with adult sera. The
4 dotted line is the line of equality.

5 Using the different source of polysaccharide
6 we see a different relationship. In fact, we're
7 seeing overestimation of antibody levels at the low
8 end. When the Wyeth Lederle source of polysaccharide
9 was shared between the two laboratories, essentially
10 one got equivalent results.

11 The reasons that we believe that there is -
12 some of the disparity between the laboratories can be
13 brought out from this slide which is Wyeth Lederle
14 polysaccharide that is used in both cases. In one
15 case, the laboratory was using material that had been
16 stored at four degrees and had acquired endotoxin
17 levels.

18 Whereas, in the other case from the same lot
19 of polysaccharide that had been stored frozen and
20 freshly used, the same specimens were tested. These
21 are adult pre-immunization sera. One can see that one
22 does not get equivalence using these two antigens for
23 the presera. However, on the next figure what I will
24 show you is looking at post-immunization sera this
25 difference is not as apparent.

1 This is the combined data from the pre and
2 the post-immunization sera and I think it's similar to
3 a lot of the graphs that we've seen presented earlier
4 this morning. We believe that the presence of
5 endotoxin can contribute to the behavior of these
6 ELISAs and perhaps can account to what we are
7 considering high avidity or regular avidity or broad
8 avidity assays.

9 In fact, we have compared endotoxin levels
10 and the standardized antigen that is provided by the
11 CDC to the Wyeth source. There is about 1,000-fold
12 difference in the presence of endotoxins. This may be
13 a factor that contributes to the performance of the
14 standardized assay as developed and standardized by
15 the CDC. Thank you.

16 DR. GREENBERG: Thank you. Do any committee
17 members have any questions about that presentation?
18 If not, are there any other members in the audience
19 who wish to address the panel? If not, there is one
20 announcement and then we will adjourn.

21 I would like to speed things up a little bit
22 here again just being a hurricane anxious person. I'm
23 going to ask the panel to take 45 minutes for lunch
24 rather than an hour so to be back here at 1:00 rather
25 than the stated 1:30. Then we'll catch up a little

1 bit. I'm told by Nancy that we have space reserved
2 for us in the downstairs restaurant to speed your
3 ability to take feedings. We've tried to expedite
4 that. If everybody could be back here at 1:00 sharp,
5 we'll get on with our general discussion.

6 (Whereupon, off the record for lunch at
7 12:19 p.m. to reconvene at 1:00 p.m.)

8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

1:08 p.m.

DR. GREENBERG: Take you seats. Is Carl here? Carl. If people would take their seats, we are going to get started here. We are now 20 minutes earlier than I had hoped to be and I figure we can be 10 minutes faster so I would love, if possible, to end this meeting at 2:45 as opposed to 3:15. If we can't, we can't but that's my goal. That means everybody has to think clearly.

Carl, you are going to reintroduce the questions.

DR. FRASCH: Thank you. What I would like to do is simply place the questions back on the overhead projector for everybody to look at and I'll go back to my seat and answer any questions you may have for me there. Thank you.

DR. GREENBERG: Okay. What I will do is simply first read the question and then ask for comments from our panel members. Of course, if you have any question about the meaning of the question or the intent, you can speak to Carl.

The first question is can we use immunologic correlates to demonstrate protective efficacy of a meningococcal conjugate vaccine for (A) individuals

1 for which the current polysaccharide vaccine is
2 licensed. By that Carl specifically told us he meant
3 people over the age of two; and (B) for infants and
4 toddlers below the age of two and for whom the immune
5 response of the polysaccharide vaccine was less than
6 protective.

7 DR. FRASCH: I would like them to be
8 answered as 1(A) and 1(B).

9 DR. GREENBERG: Right. Okay. So do I have
10 some discussion from or thoughts from panels members?
11 Kathy.

12 DR. ESTES: Well, from laboring in the
13 hemophilus issues, I think there are some correlates
14 and some lessons that can be learned from that story
15 but there are some differences as well. I think the
16 data from the correlation of protection with
17 bactericidal titers from the military experience
18 really is beautiful and suggest that if using that
19 assay, that if you have greater than one to four,
20 there is protection. I think that is really an
21 important piece of information.

22 What I'm really struggling with is how we
23 can take that particular assay and apply it to the
24 vaccine studies of polysaccharides and conjugates.
25 I'm having great difficulty seeing how the current

1 assays seem to correlate with the functional assay
2 which appears to be protective.

3 For instance, in the PMC study the ELISAs
4 seem to be very high but the bactericidal assays seem
5 to not be very high so there seemed to be a real
6 disconnect between the ELISA and the functional
7 activity, at least in that particular study. Then I
8 think I am also confused about the different sources
9 of complement and is there going to be something that
10 we can easily translate?

11 It seems that there are correlates of -
12 protection that have been clearly established but how
13 we are going to use those correlates with the assays
14 that we currently have remains very problematic and
15 confusing to me.

16 DR. GREENBERG: Just as a point of
17 clarification, in theory we currently have the assay
18 that was employed by Dr. Gotschlich more or less for
19 those very nice correlative studies. That is the
20 human complement bactericidal antibody assay.

21 Dixie.

22 DR. SNIDER: I have a question I meant to
23 ask earlier but there wasn't time. If I understood
24 some of the presentation correctly, the antibody
25 levels against bactericidal group A, C, whatever, go

1 up with age so that once you get in your thirties or
2 forties a high proportion of the population have
3 antibody levels.

4 How would you characterize those who have
5 looked at antibody levels characterize the antibodies
6 in those people who are naturally immune to the ones
7 that have been actively immunized? Is there anything
8 quantitatively or qualitatively different about the
9 antibody responses?

10 DR. FRASCH: Well, again, the correlation
11 was not for antibody levels with protection so much as
12 the presence or absence of detectable bactericidal
13 activity as measured invitro assay.

14 I think if people are coming from the
15 hemophilus story where they had .15 correlates with
16 immediate immunity, one microgram correlates with
17 long-term immunity and that's even up in the air at
18 this moment. I think there could be a problem because
19 there really isn't good correlates with the amount of
20 antibody as much as with the functional correlate.

21 If you are asking for an exact amount, we
22 just don't have it from the standpoint of quantitating
23 micrograms of antibody.

24 DR. SNIDER: Okay. I guess it's just
25 another little piece of information if you are trying

1 to stack up an argument for using a correlate as
2 opposed to disease. That was implicit and I think
3 could be made explicit. That is, functional
4 bactericidal antibody activity increases with
5 increasing age in the population and that correlates
6 with the decreased risk of hemophilus disease.

7 DR. FRASCH: And meningococcal disease.

8 DR. SNIDER: I meant meningococcal.

9 DR. FRASCH: I think the data that Dr.
10 Gotschlich presented at Ft. Dix, there was not a
11 single case of meningococcal disease among those
12 individuals who upon their arrival at training at
13 detectable bactericidal antibodies. Every case of
14 meningococcal disease that occurred in that company
15 occurred in those who were unfortunate enough at the
16 moment of entry to not have bactericidal antibody.

17 DR. GREENBERG: I'm just going to ask one
18 follow-up here. Were there cases of invasive
19 meningococcal disease or meningitis in the
20 polysaccharide studies that have been looked at and
21 bactericidal levels done on those people
22 retrospectively to know whether one can better get a
23 handle on correlates of protection in the
24 polysaccharide vaccine era?

25 DR. FRASCH: Emil, do you have a comment?

1 DR. GOTSCHLICH: Are you suggesting the
2 examination retrospectively of vaccine failures?

3 DR. GREENBERG: Yes.

4 DR. GOTSCHLICH: I don't quite see how I
5 would get any information out of them following the
6 disease.

7 DR. GREENBERG: No, not following the
8 disease. Prior to the disease following vaccination.

9 DR. GOTSCHLICH: Oh, I would have to have a
10 serum available on an individual who was going to be
11 a vaccine failure.

12 DR. GREENBERG: Well, I don't know. If you
13 drew large numbers of serum. I don't know. Those
14 studies were done before I ever even thought about
15 meningococcal vaccines.

16 DR. GOTSCHLICH: No, that's not available.

17 DR. STEPHENS: But I think there is a
18 broader issue and I would like to involve the
19 participants or presenters in this question, a broader
20 issue of a correlation between serum bactericidal
21 activity. We've heard today about the relationship
22 with natural disease, but I think there is also
23 reasonable evidence that correlates with vaccine
24 efficacy. Would anyone want to elaborate on that
25 point which is, I think, one we really haven't fully

1 addressed.

2 DR. FRASCH: I think to take your point a
3 little bit slight tangent, that is when there was an
4 outbreak occurring in an African village and they
5 administered the meningococcal group A polysaccharide,
6 within 10 days of administration the disease had
7 virtually disappeared. The only intervention, of
8 course, was the administration of a purified
9 polysaccharide. That means that induction of
10 antibodies to the purified polysaccharide is
11 sufficient to protect. The increased antibodies is
12 correlated with the increase in bactericidal.

13 DR. STEPHENS: And that's also true in the
14 group B outer membrane protein trials to my
15 recollection where SBT was correlated with vaccine
16 efficacy. Is that not correct?

17 DR. FRASCH: That's true. However, in the
18 same study the ELISA --

19 DR. STEPHENS: I think it's important to
20 separate the ELISA issue from the SBT issue because I
21 think, unfortunately that's --

22 DR. FRASCH: I consider ELISA a further step
23 away from protective immunity.

24 DR. STEPHENS: I agree with you. I think
25 that's right.

1 DR. GREENBERG: Dixie.

2 DR. SNIDER: I mean, the generic question of
3 can we use immunologic correlates to demonstrate
4 protective efficacy has to be yes just based on our
5 knowledge of biology. You really have to go beyond
6 that question and say what are those correlates.

7 What we are hearing, I think, is that
8 certainly there is an association between protection
9 and the presence of functional bactericidal
10 antibodies. We would presume because of biologic
11 plausibility, etcetera, that there is a causal -
12 association there, although there are a couple of
13 little answers to a few questions that didn't nail
14 that down solidly as much as we would like.

15 There are also issues raised so that people
16 were suggesting that we needed to have more than one
17 immunologic measure. They were suggesting
18 bactericidal activity as being very important. They
19 are also talking about looking at high affinity
20 antibodies in ELISA tests.

21 George, I think you are the one who
22 mentioned opsonozation phagocytosis as another
23 potential measure. I think for all of these there
24 were also concerns about multiple tests and multiple
25 methods of doing the tests and doing them in different

1 laboratories using different reagents. Although we
2 heard the word standard several times, those were all
3 different standards. There is some lack of clarity
4 about what the standards would be for any one of those
5 tests.

6 DR. GREENBERG: Thank you, Dixie. I would
7 just like to make sure the committee is focusing on
8 that first question. Just to slightly spin it a
9 little different way, it wasn't do we believe that
10 immunity is involved in meningococcal and prevention
11 of meningococcal disease. I think if that was the
12 question, we would have had a resounding yes. But it
13 is can we use immunologic correlates to demonstrate
14 protective efficacy of a meningococcal conjugate
15 vaccine. That's the specific question. Not should
16 there be but can we today take some correlate and use
17 it to demonstrate efficacy. I think that is what the
18 question is, can that be done. To answer that you
19 would have to say how it would be done.

20 DR. FRASCH: Clearly the word is correlates.
21 We didn't say correlate so, therefore --

22 DR. FAGGETT: Go ahead. I'm just next.

23 DR. FRASCH: So what I'm trying to say is we
24 are talking about one or more immune correlates. For
25 example, when we were trying to approve additional

1 hemophilus conjugate vaccines, we actually had a list
2 of four or five immune correlates that we had to
3 compare the new vaccine to.

4 DR. GREENBERG: Walter.

5 DR. FAGGETT: Yeah, just to clarify. Are we
6 sure that they really want to ask the question of
7 demonstrating protective efficacy? It might be better
8 stated to predict protective efficacy. The
9 discussions I've heard this morning have clearly shown
10 the difficulty of having any kind of study to prove
11 protective efficacy.

12 DR. GREENBERG: Carl, I actually agree with
13 Walter that predict would be a better word there. Do
14 you feel comfortable with that in question 1? Can we
15 use immunologic correlates to predict?

16 DR. FAGGETT: Especially in this climate
17 where we as clinicians are going to have to be
18 convinced and meningococcal is a good example of where
19 we are the ones that have real questions about how
20 effective it is. If we are going to have folks buy
21 into it as an FDA approved approach.

22 DR. FRASCH: I agree. We think there is a
23 cause and relationship but, again, proof is a little
24 difficult to come by.

25 DR. GREENBERG: Dr. Daum.

1 DR. DAUM: Just to clarify the question
2 again. Do you mean that question should have the word
3 existing in it or any generic? In other words, in the
4 question can we use existing immunologic correlates or
5 is the question do we think there is some way of
6 finding a correlate?

7 DR. FRASCH: I think we are really talking
8 about existing correlates but we are not necessarily
9 talking about existing assays. For example, there's
10 been some discussion today about doing a bactericidal
11 assay in three or four different ways. That's not
12 what we are discussing. We are discussing, for
13 example, is bactericidal antibody measured in the
14 appropriate way. Okay? I'm not trying to say that we
15 have exactly the correct assay conditions at this
16 moment in time.

17 DR. GREENBERG: So I have several people.
18 Ms. Fisher, Dr. Huang, and then somebody else.

19 MS. FISHER: I have to go back to the use of
20 predict versus demonstrate. In order to license a
21 vaccine don't you have to demonstrate efficacy? I
22 mean, if we're talking about moving on to the next
23 stage, I think we have to look at whether or not
24 efficacy has been demonstrated versus only predicted.

25 DR. FRASCH: That's correct. I mean, the

1 regulations say it has to be shown to be both safe and
2 effective. It's obvious there is some gray area in
3 every study.

4 DR. GREENBERG: Also this question doesn't
5 go to licensure. This question says can we use it to
6 predict. It doesn't say that the FDA has the right to
7 use this for licensure.

8 MS. FISHER: But won't these trials
9 presumably lead to licensure? The data will be used
10 to license?

11 DR. GREENBERG: I'm sure the people out
12 there on the other side of the microphone will hope
13 that is the case. Other? Alice.

14 DR. HUANG: I would just like to follow up
15 with what Dixie had initially said. I agree with him
16 that I think what we have seen today if you take the
17 data as a whole, it's very clear there are correlates
18 and that we are not talking about efficacy. That
19 isn't to say that we are talking about all the methods
20 that would run naturally in the cure or recovery from
21 disease. I find that for sentence No. 1, (A), it
22 seems to require a yes.

23 DR. GREENBERG: Other panel? Dr. Daum.

24 DR. DAUM: I would like to ask Dr.
25 Gotschlich to help me again because I didn't quite get

1 it before. In the Brazilian trial, the data that you
2 presented this morning, I guess I'm getting a little
3 hung up on the fact that the antibody concentration in
4 children who are not protected looks to me almost like
5 the same number, the same mean as those that were. I
6 have a little trouble deciding that we have a
7 correlate when those data are out there.

8 DR. GOTSCHLICH: Okay. First of all, I
9 completely agree with you that this apparent paradox
10 exist. What I tried to do this morning was to paint
11 a picture that with the group C polysaccharide which
12 engenders only antibodies to the group C
13 polysaccharide you could define an age group in which
14 this material is effective. If the vaccine is 90
15 percent effective in the age group of six months to
16 five years, or in another study more or less 90
17 percent effective in an age group of two years to five
18 years, then it is my conclusion that the immune
19 response of the five-year-old must be protective.

20 Furthermore, I chose as the other side, in
21 other words, to give you a lower limit of where
22 protection might even be at least faintly evident to
23 present you the Brazilian one. That would give you
24 the marginal response that you would certainly wish to
25 set standards above.

1 One could set standards but the conjugate
2 vaccines should do what they do in U.S. military
3 recruits. It works great. I think that would be a
4 standard that is unobtainable at this point in time
5 and is not realistic.

6 However, I believe if we look at the data
7 and we separate the sheep from the goats in the
8 conjugate vaccines, we will find a conjugate vaccine
9 that will produce the immune response of a well-
10 protected population with the group C polysaccharide
11 itself.

12 DR. GREENBERG: Can I ask for a quick
13 clarification, Carl? Are we talking here when we say
14 efficacy of meningococcal conjugate vaccines, are we
15 talking about group C or are we talking about all
16 meningococcal vaccines? Most of our data has been
17 group C here.

18 DR. FRASCH: We are talking about
19 meningococcal vaccines.

20 DR. GREENBERG: Okay.

21 DR. FRASCH: However, it's obvious that the
22 vaccine that the FDA is going to have to deal with is
23 going to be primarily a meningococcal C vaccine or the
24 4 valent vaccine but we are not going to deal with
25 meningococcal A vaccine by itself.

1 Relating to Dr. Daum's comment, I think he's
2 talking about measuring antibodies by a quantitative
3 way versus a functional way.

4 Now, this slide shows that if we had chosen
5 to only look at ELISA we couldn't sort out a two-year-
6 old from a 19-year-old. However, if one went to the
7 functional assay, there are very striking differences
8 between a two-year-old and a 19-year-old. Therefore,
9 I don't think we should get hung up on trying to
10 quantitate an antibody via ELISA.

11 DR. GREENBERG: Do I have some other
12 questions from the panel? Dixie.

13 DR. SNIDER: Just to get back to an issue
14 that you raised earlier to make sure it is dealt with
15 or off the table. Implicit in question No. 1 is that
16 we have already answered question zero, I guess, which
17 is should we use immunologic correlates. I don't want
18 to dig back and go over old ground again but it
19 sounded as if we hadn't completely shut the door on
20 the notion of using our standard approach, the
21 randomized controlled clinical trial.

22 I would just for the record would like to
23 say that what I believe everybody would say, that if
24 there is an opportunity to do that, this use of
25 feasibility and the money and the ethical issues and

1 so forth, could be dealt with. I think all of us
2 would prefer data from a randomized control trial to
3 demonstrate protective efficacy.

4 If it is decided that those conditions
5 cannot be met, then it seems to me that we are left
6 with having to use immunologic correlates if we hope
7 to have new conjugate vaccines on the market.

8 Therefore, I think it is appropriate to
9 change, demonstrate, predict for those reasons, as
10 Walter had said, realizing that when we take a step
11 away, we take some risk and the probability of our
12 predictions diminishes somewhat when we have to work
13 with immunologic correlates in situations like this
14 where we have not nailed down the perfect tool to
15 measure protective immunity.

16 I think the answer, in my view, could be yes
17 for 1(A). We haven't talked about 1(B) yet. For 1(A)
18 it would be yes, we can do that, but we will reduce
19 the probability will be correct by some amount that I
20 cannot articulate.

21 I'll ask for some more comments but I would
22 like just before we go any further for people to limit
23 their comments to Dixie, the first half of his
24 statement, which I feel very strongly about as well
25 and that the zero question, which is really not on

1 here, that I got the sense from around this table that
2 given all the caveats and we can't go through all that
3 now, by far the preferable way to determine efficacy
4 of a meningococcal vaccine would be efficacy.

5 And that should remain and no stone should
6 be left unturned to explore that even though we think
7 it's very hard to do and that should always be the bar
8 we see in front of us because we all have anxiety
9 about these correlates.

10 Does anybody have any comment in that area?
11 Diane.

12 DR. GRIFFIN: Well, the other advantage --
13 there are lots of advantages obviously to getting that
14 kind of data but the other thing that it seems like we
15 are lacking that makes this such a difficult issue is
16 that we don't have even a set of sera from people in
17 two different groups, one of whom didn't get the
18 vaccine or got a vaccine that didn't work versus one
19 that did that we can say what's the difference and
20 really tease out what's the specificity, what are the
21 biologic functions of that antibody that's protective.

22 We don't have anything we can go back to
23 that has that. If we could get that but I guess there
24 is nothing available from the original trial where the
25 currently licensed vaccine. We don't have a set of

1 sera there that could be used or something that would
2 allow us to feel much more comfortable that we have
3 that correlate.

4 DR. GREENBERG: I have a number of questions
5 here. Alice.

6 DR. HUANG: Well, just going back to where
7 you were focusing on that I believe we all support the
8 gold standard of a placebo controlled trial if that is
9 at all possible.

10 DR. GREENBERG: Dr. Granoff.

11 DR. GRANOFF: Just one quick comment and
12 that is the meningococcal polysaccharide vaccine was
13 clearly shown to elicit protective antibody responses
14 in adults. The conjugate vaccines after several doses
15 are given as good or better antibody levels than those
16 seen in the adults getting the vaccine which is shown
17 to be protective. I think there may be situations
18 where a placebo controlled trial is needed.

19 The second point is you can look at the --
20 we heard this on an animal model and primates but you
21 can look at the ability of serum antibodies to
22 passably protect against challenge in infant rat
23 models. It is a way of looking at them.

24 DR. FRASCH: But from the standpoint of
25 specificity the only antigen they are getting is a

1 purified polysaccharide and the disease disappears.
2 I don't think there is an argument about the
3 specificity. Maybe there is an argument how much
4 antibody but I don't think there is an argument what
5 the antibody is against.

6 DR. GRIFFIN: Well, there may not be an
7 argument about the polysaccharide but there are
8 probably many epitopes on that polysaccharide. Maybe
9 that's incorrect. Maybe they are all equally
10 applications.

11 DR. GREENBERG: Dr. Gotschlich.

12 DR. GOTSCHLICH: The question that Dr.
13 Griffin raised in regard to not having a collection of
14 sera with which you would have both efficacy and
15 current serological techniques is one that bedevils me
16 as well. That is precisely why I cast my discussion
17 in the way that I did.

18 In other words, I tried to demonstrate that
19 generically the vaccine works in five-year-olds
20 anywhere and that generically five-year-olds anywhere
21 respond more or less the same way. I believe that
22 your anxieties can be allayed by accepting that.

23 DR. GREENBERG: I actually have a little
24 concern with that reasoning because there is a failure
25 rate in five-year-olds and I would really like to know

1 that failure rate was associated with a lack of
2 response in that individual because, you know, all
3 five-year-olds are five. Being five doesn't
4 necessarily protect you.

5 It's a common trait of all five-year-olds.
6 You're simply saying they all got vaccinated and they
7 all have a generally high level of antibody.
8 Therefore, if you get this level of antibody, you are
9 protected. You really need the negatives in that to
10 nail that down. You need to look at people who are
11 not protected who don't have your levels of antibody
12 to really prove that those levels of antibody in that
13 protected population are the cause of that protection
14 it seems to me. Dixie.

15 DR. SNIDER: I just wanted to follow up to
16 clarify a little bit after Dan's comment. The one
17 reason for preferring randomized control trials over
18 these other possibilities, and even I'm glad to know
19 that there may be an animal model and I think we would
20 all like to see that be part of what is done to
21 demonstrate that the human antibodies can protect the
22 infant rat against challenge.

23 Doing the controlled clinical trials doesn't
24 just answer the question does it work. It answers
25 some other important questions like how well. What

1 level of efficacy are you going to get. That becomes
2 important to convey to recipients of the vaccine.
3 That becomes important to policy makers who have to
4 decide whether or not to purchase the vaccine,
5 etcetera. Again, I will stay say, yes, we can do it
6 without it but there are still some reasons to prefer
7 it.

8 DR. GREENBERG: Other questions? Dr.
9 Breiman.

10 DR. BREIMAN: And also I think they give you
11 the ability to answer some of these questions. I -
12 mean, you can appropriately set up studies and make it
13 more possible given current techniques to derive
14 correlates. I guess I would say, though, that at some
15 point we are going to have to use correlates because
16 given the difficulty of doing these trials and the
17 number of potential vaccine products out there, it's
18 difficult to imagine doing trials with all of these
19 vaccines. At some point we are going to need to rely
20 on a correlate.

21 DR. GREENBERG: Dr. Karzon.

22 DR. KARZON: When I read the sentence under
23 No. 1, my immediate reaction is for what purpose am I
24 agreeing with this statement. I agree with many of
25 the things that have been said.

1 The correlates of protection as defined in
2 the various versions of it that were presented were
3 fairly persuasive and I would like to test them.
4 However, I want to know what I am enabling if I vote
5 for No. 1.

6 I still am stuck on the idea that I would
7 like some further evidence of quite exactly how this
8 does or doesn't work. I'm sitting here thinking about
9 such opportunities and the studies that were
10 represented by the CDC. For example, high risk houses
11 of first-year freshmen comes to mind. Another
12 possibility is containment vaccine has been used if we
13 see one case to vaccinate all logical contacts.

14 I wondered whether something can be put
15 together in which it would have preliminary use to
16 test the hypothesis that given that the tests are done
17 under appropriate control, that we do have a predictor
18 of efficacy. I would feel most comfortable if we can
19 head in this direction and get a little bit more data
20 and answer a few other questions that have been
21 floating around about different age groups and the
22 experiential pattern as we go through the ages of what
23 sort of protective material they are generating as
24 they grow up and what happens in the elderly to
25 reverse that trend.

1 DR. GREENBERG: I assume that the
2 containment or the containment strategy there is
3 already an accepted policy when you use the
4 polysaccharide vaccine. That would be a hard one to
5 do. The freshmen in college, I guess, there may be a
6 policy pretty soon that would make that one a hard one
7 to do as well. Basically that is continuing to look
8 under stones for ways to test this thing.

9 Do I have any other -- do I have a feeling
10 that we are ready to -- well, let me just put Dr.
11 Karzon's question directly to Carl and the FDA. To --
12 what ends are you asking this panel to say yes to this
13 if we do? Because I think you need to be sure that
14 you are hearing a lot of anxiety about saying yes if
15 the yes is the imprimatur to do a bunch of serology
16 and say we have an effective vaccine.

17 DR. FRASCH: I think the FDA is asking or is
18 bringing this subject up at this point in time is that
19 you understand it's very expensive to do clinical
20 trials. Companies are coming to CEBER asking for
21 advice. Now, we don't want to give them advice that
22 will lead to a very expensive trial that in the end
23 was, shall we say, barking up the wrong tree. I think
24 it's very important that the industry manufacturers
25 get a feeling for what the scientific community as

1 represented by the FDA advisory committee are feeling.
2 Therefore, we can give the companies the best possible
3 advice.

4 The issue before this committee had been
5 that trials were very hard to do from a logistical
6 standpoint, not from an expense standpoint, although
7 they are related. I assume you don't want them to
8 bark up the wrong tree in the other direction and do
9 a serologic test and then not get a vaccine registered
10 because we don't feel that it comes before this panel
11 and we don't feel that efficacy is. It probably cuts
12 both ways. Diane.

13 DR. GRIFFIN: I guess really what I came
14 away with from listening to everybody this morning was
15 that I don't think that necessarily we wouldn't have
16 a serologic correlate that we could feel comfortable
17 with. I don't think we have a serologic correlate now
18 that we can feel comfortable with. I mean, I think
19 what I would ask for is a whole lot more data on
20 exactly what is being measured in these various tests.

21 The SBA, the cidal test, the functional
22 test, is the one I certainly feel most comfortable
23 with because it is a functional test. That doesn't
24 mean that any of these others might not be equally
25 good but I certainly was not convinced that we had the

1 data at this point to accept that.

2 DR. GREENBERG: So if I hear you right, if
3 that word existing is in there, you have trouble with
4 this question. If it's not in there, you have less
5 trouble. Is that correct?

6 DR. GRIFFIN: Correct.

7 DR. GREENBERG: Dr. Carlone.

8 DR. CARLONE: May I respond to that, please?
9 What I am hearing on this side of the table, and I
10 just want to make sure that I have this correct. When
11 we talk about appropriate serologic correlates, what
12 we are talking about in the broad stroke, what we
13 talked about today, is the SBA and the ELISA.

14 What I was concerned about today, and I
15 certainly was part of that, was the confusion of the
16 protocol. We gave you three different protocols
17 potentially for the ELISA and two different protocols
18 for the SBA. What I think the confusion is is that we
19 have good correlates for protection. We may not have,
20 if you will, an optimal protocol for those correlates.

21 I think that simplifies the process in my
22 mind a little bit more. Trying to find out if we have
23 the right correlate is much more problematic than
24 trying to put the protocol of that correlate in sort
25 of proper perspective for everyone to agree on.

1 DR. PERKINS: I wonder if the question of
2 randomized control trials will be eclipsed by the
3 availability of Phase IV data from the U.K.? They
4 will start next month the use of three of the
5 conjugate meningococcal vaccines you've heard about
6 today in their routine program. We would hope that
7 Phase IV data will become available within a couple of
8 years.

9 DR. GREENBERG: Very good point.

10 DR. FAGGETT: I think we in practice are
11 concerned that there would be an effort to substitute
12 correlates for clinical trials. It would appear that
13 controlled clinical trials is an opportunity for us as
14 primary care -- I'm speaking generically -- for
15 primary care providers to participate and get a first-
16 hand feel for efficacy in their own offices.

17 I wouldn't want to lose that opportunity.
18 I do hope that if there is more utilization, it won't
19 decrease the amount of clinical trials that we have an
20 opportunity to participate in.

21 DR. GREENBERG: I think we are moving
22 towards -- I would like to begin to round up this
23 discussion so Dixie. Hold on one second. I've got
24 two up here and then Dr. Snider and then Dr. Stephens
25 and then the gentleman in the audience.

1 DR. SNIDER: Well, I wanted to try to move
2 toward at least expressing my opinion. What I am
3 hearing is that because we are not in this business,
4 we don't know how feasible it would be to conduct
5 randomized control trials.

6 I think the FDA working with the
7 manufacturers with other experts in the field would
8 have to make a determination as to whether it is or is
9 not feasible and ethical to conduct a randomized
10 trial. I think all I'm hearing is that this is the
11 gold standard and this is what we would like to see -
12 and we would like everyone to be as innovative as they
13 can in thinking about how this could be done.

14 If, on the other hand, it is determined that
15 just cannot be done, then the question becomes using
16 immunologic correlates. What I took away is that
17 there may be a question about using a single measure
18 such as SBA despite the fact that I agree with Diane,
19 it looks like the best one.

20 I took away a suggestion that was made
21 earlier that we would use several correlates. And
22 also picked up on the suggestion of using the animal
23 challenge. As a member I would say that you convinced
24 me it was impossible to do a randomized control trial.

25 But, on the other hand, you proposed several

1 immunologic correlates, an animal challenge
2 experiment, and data from Phase IV in the U.K. that I
3 personally would find that if -- I don't know what the
4 results would be but if those results all supported
5 efficacy, I would think that I personally would be
6 willing to accept that as sufficient data under the
7 circumstances to make a recommendation to FDA for
8 approval.

9 Somebody from the audience and the Kathy.

10 DR. POLY: I am Lionel Poly from Chiron. I
11 just wanted to make a couple of points. I'm not sure -
12 if I understood this correctly from the discussion
13 whether basically we went to a point where we are
14 asking for efficacy trials. I'm not sure whether we
15 are asking for efficacy trials for conjugate vaccines
16 only. I thought that was somehow pushed for efficacy
17 across the board.

18 I would like to just recall that efficacy
19 trials have been performed for A and C conjugate
20 vaccines. Those were the basis for approval for A and
21 C conjugate vaccines because they were shown to be
22 efficacious. And correlates were used already by FDA
23 to approve Y and polysaccharide vaccines.

24 DR. GREENBERG: Did you misstate or am I
25 very confused? You said conjugate vaccines.

1 DR. POLY: No, no, sorry. I'm saying
2 polysaccharide vaccines. No, sorry.

3 DR. GREENBERG: Boy is this panel out to
4 lunch.

5 DR. POLY: I think the question -- so there
6 is no question obviously for the polysaccharide
7 vaccine because the efficacy has been established and
8 the correlates have been established and already used.
9 The question is conjugate vaccines that we know are
10 giving better, earlier, and longer lasting immunity,
11 I mean, can we use the same correlates. We are now
12 saying we are not asking for totally brand new
13 correlates. We've been using them in the past.
14 That's the point I wanted to make.

15 DR. SNIDER: You meant longer lasting immune
16 responses. We don't know about immunity. That's the
17 issue.

18 DR. POLY: Well, immune response is measured
19 by bactericidal antibodies by ELISA and those kinds of
20 things.

21 DR. GREENBERG: Dr. Stephens.

22 DR. STEPHENS: Yes. Having thought about
23 this organism and this disease process for awhile and
24 dealt with some of these issues, I just want to say I
25 look upon this as an improvement of a vaccine that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 does work. The ACYW135 vaccine works. It works in
2 military recruits. It works in adults in outbreak
3 settings. It has proven efficacious. All the data we
4 have and the nuances of the assays are a different
5 matter, as George points out, but all the assays we
6 have indicate the conjugates are going to be better
7 and probably better in young children where these
8 vaccines are sorely needed.

9 I think that should crystalize, at least
10 from my perspective, some of this discussion because
11 we're not dealing necessarily, from my perspective -
12 anyway, with a new vaccine. This is an improvement,
13 in my view a significant improvement, over a vaccine
14 that already has proven efficacy.

15 DR. GREENBERG: Kathy.

16 DR. ESTES: Is it possible through the use
17 of the conjugates -- different conjugates in the U.K.
18 to give supplemental funding to do sera surveys in the
19 populations in the U.K. so that there could
20 conceivably be some additional data about amounts of
21 antibody that could be predicative of protection in
22 that population or is that sample size too small?

23 DR. PERKINS: We haven't discussed the
24 possibility of us providing funding to the U.K. but we
25 are actively discussing the kinds of studies that

1 could be done during their implementation process with
2 the hope that they will do a Phase IV case control
3 study with nested immunogenicity.

4 DR. ESTES: Could that conceivably be a sort
5 of caveat for their licensure in the U.K. for
6 companies to provide funding for such important
7 studies?

8 DR. GREENBERG: We're not the U.K.

9 DR. FRASCH: This is not --

10 DR. GREENBERG: I'm going to take a few more
11 comments. I've spent more time on this than the
12 others because I think we will be able to move through
13 the rest of the questions a little bit easier. Just
14 to remind the new members, once we have finished this
15 discussion, then I'm going to poll each one and ask
16 them to answer the question. Diane.

17 DR. GRIFFIN: So from what I understand from
18 the Chiron comment and then sort of rethinking some of
19 this, is that if you could get exactly the same
20 process or a better response by whatever panoply of
21 assays you would care to use as you currently can
22 demonstrate in adults or people over five or people
23 over two or whatever the current vaccine is licensed
24 for, even though it might be considered too high a
25 hurdle in some ways, at least it would be a hurdle

1 that if it were achievable, would it be acceptable I
2 guess is one way of looking at that.

3 DR. GREENBERG: Is that a yes? That was a
4 question, I think.

5 DR. GRIFFIN: It was sort of a question
6 that, you know, is that one way of looking at this
7 that what we would ask for are responses that are at
8 least as good as what has been demonstrated for the
9 currently licensed vaccines.

10 DR. GREENBERG: In adults.

11 DR. GRIFFIN: In adults with whatever assays -
12 we decide are the appropriate ones.

13 DR. FRASCH: That question we have already
14 posed to the manufacturers. We basically said when
15 you set up an immunogenicity study, we want to know
16 how the conjugate can be forming basically in a
17 younger age group compared to a somewhat older age
18 group receiving a polysaccharide. Remember, we can't
19 give the polysaccharide --

20 DR. GRIFFIN: To young people.

21 DR. FRASCH: -- to so young.

22 DR. GREENBERG: Last one or two comments.

23 Dr. Daum.

24 DR. DAUM: Just to return to Dr. Stephens'
25 comment. My response to it was that while he may be

1 correct for older individuals, for infants we don't
2 have a working vaccine to compare a conjugate
3 performance with. I would actually like to hear his
4 response to that because while that logic might be
5 persuasive for grownups, it strikes me as not being
6 quite there for young infants.

7 DR. STEPHENS: If you believe the correlates
8 that have been presented this morning, the SPT data,
9 even to some degree the ELISA data, but certainly the
10 SPT data, then this improved vaccine in my view will
11 work in children.

12 Emil points out that the group A
13 polysaccharide vaccine already has demonstrated
14 efficacy in young children in terms of its
15 immunological properties which are somewhat different
16 from the serogroup C and other polysaccharide. I
17 think, from my perspective, this is clearly an
18 improvement and that for a group in which the
19 currently available vaccine doesn't work. It isn't
20 useful.

21 DR. GREENBERG: Dixie, this is the -- Dixie
22 and Barbara, the last two questions and then I'm going
23 to ask you to put your money down.

24 DR. SNIDER: Well, I just want to put this
25 in for the larger perspective. I know that we're

1 sitting here advising the FDA about advice to provide
2 the manufacturers and that the next step would be
3 achieve licensure of the vaccine.

4 I think from a public health standpoint, and
5 from the manufacturer's standpoint, actually we want
6 to achieve more than licensure of the vaccine. We
7 want to use the vaccine widely to prevent the disease
8 outcome. The manufacturer wants to be able to sell
9 more of the product, to invest in more R&D, to provide
10 profits, etcetera.

11 We all have a stake in activities that take -
12 place beyond licensure. Some of the concerns and some
13 of the issues around what is available has to do as
14 much with steps beyond licensure as it does with
15 licensure. It's correlated with both. I just want
16 people to keep the whole thing in mind that in the end
17 you are not shooting for a licensed vaccine but a
18 utilized vaccine.

19 DR. GREENBERG: Ms. Fisher.

20 MS. FISHER: Well, I'm still troubled by the
21 issue. I realize this will be a signal to the
22 manufacturers that perhaps they can proceed without
23 having to do the clinical trials that will demonstrate
24 efficacy has been done with the majority of other
25 vaccines that we have licensed for use in children.

1 My concern is that we don't have yet enough
2 information upon which to answer that question. Since
3 this is a very important signal that we're sending,
4 perhaps it's premature right now to answer that
5 question.

6 DR. GREENBERG: I think we can get to that
7 in 1(B). I'm trying to move things along.

8 MS. FISHER: Okay.

9 DR. GREENBERG: I'm going to take one step
10 at a time and I think we will revisit that when we hit
11 1(B).

12 One more comment.

13 MS. SULTON: Ann Sulton, Biologics
14 Consulting Group. I'm just going to insert a little
15 bit of history into this and draw an analogy to the
16 licensure of the hemophilus vaccines for use in
17 toddlers which was not based upon an efficacy study
18 with that conjugate, but rather was based upon the
19 efficacy study performed with the polysaccharide in
20 Finland.

21 The way that we got that conjugate vaccine
22 licensed for toddler use was by using immune
23 correlates direct comparison with polysaccharide
24 vaccine in showing that immune response was equal to
25 or better. That was not the case for the infants,