

FOOD AND DRUG ADMINISTRATION

*Received  
in DM13  
6/15/89  
BC*

CENTER FOR DRUGS EVALUATION AND RESEARCH

DERMATOLOGIC DRUGS ADVISORY COMMITTEE

OPEN SESSION

Conference Rooms D & E

5600 Fishers Lane

Rockville, Maryland 20857

Monday, May 8, 1989

CHAIRMAN

Neal S. Penneys, M.D., Ph.D.

EXECUTIVE SECRETARY

Isaac F. Roubain, Ph.D.

MEMBERS

Lynn A. Drake, M.D.

Shirley Osterhout, M.D.

Elizabeth A. Abel, M.D.

David H. Stein, M.D.

Joseph L. Fleiss, Ph.D.

Harold R. Minus, M.D.

David T. Woodley, M.D.

Jaime A. Tschen, M.D.

Arnold L. Schroeter, M.D.

## AGENDA

## OPEN PUBLIC HEARING

## OPEN COMMITTEE DISCUSSION

1. Welcoming Comments - Lillian Gavrilovich, M.D., Acting Director, Division of Anti-Infective Drug Products 29
2. Current Status of Accutane
  - A. Introductory Remarks - C. C. Evans, M.D. Group Leader, Dermatologic Drugs, Division of Anti-Infective Drug Products 36
  - B. Presentation by Hoffman-LaRoche, Inc. 42
  - C. Presentation by Office of Epidemiology and Biostatistics 89
  - D. Presentations by representatives of:
    - a. American Academy of Pediatrics - Robert J. Roberts, M.D. 116
    - b. Centers for Disease Control - J. David Erickson, D.D.S., Ph.D. 120
    - c. Health Research Group - Sidney Wolfe, M.D. 129
    - d. California Birth Defects Registry - Ed Lammer, M.D. 142
    - e. American Academy of Dermatology - Thomas G. Jansen, M.D. 154

1:15 p.m. to 4 p.m. CLOSED SESSION:

The committee will review and discuss trade secret or confidential information relevant to IND 29-951 and NDA 9-795.

## P R O C E E D I N G S

1  
2 DR. PENNEYS: This is the meeting of the Der-  
3 matologic Drugs Advisory Committee. I'd like to call the  
4 meeting to order at this time. I would like to welcome all  
5 members and guests to the meeting. We'll begin with the open  
6 public hearing. I would like to limit presentations to five  
7 minutes for each individual. Please, we have a number of  
8 speakers.

9 The first speaker is Dr. Richard Miller from the  
10 Teratology Society. Well, if Dr. Miller is not here, we'll  
11 go to the next speaker Diane Nygaard, Chairperson, Association  
12 of Trial Lawyers of America for Accutane Litigation Group.

13 MS. NYGAARD: We're going to be showing a videotape.  
14 Is there someone here that can assist with the video equip-  
15 ment? My name is Diane Nygaard. I'm an attorney in Kansas  
16 City, and I'm also the chairperson of the Accutane Litigation  
17 Group of the Association of Trial Lawyers of America. We are  
18 a group of attorneys who represent children who have birth  
19 defects due to their mother's use of the drug Accutane during  
20 their pregnancy.

21 I am going to show you today videotapes of some of  
22 these children. This child is 2 years and 4 months old.  
23 He's from the State of New Jersey. His counsel, Mr. Eric  
24 Lentz is very kindly furnished us this videotape made  
25 yesterday of the child who is present today with his parents.

1 As you can the child is not able to walk or to talk, and you  
2 can see the distinctive ear formation which is unfortunately  
3 a characteristic of many of these children.

4 [Videotape played.]

5 MS. NYGAARD: This child's mother took Accutane  
6 only a few days during the early stages of her pregnancy.  
7 The child has very little hearing and poor motor control and  
8 of course has developmentally delayed.

9 The second tape is an older child. This child is  
10 3-1/2 years old, but functioning at a level of probably a 4  
11 to 8 month old and is not able to walk, is not able to talk,  
12 and has some of the characteristic facial features of these  
13 Accutane children. And again this is not a child that I  
14 represent. The tape is furnished by counsel.

15 On behalf of the attorneys who represent these  
16 children, of course, were vitally interested in the work of  
17 this committee and it seemed to us that last year from  
18 watching and listening to the deliberations that the deliber-  
19 ations focused largely on the data and the statistics. And  
20 so we think that the debate should focus where it belongs,  
21 which is on these children, and that's why we wanted to have  
22 you see just a sampling of two of the many children that we  
23 represent with these profound injuries. Thank you.

24 DR. PENNEYS: Thank you. The next speaker is James  
25 Hansen, Chairman, Division of Medical Genetics, College of

1 Medicine, University of Iowa, who is representing the March of  
2 Dimes.

3 DR. HANSEN: Thank you. I'm Dr. James Hansen. I'm  
4 Director of the Division of Medical Genetics and Professor of  
5 Pediatrics at the University of Iowa. I'm appearing here  
6 today on behalf of the March of Dimes Birth Defects Founda-  
7 tion. It is now a year since I and many other concerned  
8 medical scientists testified that Accutane and related  
9 compounds can have devastating consequences for the developing  
10 baby. The data are irrefutable. The risk is real and the  
11 threat continues. The magnitude of the risk for such severe  
12 outcomes is sufficiently high to make use during pregnancy  
13 absolutely complicated from the standpoint of fetal welfare.  
14 These are preventable, serious birth defects.

15 Despite current stringent warnings regarding the  
16 use of Accutane in women pregnant or likely to be, pregnancies  
17 are still occurring with severe consequences to the fetus.  
18 Sufficient, effective, regulatory steps have not yet been  
19 taken. Whereas it is clear that Accutane and related  
20 compounds are useful in selected clinical circumstances, it  
21 is not clear that these agents need to be distributed in a  
22 relatively uncontrolled fashion to meet current clinical  
23 indications.

24 The unrestrictive availability of this and related  
medications virtually assures some excess continuing level of

1 fetal exposure. Such an approach will inevitably frustrate,  
2 even intense efforts to education health care providers and  
3 the general public regarding the hazards of these drugs, and  
4 will profoundly impair the attempts at surveillance.

5           If the FDA concludes that there continues to be a  
6 compelling reason for permitting this drug to be prescribed  
7 for certain female patients for whom there is no other safe  
8 and effective therapy, the March of Dimes Birth Defects  
9 Foundation strongly urges that the drug be available only  
10 through a highly controlled system, thus precluding general  
11 or indiscriminate use.

12           A recent personal vignette may illustrate some of  
13 the current problems. Three months ago, I presented a  
14 lecture on birth defects and teratogenic hazards to our  
15 freshman medical class. At the conclusion of the lecture, I  
16 was approached by a young woman medical student who told me  
17 that Accutane had recently been prescribed for her 16-year  
18 old sister by her family physician. The description of her  
19 sister's skin eruption clearly did not meet the rigorous  
20 standards of diagnosis and treatment recommended by many  
21 experts. Furthermore the medical student informed me that  
22 neither her sister nor her parents had received any informa-  
23 tion regarding the consequences of this medication, should a  
24 pregnancy ensue. When asked whether or not her sister were  
25 using an effective form of contraception, the medical student

1 expressed surprise at my question, and said, she's only 16.  
2 I'm sure she's not sexually active.

3           The marked disparity between the numbers of  
4 prescriptions and dosages of Accutane marketed and estimates  
5 of the number of patients with severe cystic acne suggest to  
6 me that such cases may not be rare. If there ever was a  
7 medication targeted to a young population, this one must  
8 qualify. How often must we be reminded that adolescents are  
9 notorious for the lack of effective knowledge and timely use  
10 of contraception. Furthermore, cultural and religious  
11 barriers clearly exist for substantial segments of our  
12 population which increased the likelihood of pregnancy for  
13 both adolescents and other groups of women of reproductive  
14 age.

15           These are substantial problem which can hardly be  
16 changed by the short-term limited efforts heretofore proposed.  
17 The March of Dimes concludes that there should be a limited  
18 number of centers and specialists permitted to prescribe  
19 these drugs, a meticulous program of screening, support and  
20 follow-up services for treated patients must be mandatory and  
21 a method of ensuring informed consent should be provided.

22           Furthermore, if there is any general message to be  
23 learned from this whole painful process, it is that the Food  
24 and Drug Administration needs to develop within its committee  
25 structure specific panels composed of specialists knowledge-



1 able in teratology, genetics, epidemiology and related  
2 disciplines who will have the authority and responsibility to  
3 review potentially hazards agents to which women may be  
4 exposed during pregnancy prior to approval for marketing.  
5 The present process is insufficient and may inappropriately  
6 and inadvertently emphasize the interests of mothers and  
7 their treating physicians to the disadvantage of the baby.

8           In summary, as a physician frequently called upon  
9 to counsel and evaluate families in which teratogenic  
10 exposure have occurred and on behalf of the March of Dimes  
11 Birth Defects Foundation, I strongly urge that the Food and  
12 Drug Administration and this panel use its authority and  
13 mechanisms to establish a process which will continue the  
14 availability of Accutane and related compounds for populations  
15 of patients whose disorder clearly warrants this therapy  
16 while concurrently minimizing the devastating risk to the  
17 fetus, stemming from inadvertent exposure of pregnant women,  
18 especially adolescents. Thank you for your time and atten-  
19 tion.

20           DR. PENNEYS: Thank you, Dr. Hansen. The next  
21 speaker is Ms. Cathy McGinley representative of the Associa-  
22 tion for Retarded Citizens of the United States.

23           MS. BERKOWITZ: Thank you and good morning. I'm  
24 Annie Joe Berkowitz representing the ARCUS in Cathy's absence  
25 and I serve as vice chairperson of the Legislative Affairs

1 Committee for the Association for Retarded Citizens in  
2 Maryland. I'm also parent of a mentally retarded daughter.

3 I'm not going to give you all the background that  
4 we've outlined in our testimony because you'll be hearing  
5 later, I understand, from Dr. Lammer, and we were citing some  
6 of his research and the basis on which we have placed much of  
7 the emphasis about which we feel so strongly of the damage  
8 that can be caused to the fetus when Accutane is prescribed.

9 I will for the sake of time focus on our recommenda-  
10 tions and conclusions. Warning information provided by  
11 Hoffman-LaRoche in a letter to physicians dated in September  
12 1988, the company's advice to physicians and the produce  
13 information enclosure provided with the drug itself states:

14 "Accutane must not be used by females who are  
15 pregnant or who may become pregnant while undergoing treat-  
16 ment. There's an extremely high risk that a deformed infant  
17 will result if pregnancy occurs while taking Accutane in any  
18 amount, even for short periods. Potentially all exposed  
19 fetuses can be effected."

20 Major fetal abnormalities related to Accutane  
21 administration have been documented and you will be hearing  
22 more about those in later medical presentations. There's  
23 also an increased risk of spontaneous abortion. Clearly,  
24 there is a problem with the use of Accutane in women who are  
25 or who may become pregnant.

1           However, as indicated, Hoffman-LaRoche, has  
2 attempted to respond to this problem. Must has been done,  
3 but from the perspective of ARC-United States, there is still  
4 more work ahead. Hoffman-LaRoche has also included a symbol  
5 that is designed to represent the statement, avoid pregnancy.  
6 This symbol is featured on the blister-pack on the prevention  
7 for pregnancy program kit and on the patient information and  
8 consent form, and on the product information enclosure  
9 provided with the drug itself.

10           Hoffman-LaRoche must continue to build on the  
11 foundation which they have developed. Efforts must be taken  
12 to ensure that there program of Accutane education is not  
13 only institutionalized into the policy of the company, but  
14 that it is also frequently repeated and that its availability  
15 is broadened. This type of educational program must not only  
16 be available to those within the medical professional. Any  
17 program of prevention education should be available as well  
18 to those individuals who may be considered at risk. All  
19 available resources, including private and public groups  
20 concerned with developmental disabilities and the prevention  
21 of developmental disabilities should be utilized to educate  
22 the public and the private sector.

23           The ARC has historically and continues to call for  
24 the restricted distribution of Accutane. The Center for  
25 Disease Control has issued a restricted distribution scheme

1 for Accutane that suggests the minimum features that this  
2 type of program must have.

3           These minimum features include such procedures as  
4 the availability of the drug through a limited number of  
5 centers, review at these centers of patient specific need for  
6 the drug, physician and patient education and Accutane risk,  
7 and on effective contraception methods, center oversight of  
8 effective contraception, monthly not daily dispensing of  
9 Accutane, monthly pregnancy tests, center based follow-up and  
10 counseling for pregnant women, and a national registry of  
11 exposed pregnancies with a pregnancy outcome follow-up.

12           The availability of this drug must be restricted in  
13 order to assure its safest, possible use. Birth defects  
14 associated with Accutane must be reduced, if not eliminated  
15 all together. We have seen the start of a cautious dispensing  
16 of this drug and efforts to educate the public about it, but  
17 there remains a very high incidence of birth defects.

18           The teratogenic properties of the drug are clearly  
19 recognized. The Federal Drug Administration should take this  
20 recommended Center for Disease Control plan into active  
21 consideration and mandate action that includes such features  
22 as consideration of those who do not have reasonable access  
23 to a center based program. Again, the implementation of  
24 these restrictions should be coupled with the requirement of  
25 continued and expanded education and prevention efforts by

1 Hoffman-LaRoche, public and private agencies and the Govern-  
2 ment. It is time for the Federal Drug Administration to move  
3 in this area.

4 The restricted availability of the drug, the  
5 ongoing center broad involvement, and the use of effective  
6 outreach and prevention education is more apt to lead to a  
7 consistent and hopefully successful prevention program. As  
8 suggested earlier, it is our position that the birth of any  
9 child with an avoidable birth defect must not occur. The ARC  
10 is dedicated to the prevention of birth defects and to the  
11 prevention of mental retardation. Prevention education and  
12 training programs have the potential to decrease the number  
13 of children born with developmental disabilities. Thank you.

14 By the way the copies of my testimony did not  
15 arrive by messengers. They were supposed to. I'll be happy  
16 to provide copies to anyone who might request them.

17 DR. PENNEYS: Thank you very much. The next  
18 speaker is Dr. Sidney Hurwitz, who is clinical professor of  
19 Pediatrics and Dermatology at the Yale School of Medicine.

20 DR. HURWITZ: Thank you. Good morning. As you  
21 heard, I'm Dr. Sidney Hurwitz. I'm clinical professor of  
22 Pediatrics and Dermatology at the Yale University School of  
23 Medicine. In addition to this, I am a board certified  
24 pediatrician. I'm a board dermatologist. I am a founding  
25 member and a previous president of the Society for Pediatric

1 Dermatology in the United States, a founding member and  
2 charter member of the side of pediatric dermatology of the  
3 international group. I am also the chairman of the section  
4 of dermatology of the American Academy of Pediatrics.

5 Before becoming a dermatologist, I was a pediatri-  
6 cian who enjoyed the largest practice, the largest private  
7 solar practice in pediatrics in the State of Connecticut for  
8 approximately 15 years. After 15 years, I chose to leave  
9 that practice and pursue a residency in dermatology, not  
10 because I didn't enjoy pediatrics, but because I had a  
11 primary motivation, a primary factor behind my leaving, and  
12 that a disease called acne.

13 I was frustrated for many years as a pediatrician  
14 to hear physicians to say acne is not a severe disease; it's  
15 merely cosmetic; don't worry about it, you will outgrow it.  
16 I watched patients suffer physically and psychologically and  
17 I found many patients who were far more scared internally  
18 than they were externally. To ignore these individuals with  
19 a disease which can scar their lives was totally incomprehen-  
20 sible for me. I went back, I took a residency in dermatology.  
21 I worked with dermatology and acne. I have seen over 28,000  
22 patients with acne, some with the most severe forms. I have  
23 treated over 450 patients with Accutane.

24 For some of these patients, it's the only thing  
25 that we can use to help them; all the other things did not

1 work. With this young man we couldn't wait for September, the  
2 year it came out, to start him on it, and within four months  
3 he was completely cleared. This man I injected practically  
4 every week with systemic steroids, drained his cysts. He  
5 came back week after week with all the powerful antibiotics  
6 and topical medications available until Accutane came along,  
7 he was unable to be cured or controlled.

8           Today the scars that we see on the surface are only  
9 superficial. The scars that are internal are the ones that  
10 we are concerned most grievously about. This is a scar of a  
11 young woman who tried to commit suicide because she could not  
12 face the world with a disease called acne.

13           This young woman refused to get out of bed in the  
14 morning, refused to get a job. She was a beautiful young  
15 woman who said Dr. Hurwitz, if you can get me under control,  
16 I would do anything. Within a few short months, she was  
17 completely clear, happily married, and on her way to living a  
18 normal life again.

19           This young man, Bill, when they came to see me  
20 said, Dr. Hurwitz, I've had this disease for so many years,  
21 nobody can help me. How long do I have to live with this.  
22 When he started to cry, I walked out of the room to regain my  
23 composure before I could go back in and talk to him. I said,  
24 Bill, give me a few months, and we'll get you under control.

25 This is Bill a few months afterward. Happy as a lark, also

1 married, leading a nice, happy life.

2 But what can we do with these with severe acne,  
3 severe pustular cystic lesions. This young man used to drive  
4 down from Massachusetts with severe pustular cystic lesions  
5 on his back that were draining. He couldn't sit in the car.  
6 He couldn't make love to his wife. He would have to see a  
7 surgeon and have these drained. Everything we did was to no  
8 avail until Accutane came along and changed his life.

9 This young man ended up in a psychiatric institu-  
10 tion. He is now under control. He's going to school, and he  
11 looks great, thanks to Accutane. This is the young man that  
12 I showed you before who's now a law student, using no  
13 medications and able to live a nice, happy healthy life.

14 I too am concerned about the devastating effects of  
15 malformations. I am concerned. I am a concerned physician.  
16 I am not happy about what I have heard about malformations.  
17 This cute little child does not have Accutane syndrome; he  
18 has the fetal hydantoin syndrome. Probably one of the most  
19 devastating syndromes we see of fetal malformation. It  
20 affects two out of every 1,000 individuals who are pregnant  
21 when they are taken an anti-convulsant treatment.

22 I ran through some statistics on Friday. I called  
23 Kenneth Lyon Jones whose the author of Smith's Malformations,  
24 Recognition of Human Malformations. I called Harvard and  
spoke with Lou Holmes who's done studies on fetal malforma-



1 tions. I took the figures they gave me and put this on a  
2 national basis and came up with thousands of children with  
3 fetal hydantoin syndrome. Up to 3,000 a year perhaps with  
4 major malformations, including cardiac malformations,  
5 tetralogy flow, septal defects, ambiguous genitalia, genital  
6 urinary malformations. And another 9,000 that probably don't  
7 show their manifestations for years later, when they are  
8 mentally deficient or developmentally deficient.

9 Does this mean that we take this valuable anti-  
10 convulsant drug off the market too? How can we, as physicians  
11 and individuals ignore the benefit for patients who need this  
12 drug, the only drug we have available. We have to monitor  
13 it. We have to leave it available. We have to monitor it  
14 properly. For us to deny this drug to people who require it,  
15 acne is not just skin deep. This would be unconscionable.  
16 Thank you very much.

17 DR. PENNEYS: Thank you, Dr. Hurwitz. The next  
18 speaker is Dr. Mary Spraker from Emory University who's  
19 speaking for the Task Force of Pediatric Dermatology.

20 DR. SPRAKER: Good morning. I speak to you today  
21 as a concerned pediatrician and dermatologist and practicing  
22 pediatric dermatologist, active member for the Society of  
23 Pediatric Dermatology, and a mother of an eight-month baby  
24 boy, named Henry. I'm also the current chairman of the  
25 Academy of Dermatology's Task Force on Pediatric Dermatology.

1 Though in this forum I can only speak to you as an individual.

2           Because of my dual career in both pediatrics an  
3 dermatology, I like Dr. Hurwitz feel, I understand the issues  
4 as they pertain to both and infant and to the patient with  
5 acne. To remove Accutane from the market would be a crime  
6 against patients with severe acne which belittles the  
7 suffering caused by their disease. Acne is not a lethal  
8 disease, but does profoundly effect lives.

9           All of us remember patients, acquaintances, personal  
10 friends who suffered from this disease. My example is a girl  
11 in my high school class that played the flute. She was  
12 bright, could have been pretty, had severe acne. Because of  
13 the acne she hid behind her glasses, had few friends, and is  
14 scared to this day. I remember my mother talking about the  
15 treatment that was attempted and was unsuccessful, anti-  
16 biotics, restrictive diet. She even received X-radiation  
17 therapy.

18           We no longer see many young people like this  
19 anymore. We used to see them on the streets and on the  
20 buses. We don't see them any longer because of the miraculous  
21 effect that Accutane has on such patients. In one month the  
22 patient looks better. By the end of the standard 16 to 20-  
23 week course of therapy, 90 percent of patients are clear and  
24 even their scarring tends to improve as it remodels. Even  
more wonderful, most patients remain in remission when

1 therapy is discontinued. There is no patient more gratifying  
2 to treat.

3           It was known at the time the drug was first  
4 introduced that it was a potent teratogen in animals that was  
5 not supposed to be used during pregnancy. This was emphasized  
6 to all of us by Roche. When unfortunately pregnancies did  
7 occur, confirming the human teratogenicity of the drug, we in  
8 dermatology were certainly made aware of this development.  
9 For example, at our national meetings which are attended by  
10 approximately 80 percent of all practicing dermatologists,  
11 there was great discussion about what could be done to  
12 prevent these pregnancies.

13           This is a terrible ethical dilemma for me as a  
14 dermatologist or as a pediatric dermatologist. What can I do  
15 to make sure that none of my female patients become pregnant?  
16 I certainly warn my patient. I repeat the warning at follow-  
17 up visits. I emphasize the need for adequate contraception.  
18 Is it ethical for me to insist she take, for example,  
19 contraceptives, even if she insists her current contraceptive  
20 method is adequate? This is especially difficult for me  
21 because there are complications from contraceptives.  
22 Occasional patients die from oral contraceptives, and they're  
23 not full proof, even the best contraceptive.

24           Isn't it the patients right to participate in this  
25 decision? Ironically, Accutane is perhaps the opposite of a

1 contraceptive. Suddenly a young woman who has, whose acne  
2 has made her physically unattractive, blossoms. She looks  
3 great. She feels great. Suddenly she's attractive to the  
4 opposite sex.

5 All drugs have side effects, including lethal side  
6 effects. Penicillin and antibiotics kill. Yet there's no  
7 talk of taking antibiotics off the market or limiting their  
8 use to life threatening diseases like meningitis or pneumonia,  
9 not to treat less serious infections like strep pharyngitis  
10 or ear infections which aren't fatal usually, but due cause  
11 pain and permanent deformity. Many other drugs damage the  
12 fetus, dilantin, alcohol. Vitamin A is damaging to the  
13 developing fetus, but is available over the counter.

14 The suggestion that Accutane usage be decreased by  
15 20 percent is both arbitrary and impractical. The drug has  
16 never been approved for mild acne. So which of my severely  
17 involved patients do I not treat? What do I tell this  
18 patient? The regional center ideas are impractical. There  
19 are too many patients who would need to travel too far, too  
20 often. And this doesn't seem to solve the problem anyway.

21 During the clinical trials of the drug in a  
22 controlled IND setting, there were five pregnancies in 100  
23 women. Never in the history of drug prescribing has more  
24 been done to educate physicians and patients regarding the  
25 teratogenicity of the medication. The paperwork my patients

1 and I now need to fill out to prove she is informed is  
2 incredible, time consuming, worthwhile, I believe in this  
3 endeavor, but this is the best answer I can suggest to help  
4 solve our current dilemma.

5 As physicians we can guide our patients, but we are  
6 not god's who have the power to completely control them. We  
7 should respect the fact that our patients must take some  
8 responsibility for their disease and the treatment of their  
9 disease. The questions we raise today are not black and  
10 white. There may not be a perfect answer. We must be well  
11 reasoned and wise for what we decide will have important  
12 ramifications regarding many other medications, both old and  
13 new. Thank you.

14 DR. PENNEYS: Thank you, Dr. Spraker. The next  
15 speaker is Marty Fritz who is an attorney from Honolulu. Is  
16 Marty Fritz in attendance? Are there any other speakers for  
17 this portion of the agenda? Has Richard Miller arrived?

18 Well, if there are no other speakers, then I will  
19 move on to the next agenda item which is the open committee  
20 discussion. The first speaker will be Dr. Carl Peck who is  
21 Director of the Center for Drug's Evaluation Research. Dr.  
22 Peck?

23 DR. PECK: Thank you, Dr. Penneys. I'd like to  
24 take a couple of moments and address the committee. I'd like  
25 to tell you a little bit about our center and especially what

1 is new. First of all I'd like to welcome you to this  
2 particular advisory committee meeting. I'm told that the  
3 committee first met in 1971 and that it has met at least once  
4 a year in the meantime and often twice a year. So this must  
5 be between the 20th and the 40th meeting of this committee.

6 I'd like to especially welcome two new members,  
7 first of all Dr. Jaime Tschen. Would you like to stand up  
8 for a moment. Dr. Tschen is associate professor, Department  
9 of Dermatology at Baylor University College, University of  
10 Medicine. And Dr. Arnold Schroeter--Dr. Schroeter is  
11 professor and chairman of the Department of Dermatology at  
12 Wright State University, School of Medicine in Dayton, Ohio.  
13 We welcome you to the committee. We know you'll have a  
14 stimulating time, and we hope you'll have a satisfying time  
15 during the next three years.

16 I'd like to also acknowledge with gratitude and  
17 with sadness the pending retirement of three members of our  
18 committee. First of all, our chairman, Dr. Neal Penneys who  
19 is professor, Department of Dermatology at University of  
20 Miami, will be retiring from the committee on the 31st of  
21 August; Dr. Lynn Drake who is deputy director of the Depart-  
22 ment of Dermatology at Massachusetts General Hospital; and  
23 Dr. Shirley Osterhout who is not here today. All three will  
24 be retiring on 31st of August, and we're very grateful the  
loyal and energetic contributions that you've made to this

1 committee.

2           You should know that I personally and the commis-  
3 sioner and all the staff of the center consider the advisory  
4 committee system to be an integral part of our activities at  
5 the center. And that's why I want to take some time this  
6 morning to tell you a little bit about the center that you  
7 may or may not know and to update you on some new develop-  
8 ments.

9           You probably know already that of the 7,000  
10 employees at the FDA, we number almost 1,200. We're one of  
11 five centers, and this is the somewhat complex organizational  
12 chart of the center. You can see by scanning the overall  
13 functions of the center that we have our fingers in a number  
14 of pies that emanate from our responsibilities into the Food  
15 and Drug Act and its various amendments. For example, as  
16 you'll hear later today, we're deeply involved in post-  
17 marketing surveillance of marketed drugs. Dr. Jerry Fleiss  
18 heads a group of over 100 epidemiologist and biostatisticians  
19 that review the experience with drugs once they're marketed  
20 and provide consulting services for the rest of the center in  
21 the areas of biostatistics and epidemiology.

22           We have an active linkage with our field laborator-  
23 ies and field offices and investigative resources within the  
24 agency to inspect drug manufacturers, to ensure quality of  
25 manufacturers, and importantly to inspect and investigate the

1 integrity of the clinical investigation resources that feed  
2 data into new drug applications. The heart of the new drug  
3 review is located in two sections here, the Office of Drug  
4 Evaluation I, headed by Dr. Bog Temple and the Office of Drug  
5 Evaluation II, headed by Dr. Jim Bilstad.

6 This used to be one drug evaluation section, but in  
7 about October of 1987, it was split into two. Shortly before  
8 that a new division had sprung up in the office that was the  
9 Division of GI and Coagulation Drug Products under Dr. Steve  
10 Fred. In the meantime, some other changes have occurred and  
11 I'd like to tell you a little bit about them.

12 In March of '88, we split out the anti-virals drug  
13 product review group from the Division of Anti-Infectives  
14 Drug Products and made that a separate division. That was  
15 done in order to be able to handle expeditiously the exponen-  
16 tially growing number of INDs and some NDAs for anti-viral  
17 drug products, especially those against HIV agents. Dr. Alan  
18 Cooper heads this group. It has grown from roughly 15 at the  
19 time of its inception to now almost 40 and because we're  
20 seeing more than a doubling of applications each year, we're  
21 expecting that section to double again in the next year.

22 I'll have more to say about the Anti-Infectives  
23 Division which you're apart of in just a moment, but let me  
24 tell you a little bit about some new starts in the Office of  
25 Drug Evaluation I. We have reconfigured some drug evaluation



1 groups and pulled together the oncology and pulmonary group  
2 and asked Dr. Greg Burke to become the acting division  
3 director for that section. Dr. Burke is a highly respected  
4 bright young oncologist who's been at the FDA for a number of  
5 years, and we expect to be able to give added emphasis to the  
6 important drug development programs across the country and at  
7 the NCI in drugs for cancer and to develop the pulmonary drug  
8 review group more fully.

9 Dr. John Palmer has taken on a reconfigured section  
10 here, radiopharmaceutical and surgical dental, and here we  
11 expect to provide some new regulatory developments to handle  
12 the innovative PEP, NMR and other technologies that are  
13 rapidly entering the nuclear medicine community.

14 We put several product areas together into a  
15 special new section which we're calling a pilot review staff  
16 which reports directly to me. This will include the anti-  
17 inflammatory, anesthesiology, and analgesic drug products.  
18 We asked Dr. John Harter to act as the director of this unit,  
19 and I will become much more intimately involved in the review  
20 process with these products.

21 The spirit of this most recent reconfiguration is  
22 multi-factorial. We wanted to provide an opportunity for new  
23 leadership, and Dr. Burke and Dr. Harter to develop skills  
24 and try out new ideas. An important additional objective  
was to enable the implementation, particularly in this group

1 of a number of innovative ideas for drug review. You may  
2 have heard last year, Dr. Harter initiated a way of compress-  
3 ing the tertiary drug review time into a single day followed  
4 by a couple of weeks of final tying up of loose ends in the  
5 so-called NDA day.

6 The NDA day is an initiative to bring together all  
7 of the reviews from within the center that comprise the  
8 review of a new drug application, along with the office  
9 director to make that review essentially brought to a point  
10 of intensity in one day, followed then by final negotiations  
11 in the weeks that follow.

12 I'd like to spend a moment to tell you a little bit  
13 about what's happening in the Division of Anti-Infective Drug  
14 Products. Dr. Ed Taber who was the permanent division  
15 director left last summer to take a senior position at the  
16 National Cancer Institute. Dr. Lillian Gavrilovich has  
17 graciously and with great excellence taken on the acting  
18 directorship of this division, and has done a very excellent  
19 job in that. We initiated a search last summer for candidates  
20 for the permanent position, and I'm happy to say that we have  
21 narrowed it down to a short list, and we expect within the  
22 next several months to come to closure on identifying a new  
23 division director for this division. We would expect at the  
24 next meeting to have the presence of a new division director.

I'd like to also tell you for a moment a little bit

1 about another new element within the center which we call the  
2 professional development staff. With an organization this  
3 large with a need for highly specialized talent in the area  
4 of drug review and drug development, we have an important  
5 obligation to continue to attract good reviewers and to  
6 maintain their skills. We've established within this staff  
7 office a recruitment resource which provides professional  
8 recruiting resources for the attraction and interviewing and  
9 final selection of medical officers and eventually other  
10 officers for the center.

11           Perhaps even more importantly, this group has  
12 developed a staff college which has the objective of providing  
13 a variety of training programs for new and veteran reviewers  
14 within the staff to bring their skills in a variety of areas  
15 that are important for evaluation of INDs and NDAs up to  
16 speed as soon as possible. For example, they can take  
17 courses in basic statistics, various advanced statistics,  
18 topics of pharmacokinetics, food and drug law, clinical trial  
19 design and analysis and other such matters.

20           In addition, this group has established several  
21 joint training programs with local universities. It drew  
22 upon the already well established training program that the  
23 Division of Anti-Infectives had in place with Children's  
24 Hospital. For several years now, that division has had a  
25 joint training program in pediatric infectious diseases and

1 regulatory science with Children's Hospital. We now have a  
2 joint clinical pharmacology regulatory science fellowship  
3 with the Uniform Services University and also with Georgetown  
4 University, and we are hoping to develop similar programs  
5 with other local universities.

6 To round out the discussion of the center, the  
7 Office of Drug Standards deals with over the counter drugs  
8 and generic drug applications, and the Office of Pharmaceuti-  
9 cal Research Resources provides a variety of research  
10 capabilities so that reviewers on the staff can engage in  
11 active research while they're doing review, as well as  
12 undertake research projects that answer specific regulatory  
13 questions.

14 I want to end my comments by expressing to you once  
15 again how important we feel the advisory committee system is  
16 to us. It is so important that the administration of that  
17 comprises a staff office that reports directly to me. Mr.  
18 Jack Gertsulk heads this division which handles all 17 drug  
19 and biologic review advisory committees, 14 of which are in  
20 the drugs area. We're very appreciative to the extraordinary  
21 job that that staff does in preparing for these meetings and  
22 pulling these meetings off.

23 I'd like to thank Dr. Isaac Rouben, especially for  
24 the present meeting, and it's important for you to know that  
we value very much the independent advice that you give us.

1 And we're appreciative of the opportunity to bring this group  
2 together in a public forum and to hold meetings like we're  
3 having today, where we can discuss in an open public forum  
4 all of the assets and limitations, the benefits and the  
5 drawbacks of each of the drugs that we are considering for  
6 marketing or that are already marketed, as in the case today  
7 with Accutane. Thank you.

8 DR. PENNEYS: Thank you, Dr. Peck. Speaking for  
9 the retiring members, I'd like to say it's been a most  
10 interesting and rewarding experience participating in these  
11 meetings. The next speaker will be Dr. Lillian Gavrilovich  
12 who is the Acting Director of the Division of Anti-Infectives  
13 Drug Products, who has some welcoming comments.

14 DR. GAVRILOVICH: Thank you, Dr. Penneys. My name  
15 is Lillian Gavrilovich, and as Dr. Peck mentioned I'm the  
16 Acting Director of the Division of Anti-Infective Drug  
17 Products to which dermatology drugs belong to. I will cut my  
18 speech short. I don't want to repeat what Dr. Peck said.  
19 Again, but I would personally, like Dr. Peck, to thank  
20 Chairman, Dr. Penneys, Dr. Drake, and Dr. Osterhout who's not  
21 here with us for their work on the advisory committee, and I  
22 would like also to welcome two new members, Dr. Tschen and  
23 Dr. Arnold Schroeter to the advisory committee. Dr. Schroeter  
24 is not really quite new; he's quite familiar with this job.

I would also like to introduce and to thank Dr.

1 Ridgely Bennett for being here with us. Dr. Bennett is  
2 sitting here with us at the end of this table. He's OB/GYN  
3 specialist with Endocrine Metabolic, Division of APA. He's  
4 kind of a liaison with the Maternal and Child Health Advisory  
5 Committee of the FDA to which the Accutane issue is going to  
6 be presented next month. Thank you.

7 DR. PENNEYS: Thank you very much. At this time, I  
8 would like to ask Dr. Richard Miller to speak. He was one of  
9 our speakers from the open public hearing.

10 DR. MILLER: Good morning. I'm Richard Miller,  
11 professor of Obstetrics and Gynecology and Toxicology at the  
12 University of Rochester's School of Medicine and Dentistry.  
13 This morning I am representing the Teratology Society. We do  
14 most appreciate the opportunity of addressing the committee  
15 once again. The Teratology Society is a professional  
16 organization of basic scientists, pediatricians, obstetri-  
17 cians, toxicologists, and other health scientists concerned  
18 with the etiology and prevention of birth defects, and other  
19 aspects of abnormal development.

20 Members of the Teratology Society are from academia,  
21 Government, and private industry. As a professional society,  
22 we have been concerned with the teratogenicity and other  
23 developmental effects of retinoids. Many, if not most of the  
24 studies, demonstrating such effects of retinoids have been  
25 conducted by members of the Teratology Society. Our Public

1 Affairs Committee is preparing statements on isotretinoin,  
2 Accutane, and etretinate tegison for publication.

3 My remarks this morning summarize the recommenda-  
4 tions in the Accutane statement. The statements to be given  
5 here have been reviewed and approved by the Council and the  
6 Public Affairs Committee of the Teratology Society. The  
7 Teratology Society believes that the malformations caused by  
8 Accutane are preventable. Despite the national publicity  
9 concerning the teratogenicity of Accutane, following last  
10 year's committee hearing, pregnant women continued to be  
11 exposed to Accutane.

12 Currently we see three obstacles to the prevention  
13 of the birth defects caused by Accutane. One, a large number  
14 of women in the age range from 12 to 44 continue to be  
15 treated with Accutane. All contraceptives, the most effica-  
16 cious currently approved contraceptive in the United States  
17 have typical failure rates of about 3 percent. And third,  
18 the lack of routine close monitoring for early pregnancy  
19 detection. The manufacturer has estimated that women aged 12  
20 to 44 have received 65,000 new Accutane prescriptions during  
21 1988. This number of prescriptions seem to be well above the  
22 published estimates of the incidents for recalcitrant cystic  
23 acne.

24 Your committee may be in a position to assess, if  
25 there is over-prescription of Accutane. This number of

1 users, coupled with the limitations of currently available  
2 contraceptive methods in the United States creates a signifi-  
3 cant problem. Trestle and Cost estimate based on all of the  
4 available studies that the typical failure rate of all  
5 contraceptives is approximately 3 percent. Other reviews  
6 have recently been published by Michele in the New England  
7 Journal of Medicine and Grimes in the Journal of the American  
8 Academy of Dermatology.

9           It is not difficult to estimate that several  
10 hundred women could become pregnant during the treatment  
11 period with Accutane even while using an oral contraceptive.  
12 This estimate is based on the estimated number of new  
13 prescriptions and a failure rate of approximately 3 percent  
14 for oral contraceptives. Injectable progesterone type  
15 implants are available outside of the United States and have  
16 shown to be very effective in preventing pregnancy. The  
17 observed failure rates of injectable progesterone type  
18 compounds and implants have been estimated at approximately  
19 0.3 percent, about a 10-fold improvement from oral contracep-  
20 tives.

21           If all fertile female patients using Accutane would  
22 also use an injectable progestin or an implant instead of  
23 oral contraceptives, this could reduce pregnancy rates  
24 resulting from contraceptive failures by about 90 percent.



1 the FDA to approve implants in the United States is a step in  
2 the right direction. Until such products are available, the  
3 use of multiple contraceptive methods should be continued.

4           Recommending the concurrent use of barrier methods  
5 with oral contraceptives may be an important behavioral  
6 modification as well. The possibility of contraceptive  
7 failure underscores the need for pregnancy monitoring. For a  
8 drug that carries a category X labeling which means contra-  
9 indicated in pregnancy, it would seem logical that the  
10 prescribing physician would like to discontinue therapy as  
11 soon as contraindication emerges.

12           Clinically available ultra-sensitive pregnancy  
13 tests would detect pregnancy at or shortly before the  
14 anticipated missed period. We see two advantages for  
15 including repeated early pregnancy detection. First, having  
16 to return for a pregnancy test and a new prescription on a  
17 monthly basis may provide another behavioral modification  
18 about the careful use of contraceptives. Second, those  
19 patients that would consider terminating an Accutane exposed  
20 pregnancy as suggested in the current labeling would face a  
21 simpler and safer procedure than the ones available later in  
22 pregnancy.

23           The Teratology Society supports and encourages the  
24 educational programs developed by the manufacturer to make  
25 women aware of the risk of Accutane used during pregnancy and

1 to assist prescribing physicians in the pregnancy prevention  
2 program. The Society encourages the FDA and the manufacturer  
3 to continue to support efficient and unbiased surveillance of  
4 pregnancy exposures among female Accutane users. We believe  
5 that any pregnancy occurring to female Accutane users should  
6 be considered a failure of the pregnancy prevention program  
7 and should be carefully evaluated to determine the reason or  
8 reasons for failure and to develop additional strategies to  
9 prevent such occurrences.

10 Therefore, the Teratology Society offers the  
11 following recommendations to this committee. the Food and  
12 Drug Administration and the manufacturer:

13 (1) efforts should be made to decrease the number  
14 of Accutane prescriptions to fertile females;

15 (2) the extreme hazard associated with Accutane  
16 exposure during pregnancy necessitates that female users be  
17 provided with the most effective means of contraception. For  
18 example, long acting progesterone type injections or implants,  
19 coupled with barrier methods;

20 (3) monthly pregnancy testing should be performed  
21 in fertile female patients, and Accutane prescriptions should  
22 only be continued if there is a negative pregnancy test;

23 (4) an active surveillance of Accutane use among  
24 female patients should be continued;

(5) and every occurrence of pregnancy among

1 Accutane users should be evaluated to determine the reasons  
2 for the failure in the pregnancy prevention program;  
3 (6) and to develop additional steps toward prevent-  
4 ing such occurrences.

5 These are interim recommendations with the hope  
6 that they will be effective in preventing pregnancies in  
7 female patients being treated with Accutane. Your committee  
8 should review the surveillance data in a reasonable period of  
9 time to determine if these measures have been effective.  
10 That is, preventing pregnant women from being exposed to  
11 Accutane. If such measures are not effective, this committee  
12 will be faced with implementing stronger measures to prevent  
13 exposure to Accutane during pregnancy, such as restricted  
14 distribution. Thank you very much for your attention.

15 DR. PENNEYS: Thank you, Dr. Miller. Has Marty  
16 Fritz arrived? This is your last chance. If not, our next  
17 speaker is Dr. Isaac Roubain who is the secretary for this  
18 advisory committee who has a few comments..

19 MR. ROUBEIN: Based on the information provided by  
20 the members of the committee, the agency has taken the  
21 following actions to preclude any appearance of a conflict of  
22 interest. It has been determined that all interests,  
23 inference regulated by the center for drug evaluation  
24 research which have been reported by the participating  
25 members was no potential for an appearance for a conflict of

1 interest at this meeting when evaluated against the schedule  
2 agenda.

3           However, in the event that the discussions should  
4 somehow involve these firms, all participants are aware of  
5 the need to exclude themselves for such participation and  
6 their seclusion shall be noted in the record. Thank you.

7           DR. PENNEYS: Thank you, Dr. Roubein. The next  
8 agenda item is the current status of Accutane. Our first  
9 speaker is Dr. Colonel Evans who is group leader, who will  
10 give some introductory remarks.

11           DR. EVANS: I'd also like to welcome you this  
12 morning. Again, we'd like to indicate our appreciation for  
13 our members who are leaving and welcome our new members.  
14 Because we have several new members, I would like to take  
15 advantage of the opportunity to go through some of the  
16 chronology of Accutane, because as you know, no drug with  
17 terminological significance has received this much regulation  
18 or oversight.

19           In January of 1982, the Dermatologic Drugs Advisory  
20 Committee voted for the approval of Accutane with certain  
21 labeling revisions. In April of 1982, the draft labeling was  
22 again reviewed by the advisory committee and a half page  
23 contraindications, including teratogenic effects were listed.  
24 In August '82, a FDA bulletin announced the FDA approval of  
Accutane and discussed contraindications during pregnancy.

1 In September of that year, Hoffman-LaRoche introduced Accutane  
2 with a teratogenicity warning, based on animal studies. In  
3 July of '83, the company sent letters to 500,000 physicians  
4 and pharmacists because of the first reported cases of human  
5 birth defects.

6 In November 1983, the Food and Drug Administration  
7 in their FDA bulletin, reported major human birth defects and  
8 warned against use in pregnancy. In 1984, the company sent  
9 letters to physicians and pharmacists on additional clinical  
10 and safety information, including a revised patient brochure.  
11 In March 1984, there was a FDA press release which announced  
12 additional birth defect warnings and alerted blood banks not  
13 to accept blood from Accutane users.

14 In late 1984, the Roche Company made a presentation  
15 to the advisory committee updating changes in the package  
16 inserts, reporting that at that point there were 20 cases of  
17 birth defects. The committee voted to continue to closely  
18 monitor the drug. In August '84, a FDA bulletin updated the  
19 birth defects reports and discussed latest labeling changes,  
20 and in October of that year, Roche sent physicians and  
21 pharmacists new clinical and safety information added to  
22 package insert and patient brochures.

23 In late 1984, the Dermatology Advisory Committee  
24 was again updated on the adverse events that had taken place.

1 the Journal of the American Association and the Archives  
2 Dermatology providing guidelines for use in female patients.  
3 In August '85, another FDA drug bulletin was distributed to  
4 all health professionals on package insert revisions. And in  
5 October '85, two articles were published in the New England  
6 Journal of Medicine on Roche sponsored studies of birth  
7 defects.

8 In June of '86, Roche mailed to physicians and  
9 pharmacists the most recent revisions of the package insert.  
10 In February of 1988, the FDA and CDC staffs notified the FDA  
11 commissioner of additional cases of Accutane birth defects  
12 which brought us up to the meeting of the Dermatology  
13 Advisory Committee in April of 1988.

14 When the committee last met which was last year, we  
15 had a document which had been put together by the members of  
16 the staff of the Division of Epidemiology and Statistics here  
17 in FDA, and based on documentation that they had, they  
18 indicated that Accutane was markedly over-prescribed. There  
19 were far too many cases of pregnancy exposures and in  
20 addition to that, they had accumulated over 60 cases in which  
21 children had been born with birth defects due to Accutane.

22 Based on this kind of information, we asked the  
23 Dermatology Advisory Committee whether it was reasonable to  
24 remove the product from the market. The response was that it  
25 should be continued to be marketed. We also asked them

1 whether it was reasonable for us to contraindicate Accutane  
2 in women of childbearing age. We got a negative reply, but  
3 the committee suggested that there be certain other changes  
4 regarding the physicians labeling, the patient's labeling and  
5 some consideration of some sort of restricted distribution.

6           What has the FDA done since that time? One of the  
7 things that has been done is we've had the formation of the  
8 Accutane monitoring group which is an interoffice coordinating  
9 body to make sure that things didn't fall between the cracks  
10 between the company, FDA and other interested parties. This  
11 is headed by Dr. Robert Nelson who also is supervising the  
12 AZT monitoring group. As a result of this, we've had  
13 quarterly reports form Roche giving us up-to-date information  
14 on adverse effects, pregnancy exposures, also drug manufactur-  
15 ing and drug use data, and advertising and educational  
16 programs.

17           In turn we have assisted Roche in the development  
18 of certain changes in the labeling and in the development of  
19 their pregnancy prevention program. A letter went out from  
20 the FDA to Hoffman-LaRoche last summer, and it outlined the  
21 actions which we felt should be designed to limit or prevent  
22 the misuse of the drug. Among these recommendations were  
23 that Accutane must be dispensed in a blister-pack with the  
24 patient warnings and other information as part of the package  
itself. This is in addition to the currently used pamphlets

1 that physicians and pharmacists are asked to give patients.

2           It was recommended that there be a photograph  
3 showing the severity of the birth defect which will be used  
4 on the labeling. It was also recommended that women patients  
5 be asked to sign a form acknowledging their understanding of  
6 the very great likelihood of serious birth defects if the drug  
7 is taken during pregnancy. Regarding the physician labeling,  
8 it was recommended that a stronger box warning statement  
9 which must have print twice the size as the present label.  
10 The statement should affirm that the drug is not to be used  
11 for women of childbearing age, unless the physician determines  
12 that she meets all the following criteria: one, she has  
13 severe disfiguring acne; she can understand and carry out  
14 instructions; she is capable of complying with mandatory  
15 contraceptive measures; she has received all the written  
16 warnings of the hazards of pregnancy and has had a negative  
17 pregnancy test within two weeks of initiating therapy.  
18 Finally, that the drug's use will not begin until the start of  
19 the next normal menstrual period.

20           A statement should be added to the precaution  
21 section to explain the magnitude of the risk in fetal  
22 abnormalities. The kinds of birth defects that have been  
23 seen and the necessary of pregnancy counseling. Regarding  
24 the patient labeling, it is our recommendation that this must  
25 detail that there is an extremely high risk that a deformed



1 infant will result if pregnancy occurs while on Accutane.  
2 Materials must include a photograph or a reasonable facsimile  
3 of an infant with the characteristic visible external  
4 deformities, incurred due to exposure to Accutane, identified  
5 with an appropriate caption.

6           There must be a non-pregnancy symbol on each page  
7 of the patient material and on each panel of the blister-  
8 pack. There must be an informed consent with large type  
9 discussion of fetal abnormalities. In addition, there should  
10 be educational initiatives. And the letter calls for  
11 extensive educational campaigns aimed at physician, phar-  
12 macists, and patients, and encourage publication of advertise-  
13 ments on the teratogenic effects of the drug.

14           The FDA letter finally stated that the blister-pack  
15 should include a tear off prepaid postcard addressed to the  
16 Hoffman-LaRoche Company requesting the patient's name, phone  
17 number, and permission to be contacted. This is important  
18 because FDA hoped that the company would do a follow-up  
19 survey to ascertain patient awareness, disease status,  
20 contraceptives used, et cetera.

21           The goals of these activities are to reduce the  
22 prescribing of Accutane, and also to eliminate pregnancy  
23 exposures, birth defects, and the need for abortions. At  
24 this meeting, we have not asked any specific questions of  
you. We know that it has not been enough time to determine

1 or assess the effects of the interventions that have taken  
2 place.

3           While we have not asked you specific questions, we  
4 will certainly welcome your constructive comments. I would  
5 also like you to know that this material in general will be  
6 presented to another of FDA's committees, the FDA Committee  
7 on Maternal and Child Health, for their comments. I want to  
8 thank you for your attendance, and we look forward to a  
9 productive meeting. Thank you.

10           DR. PENNEYS: Thank you, Dr. Evans. I think we  
11 should move on to the next presentation by Hoffman-LaRoche.

12           DR. CUNNINGHAM: Good morning, Mr. Chairman,  
13 members of the advisory committee, ladies and gentlemen. I  
14 am Dr. William Cunningham and I'm Director of Medical Affairs  
15 and Health in Hoffman-LaRoche, the Division of Roche Malacia.  
16 I'm also on the attending staff at Columbia Presbyterian  
17 Medical Center in New York, where I practice dermatology and  
18 teach the medical students and residents, and award certified  
19 dermatologist.

20           We'd like to thank you very much for your invitation  
21 to participate in this status update this morning. We feel  
22 this has been a very worthwhile exercise for us in the past  
23 and we feel that today's meeting is a very positive step to  
24 develop the collaborative spirit that we've experienced  
25 throughout the years.

1 Also presenting this morning will be from Roche,  
2 Dr. Robert Armstrong who is Director of Clinical Research at  
3 Roche Dermatologies, Dr. James LaBraico who is Senior  
4 Director in the Department of Drug Safety, Hoffman-LaRoche,  
5 and joining us will be Dr. John Strauss who is Professor and  
6 Head of the Department of Dermatology at the University of  
7 Iowa, and Dr. Allen Mitchell, the Associate Director of the  
8 Slone Epidemiology Unit, the School of Public Health at  
9 Boston University.

10 This is my fourth presentation to the advisory  
11 committee since 1983. I've been involved with Accutane since  
12 1981. I've attended nearly every meeting since then of  
13 Accutane, and I've learned from the discussion, I think we've  
14 benefited as a group to participate in this together. This  
15 is probably the thorniest issue you've ever faced and  
16 certainly the thorniest issue I've ever faced in my life.  
17 Our goal is reducing dramatically the number of malformations  
18 associated with Accutane.

19 After this morning's videotape and human experience,  
20 I think it's clear that we share your grave concern about the  
21 seriousness of the problem that we face. I was also reminded  
22 by Dr. Spraker's comments that in my own personal life, the  
23 most joyous moment in my life, the birth of my son was also  
24 overshadowed in a way by the events of Accutane over the last  
25 years in my life when my son was born with no malformations.

1 I must admit on a personal level, I breathed a real sigh of  
2 relief. It's an issue that I'm facing today, since my wife  
3 was due on Friday again, and I think that all of you in the  
4 room probably share this deep emotional impact that we have  
5 in this issue.

6 In response to the challenge of last year, in April  
7 we implemented in October, a massive effort called the  
8 pregnancy prevention program. We discussed this with the  
9 committee in April last and after many many suggestions, we  
10 tried to incorporate as many of the steps that were deemed  
11 practical and desirable in the program, we as Dr. Evans has  
12 mentioned, agreed with the FDA at various meetings throughout  
13 the summer and fall of last year on the entire aspect of the  
14 program and the program was introduced in October of 1988.

15 The pregnancy prevention kit has been, I think, in  
16 the office of the dermatologist, a great success in general.  
17 Last week we lost the blister-pack, after a few technical  
18 delays that prevented us from launching it, a bit early, but I  
19 think you'll hear this morning that the scope of that effort  
20 is quite significant. We agree with Dr. Evans that the full  
21 impact of the program will be felt in the ensuing months.

22 Today's agenda will begin with Dr. Strauss introduc-  
23 ing the medical role of Accutane and the therapeutic armamen-  
24 tarian. This is truly a unique drug. It's a curative drug  
25 in many instances. No drug was available for treatment of

1 this severe disease in the past, and this is truly a medical  
2 breakthrough.

3 Dr. Armstrong will review the status of pregnancy  
4 prevention program. I will outline some of the epidemiology  
5 of the usage of the drug. In this regard, I would say that  
6 we share Dr. Evans' concern about the usage. We've seen a  
7 study downward trend in the usage of the drug over the years,  
8 and I'll give you more specifics on that later. There's a  
9 clear reduction, as well in the number of malformations over  
10 the years, and we find that a very positive sign that the  
11 events of 1983 and '84 and the events of 1988 have had an  
12 impact where it counts, that is, in reducing human malforma-  
13 tions.

14 Dr. James LaBraico will discuss the actual data  
15 regarding pregnancy and malformation with you. And then, Dr.  
16 Allen Mitchell will discuss the extensive follow-up survey  
17 which we've planned and implemented in January. Dr. Strauss?

18 DR. STRAUSS: Thank you. Mr. Chairman, members of  
19 the advisory group. For those of you who are not derma-  
20 tologists, I would like to first list my qualifications. I'm  
21 a past president of the American Academy of Dermatology, the  
22 Society for Investigative Dermatology, and the Council of  
23 Medical Specialty Societies. I still serve on an chair  
24 several committees of the American Academy of Dermatology and  
my current administrative responsibilities, include service

1 as the secretary of the American Dermatological Association,  
2 Director of the American Board of Dermatology, and a member  
3 of the Advisory Council of the National Institutes of  
4 Arthritis, Muscular Skeletal and Skin Diseases. In between  
5 all these, I serve as Professor and Head of the Department of  
6 Dermatology, University of Iowa, the post I've held for 11  
7 years.

8           Throughout my many years of professional career, my  
9 main and continuing research interests have been related to  
10 the pathogenesis and treatment of acne. I've been involved  
11 in the use of Accutane since 1978 when I became the second  
12 investigator in the United States to use this drug for acne.  
13 I think there's no question that I am recognized as a  
14 worldwide authority on acne.

15           I appear before your Committee under the auspices of  
16 Hoffman-LaRoche, but I want to emphasize that I am not under  
17 a retainer nor do I serve as a formal consultant to the  
18 company, although I obviously have close relationships with  
19 them because of my research interests and I do serve on a  
20 fee-for-service basis from time to time. I personally  
21 requested that they allocate time to me before I had the  
22 knowledge that the American Academy of Dermatology would be  
23 here. My remarks could easily be given as a representative  
24 of the American Academy of Dermatology, and I did serve as a  
25 representative of the American Academy of Dermatology to the

1 Task Force on Accutane of the American Academy of Pediatrics.

2 I appear here because of my keen interest and  
3 concern for patients with acne and most importantly for those  
4 who suffer the devastating consequences of severe nodular  
5 cystic acne. At the same time, I share with all the previous  
6 speakers a great concern about the birth defects and their  
7 prevention. Accutane has had a tremendous impact on the  
8 management of these severe cases of acne, and it is fair to  
9 state that in all my years in dermatology, I know of no drug  
10 which has had a comparable impact.

11 It is now possible to treat a segment of the acne  
12 population of previously were untreatable with any modality  
13 that we had, be it high dose antibiotics, dapsone, high dose  
14 estrogens, corticosteroids, and many other agents that have  
15 been used in the past. I am as concerned as everyone here  
16 about preventing birth defects, but at the same time, let us  
17 remember that acne is not a benign disease. In the group of  
18 patients with severe nodular cystic acne, treatment failure  
19 can lead to lifelong scars, as I will demonstrate in the  
20 following slides.

21 A male with very severe acne. Another male with  
22 very severe acne, involvement of the back. This certainly is  
23 devastating to the individual, but it isn't a disease that is  
24 just severe in males. On the next five slides, I will  
25 quickly show you severe scarring and severe nodular cystic

1 acne occurring in female patients. The pictures themselves  
2 speak for themselves. These are all women who are tremendous-  
3 ly scared, both emotionally and physically by their disease.

4 And I can show you the consequences of not treating.  
5 These are two patients that I saw when I still in Boston many  
6 years ago who were told by a physician that they did not have  
7 to be treated. The scars that I'm presenting to you in these  
8 two patients, and these are scars and not nodular cystic  
9 lesions are certainly lifelong and are certainly tremendously  
10 damaging.

11 I want to emphasize that these are examples of  
12 patients who were seen prior to the advent of Accutane or for  
13 one reason or another did not receive the drug. The physical  
14 and livelong psychological impact of the type of scarring that  
15 I have shown is tremendous. With adequate dosing, Accutane  
16 undoubtedly could have produced, not only remissions, but in  
17 close to 90 percent of the cases, these remissions would have  
18 occurred with minimal scarring. And the patients would be  
19 unlikely to acquire further extensive therapy because of the  
20 long lasting remissions.

21 I want to illustrate the type of patient and the  
22 type of clinical response that can be expected, and I'll run  
23 through these very quickly because similar pictures have been  
24 shown by others. This was one of the first patients I  
25 treated in 1978, totally treatment resistant to everything



1 that had been tried, and everything had been tried. This is  
2 how he looked 20 weeks later, and as far as I know he still  
3 looks like this, some 11 years later.

4 Another individual with severe nodular cystic acne  
5 with dramatic improvement with one course of drug, and to  
6 illustrate once again that this is not a disease restricted  
7 to males. Here is a patient, not mine, but Dr. James Ladens  
8 who was treated. This is how she looked at the end of  
9 treatment, and some one to two years later, essentially clear.

10 Now I would like to address the question as to who  
11 deserves this drug. We don't have true figures on the  
12 incidence of nodular cystic acne. The National Health and  
13 Nutritional Examination's Survey data is often quoted. I  
14 feel that this data is not reliable for the purpose in which  
15 it is being used because there were no established criteria  
16 for making the diagnosis of nodular cystic acne. My own  
17 estimation is that 2 to 5 percent of all women with acne  
18 might warrant treatment with the acne at some time.

19 Considering a birth rate of approximately 3.5  
20 million babies per year, half of whom are female, and then 80  
21 to 85 percent incidence of acne in this group, my calculations  
22 indicate that between 30,000 and 75,000 female patients might  
23 require Accutane therapy per year in the United States. I  
24 have estimated comparable figures in another way. The  
25 average dermatologist in the United States probably had

1 between one-half and two patients per month who are of  
2 childbearing potential and who require Accutane.

3           In preparing for this presentation, I have asked  
4 other recognized experts in the field of acne, as well as a  
5 few practicing dermatologists to estimate the number of women  
6 of childbearing age who they think the average dermatologist  
7 might elect to treat with Accutane each month. The figures  
8 that they estimated ran up to six patients per month. Using  
9 a more conservative figure to one half to one patients per  
10 month and considering the fact that there are approximately  
11 7,000 practicing dermatologists in the United States, my  
12 calculations reveal that between 40,000 and 84,000 women of  
13 childbearing potential might be candidates for Accutane each  
14 year.

15           If one compares these figures to the actual usage  
16 of the drug, the numbers are in a similar range. I mention  
17 this because it's been stated that there is massive over usage  
18 of the drug and its use must be cut drastically. I will not  
19 argue with the point that there are instances where the drug  
20 is used inappropriately. I will argue against the point that  
21 the drug has been grossly overused, based upon the calcula-  
22 tions that I have presented. Although with the new pregnancy  
23 warning program, it's my belief that the number of patients  
24 who are going to be treated will be decreased.

1 some type of limited availability of the drug, such as  
2 restriction of its use to a few major medical centers. Will  
3 this work? I personally think that this will deprive a large  
4 segment of the population of the availability of the drug.

5           The next two slides that I am showing are the  
6 before and after pictures of a patient who participated in our  
7 early experimental studies in the late 1970's. This is the  
8 follow-up. This patient commuted from Oklahoma, but this was  
9 at a time when the economy in Oklahoma was favorable and his  
10 family could afford to send him via commercial airlines to  
11 Iowa City. Furthermore, he was only treated for eight weeks  
12 which we now consider to be an inadequate time period in terms  
13 of protecting a patient against recurrence of nodular cystic  
14 acne.

15           To require a patient such as this to go to a few  
16 regional centers, scattered throughout the country, would  
17 place a severe economic burden on a great majority of the  
18 patients requiring this drug. Can I ask a patient who lives  
19 in Sioux City in the western part of Iowa to travel for  
20 approximately six hours each way every month in order for me  
21 to provide follow-up care and instructions for them for the  
22 treatment of their disease? Certainly patients living in  
23 rural areas would find it impossible to get adequate treatment  
24 with Accutane.

Furthermore, considering the fact that the figures

1 that I have presented represented only those patients who  
2 were of childbearing potential, and they represent probably  
3 only about 40 percent of those patients who require Accutane  
4 therapy, the numbers are staggering. The regional centers  
5 would not be able to handle all the patients, particularly if  
6 they were to observe the requirements for strict follow-up  
7 visits once a month. If 150,000 patients were to be seen in  
8 10 centers with five monthly visits per patient, each center  
9 would have to handle 75,000 patient visits per year.

10 Another problem that must be considered is what  
11 type of behavior patterns will be observed if the drug is  
12 restricted or removed from the market. There is no drug to  
13 replace Accutane and patients and physicians are aware of  
14 this. I am afraid that if there is restricted distribution  
15 of the drug, we will see a behavioral pattern among patients  
16 and physicians similar to that observed at the time the drug  
17 received publicity before it was available on the market.  
18 The drug will be obtained through illicit channels and may be  
19 manufactured illicitly. Moreover, patients will take the  
20 drug without physician supervision.

21 Substitutes, such as very high doses of readily  
22 available Vitamin A will be used with comparable or greater  
23 potential for teratogenesis. I happen to feel it is much  
24 better to have a highly educated physician and patient  
25 population who are fully aware of the dangers of the drug. I

1 think that the American Academy of Dermatology and Hoffman-  
2 LaRoche are making great strides to educate all of those who  
3 are prescribing or using the drug. In fact, I know of no  
4 similar effort that is ever been mounted in relation to a  
5 marketed drug.

6 This extensive package of educational material has  
7 not been used for a sufficient length of time to observe  
8 whether there is a change in behavioral pattern. I am  
9 confident that a change will occur and I can tell you that  
10 dermatologists such as myself who are interested in this  
11 drug, are making considerable effort to improve our education  
12 activities related to the potential for teratogenesis.

13 For instance, I gave talks on the teratogenic  
14 potential of Accutane at two of the largest symposiums and  
15 annual meeting of the American Academy of Dermatology in  
16 December of 1988, and will similarly give two talks this year  
17 at the annual meeting. It is my main talk now in the lecture  
18 circuit. In conversations with dermatologists around the  
19 country, I find that they are using the educational materials,  
20 and patients who I see on referral, I find that the informa-  
21 tion relating to the hazards of the drug are being adequately  
22 explained to the patients.

23 Let me emphasize that there has been insufficient  
24 time to determine whether these programs will work. I am  
25 confident that they that they will. Let me close by just

1 showing photographs of two more patients who I've recently  
2 seen. The first is a male whose disease was so severe that  
3 he had to be hospitalized. This is a close up of some of his  
4 lesions. He is just finishing his first course of Accutane  
5 and the type of improvement that we have seen in this patient  
6 is shown in this photograph.

7           The second patient I just saw last Tuesday. She  
8 appeared in my office referred by an outside physician.  
9 She'd been on Accutane for about one month, started by a  
10 competent dermatologist in another part of our State. I've  
11 now been asked to follow her because of the severity of her  
12 disease. Unfortunately, I do not have any pretreatment  
13 pictures. The patient told me that she was already con-  
14 siderably improved when these photographs were taken last  
15 Tuesday. You can imagine how she looked before Accutane was  
16 started. She started on a contraception program one month  
17 before starting the drug. She is having pregnancy tests and  
18 she is being followed by all of the rules established for the  
19 drug.

20           We in our hospital have the monitoring of the  
21 female patients with Accutane as part of our quality assur-  
22 ance program that we look at most closely. I feel that we  
23 cannot deny either male or female patients the availability  
24 of a treatment that will prevent lifelong physical and  
25 psychological scarring. I pledge the dermatologists' coopera-

1 tion in making certain that the necessary surveillance is  
2 conducted and that we do see that pregnancy is reduced. I  
3 thank you for your listening.

4 DR. PENNEYS: Thank you, Dr. Strauss. Are there  
5 any questions for Dr. Strauss and the committee at this time?

6 [No response.]

7 DR. CUNNINGHAM: Last year when we met with you Dr.  
8 Delbecki had outlined our goals and they remain the same  
9 today, as they did in April of '88. We would very much like  
10 to limit the use of the drug to the severe recalcitrant  
11 cystic acne which you've seen described this morning.

12 A major part of preventing malformations, of  
13 course, is excluding pregnancy. And we wish to with our  
14 program exclude pregnancy at the time of initiation of this  
15 drug and throughout the use of the drug. Ensuring contracep-  
16 tion is a very important physician/patient cooperation. It's  
17 a contract with the two. It's a very intimate relationship,  
18 and this is certainly at the heart of the pregnancy prevention  
19 effort.

20 The actions that we've taken since 1988 are  
21 outlined as the package interested revision which Dr. Evans  
22 has described. Dr. Armstrong will now describe the pregnancy  
23 prevention program, the blister packaging, the multiple  
24 communications with other organizations, and the interactions  
25 with other organizations which have been taken since April

1 1988. Dr. Armstrong?

2 DR. ARMSTRONG: Thank you, Dr. Cunningham. The  
3 actions which Roche has taken to implement these three goals  
4 can be divided into three parts. The parts are the revisions  
5 of the package insert, the pregnancy prevention kit, and the  
6 new packaging introduced last week.

7 I'd like to start with the revision of the package  
8 insert. The first thing that was done with the package  
9 insert was to introduce the avoid pregnancy symbol, as a  
10 simple means of conveying the message, "do not become pregnant  
11 while taking this drug." This symbol recurs throughout the  
12 program and you'll see illustrations of its appearance to  
13 reinforce this very simple and very important message.

14 The contraindication and warning section has also  
15 been increased. Not only has the type size increased, but  
16 there's been additional detail provided within this boxed  
17 warning. In particular, there are increased warnings of the  
18 extremely high risk of malformations occurring should this  
19 drug be taken during pregnancy, and it also stipulates that  
20 there is no amount of drug and no short period of drug  
21 exposure which does not carry a risk. It also stipulates  
22 that any exposure carries the potential for these extremely  
23 severe risks.

24 The next section, the lower section that's indicated  
there also reviews what some of these more severe side



1 effects and birth defects can be. A very important part of  
2 this expanded contraindication and warning section is this  
3 specification of six criteria which must be met before it is  
4 reasonable to treat women with this drug.

5           The first of these six criteria relate to the  
6 appropriate use of the drug and specifies that it only be  
7 used in patients who have severe recalcitrant cystic acne and  
8 who have been treated with other forms of therapy without  
9 success. The second and third points relate to the patient's  
10 ability to understand the nature of the problem and carry out  
11 instructions and in particular her ability to follow-through  
12 with the mandatory contraceptive measures. The fourth point  
13 relates to the process of informed consent. It specifies  
14 that patients receive both oral and written warnings of the  
15 possibility of birth defects occurring and also the possi-  
16 bility of any contraceptive technique having incidence of  
17 failure.

18           The last two of these indications include the use  
19 of a serum pregnancy test, no more than two weeks before the  
20 drug is started with a negative result, and finally the  
21 specification that the medication only be begun on the second  
22 or third day of the next normal menstrual cycle.

23           The package insert also recommends that abstinence  
24 or two forms of contraception be used. This recommendation  
25 covers a period starting one month before treatment, continu-

1 ing through the entire course of treatment, and for one month  
2 after the last medication is taken. And this warning section  
3 now also recommends that Accutane only be used by experienced  
4 practitioners, that is, practitioners who have special  
5 competence in the diagnosis and treatment of cystic acne,  
6 doctors who are familiar with the use of systemic retinoids,  
7 and who understand the risk of teratogenic effects taking  
8 place if the drug should be taken during pregnancy. Finally,  
9 the package insert now includes this consent form which I  
10 will discuss in a little bit greater detail later in the  
11 presentation.

12 So to summarize, the new package insert includes  
13 the avoid pregnancy symbol, it expands the contraindication  
14 and warning section, in particular illustrates six criteria  
15 that are necessary for this drug to be appropriate in the use  
16 for females, and it recommends that abstinence or two forms  
17 of contraception be used for the entire period of treatment,  
18 one month before and one month after. And finally incor-  
19 porates the consent form.

20 Now the package insert revisions were approved last  
21 year in August, and it was implemented by being placed in the  
22 packaging one week later. Also one week later, the revised  
23 package insert with an explanatory letter was sent to 7,700  
24 dermatologists, and the following week a half a million  
physicians and 62,000 pharmacies across the country also

1 received explanatory letters and copies of the revised  
2 package insert, so that all those who are most likely to have  
3 interactions with patients would have this new information  
4 available to them.

5           In addition to the package insert, these pregnancy  
6 prevention kits were distributed to physicians. This kit is  
7 designed to provide a comprehensive spectrum of information  
8 to support the correct use of this drug and it's organized in  
9 a step wise fashion to provide a logical means of approaching  
10 the prescribing of this drug to women of childbearing  
11 potential. So let's go through the steps.

12           The first step is a qualification check list. This  
13 is meant to determine that it is appropriate for a woman to  
14 be a candidate for taking this drug and it specifies each of  
15 the criteria that are appearing in the new revised package  
16 insert, and goes through them in the same order which we  
17 discussed previously. There is one additional point which is  
18 made as the last question, and that is, that the woman not be  
19 a nursing mother and that she not take Accutane until after  
20 she is completed her nursing.

21           In keeping with this new program, the patient  
22 information brochure has been revised and updated, and this  
23 information describes, not only those effects which can occur  
24 with the drug in general, but it emphasizes the effects that  
25 may occur if taken during pregnancy. Some patients may

1 prefer to receive this information in a verbal fashion,  
2 rather than in a written one, and it is possible for such  
3 patients to dial an 800 number and hear this kind of informa-  
4 tion presented, either in English or in Spanish as they feel  
5 appropriate.

6           There are a number of misconceptions about birth  
7 control and to avoid these problems, we've also included a  
8 book containing facts that are important in understanding  
9 birth control and how it should be properly chosen and used.  
10 Many physicians, and in particular, physicians such as  
11 myself, don't feel a special expertise in prescribing and  
12 selecting contraceptive measures, and in order to address  
13 that need, there is a contraceptive referral program. Under  
14 this program it's possible to refer a patient to a gynecolo-  
15 gist or other expert physician for advice and for a serum  
16 pregnancy test. And if both of those things are done  
17 together, Roche will reimburse for the cost, both of the  
18 consultation with the gynecologist and also for the cost of  
19 the pregnancy testing.

20           The next step in this process includes the consent  
21 procedures. There's a booklet here that outlines how the  
22 different elements can contribute to the physician's consent  
23 process. One of the things that we've provided is a patient  
24 self-evaluation test. This self-evaluation test goes through  
the same criteria that are outlined in the package insert

1 regarding the appropriate indication, the appropriate attempt  
2 to use other forms of treatment, the necessary information  
3 regarding contraceptive practices, and so on.

4           And I'd like you to appreciate that this self-  
5 evaluation test is something that the patient can take home.  
6 There's a copy that's provided for her, so that she may take  
7 it home and be able to refer to this information at any time  
8 that she wishes to. And then the final part of this consent  
9 process is the actual consent form and there are areas  
10 provided where patients can indicate that they've been  
11 informed of each of these different points from the program.  
12 Each of these six criteria that were mentioned in the package  
13 insert are reviewed in this consent form.

14           There are several additional points, including the  
15 fact that birth defects are not the only important side  
16 effects of Accutane, and that the patient needs to be aware  
17 of those. It also informs the patient that there is a  
18 follow-up survey which she may participate in. A final thing  
19 to mention about the patient consent form is that it does  
20 inform her that she is eligible for participation in this  
21 contraceptive counseling program with the cost of that  
22 program being borne by Roche.

23           So in conclusion on the pregnancy prevention kit,  
24 there are a number of items that are provided. There's a  
25 qualification check list, there's several different ways in

1 which information can be given to the patient, both in  
2 written information and in verbal information available by  
3 telephone, and the possibility of referral to a consulting  
4 physician. In addition, there are items that support the  
5 obtaining of informed consent from these patients.

6 Now what is the impact of this kit? We've had a  
7 survey done to investigate what has happened with this kit in  
8 the months of February and March of this year. This survey  
9 indicates that 95 percent of dermatologists have received the  
10 kit and among those who have the kit, 55 percent report that  
11 they have used one or more components just in the last two  
12 months. I'd also like to point out a very important statistic  
13 here that among those physicians who had not used the kit, 36  
14 percent said that they had not evaluated any female patients  
15 that they considered to be an appropriate candidate during  
16 the period.

17 When you consider those two groups, you can see  
18 that 91 percent of the practicing physicians surveyed had  
19 either used the kit or had not had a patient for whom the kit  
20 was appropriate.

21 Now this slide gives you an indication about how  
22 individual components of the kit have been used. You can see  
23 that the referral for contraceptive counseling has been used  
24 by about 40 percent of the physicians who were surveyed,  
25 about two-thirds of the physicians used the patient self-help

1 test and also the contraception pamphlet, and even higher  
2 percentage, about three-quarters of physicians used the  
3 qualification check list, the serum pregnancy test or the  
4 consent form as provided by LaRoche. And even higher  
5 percentage, 90 percent, were using the patient information  
6 brochure.

7           Now if you consider the number of women who are  
8 evaluated using this kit, this survey indicated that there  
9 was actually a 22 percent number of patients who were  
10 evaluated using the kit who did not receive Accutane, as a  
11 result of their being evaluated with this process. And this,  
12 I think, is an indication of the critical nature with which  
13 physicians are approaching the prescribing of Accutane to  
14 their female patients. It also goes along with information  
15 that we're getting anecdotally from physicians and also from  
16 pharmacists that they are prescribing Accutane must less  
17 frequently to women.

18           Now the final thing that I'd like to discuss on the  
19 aspects of the pregnancy prevention program is the new  
20 packaging. The new packaging introduces a blister package  
21 that includes 10 capsules. I'd like to draw your attention  
22 to two items. On the front page of the packaging, this may  
23 not be legible since it's in red, so I'll read it for you.  
24 The first thing is that the pharmacist is requested to  
dispense this packaging intact. And I'll show you just

1 shortly the importance of that recommendation. And the  
2 second recommendation is to the patient and requests that the  
3 patient read this information carefully and thoroughly.

4           Now the reason that we particularly want the  
5 package to be dispensed intact is that it provides an  
6 extensive amount of information drawn from the revised  
7 patient information. This is an integral part of the  
8 packaging. It's a hard cardboard piece which not only is  
9 attached to, but actually wraps around the capsules them-  
10 selves. The new packaging reinforces the pregnancy warnings  
11 by describing them in red in multiple places throughout the  
12 packaging. It also uses the avoid pregnancy symbol repeatedly  
13 and in particular when the pills are removed from the blister  
14 packaging, they must be removed through one of these avoid  
15 pregnancy symbols, as a constant reminder of the simple  
16 importance of avoiding pregnancy while the drug is being  
17 used.

18           The new packaging also includes line drawings  
19 illustrating some of the malformations which might be seen,  
20 but it also provides a description of other malformations of  
21 the central nervous system, the cardiovascular system, and  
22 other internal organs which are not easily illustrated. And  
23 finally, the new packaging encloses this enrollment form for  
24 the follow-up survey which you'll be hearing about shortly  
from Dr. Allen Mitchell.



1           So the new packaging introduces a blister package  
2 containing 10 capsules. It incorporates the revised patient  
3 information as an integral part. It reinforces pregnancy  
4 warnings in red. It introduces the avoid pregnancy symbol in  
5 a way to emphasize the importance of continuing with an  
6 adequate contraceptive program. It includes line drawings to  
7 illustrate the malformations which can be associated with  
8 Accutane's use during pregnancy, and it includes an enrollment  
9 form for the follow-up survey.

10           Now the new packaging, the pregnancy prevention  
11 kit, and the revised package insert are not sufficient by  
12 themselves. There was a need for communication of these  
13 items to the medical community. We've chosen several  
14 different means of communication to get this message to the  
15 medical community. We've already talked about the mailing of  
16 the package insert and an explanatory letter to physicians  
17 and pharmacies that occurred in September last year.

18           The pregnancy prevention program, including the kit  
19 was announced to dermatologists, as well as other physicians  
20 who had been identified as Accutane prescribers, but who were  
21 not dermatologists. That process also began in September of  
22 last year. A letter was sent to obstetricians and gynecolo-  
23 gists in December in December to inform them about their  
24 possible participation in the referral program, so that they  
would be aware of the program and how to participate in it.

1 And finally, follow-up information about the survey was  
2 distributed to dermatologists in both December of last year  
3 and January of this year. All of these things by a direct  
4 mailing campaign.

5 But there is also some advantage in a direct,  
6 personal presentation and so I'd like you to review with me  
7 these figures about presentations made by Roche professional  
8 representatives, not only to individual practitioners, but  
9 also to dermatology departments where they might be speaking  
10 to attending physicians, residents, nurses, and other health  
11 care employees.

12 I'll do the rough addition for you by pointing out  
13 that in the seven-week period during September and October in  
14 which this program was initiated, there were 10,000 such  
15 visits made across the United States. And the next four  
16 months, there was an additional 10,000 visits made. Some of  
17 these were initial presentations, some of them were review or  
18 reinforcing presentations. We certainly wanted to make sure  
19 that this information was provided to the practicing physi-  
20 cians.

21 Another form of communications is in published  
22 material. I've selected three, not the entire list of  
23 possible publications, but three as illustrations of the kind  
24 of information which we have felt is important to share with  
25 the medical community. The first and the third were editor-

1 ials that were published, the first in the Journal of the  
2 American Academy of Dermatology, the second in the Video  
3 Journal of Dermatology, stressing the importance of this issue  
4 of Accutane and teratogenicity. The second publication was  
5 one published in the Achieves of Dermatology and seeks to  
6 avoid the misinformation that the effects of Accutane  
7 continue for a long period of time, and addresses the issue  
8 of the safety of pregnancy after Accutane has been discon-  
9 tinued.

10           It's also been a part of this program to present  
11 information at various meetings, again I don't intend to  
12 present an exhaustive list, I've instead chosen some of the  
13 more important areas and forums in which we've had direct  
14 participation in these presentations. The American Academy  
15 of Dermatology Annual Meeting has already been discussed.  
16 There was also a presentation on the Conference on Patient  
17 Education, a group sponsored by the American Academy of  
18 Family Physicians. Presentations were also made by Roche at  
19 the Annual Winter Toxicology Forum and the FASEB Summer  
20 Conference on Retinoids, and just last week there was a basic  
21 science conference held on the issues of retinoids and  
22 teratogenesis. Additional presentations have been made at  
23 State and local dermatologic societies.

24           Dr. Evans early this morning referred to the  
request to provide this information in the form of advertise-

1 ments. This particular advertisement is directed at the  
2 dermatology population and as you can see from the bold  
3 print, it says that there is a problem that can occur with  
4 Accutane and that it also can be avoided, and provides  
5 information about how to avoid the problem. And as you can  
6 see, here's another instance where the avoid pregnancy symbol  
7 is being used to reinforce this simple message.

8           This advertisement ran in primarily dermatologic  
9 publications which are listed for you here, and I'd like to  
10 point out that these journals have indicated that this  
11 advertisement has among the highest recognition and recall of  
12 any of the advertisements that they ran during this period of  
13 time. Here's also an advertisement that was directed for  
14 non-dermatologists and which appeared in other more generally  
15 circulated medical publications. This advertisement stresses  
16 that Accutane is contraindicated. And then the physician  
17 must read the finer print to find out the exceptions to that  
18 contraindication, and this does draw on the same six points  
19 that were presented earlier from the package insert.

20           To show the publications where this advertisement  
21 appeared, the list is provided for you, and from that list I  
22 would like to select the Journal of the American Medical  
23 Association and also Modern Medicine. Both of these publica-  
24 tions have indicated to Roche that these advertisements were  
extremely well recognized and had a high recognition and

1 recall factor among their readership.

2           Finally, Roche has interacted with a number of  
3 different organizations with a number of different groups  
4 represented. We've given you some indication of the degree  
5 of involvement that we've had in discussions with the  
6 American Academy of Dermatology. We've also had discussions  
7 with the American Academy of Pediatrics and the Society of  
8 Pediatric Dermatology. We've had interactions with the  
9 American College of Obstetrics and Gynecology, and we've also  
10 met with a half of dozen professional pharmacy associations  
11 to discuss ways in which pharmacies could contribute to this  
12 pregnancy prevention program.

13           We provided technical advisers who have met with  
14 the Slone Epidemiology Unit in the design of the follow-up  
15 survey which you're going to hear about very shortly, and  
16 we've had meetings with the March of Dimes Birth Defects  
17 Foundation to discuss ways in which the general population  
18 could be educated about this problem.

19           So to summarize, we've developed a comprehensive  
20 effort and the effort has been designed as part of an  
21 integrated program. This program involves a number of  
22 unprecedented measures, things which had not been done  
23 previously for prescription medication. We've introduced the  
24 avoid pregnancy symbol, and I understand that that's been  
25 adapted by at least one other company for a product already.

1 We've introduced the pregnancy prevention program. Two of  
2 the most components of that are the consent form and the  
3 contraception referral program where contraceptive advice and  
4 pregnancy testing can be done at Roche expense. And we've  
5 also introduced this new blister packaging which is designed  
6 to make sure that the medication and the warnings are  
7 presented to the patients at the same time. Within this  
8 blister packaging is another opportunity for patients to  
9 enroll in the follow-up survey.

10 So I'd like to now close my remarks by making three  
11 observations about the meaning of these efforts. I think  
12 they illustrate that Roche is demonstrated a commitment to  
13 practicing the best medicine possible. I think they illus-  
14 trate a willingness to learn from our experience and from the  
15 suggestion of others. And finally, a willingness to implement  
16 and take action on that experience and those suggestions.  
17 Thank you very much.

18 DR. PENNEYS: Dr. Cunningham, we are running behind  
19 schedule, if you could try to expedite this.

20 DR. CUNNINGHAM: I'd like to very briefly demons-  
21 trate some of the usage that illustrates the downward trend  
22 in total patients, new patients, and most importantly in new  
23 female patients. I think you can see from this graphic  
24 display that the usage of the drug peaked in 1983. At the  
25 same time we first heard about birth defects associated with

1 the drug and there was a decrease, a slight peak in '85, and  
2 then a steady downward trend thereafter. This doesn't show  
3 terribly well in this graphic scale, but between 1987 and  
4 1988, there was a more significant downward trend in the  
5 usage of the drug in new female patients.

6 This is from the PDS data base and I won't go into  
7 the details of the other data bases, but to some extent,  
8 especially in 1987 to 1988, the other data bases demonstrate  
9 again a downward trend. I would emphasize that these are not  
10 absolute numbers, but they are trends and that is the most  
11 important usage of the data bases that we've looked at.  
12 Additionally people have asked about factory units, and  
13 without becoming too complicated, I would say that compared to  
14 1987, our 1988 third and fourth quarter factory units showed  
15 a decrease from 1987 usage.

16 It is very difficult to convert those factory units  
17 into actual patient numbers because of difference in dosage  
18 and duration of therapy, but we do see a downward trend.  
19 Furthermore, in early 1989, we also see a downward trend in  
20 the usage of the drug in terms of factory units.

21 I'd like to introduce Dr. LaBraico who will discuss  
22 briefly with you the epidemiology of pregnancy exposures.

23 DR. LABRAICO: Good morning. As background  
24 information, I would like to present the pregnancy data that  
was shown at the meeting last year. At that time, as of

1 January 31, 1988, there were a total 363 reports that had  
2 come to Roche via the spontaneous reporting system. And I  
3 would indicate to you that we're talking now about the year  
4 that therapy was initiated. At a later point, I will show  
5 you some information regarding the birth. Year of birth--  
6 363 with a total of 61 congenital malformations. The peak  
7 year for reporting was 1983 and 1984.

8 This information is now as of April 30, 1988 and  
9 it's a little bit of a variance with the information you  
10 received by mail, because we've updated one additional month.  
11 The information you received was as of the end of March.  
12 This is as the end of April. There's a total of 426 reports  
13 since January 31, 1981, an additional 63 reports. There have  
14 been 14 additional reports of congenital malformations, two  
15 occurring in 1988, one in 1984, and the rest occurring in  
16 1986 and 1987. Again, when one looks at the total trend in  
17 reports, the peak years occurred in 1983 and 1984 with a  
18 downward trend since that time.

19 Of these reports, about half have occurred in the  
20 years 1988 and 1989; 14 reports have occurred since October  
21 1, 1988. And I used that date because, as you heard from Dr.  
22 Armstrong, the pregnancy prevention program was initiated at  
23 that time, and has continued its implementation through the  
24 first quarter of this year, and I'll get back to that in a  
25 moment.



1           This is a slide showing the information as of April  
2 30th on congenital malformations by year of birth. The peak  
3 years again, 1983 and 1984, with two births in 1988. Again,  
4 showing that the peak events occurred in these two years and  
5 with a downward trend since that time.

6           As I have indicated, we have received 14 additional  
7 reports since the 1st of October when the pregnancy program  
8 was initiated. I would like to take a moment to reflect on  
9 what we've seen from that recognize and realize that we're  
10 talking about the program that has just been initiated and  
11 that the numbers are small, but I think it is of some use to  
12 look at the information that we can glean from these reports.

13           What has happened from the standpoint of pregnancy  
14 prevention in these early cases? There are a few cases where  
15 failure to comply with the following guidelines, either  
16 performing a pregnancy test or waiting to the second or third  
17 day of the next menstrual period before starting therapy.  
18 What has happened in these situations, in one case a woman  
19 presented with a history of infertility and a pregnancy test  
20 was not done. Another situation, the pregnancy test was  
21 done, but probably one or two days after conception, but  
22 therapy was started before waiting until the next menstrual  
23 cycle.

24           The larger number of cases occur in the area that  
I'm referring to is contraceptive failure. Most of these are

1 in the err of human failure. The women are counseled, they  
2 understood the risk, but for some reason failed to use their  
3 method of contraception at the appropriate time. We feel  
4 that the blister pack that Dr. Armstrong described would  
5 provide a constant reminder to this group of the need to  
6 maintain contraception at all periods of time. Just the fact  
7 that every time they have to take their medication, they will  
8 be reminded by the pregnancy symbol that appears, as Dr.  
9 Armstrong has described.

10 Method failure is a little bit more difficult to  
11 assess. It does appear that in two of the cases, there may  
12 have been a true method failure. But certainly I think that  
13 the need of recall appears to be a very important factor in  
14 reminding women that they must continue the contraception.  
15 There were a few cases of self-medication. These were  
16 patients who had actually been treated in the past with  
17 Accutane and used some leftover medication from a prior  
18 prescription. And again, I think that the blister pack would  
19 have provided information and a reminder in these situations  
20 to a woman that she must maintain contraception.

21 We will continue to monitor these new reports as  
22 they come in looking for risk factors associated with these  
23 pregnancy prevention failures. At this time, I will introduce  
24 Dr. Mitchell, who will discuss the follow-up survey.

1 remarks as brief as I can. We have been asked by Roche to  
2 design and carry out a follow-up survey of women who have  
3 been treated with Accutane. The objectives of this survey are  
4 to determine the rate of pregnancy among women who use  
5 Accutane, their awareness of the teratogenic risks, their  
6 history of prior acne therapy, pregnancy outcome among women  
7 who do become pregnant, risk factors for the occurrence of  
8 pregnancy, and to assess the impact of an intensive survey on  
9 the compliance with prescribing guidelines.

10 It's important to bear in mind the period of  
11 interest of this survey. As was mentioned, the typical  
12 course of Accutane treatment is approximately five months,  
13 and what we have determined is a reasonable period in which  
14 to monitor any pregnancies that might occur during the period  
15 of Accutane treatment, including the very last few weeks is a  
16 period of approximately six months, two trimesters of time  
17 following the last treatment with Accutane.

18 The Slone Epidemiology Unit at the Boston Univer-  
19 sity, School of Public Health is responsible for the design  
20 and the development of the protocol for data collection, data  
21 processing, data analysis, and this is all being done under  
22 very helpful guidance provided by an independent SEU Accutane  
23 advisory committee appointed solely by the SEU. You may not  
24 be able to read this, but the panel is chaired by Dr. Paul  
25 Stolley and includes both academically based and private

1 practice based dermatologists, people with pharmaceutical  
2 expertise, pediatric expertise, teratology expertise, and as  
3 was mentioned earlier, we have Dr. LaBraico and Dr. Dai from  
4 Roche who provide liaison.

5           It's important to recognize in designing the survey  
6 that there is a specific context in which it is being  
7 conducted. First, the survey monitors physician and patient  
8 compliance with the Roche pregnancy prevention program.  
9 Because physician and patient compliance with the pregnancy  
10 prevention program is voluntary, survey participation is  
11 necessarily voluntary as well. This raises the concern  
12 because survey participation is voluntary, the population  
13 surveyed may not be representative of all women who use  
14 Accutane. The likelihood that the surveyed sample will be  
15 representative increases as participation increase, and  
16 therefore the survey designed should seek to maximize  
17 enrollment.

18           Let me briefly review the major components of the  
19 survey that we have designed. First, as I mentioned, it  
20 involves voluntary enrollment. Enrollment will be through  
21 the physician or the medication package. Each patient will  
22 be followed for six months after the discontinuation of  
23 Accutane or for a period of approximately 11 months. Follow-  
24 up will be conducted either by telephone or by mail, and  
there will be an effort to assess both the completeness and

1 the representativeness of the survey.

2 I'd like to consider some of these points in  
3 detail. First the enrollment. When confronted by this  
4 prescribing sequence, we have a variety of options through  
5 which we might be able to enroll women in the survey.  
6 Remember the woman goes to her physician who prescribes  
7 Accutane, she takes that prescription to the pharmacist who  
8 fills the medication, and the woman then consumes the  
9 medication. Well, at any step along this way, one could  
10 theoretically offer an enrollment opportunity, and we have  
11 elected to utilize two points in the enrollment procedure.

12 These are the physician generated approach in which  
13 the physician asks the patient to complete the enrollment  
14 form at the time of Accutane prescription, and the medication  
15 package generated approach in which the medication package  
16 contains an enrollment form which the patient can complete.  
17 Now we also considered the issue of payment as an incentive  
18 for an enrollment. Survey participation can be enhanced, we  
19 believe, by offering payment to patients for their enrollment.  
20 However, payment to physicians would be inappropriate, as it  
21 might serve to encourage Accutane prescribing.

22 Let us now consider the characteristics of each of  
23 the enrollment approaches I mentioned. First, the physician  
24 generated approach. All Accutane prescriptions originate  
25 with the physician. It is a logistically feasible approach

1 because it can easily be added to the pregnancy prevention  
2 program. And finally, the physician's encouragement provides  
3 a strong incentive to the patient to enroll. The enrollment  
4 forms as has been mentioned are included with the pregnancy  
5 prevention program materials, and they're actually attached  
6 to the informed consent, and it requests a relatively limited  
7 amount of information to be completed.

8           The medication package generated enrollment  
9 approach provides different opportunities. It first of all,  
10 provides a second enrollment opportunity directly to the  
11 patient, bypassing the prescribing physician. It provides a  
12 payment for enrollment which is a familiar process most akin  
13 to the consumer rebates and a form that's easy to complete.  
14 It may identify through differential enrollment non-complying  
15 physicians and it may preferentially target women who were  
16 either not enrolled by their physicians or who are non-  
17 compliant but attracted by payment. That form, as has been  
18 shown, is a smaller version of the other enrollment form, the  
19 physician generated enrollment form, and is included in the  
20 medication package.

21           Let me present a schematic overview of the survey  
22 design. As we mentioned women may enroll in the survey,  
23 either prompted by the medication package or the physician.  
24 Upon enrollment, they are sent a check, a payment of \$10.00  
25 for their enrollment and then they are followed for a period

1 of approximately five months during their Accutane therapy  
2 and for that six-month period following termination of  
3 Accutane therapy.

4           Women are enrolled either into the telephone  
5 follow-up arm of the survey or the mail follow-up arm. These  
6 two have different characteristics. The telephone follow-up  
7 arm involves a telephone contact shortly after enrollment at  
8 approximately two weeks. Another telephone contact during  
9 approximately the middle portion of Accutane treatment, and a  
10 final telephone follow-up at six months following discontinu-  
11 ation of the drug, or 11 months following initiation of the  
12 drug.

13           Mail contact or mail follow-up on the other hand  
14 differs primarily in that there is no contact, other than the  
15 payment. There is no contact during the period of therapy.  
16 There is a mail contact at approximately six, basically to  
17 maintain the cohort so that we can continue following. And  
18 the follow-up that does occur, the major follow-up is at 11  
19 months and obtains similar information to that obtained in  
20 the telephone follow-up at 11 months following the initiation  
21 of therapy.

22           The information that's sought from the two com-  
23 ponents is both similar and different. Pregnancy occurrences  
24 and if there are pregnancies, their outcomes are identified  
25 both through the mail follow-up and the telephone follow-up,

1 since that information is obtained after the completion of  
2 Accutane therapy. On the other hand, such as acne history  
3 and most importantly risk factors for the occurrence of  
4 pregnancy, we believe is only valuable if collected prospec-  
5 tively, and therefore that information is uniquely obtained  
6 through the telephone survey. All information that is  
7 obtained is confidential. No identifying information will be  
8 provided to Roche, FDA or others without specific written  
9 consent from the patient.

10 Let me try now to review what's happened in the  
11 weeks since the survey has been initiated. As has been  
12 mentioned, the initiation of the survey was announced at the  
13 American Academy of Dermatology Annual Meeting in December,  
14 and at that time and the weeks following there was initial  
15 distribution of the survey enrollment forms. In January of  
16 '89 this year, the physician generated enrollment approach  
17 began in earnest. And in the first four months of this year,  
18 there have been a number of activities, some of which have  
19 been mentioned.

20 Enhancement of the physician generated enrollment  
21 approach, both to dermatologists and non-dermatologists and  
22 through an endorsement by the Academy of Dermatology direct  
23 mail, advertisements, visits by sales representatives, we have  
24 had refinement of the follow-up procedures, we have developed  
25 a pregnancy follow-up protocol with Dr. Edward Lammer, and we



1 have initiated the survey assessment activities primarily in  
2 a prescription based system in Rhode Island, and in discus-  
3 sions with various closed panel health plans which are now  
4 underway.

5           This histogram describes the absolute number of  
6 enrollments in the various weeks of the survey. I believe  
7 there's a total of 14 weeks. Members of the committee who  
8 have seen the quarterly report will notice that that ended  
9 here. We've added the most recent information we could which  
10 is the last two weeks in April, and as you can see enrollments  
11 have totaled, 1,306 in this period, and at the present time  
12 or as of a week or two ago, they had increased to approximate-  
13 ly 105 per week. We're more interested in the slope of this  
14 curve rather than the absolute numbers. This represents the  
15 early weeks of the enrollment process.

16           Remember all of these enrollments have come solely  
17 through the physician generated approach since the medication  
18 package was not available with the enrollment form. We've  
19 also completed at this point approximately 625 telephone  
20 interviews. As one would expect, one should encounter some  
21 pregnancies and we have, and as a matter of fact at the very  
22 end of last week, we encountered the first two pregnancies,  
23 and we obviously don't have any more current information than  
24 that.

1 encounter some problems and we have. There have been three  
2 areas of problems. First related to the instant start up of  
3 the survey with no pilot experience and no introductory  
4 phase. Two, the physician generated enrollment approach,  
5 because of start up difficulties, there was initial confusion  
6 as to the actual existence to the survey. There was confusion  
7 as to the availability of enrollment forms, and there was  
8 incomplete awareness of the survey. As has been mentioned,  
9 the medication package generated approach was hampered by the  
10 absence of the vehicle and that package has now been intro-  
11 duced.

12           What has happened to resolve these problems? In  
13 terms of the instant start up, we have incorporated our early  
14 experience into the ongoing activities of the survey. We  
15 have compressed and enhanced the introductory efforts. In  
16 the physician generated enrollment approach, physician  
17 education has been enhanced; the distribution of enrollment  
18 forms has been enhanced. We are in the process of developing  
19 a newsletter at the suggestion of our advisory committee to  
20 provide feedback to participating physicians.

21           And in terms of the medication package generated  
22 enrollment approach, obviously with the introduction of the  
23 new package, we hope to see an increase in enrollment, a  
24 substantial increase. We are exploring with Roche a pharmacy  
involvement to encourage and enhance enrollment, and we will

1 be establishing a toll free telephone number, so that women  
2 who choose to, can directly enroll in the survey.

3 We anticipate a number of areas of activity in  
4 terms of enrollment enhancement. The physician generated  
5 aspect will again be the subject of direct mail, the sales  
6 representative visits, advertisements, meetings, and news-  
7 letter I mentioned. The medication package will involve, not  
8 only the introduction of the package, but the availability of  
9 the toll free telephone. We will concentrate obviously on  
10 the follow-up of the enrolled sample, both the telephone and  
11 mail arms of that follow-up, and we will devote considerable  
12 attention to the assessment of the completeness and the  
13 representativeness of the enrolled sample.

14 And let me just touch on those two components. In  
15 terms of the completeness of this survey sample, we intend to  
16 assess enrollments in relation to overall sales, according to  
17 the source of enrollment, the differential sources, the  
18 physician versus medication package, and according to defined  
19 sub-populations. One that we're working actively with is  
20 located in Rhode Island, a State based prescription registry,  
21 and others that we're currently in the midst of negotiations,  
22 closed panel, health plans, such as Medicaid, HMOs and  
23 private insurance schemes.

24 In terms of the representativeness of the surveyed  
25 sample within those defined sub-populations, we hope to

1 compare the characteristics of the enrolled and unenrolled  
2 women who use Accutane, and secondly, to compare pregnancy  
3 rates among enrolled and unenrolled women who use Accutane.

4 I'm sure the committee is concerned about the  
5 availability of survey findings; we certainly are. Given  
6 that each enrolled woman will be followed for 11 months,  
7 complete results for any cohort of participants will become  
8 available approximately one year after enrollment. Thus,  
9 complete follow-up information on the women enrolled to date,  
10 January through April, will become available in the spring of  
11 1990.

12 However, interim findings will be provided both to  
13 Roche and to FDA at two levels. First, immediate communica-  
14 tion of the occurrence of pregnancies and the effect of those  
15 occurrences on the pregnancy rates as we can define them.  
16 And second, in our regular quarterly report in which we  
17 describe absolute enrollments, as well as enrollment rates as  
18 best they can be estimated, and the results of the telephone  
19 and mail follow-up components of this survey, with particular  
20 focus on physician and patient compliance.

21 We're pleased to be able to describe the early  
22 stages of this survey to the committee and be happy to  
23 respond to any questions you have at the appropriate time.

24 Thank you.

1 and then we will be complete. I realize we're behind  
2 schedule. I think this morning you've heard the history of  
3 Accutane; you've heard what took place last April, you've  
4 heard what has taken place since that time, and I'd like to  
5 just outline for you what we anticipate the next steps will  
6 be.

7           The blister packaging has been fully described.  
8 It's clear that with its introduction last week, it has not  
9 had a chance to make a full impact yet, and we believe that  
10 it will be a very significant impact. The Slone follow-up  
11 study just described, clearly again, is in the follow-up phase  
12 and its major impact and its results will come in the next  
13 few months.

14           We haven't described in very much detail, but it  
15 will be available next month, a CME videotape which is the  
16 first step in our program of continuing medical education for  
17 health professionals. It includes a videotape and a mono-  
18 graph. There is one available for you to look at later. It's  
19 at the committee's table and we can show it on the video  
20 monitor, if you choose. It's entitled, "When Medicine and  
21 Conception Collide." We believe it is truly the highest  
22 standard of practice endorsement, and we intend to continue  
23 on this road.

24           Direct mail, of course, has been a primary vehicle  
25 for us. Every time we've had anything of significance, we've

1 communicated directly to all dermatologists immediately.  
2 Many of the times, we've communicated to the more than half a  
3 million physicians in the country and also all pharmacies.  
4 That will be a continuing effort on our part to keep everyone  
5 up-to-date in this situation.

6           The advertising you've seen is rather dramatically  
7 focused on contraindication and proper usage of pregnancy  
8 prevention. It is not focused on usage. The two ads you've  
9 seen are representative of the type of advertising you will  
10 see in the future.

11           The pharmacy interaction has been described. It is  
12 in its implementation stages. All of the major pharmacy  
13 associations have been contacted. They are very interested  
14 and some degree of participation with our cooperative efforts  
15 in terms of pregnancy reduction and prevention. Our profes-  
16 sional representatives will be calling on all dermatologists  
17 again in the next few months. Between May and December of  
18 1989, we expect that there will be another 20,000 visits with  
19 complete information, the pregnancy prevention program  
20 reemphasis and all matters pertinent to the pregnancy  
21 prevention issue.

22           Finally, I think we'd like to summarize by saying  
23 that we intend to monitor this situation and revise as  
24 needed. I think I'd like to summarize that this has been an  
update this morning. The medical need for this drug, I think

1 has been described. I think you see it's unique. It's  
2 unquestioned. It's a truly a revolutionary medication in  
3 many ways. And on the other side of the coin is certainly  
4 the most significant and most emotional impact one could have  
5 that of human malformations. We believe that the threat of  
6 human malformation, and if Accutane is used during pregnancy  
7 is serious. I think everyone in this room considers it so,  
8 and has treated it thus.

9           Concerted efforts, we believe have resulted in some  
10 progress. I think you've seen some graphs. There has been  
11 some trend in malformations which is definitely downward.  
12 The downward trend in pregnancy is also encouraging, and the  
13 downward trend in usage, we feel is also a sign that the  
14 physician and the patient is truly aware that the goals of  
15 using this in severe recalcitrant cystic acne and excluding  
16 pregnancy and ensuring contraception are meaningful to them.

17           On the other hand, we don't feel we're at our goal  
18 yet. This is clearly a very serious issue. Last year, I  
19 heard one of the speakers refer to the wisdom of Solomon that  
20 was necessary to come to a solution to this problem, and I  
21 believe that probably is necessary. I think you've heard  
22 many speakers describe the program. It's been implemented  
23 rather recently. It will need some time to make its full  
24 impact. Some impact has been felt. We have seen some  
downward trends since its implementation. We've had very

1 many anecdotal experiences, as well from physicians and  
2 patients saying that they are treating this medication a  
3 little differently than they did in 1982. And we see that as  
4 positive.

5 Our pregnancy prevention program was meant to be a  
6 comprehensive effort and I think you've seen this morning  
7 that it is. It was meant to be integrated. It goes as  
8 Robert Armstrong said from the issue of the package insert to  
9 our pregnancy prevention kit to the issue of the packaging  
10 and the reminder to the patient with each capsule, I think,  
11 is going to be a significant impact in the future.

12 Finally, I'd like to say that we have appreciated  
13 the input of the group and the committee, and the Food and  
14 Drug Administration since 1983, when we've been working in  
15 concert to solve this difficult problem. Thank you.

16 DR. PENNEYS: Are there any questions from the  
17 committee for the presenters from Hoffman-LaRoche? Dr.  
18 Fleiss?

19 DR. FLEISS: May I ask one of Dr. Mitchell? Of the  
20 women already enrolled, what fraction were enrolled by  
21 dermatologists and what fraction were enrolled by other  
22 physicians?

23 DR. PENNEYS: Could you please repeat the question,  
24 Dr. Fleiss into the microphone?

DR. FLEISS: Of the women already enrolled in the



1 follow-up survey, what fraction were enrolled by dermatolo-  
2 gists; what fraction were enrolled by other physicians?

3 DR. MITCHELL: I don't have that information at the  
4 moment. But they are enrolled by dermatologists, but I don't  
5 know the exact proportion.

6 DR. CUNNINGHAM: I can tell you though that the  
7 usage of the drug in terms of patients' treatments is  
8 approximately 70 percent dermatology and approximately 30  
9 non-dermatology, and that has remained rather constant over  
10 the years. We have, as you've seen, primarily target of the  
11 dermatologists is our audience. We've been very careful not  
12 to promote the drug to non-dermatologists, but we do feel an  
13 obligation, especially in light of the seriousness of this  
14 problem, to fully inform those identified prescribers of  
15 Accutane, and that amounts to about 30 percent of the  
16 prescriptions. We'd be pleased to take other questions at  
17 this time or later if the chair desires.

18 DR. PENNEYS: Are there other questions from the  
19 committee at this time? If not, why don't we take a five-  
20 minute break.

21 [Recess.]

22 DR. PENNEYS: The next presentation is from the  
23 Office of Epidemiology and Biostatistics.

24 DR. STADEL: Since I've not spoken before this  
committee, I'd like to introduce myself. I'm Bruce Stadel.

1 I'm Chief of the Epidemiology Branch for the FDA. My  
2 background is, I am here after 13 years of work on research  
3 on contraceptive evaluation. So some of the experiences are  
4 related to the issues here. My professional background is  
5 that I'm board certified in preventive medicine and an  
6 epidemiologist.

7 I'm going to be speaking and really an interpreta-  
8 tion of some of the things we've heard earlier, rather than  
9 in any particular contradiction to facts, but rather some  
10 perspective on what we mean. I'd like to acknowledge at the  
11 outset, the work that Dr. David Graham has done ongoing over  
12 the last year. You will recall his presentation to you a  
13 year ago, and he has been a main source of effort in the  
14 branch in developing the presentation that I'll be giving  
15 today. Also, Dr. Franz Rosa who has worked on this issue for  
16 many years.

17 I'm going to begin by commenting on the slow  
18 epidemiology unit because the essential problem here is the  
19 low rate of enrollment of potential participants. We have  
20 been reviewing the protocol for this study since June of  
21 1988. I have reviewed it, my staff has reviewed it, and I  
22 have referred it for outside expert review in epidemiology  
23 and biostatistics. The opinion is uniform that one cannot  
24 validly ascertain pregnancy exposure under a voluntary  
enrollment mechanism of this type with any reliability.

1           The enrollment rate presently estimated, if use in  
2 the coming year were the same as in the last year, would be 6  
3 percent. Now these are the women, both the women and the  
4 physicians are the volunteers. We have grave concern based  
5 upon much formal research that these are the people who will  
6 perform the best, the ones who will be the problems are the  
7 ones who won't enroll. So on that basis, I must say that I  
8 am not prepared to rely on the results of that kind of  
9 enrollment rate in advising about the rates of pregnancy  
10 exposure, about the nature of the exposed participants.

11           I'll now move on to the first transparency, Dr.  
12 Herrara of the branch is going to assist me. I'd like to  
13 begin here briefly showing some transparencies before the  
14 slides. The first one I refer to Dr. Cunningham's comment  
15 about a downward trend in birth defects being encouraging. I  
16 think this is a matter of great concern. But this is in  
17 fact, the best we have now. There are some slight differences  
18 you will see in numbers of defects from one slide to another  
19 because they been prepared at slightly different times. The  
20 variations are very small and they do not impact meaningfully  
21 on the overall direction of my comments.

22           These are the defects reported to Roche, and to our  
23 knowledge, their reports to us, and the totals reported to  
24 the FDA. As you can see, the only difference is that we  
received three additional defects reported total for 1988,

1 and I'm not sure exactly why, but again it doesn't matter  
2 very much.

3           If I may look at the next transparency, please.  
4 This is extremely important to understand about reporting of  
5 birth defects. The first is that we know that everything is  
6 under-reported in the spontaneous reporting system. Of  
7 vascular deaths related to oral contraceptives during the  
8 high publicity years in Britain, 15 percent were documented  
9 as reported, only 15 percent. 10 to 20 percent of deaths  
10 from sudden infant deaths syndrome in relation to diphtheria,  
11 pertussis, tetanus vaccine have been reported, and from  
12 various projects we have for serious adverse effects in  
13 general or alleged adverse effects, 1 to 5 percent in a  
14 series pilot products.

15           All right, so you have great under reporting, a  
16 phenomena which can be enhanced when there is increased  
17 anxiety about possible implications of reporting, that is, as  
18 pressure goes on, one can well imagine people becoming  
19 increasingly concerned about these events when they do  
20 happen. We know there is a reporting lag on an average of  
21 about five months of which for birth defects must be added to  
22 the gestational length which would tell you how likely we are  
23 now to have heard any reports in the last, say 14 months.

24           And we know that induced abortion rates as they can  
25 be expected to increase in pregnancy exposures will of

1 necessity decrease the rate of defect reporting. So these  
2 circumstances would make it most unwise to rely on trends in  
3 birth defect reporting in an effort to understand what is  
4 going on. The real issues is pregnancy exposure to the drug.

5           If I may have the next transparency, please. Now  
6 here we have the Accutane pregnancy exposures by number  
7 reported to Roche, as you see there were quite a large number  
8 in 1983 and 1984, but looking from 1985 to 1988, we do not  
9 see, despite considerable concern, publicity, bulletins,  
10 revisions of labeling, the pattern is not of an appreciable  
11 change in the rate of spontaneous reporting of the pregnancy  
12 exposures.

13           The next transparency is based upon calculations  
14 that we have done which I will explain in greater detail  
15 later. Basically, we've estimated the number of women in  
16 reproductive age who have received the drug for an average of  
17 five months each, and we applied to this the prevalence and  
18 failure rates of contraception as determined by national  
19 survey data published in 1987, cited by Dr. Michele in his  
20 Medical Progress article, March 23rd of this year.

21           Infractor in estimated rates of abortion based upon  
22 our own experience in analyzing the data from the Medicaid  
23 system to estimate what might be the actual range of the  
24 number of birth defects which may have occurred. We  
25 recognize that these are based on estimates. Nonetheless,

1 they are estimates based upon actual survey data for con-  
2 traception during the period of time in which we did not see  
3 an enormous initiative towards a changing of contraceptive  
4 patterns.

5           The next transparency--it begins expressions that I  
6 will make in more detail later about what we believe is in  
7 evidence that Accutane is used rather more in women of  
8 reproductive age than seems reasonable. Here we have a male  
9 to female ratio estimated at a little over 5.5 to 1 for  
10 cystic acne and yet from both the PDS and the NDTI data  
11 bases, we find the ratio in males to females to be close to 1  
12 to 1. This is one of many pieces of information which have  
13 led us to have great concern about the extent to which this  
14 drug is being used by women of reproductive age.

15           The next transparency and the last of the transpar-  
16 ency group here is another way of looking at this, and that  
17 is, that we have taken a data on the female populations of  
18 various countries, reproductive age women 15 to 44 and looked  
19 at the ratio of youths in the United States versus in those  
20 countries. So the United States compared to the United  
21 States is 1 to 1, that's the base line. So our use is eight-  
22 fold higher than Sweden. Six-fold higher than United Kingdom  
23 and eight-fold higher than Germany.

24           These are all countries of reasonably comparable  
25 developmental standards in levels of medical care, and yet in

1 some way they are able to deal with the issue of Accutane use  
2 at a level of use in women of reproductive age which does  
3 seem more commensurate with the epidemiology of the disease as  
4 we know it.

5           Now I will now turn to the prepared slides. This  
6 is just a reminder of what a pregnancy category extra is in  
7 the Food and Drug Administration. It's one in which studies  
8 in animals or humans have demonstrated teratogenic results  
9 and which it is considered that the risk of the drug used  
10 during pregnancy outweighs any benefit of its use during  
11 pregnancy. I think this is very important in regard to my  
12 repeated emphasis that the issue is pregnancy exposure, rates  
13 of pregnancy exposure, not birth defects.

14           Again a reminder, as I said before about the  
15 incidence of male versus female cystic acne. One estimate,  
16 using the data from the N Haines, National Health and  
17 Nutrition Examination Survey is an incidence of about 5,000  
18 new cases per year applying the definition that was used in  
19 relation to the IND. As I say this is not the only figure we  
20 rely for concern. This was discussed last year, and I  
21 pointed earlier to the substantial disparity in use in the  
22 United States compared to other highly developed countries,  
23 the UK and in Europe.

24           Now this begins some important issues of--our  
figures for new starts in 1988 are virtually identical to

1 those of Roche. The agreement is not about the data. We  
2 came in a little under 70,000 new starts, I've forgotten  
3 exactly what they did, but the order of magnitude is similar.  
4 This shows simply--reminds you that despite the publicity,  
5 Dr. Evans discussed all the way from 1983 up, at least until  
6 1988, there's been virtually no change in total use of  
7 Accutane by women of reproductive age or in the rate of new  
8 starts. One could say essentially, apparently no impact. We  
9 believe as I say that this is substantially in excess of what  
10 can be identified in terms of the male to female ratio.

11 I will show you this. This is the percent of total  
12 Accutane use in women 15 to 44, using another data set. As I  
13 say, here the ratio is nearly 1 to 1, men to women, yet the  
14 disease is vastly a disease of men.

15 I'll now move on to the brief summary. Although  
16 this drug is 80 to 90 percent prescribed by skin specialists,  
17 if you use our incidence figure of 5,000, then the prescribing  
18 exceeds indication by 15 to 20-fold. If you use simply the  
19 comparison to the experience in the UK and in Germany and so  
20 on, you're talking more six to eight-fold difference in the  
21 usage patterns. I don't think that one needs to argue about  
22 whether these are correct or some figure in the middle is  
23 exactly correct. The point is many orders of magnitude,  
24 inapparent disparity.



1 interventions in reducing the use of Accutane to a level  
2 commensurate with the label. And with the elimination of  
3 pregnancy exposure as was emphasized in the letter from Dr.  
4 Gavrilovich to the company in November of '88 follow-up after  
5 the main meeting, and that the question then is how well do  
6 we see thus far that these requirements appear to be being  
7 that or likely to be met? What is the projection from what  
8 we know?

9           This is the Accutane used by quarter from NDTI.  
10 I'd like to point out that although this is 1986, 1987, and  
11 1988 by each quarter, there is some varying pattern, wave  
12 like pattern. There is a decrease here in total use, not  
13 accompanied by a decrease in new starts. This is of par-  
14 ticular concern for a number of reasons. One, we know that a  
15 number of women are already pregnant when they start the  
16 drug. I've seen reports of that, I'll mention in a moment.  
17 We know that the likely pregnancy exposure among those who  
18 are not pregnant when they start, is in the first one or two  
19 prescriptions, in a large majority of cases. This is not  
20 surprising because this is the likely place of early contra-  
21 ceptive failure.

22           For example, the first year of failure rate of all  
23 contraceptives cited by Dr. Michele is 3 percent, whereas the  
24 natural for women who have been on the pill for many years is  
25 a tenth of that, and in my experience previously, although we

1 don't have firm figures, is that the failure rate is concen-  
2 trated in the first three months of use. Which is not  
3 surprising either in terms of use failure, that is, the  
4 characteristics of women using the pill, getting used to a  
5 method or in the likelihood of some actual method failure.  
6 That is, having to do with the pill itself.

7           So I think that we're dealing here with--the issue  
8 is not totally abuse, but early number of new starts. The  
9 issue is exposure, pregnancy exposure occurring in the early  
10 part of Accutane use and in this area, I do not see evidence  
11 of an appreciable reduction in exposure through the fourth  
12 quarter of 1988.

13           Another way of looking at this is we took data from  
14 Dr. Michele's paper that looks at each pregnancy risk  
15 category, that is women not at risk because they are not  
16 sexually active and have had a hysterectomy or other such  
17 reasons. Then there are the different sterilizations, female  
18 sterilization, tubal ligation, male sterilization, oral  
19 contraceptives, condoms, IUDs. Other comprises the sponge,  
20 spermicide, and so forth, which were for a small group, and  
21 the next figure is the prevalence of use in the population,  
22 according to the 1987 report cited by Dr. Michele, originally  
23 published in Family Planning Perspectives.

24           The next is the first year failure rate known to  
25 pertain for that contraceptive method, again cited by Dr.

1 Michele. Applying this to an estimated 70,000 users with  
2 each one having an average length of Accutane use of five  
3 months, one can derive out the expected pregnancy exposures  
4 in each group. So if, in fact, the Accutane users in 1988  
5 had used contraception in a manner similar to that established  
6 for women in the country as a whole, we would have expected  
7 just under 1,200 pregnancy exposures.

8 Now even at the extreme, if all of them had been on  
9 oral contraceptives, which they clearly were not, there would  
10 have been still nearly 400 with its 3 percent first year  
11 failure rate, 400 pregnancy exposures. This is because that  
12 3 percent first failure rate is being driven by an exposure  
13 of about 70,000 women. So that even women with the best  
14 efficacy, you still wind up with a substantial number of  
15 exposures. And I emphasize it is clearly not the case, that  
16 all these women were on oral contraceptives.

17 In this regard, I note the nine exposures during  
18 pregnancy that were reported by Roche in the first quarter of  
19 1989, three were already pregnant at the time of exposure,  
20 two of whom were using the diaphragm. Three were relying on  
21 condom, vasectomy or apparent female infertility, respective-  
22 ly. One was on oral contraceptives, and for two the contra-  
23 ceptive method was uncertain.

24 Thus, I'd also emphasize that of the eight exposures  
25 for whom the date of first Accutane use was known, six of them

1 were in November of 1988 or later. So this is the pregnancy  
2 exposure experience reported in the first quarter and still  
3 demonstrating on those reported an impact in terms of three  
4 of them already been pregnant, and the others relying on  
5 patterns of contraception not acceptable to the circumstances.

6           Again, if we had about 1,200 exposures in 1988, our  
7 experience with Medicaid data, we would expect that about 40  
8 percent of those would undergo drug induced spontaneous  
9 abortion. I think this is probably a reasonable figure. And  
10 of the remainder, the induced abortion rate, we would expect  
11 to be on the order of twice as high as in the general  
12 population or giving about 400 in 30 induced abortions,  
13 leaving about a little under 300 coming to delivery, of whom  
14 you would expect a little over 70 to have some defect. So  
15 this is the expectation for 1988, based upon our calculations.

16           This is another tally of what's been reported to  
17 us. The yearly figure showed 78 because it was prepared  
18 slightly later than this slide. But this is a recent report  
19 where 74 of the 94 in hand had the classical -- retina  
20 embryopathy, and other defects included things that fell  
21 short of the classical syndrome but were reported.

22           In that context, I would like the last transparency.  
23 This is a 1639 Form, an adverse drug reaction report which  
24 you see the reaction onset, May of 1988, one year ago.

1 grossly deformed right ear. The indication recorded was mild  
2 acne. And this says that the dermatologist was unaware of  
3 the pregnancy and that the obstetrician was unaware of the  
4 Accutane exposure. That's one report, but I've pulled it out  
5 because it really impacted on me that, as of May 1988, you  
6 could have this constellation of events occurring.

7 I think it emphasizes an anecdotal form. What I  
8 have tried to express to you is the deep level of concern  
9 that we feel in the epidemiology organization about the  
10 ongoing, very high rate of use, about the apparent disparity  
11 in the use, given the male to female ratio of the disease,  
12 about the apparent continuance of pregnancy exposures, about  
13 the unreliability of using birth defects to monitor pregnancy  
14 exposure, given changes in abortion rates, and given the  
15 reality that with increasing pressures on the issue, that one  
16 might expect that reporting of pregnancy exposure itself may  
17 be something people are reluctant to do. And that we feel  
18 that under these circumstances, simply the most reliable data  
19 we have is the drug use data I've shown you, which clearly  
20 indicates excess use in relation to the indication. Thank  
21 you.

22 DR. PENNEYS: Do we have comments or questions from  
23 the committee? Dr. Drake?

24 DR. DRAKE: I'd like to ask you a question. You  
kept referring to the increased use of new starts of this

1 drug. I want to ask if any where in your analysis you  
2 consider the effect of the increased publicity around this  
3 drug had on patients who heretofore did not realize that  
4 there was a drug available to them that would help them, and  
5 in fact, many dermatologists have expressed to me that they  
6 have had many patients come to their office who had bad  
7 scarring acne, saying I did not know there was something  
8 available. But because of all the publicity surrounding the  
9 last hearing, many patients did, in fact, come in asking  
10 about their very severe acne. And I've not heard you mention  
11 whether you've stratified for that particular variable?

12 DR. STADEL: At first we did not refer to an  
13 increase in new starts. We said it was constant. Second,  
14 the point is that the--

15 DR. DRAKE: Let me correct it. I don't care if you  
16 said increased or constant, the fact remains that you were  
17 saying there was not a decrease. Is it a possible fact that  
18 there is no decrease because there are more patients demanding  
19 the drug?

20 DR. STADEL: I think there are any number of  
21 explanations. I think the point is that a program was  
22 initiated a year ago, a great deal of concern was expressed.  
23 There was agreement that use by women of reproductive age was  
24 in excess of indication. I'm simply pointing out that it  
25 hasn't gone down.

1 DR. DRAKE: You still haven't answered my question.  
2 Have you addressed the fact that there may be a greater  
3 demand for the drug, due to increased knowledge from the  
4 patients, knowing that this drug is now available to help  
5 them, which they didn't have any knowledge of prior to all of  
6 this publicity?

7 DR. STADEL: I'm quite willing to accept that  
8 possibility. It's not the issue addressed by the analysis.  
9 The analysis simply addressed at reporting the absence of a  
10 decline in the face of the general expression last year that  
11 the drug is used in excessive indication. The possibility of  
12 that is quite acceptable to me. Data on how a woman decided  
13 to use Accutane is not part of the NDTI or any other drug use  
14 data base. They report based upon sampling of pharmacies and  
15 sampling of physicians on the number of prescriptions and the  
16 number of mentions.

17 DR. DRAKE: Second question. You said that  
18 voluntary participation does not yield reliable information.  
19 Is that a correct assumption?

20 DR. STADEL: It can't be assumed to know, not when  
21 the volunteer participant/patient rate is extremely low, no.

22 DR. DRAKE: Then, let me ask you a question. Are  
23 you suggesting then that women who are of childbearing  
24 potential be forced to participate in a survey before they  
25 can get this drug?

1 DR. STADEL: I am advising on my assessment of an  
2 epidemiologist of what is realistic and not realistic under  
3 the circumstances. I do not consider it my world to recommend  
4 along that line. That is in the purview of other decision  
5 making capacities.

6 DR. PENNEYS: Dr. Schroeter?

7 DR. SCHROETER: You compared the usage of Accutane  
8 in Europe to that in the United States. Maybe I missed the  
9 reference of the data, would you document that data source?

10 DR. STADEL: David, do you have the citation?

11 VOICE: It was the information that we got from the  
12 CDC, and also that was published in Lansit last year on  
13 foreign use of Accutane in European countries.

14 DR. SCHROETER: What is the source of CDC?

15 VOICE: I believe it was probably INS America, the  
16 same people who provide the drug use information that we rely  
17 on for NDTI and for national prescription audit here.

18 DR. SCHROETER: I'd like that documentation. Thank  
19 you.

20 DR. STADEL: Yes, if you could give me a note  
21 afterwards as to where it says--

22 DR. DRAKE: I think it should be forwarded to all  
23 members of the committee. We would all like to see that  
24 documentation.

DR. STADEL: Fine.



1 DR. PENNEYS: Dr. Woodley?

2 DR. WOODLEY: Dr. Stadel, you just heard a testimony  
3 from Dr. Strauss who's an acknowledged national, international  
4 expert in acne and who basically stated that he feels that  
5 the indication for acne perhaps is about right. That 3  
6 percent of women with acne actually have binodular cystic  
7 form that recalcitrant. I'm wondering how you reconcile the  
8 data you kept bringing up from the NDTI with that testimony?

9 DR. STADEL: The NDTI data on the number of women  
10 new starts, you see we've agreed between our estimates and  
11 the firm, it was about 70,000 new starts last year in women  
12 of reproductive age. Now I heard the firm state they  
13 considered that to be--that the levels had been in excess of  
14 what was appropriate. If I misheard something, perhaps you  
15 would like to correct that now. Then you do agree with that  
16 statement.

17 With regard to the prevalence in incidence in  
18 cystic acne itself, all I can is that there are, to my  
19 knowledge, and I've looked, there's very little by the way of  
20 systemic, properly sampled epidemiologic data using pre-  
21 agreed upon diagnostic criteria to estimate the prevalence in  
22 the incidence of cystic acne of various levels. We did use  
23 one resource which as its strength the National Health and  
24 Nutrition Examination Survey is a formally structured  
population based sample. There are a number of assumptions

1 involved, give rise to the figure of 5,000, as an annual  
2 incidence, and I acknowledge those. It's an order of  
3 magnitude, a measurement one has to use. Nonetheless, while  
4 I have great respect for practice abilities, the estimation  
5 of prevalence in incidence is an epidemiological and statis-  
6 tical issue.

7 DR. PENNEYS: Dr. Abel?

8 DR. ABEL: There were two difference figures  
9 mentioned today on the prescribers, the types of physicians  
10 who prescribe Accutane. One being 70 percent were derma-  
11 tologists, and the other time it was mentioned that 90 percent  
12 were dermatologists. Could you clarify your statistics?

13 DR. STADEL: I'm quite willing to accept being--we  
14 had a figure of 80 to 90. If someone uses a different sample  
15 and comes up with a figure of 70, I'm really very unlikely to  
16 argue about it. There's a certain amount of variation that  
17 comes about in estimating these kinds of things, and it's  
18 really a question, of again, of order of magnitude. My only  
19 reason for showing that or raising it, is a concern that  
20 although the drug is being prescribed to the vast majority by  
21 those who are experts in its use and in its indications, we  
22 do continue to have these levels of concern.

23 DR. PENNEYS: Dr. Schroeter?

24 DR. SCHROETER: I'd like to address the ratio  
25 presenter. In light of the data that has been presented by

1 the epidemiologic unit of FDA, I would like to ask you how  
2 you expect your recent implementation of a program to reduce,  
3 number one, the teratogenic effects of the drug? Are you  
4 going to see a reduce in the amount of use? It appears that  
5 if voluntary surveillance is only going to be at the 6  
6 percent level and indeed that those that are going to  
7 volunteer to be surveyed are to be the least likely to  
8 actually have need of that survey, in other words, probably  
9 the ones that are going to be most likely exposed and have  
10 teratogenic effects are not the ones that are going to  
11 volunteer in the survey?

12 DR. CUNNINGHAM: I'd like to first clarify the  
13 previous point about the usage data. I think that our  
14 presentation includes, again I don't disagree with Dr. Stadel  
15 in this general range of usage in new females for 1988 of the  
16 65 to 70,000 new females for 1988, I think that the concern  
17 has been raised repeatedly that the usage at that level is  
18 too high for many of you on the committee and many of you in  
19 the audience. What I've tried to say today is that the usage  
20 trends we believe are down to some extent and that we see  
21 some usage trends in 1989 in our own factory units that tend  
22 to go downward as well.

23 I think that to our mind it is not the central  
24 issue. The central issue in our mind is malformations and  
25 secondarily, pregnancies, and usage is the secondary issue.

1 I agree with the possible extrapolations from numbers of  
2 usage to numbers of pregnancies, but I think under these very  
3 special circumstances we probably have the most informed  
4 population on the face of the earth in a way with the  
5 dermatologic community and the patient, hopefully are going  
6 to be in very close harmony on this issue of contraception.

7 I don't want to either get into a debate though  
8 about the numbers, because I think we've all agreed that's  
9 not the central issue. Now in terms of your question, Dr.  
10 Schroeter, and the representativeness of the survey, I think  
11 Dr. Mitchell has already commented on that. I don't think we  
12 agree that a priori one concludes that low enrollment per se  
13 necessarily means bias. It may mean bias. We will look very  
14 hard for that in other ways, as Dr. Mitchell outlined. We  
15 will be looking at other data bases.

16 Certainly it's easier to show there's no bias when  
17 your enrollment rates are higher, but I think that we don't  
18 agree that the low enrollment rate at the present time is  
19 necessarily indicative of absolute bias in the survey, and if  
20 it were obtaining a slice of the population, if you will,  
21 that are only the compliant. In fact, if you heard from Dr.  
22 Mitchell, we had two pregnancies so far in the 1,300 patients.  
23 That clearly is not a completely compliant population. I  
24 think Dr. Mitchell could comment further on it.

1 to respond to the figure of 6 percent that I heard. And I  
2 think that it's clearly a premature judgment based on 13 or  
3 14 weeks of initial enrollment activity to extrapolate even  
4 the current enrollment numbers to a 6 percent annual rate.  
5 The problem with that is twofold. First of all, as you  
6 remember from that histogram the curve is clearly going up  
7 and we don't know just on the physician enrollment component  
8 what numbers we'll get. In addition, that's in the complete  
9 absence of the medication package enrollment program.

10 So that I think that we were trying to be careful to  
11 indicate that these were the most preliminary data, as any of  
12 you who have been involved with this kind of research would  
13 know, you don't make judgments based on 13 weeks of a pure  
14 start up.

15 DR. STADEL: I'll only comment back on that to  
16 emphasize that our judgment with regard to the study protocol  
17 is based upon the procedures of ad hoc peer review involving  
18 input of outside senior people in epidemiology and statistics.

19 DR. PENNEYS: Dr. Woodley?

20 DR. WOODLEY: I don't think people are disagreeing  
21 about the 60 to 70,000 new starts, but I may have misunder-  
22 stood and some of the other people of the committee may have  
23 misunderstood Dr. Strauss' point that maybe that number  
24 wasn't wildly over usage of the drug. Could Dr. Strauss  
25 clarify what he said about that?

1 DR. STRAUSS: First of all, there are no good  
2 surveys that show you the true incidence of either nodular  
3 cystic acne. As far as I'm concerned, there are no good  
4 surveys that really show you the male, the female ratio of  
5 it. There's a lot of statements in the literature, but I  
6 don't think there's good data to back them.

7 What I said was that I thought that there was  
8 probably between 2 and 5 percent of the women who had acne  
9 who probably warrant treatment with this. I also said that  
10 there probably were somewhere between one-half and one  
11 patient per month that the average practicing dermatologist  
12 might need to put on Accutane. And using those figures which  
13 I think if you think about people who are in busy practices,  
14 and that figure was confirmed by talking with several people  
15 in practice who I respect and who I feel are not over  
16 prescribers. And they came up with even higher figures that  
17 we were somewhere in the range of maybe between 30 and 60 to  
18 70 to 80,000, and those are very inaccurate.

19 I think that on the other hand the figure of 5,000  
20 that has been used is way too low in terms of the total  
21 number of patients who do need treatment with this. And if  
22 you think about it and you think of your own practice, and  
23 then multiply that, that's saying that the average derma-  
24 tologist does not have to treat more than one patient per  
25 year, and that is a ridiculously low figure, I think.

1 DR. PENNEYS: Dr. Stein?

2 DR. STEIN: I would like to ask if anyone knows of  
3 experience with a similar survey of medication usage which  
4 would give us a rate that we could hope for, a rate of  
5 enrollment that we could eventually hope for with this  
6 continual instincts?

7 DR. STADEL: To yield valid results?

8 DR. STEIN: I'm sorry.

9 DR. STADEL: I'm not sure I understand your  
10 question.

11 DR. STEIN: Is there any prior experience that  
12 would give us an idea of the percentage of enrollment that we  
13 expect with this survey of a similar circumstance?

14 DR. STADEL: To rely on it for its results, I would  
15 say in the range of 85 percent or greater participation.  
16 This is a mathematical consideration from this classification  
17 according to if those not participating are those likely to  
18 behave differently, one could work out some rather simple  
19 tables which support the notion. The unanimous perspective  
20 of those reviewing of this situation was that in order to  
21 validly estimate pregnancy rates and determination of  
22 outcomes thereof, the goals should be total ascertainment of  
23 exposure.

24 DR. STEIN: I'm not sure that I'm understanding  
you. Do I understand you to imply that eventually we can

1 hope for pretty high enrollment?

2 DR. STADEL: No, I'm saying in the present survey  
3 design, neither I nor those who have reviewed it considered  
4 there to be much of an appreciable likelihood that the  
5 enrollment rate would ever get to a level where one would  
6 feel comfortable, simply on prior considerations that the  
7 data would be a valid estimator of pregnancy rates for the  
8 population exposed as a whole, or that it would give you  
9 valid determination of pregnancy out rates after the exposure  
10 occurs. My point was that the recommendation of looking at  
11 the situation was that in order to handle the situation like  
12 this epidemiologically, the goals should be total ascertain-  
13 ment of exposure. That that is the design.

14 DR. PENNEYS: You're very critical about the study  
15 design and yet I heard very little criticisms from you about  
16 the previous designs in which incidence of cystic acne has  
17 been defined. You're basing your judgments on studies that  
18 are apparently much worse than this potential study.

19 DR. STADEL: I'm referring in the instance of this  
20 study to its likelihood of validity in measuring the pregnancy  
21 rate and the outcomes thereof with the study that is currently  
22 under development. When we comment on estimates of the  
23 problems and incidence, the one figure that was given I  
24 emphasize that it was based upon applying a variety of  
25 assumptions to the only population based data then available



1 or now available. There's a difference between trying to  
2 have some idea where you are, based upon what you have versus  
3 committing yourself to where you will be, based upon what you  
4 should be doing.

5 DR. SCHROETER: I understand the survey will be of  
6 great scientific help in establishing the rate of teratogene-  
7 tic effects, however, how in the world is the survey going to  
8 reduce teratogenic effects? Why do the survey to reduce  
9 teratogenic effects? Is it going to reduce the number of  
10 teratogenic babies, effected babies?

11 DR. STADEL: My point here is first off is my  
12 concern in the orientation is on pregnancy exposure to the  
13 drug, because that is what is classified as a Category X  
14 drug. What happens after that pregnancy exposure is not the  
15 primary issue in the classification. It's whether the  
16 exposure occurs in the first place. Attendant upon that, the  
17 purpose of a post-marketing study in this context is to try  
18 to validly measure the rate of pregnancy exposure, so you can  
19 decide whether you think you're headed toward the goal of  
20 eliminating it.

21 DR. SCHROETER: There is no question that -- acid  
22 has a 100 percent teratogenic effects delivered or when the  
23 pregnancy is exposed. My question is how is the survey going  
24 to reduce the exposure?

1 to tell us whether the other efforts are in fact reducing the  
2 exposure. It's a feedback mechanism. As we've seen it in  
3 the discussions all along, you have a series of interventions  
4 aimed at accomplishing the role and then you must have a  
5 valid way measuring whether it's achieving that goal. The  
6 purpose of the survey of this kind of thing should be to  
7 provide a valid estimator or total measurement of pregnancy  
8 exposure.

9 DR. PENNEYS: Dr. Abel?

10 DR. ABEL: I just had one comment regarding the  
11 validity of the survey in regard to participation. And I  
12 don't see how it's impossible to predict at this point what  
13 the participation will be if its just recently been introduced  
14 with the blister pack. So that part, the patient enrollment  
15 or patient generated participation, we won't have an ideal of  
16 what that will be, so it could be quite high.

17 DR. STADEL: What I gave you was a peer review  
18 assessment based upon a lot of experience with studies that  
19 people with a lot of experience in this sort of thing think  
20 it extremely unlikely that what one would ever begin to  
21 approach a participation rate satisfactory for valid estima-  
22 tion.

23 VOICE: Could I add one comment on this question  
24 about what the likely enrollment would be after the blister  
packs are there. Most voluntary type surveys that have been

1 done rarely, if ever, achieve participation rates above about  
2 20 or 25 percent. So I think that what we're facing here is  
3 almost the inevitable likelihood that enrollment will fall  
4 far short of the 85 to 90 percent that Dr. Stadel has been  
5 talking about to be necessary to provide a valid estimator of  
6 whether or not the interventions that have been put into  
7 place have achieved the objectives of the interventions  
8 themselves. And so I hope that sort of clarifies that for  
9 you.

10 DR. ABEL: I'm not aware of all the instruments  
11 that have been used in the past, but this can certainly be  
12 reinforced by the physicians. So although it's voluntary  
13 participation by the patient, being reinforced by the  
14 physician, I think the enrollment could likely to be quite  
15 much higher.

16 DR. PENNEYS: Response from Hoffman-LaRoche, please?

17 DR. MITCHELL: To answer Dr. Stein's question, if I  
18 understood it correctly, is no there is no experience upon  
19 which to base this. It's for that reason that we assemble  
20 the advisory committee. This is an unique undertaking. It  
21 is not before been attempted. The only vaguely comparable  
22 effort of which I'm aware was one mounted by Upjohn some  
23 years ago, Keith Gordon's group which in a targeted group of  
24 pharmacies was able to enroll as many as 50 percent of  
25 women---of the 50 percent of prescribed patients to certain

1 antibiotics, under very different circumstances.

2           We do not know what the participation rate will be.  
3 We cannot predict it. We would love to be able to predict  
4 it. We would be irresponsible to predict it. We don't  
5 choose to speculate on what that rate will be. There is  
6 another issue, and that issue is the distinction between  
7 representativeness and completeness. If one were to conduct  
8 the ideal and perfectly random survey and got complete  
9 information, it isn't necessary to get 100 percent of the  
10 enrolled population. So one has the obligation, as we've  
11 tried to describe it, to do two things. As one, to aim for  
12 completeness, by all means aim for completeness. And at the  
13 same time assess the representativeness to the best extent we  
14 can of the enrolled population.

15           DR. PENNEYS: Yes, Dr. Minus?

16           DR. MINUS: Can I suggest that we move the agenda.  
17 We are already one hour behind. We need time as a committee  
18 to discuss the various issues among ourselves and come up  
19 with some conclusions, and I just think that we should move  
20 on.

21           DR. PENNEYS: Is that agreeable with other members  
22 of the committee? All right. I'd like to ask the next  
23 speakers to try to limit their comments to 10 minutes. The  
24 first presentation is from the American Academy of Pediatrics  
25 by Dr. Roberts.

1 DR. ROBERTS: I am Dr. Robert Roberts. I am here  
2 to represent the American Academy of Pediatrics who have been  
3 quite concerned about this issue of Accutane for some time.  
4 It has prompted a number of discussions amongst our committees  
5 and the section on dermatology. There's been some agreement,  
6 some disagreements which prompted a task force meeting about  
7 a month ago to continue these discussions, so that the  
8 Academy of Pediatrics could come forth with a statement.

9 That task force represented the American College of  
10 Obstetricians and Gynecologists, the American Academy of  
11 Dermatology, Centers for Disease Control, and various  
12 segments of the Academy of Pediatrics, including the Committee  
13 on Adolescents, the Committee on Drugs of which I'm chairman,  
14 the Committee on Fetus and Newborn, and the Committee on  
15 Genetics, as well as a section member of the dermatology  
16 group. Consultation was also provided by the Food and Drug  
17 Administration and by Hoffman-LaRoche.

18 Now since this document has not been completed in  
19 its review, I'm going to have to summarize the findings of  
20 which the majority of members agreed. One is that Accutane  
21 is a efficacious drug for the treatment of severe recalcitrant  
22 cystic acne. And that Accutane is a potent teratogen and  
23 that this toxicity specifically is preventable. The precise  
24 criteria for diagnosis of resistant nodular cystic acne have  
not been developed, at least criteria upon which everyone

1 agrees. And because of the existence of teratology, the  
2 conclusion is that the drug is being excessively and inappro-  
3 priately prescribed.

4           The recommendations include the urging of the  
5 membership of the Academy of Pediatrics to become more aware  
6 of the problem, that is, an effective educational program on  
7 the risks and benefits to Accutane. We're quite concerned  
8 about our adolescent group, and we're obviously quite  
9 concerned about the adverse outcome for fetus and for the  
10 newborn.

11           The Academy of Pediatrics should urge the FDA to  
12 establish a standing committee to more closely monitor and  
13 advise on drugs that are potential teratogens. This is not  
14 the first and it won't be the last, and there needs to be a  
15 standing activity structured to deal with this problem. We  
16 are very concerned about the collection of reliable, unbiased,  
17 and timely data regarding the issues surrounding teratogens,  
18 surrounding the issues regarding Accutane.. Without that data  
19 base it's very hard to come forth with a scholarly appraisal  
20 of anything.

21           It was our conclusion that the task force needs to  
22 reconvene at an appropriate time frame, the time is of the  
23 essence. Trends are ambiguous and we're skeptical of the  
24 impact of existing and proposed approaches to the problem  
with Accutane. And should there not be a data base that we

1 can reliably deal with and should the teratogenicity problem  
2 continue, that a recommendation should come forth from the  
3 Academy that a more restrictive scheme be devised for  
4 Accutane prescribing.

5 Conclusion--I'm done.

6 DR. PENNEYS: Are there any questions? How do you  
7 base your conclusion that Accutane is over prescribed? Based  
8 on what data?

9 DR. ROBERTS: If one accepts the fact that terato-  
10 genicity is unacceptable. I'm not an adverse witness here.  
11 I'm here to give you and share with you the concerns of the  
12 Academy of Pediatrics. And one is the teratogenesis is  
13 unacceptable.

14 DR. PENNEYS: Agreed.

15 DR. ROBERTS: And if it continues, then there's  
16 inappropriate prescribed.

17 DR. DRAKE: Has your Academy taken up other drugs  
18 that are teratogens? We heard some stuff from Dr. Hurwitz on  
19 dilantin, and I've not heard much from the FDA nor from the  
20 American Academy of Pediatrics. Have you in fact looked at  
21 other drugs that are potent teratogens that are more widely  
22 used, and in fact, produce more birth defects?

23 DR. ROBERTS: There are a number of statements that  
24 have been published by the Academy of Pediatrics in the past.  
25 Those are available in the Journal.

1 DR. PENNEYS: Any other comments? Thank you very  
2 much, Dr. Roberts. The next presentation is by Dr. Erickson  
3 from the Centers for Disease Control.

4 MR. ERICKSON: Good morning, almost good afternoon.  
5 I'm glad to be here to meet with you again to discuss the  
6 issue of birth defects caused by first trimester exposure to  
7 Accutane. I spoke before this group a year ago, and at that  
8 time I said much of what I'm going to say today. I view the  
9 repetition is necessary because I feel that over the past  
10 year, we've not made sufficient progress in controlling the  
11 reproductive problems associated with the use of Accutane.

12 I'm the chief of the CDC's birth defects and  
13 genetic diseases branch. Our mission is to search for causes  
14 of birth defects and to prevent unnecessary, morbidity and  
15 mortality due to these diseases. I was here a year ago, and  
16 I'm here again today because I believe the birth of babies  
17 with defects caused by Accutane exposure are unnecessary.  
18 Obviously if the drug were not available, these defects would  
19 not occur.

20 I believe that babies are still being born with  
21 Accutane embryopathy today and therefore I repeat what I said  
22 last year. It's time for a new and effective and more  
23 aggressive approach to preventing fetal exposures. Over the  
24 past year, the manufacturer has been active in developing and  
physician and patient education material, and in planning to



1 evaluate the effects of this educational effort. You've  
2 heard about these efforts this morning, and I think there's  
3 much to be praised here. But we believe that they will fall  
4 short of our goal of eliminating the birth of babies with  
5 Accutane embryopathy.

6 The approach to prevention that was taken in 1982,  
7 when the FDA decided to allow the marketing of Accutane was  
8 that of strong product labeling and a physician and patient  
9 education. The approach failed to prevent the birth of  
10 babies with birth defects. In fact, there seems to be  
11 evidence that the rate of fetal exposure did not decline to  
12 any marked degree after the renewed warnings in 1985. And we  
13 have no information available today to suggest that the  
14 pattern has changed over the past year, despite the very  
15 strong new warnings.

16 Well, because the problem could be markedly reduced  
17 by having better contraceptives available, we applaud the  
18 recent unanimous recommendation of the FDA's Fertility and  
19 Maternal Health Drugs Advisory Committee to approve Norplant,  
20 a very effective, long acting, implanting contraceptive. If  
21 the commissioner should act favorably on the recommendations,  
22 and we hope that he does, it will provide the potential to  
23 reduce the number of in utero exposures substantially.

24 We don't feel the problem will be fully solved by  
25 the availability of better contraceptives. Not all women

1 treated with Accutane will use them, and even though they  
2 could be very effective, they do fail occasionally. To  
3 approach a more nearly complete solution will require a  
4 restricted distribution to markedly reduce the number of  
5 fertile age women who use Accutane.

6           Why do we feel so strongly about this issue? It's  
7 simply a matter of our perception of the balance between  
8 risks and benefits. You all are well aware of the benefits  
9 of Accutane use, and perhaps you're becoming educated about  
10 the dangers based on some things that were shown this morning  
11 by Ms. Nygaard. In any case, it seems to me the balance is  
12 clearly not been weighted in favor of fetuses, in favor of  
13 those whose lives can be damaged or destroyed by exposure to  
14 the drug.

15           This committee should explicitly address the  
16 difficult issue of equity and make an accounting of the risks  
17 and benefits of Accutane use and to balance the interests of  
18 those--the benefits that accrue to those who have skin  
19 problems, with the damage that's done to babies. I think you  
20 need to advise the commissioner on how many persons cured of  
21 severe cystic acne is a fair and equitable balance for each  
22 baby born with a serious physical and/or mental deficit.

23           If I could have the first slide, please. I want to  
24 share with you some estimates that we have made that will  
help push this issue of equity into concrete terms. This

1 graph shows our estimates of the number of babies that would  
2 be effected by Accutane and embryopathy for varying numbers  
3 of drug users. We present estimated effected numbers for  
4 three different contraceptive failure rates--20 percent, 3  
5 percent which is the typical OC failure rate, and 0.3 percent  
6 which is the approximate failure rate of a preparation like  
7 Norplant, the implantable that has recently been recommended  
8 for approval.

9           If I could have the next slide, please. I made a  
10 number of assumptions in arriving at these estimates. First,  
11 that all courses of treatment are five months long. Two,  
12 that one-third of fertile aged women 15 to 44 years are non-  
13 fertile or sub-fertile, and 14 percent are not sexually  
14 active. That the remainder are sexually active and have  
15 fertility rates equal to the various contraceptive failure  
16 rates, that is, 20 percent, 3 percent, and 0.3 percent. That  
17 no treatments will be started before ruling out pregnancy.  
18 That about 50 percent of women who have an exposure during  
19 pregnancy will elect to terminate the pregnancy. And that we  
20 have a fetal death rate of about 20 percent, which may be  
21 judged to be too low. The fetal death rate may be double  
22 that. And lastly, that about 25 percent of babies who  
23 fetuses that have reached term will have malformations.

24           May I have the next slide, please. Well, it's  
25 obvious that a marked reduction in the number of babies born

1 with Accutane embryopathy with malformations caused by  
2 Accutane could be reduced by reducing the number of women who  
3 use the drug. I've included the number 4,000, and we would  
4 expect somewhere between 0 and 12, depending on the mix of  
5 contraceptive use among those 4,000 women. I present the  
6 figure for 4,000 users because that's the number of fertile  
7 aged severe cystic acne cases made last year by Dr. Graham.

8           We present data for numbers up to 70,000 users  
9 which is the approximate number of current users, as you've  
10 heard this morning. At this level of use, we can expect  
11 somewhere between three and a couple of hundred babies born  
12 with malformations caused by Accutane. Again, depending on  
13 the mix of contraceptive methods used. I emphasize that the  
14 number three for 70,000 users would be an ideal with a highly  
15 effective long-acting contraceptive available. That promise  
16 does not pertain today because they are not available in this  
17 country.

18           Well, I still think that a restrictive distribution  
19 system to reduce the number of users is also needed. A  
20 decision to depend on better contraceptive alone without  
21 active intervention to reduce the number of users is a  
22 decision to leave the number of effected babies at a level  
23 which to me is unacceptably high.

24           If we could just talk a little bit about this issue  
25 of the long-acting implantable and injecting contraceptives.

1 They are viewed as a method for women who have completed  
2 their desired childbearing, a good method for them to control  
3 their fertility. The use of the implantable contraceptive  
4 which is on the docket now, requires a minor surgical  
5 procedure to implant and another one to remove. So we wonder  
6 what proportion of Accutane users would avail themselves of  
7 that preparation, particularly teenagers, if the use was not  
8 made mandatory, along with prescription of Accutane.

9           Last year I described an example of a restrictive  
10 distribution scheme for teratogenic drug in the United  
11 States, that is, the investigational new drug application use  
12 for the distribution of thalidomide. Perhaps a formal IND  
13 sponsored by the FDA would be a suitable mechanism for  
14 helping to reduce the frequency of Accutane embryopathy. But  
15 seems equally likely that the voluntary restriction by the  
16 manufacturer potentially could be as effective as IND. And  
17 as I understand it, there's precedent for voluntary restric-  
18 tion in synthetic growth hormone and for some chemotherapeutic  
19 agents.

20           If I could have the next slide, please, and I will  
21 again describe to you CDC's ideas of what would be an  
22 acceptable limited distribution plan that would make Accutane  
23 available to all persons in need of the drug, including  
24 potentially fertile women. And we think this could be done  
25 as a result of FDA action or as a voluntary action on the

1 part of the manufacturer. The plan we think should include  
2 is a minimum that the distribution would take place through a  
3 limited number of institutionally based centers and that  
4 these centers would be responsible for seeing the protocol is  
5 followed by prescribing physicians.

6 We are certainly not experts in how to design such  
7 a system, let me say that, I was struck by several remarks  
8 this morning that people might have to travel all the way  
9 across Iowa or across Georgia to a center to get the drug.  
10 CDC has quite a number of IND's for drugs for rare tropical  
11 diseases, and we do not fly people into Atlanta for treatment.  
12 Physicians who feel their patients need the drug cooperate  
13 with CDC, and, in fact, become cooperating investigators on  
14 the IND. It seems to me that the issue of travel and those  
15 sorts of access problems should not deter anyone from  
16 instituting distribution by the institutionally based centers.

17 Our center view committee would require certifica-  
18 tion by the physician wishing to use the drug for a particular  
19 patient, that the patient has severe acne that is resistant  
20 to other forms of treatment. The manufacturer in cooperation  
21 with the centers would device innovative approaches to  
22 educating professional who want to prescribe Accutane,  
23 educational approaches about the dangers of the drug, and  
24 about the facts of contraception.

There would be a center oversight procedure that

1 would require certification that women who are treated are of  
2 minimal risk of becoming pregnant during and shortly after  
3 treatment. Ideally the physician prescribing Accutane would  
4 coordinate the use with another physician who would be  
5 helping the woman manage an effective method of contraception.  
6 Prescriptions would be limited to one-month's supplies of the  
7 drug. To receive continuing treatment, the patient would  
8 need to return to her physician to have a reliable pregnancy  
9 test performed. The system would be designed that women  
10 should return at an appropriate time after the completion of  
11 treatment for a final pregnancy test.

12           The goal of all of this from our point of view is  
13 to prevent fetal exposures, but failures will occur, and each  
14 center should have some sort of assistant for ensuring that  
15 women receive adequate counseling. Some women may elect to  
16 continue their pregnancies, while some may elect to have  
17 induced abortions. Induced abortion is an intervention which  
18 has been used in Accutane exposed pregnancies and will  
19 continue to be used, so long as Accutane is available for use  
20 by fertile women.

21           Again, I emphasize a preferred course of action is  
22 the prevention of female exposure. And lastly, we believe  
23 there needs to be an evaluation of the prevention strategy,  
24 ideally including a national registry of patients who have  
25 exposures during pregnancy with a follow-up of pregnancy outcomes

Timb  
Tape #8

1                   We have heard much talk in the last hour about dif-  
2                   ficulties in devising a follow-up system on the restricted  
3                   marketing approach that we would recommend would make follow-  
4                   up feasible and without this sort of an environment evaluation  
5                   to follow be very difficult.

6                   That concludes my presentation. Thank you again for  
7                   the opportunity to be here to speak with you. I will be glad  
8                   to field questions.

9                   DR. PENNEYS: Thank you, Dr. Erickson.

10                  Are there any comments or questions? Dr. Drake?

11                  DR. DRAKE: On your first slide, you have a slide of  
12                  assumptions that you made. Can you tell me your data base for  
13                  your assumptions and the literature from which it came?

14                  DR. ERICKSON: Well, I could briefly go over that,  
15                  if you like. I could put that--I would say they are--

16                  DR. DRAKE: Actually, in order to conserve time, I  
17                  would be happy to have you send it to all members of the panel.

18                  DR. ERICKSON: Okay.

19                  DR. DRAKE: I think some of those assumptions, I  
20                  don't have any idea where they came from, but it would help if  
21                  we had the literature--

22                  DR. ERICKSON: I understand.

23                  DR. DRAKE: --and the data base from where they came.

24                  MR. ERICKSON: Absolutely, I would be glad to do  
25                  that.



1 DR. PENNEYS: Any other comments?

2 [No response.]

3 If not, the next presentation is by Dr. Sidney Wolfe,  
4 from the Health Research Group.

5 DR. WOLFE: The work for this presentation was done  
6 with Dr. Andrew Holmes, who is a pediatrician spending six  
7 months with the Health Research Group. He will give copies of  
8 the longer statement and I will just spend a little less than  
9 10 minutes of the allotted time.

10 One year ago, in the disastrous wake of 62 life-  
11 threatening or severely developing birth defects which had  
12 then been reported in babies in the United States whose  
13 mothers has been exposed to Accutane during their pregnancy,  
14 in addition to hundreds, if not thousands of abortions, spon-  
15 taneous or induced, in women similarly exposed, we testified  
16 before this Committee, and on May 17, 1988, petitioned FDA to  
17 much more tightly restricted use of Accutane in order to pre-  
18 vent these tragic occurrences. Our petition also included a  
19 number of changes in labeling and other things which the com-  
20 pany and the FDA agreed with, and I would say that outside of  
21 the area of restricting the use, that the company has done a  
22 very commendable job. We just don't think that it is enough.

23 This Advisory Committee at the meeting last year  
24 was predictably very concerned about the fact that Accutane  
25 was still being widely prescribed to women of child-bearing

1 age and that exposure of large numbers of pregnant women to  
 2 the drug was still occurring. Although the Committee left the  
 3 details of what to do to the FDA, you voted that distribution  
 4 should be limited or restricted amongst the possible ways of  
 5 doing it, including restricting it against use by women of  
 6 child-bearing age, restriction on the actual distribution of  
 7 this drug, as by doctors, restriction to special physicians  
 8 distributing the drug, restriction of special patients who get  
 9 the drug, and necessity of a second opinion.

10 I think in good faith you have said, FDA, you have  
 11 got to do something or other in the way of restricting.

12 On May 2nd, last week, FDA rejected those parts of  
 13 our petition which would have limited prescribing to those  
 14 dermatologists who had signed a written statement that they  
 15 would only prescribe Accutane for those women with severe  
 16 cystic acne, not responsive to other treatments, and would  
 17 agree to perform an initial pregnancy test to rule out  
 18 pregnancy, as well as periodic pregnancy tests, to assure that  
 19 women not pregnant when starting on Accutane did not subse-  
 20 quently become pregnant. Criminal penalties would have re-  
 21 sulted if doctors violated this agreement. The reason for  
 22 that is this is a serious problem and it requires serious  
 23 solutions.

24 The FDA conceded that it may have the legal author-  
 25 ity to adopt our recommendations, but refused to use this

1 stating, in the words of FDA Commissioner Frank Young, that  
2 this "would constitute an unprecedented intrusion into the  
3 doctor-patient relationship." We would argue that it is time  
4 for this kind of intrusion to occur, given what appears to be  
5 very little evidence of decreased use by women of child-  
6 bearing age.

7 In the year that has elapsed since the last hearing  
8 on this topic, irresponsible actions by Roche, the failure to,  
9 as Dr. Erickson just suggested, voluntarily restrict the dis-  
10 tribution, and inadequate action by top FDA officials--  
11 emphasizing that, because I think that at most other levels  
12 in the FDA there is definitely a consensus that there should  
13 be a restriction--have resulted in the continued high rates of  
14 doctors prescribing Accutane to women of child-bearing age  
15 without any credible evidence that the number of Accutane  
16 caused birth defects or abortions has decreased.

17 I will skip over at least a lot of the data analysis  
18 because it has been presented both by Roche and later by Dr.  
19 Stadel, but I think that the summary of it would be that there  
20 really is not any good, reliable evidence of a significant  
21 decrease in new starts or new prescriptions, the important  
22 category of prescribing, because this is where the pregnancy  
23 is most likely to occur.

24 Skipping to page 3, the status quo: One, there has  
25 been a singular lack of progress on the part of Roche since

1 this time last year. The blister packs were not available as  
2 of a week or so ago. They are available now. There is not  
3 yet any adequate post marketing surveillance. Roche has  
4 conspiruously flouted the FDA directive by proceeding with  
5 the protocol of marketing surveillance that was rejected by  
6 the FDA and its advisors.

7 The promulgated of biased data gathering is tanta-  
8 mount to a disinformation campaign. I would suggest that if  
9 anyone ever took a protocol like this and tried to get funding  
10 from the National Institutes of Health, the National Center  
11 for Health Statistics, that it would be rejected. I agree  
12 with the estimates that you need to have 80, 85, if not 100  
13 percent follow-up, and I would bet that it is very unlikely  
14 that we will get more than 20 or 25 percent. Even at the rate  
15 the last couple of weeks, which was about 100 new recruitments  
16 a week, that would project out to 5,000-some a year, around  
17 10 percent. I think it is going to be higher than that, but  
18 perhaps not much more. I would bet that it will exceed 20  
19 percent, and I don't think anyone would agree that that is the  
20 best kind of data to use.

21 The people who are involved in doing this study are  
22 amongst the best epidemiologists in the country, and if they  
23 had their druthers, they would have a registered release and  
24 most of them have stated so, and this is included in internal  
25 FDA memos which have been made available to us.

1           The FDA has, in a partial denial of our petition,  
2 brought into Roche's obstructive strategy by referring to the  
3 study--the surveys is what it really is--without mention of  
4 the flawed protocol and the justification of its decision.  
5 In Dr. Young's May 2, 1989, letter to us, he stated that, "A  
6 survey is being conducted by Hoffman-LaRoche to identify the  
7 rate of pregnancy exposure among women prescribed Accutane to  
8 help the agency determine the effectiveness of the total inter-  
9 vention programs undertaken to date."

10           Despite Dr. Young's embracing of the Roche-funded  
11 study, the protocol for this survey was rejected by FDA  
12 scientists after review by its Epidemiology Unit and by two  
13 independent reviewers, Dr. James Schlesselman of the Uniformed  
14 Services University, and Dr. Barbara Hulka of the University  
15 of North Carolina.

16           The basis for rejecting the protocol was that, since  
17 it was not going to include a large portion and representative  
18 sample of women using Accutane because of its voluntary nature,  
19 the results would be biased. I won't go into more of that.  
20 There has been a discussion already this morning of that.

21           Dr. Shapiro, of the Slone Epidemiology Unit said  
22 that he expressed the opinion that registered release would  
23 produce the best study. The idea of registered release of all  
24 Accutane prescribing is also supported by a FDA epidemiologists  
25 and other scientists and outside FDA consultants as the best

1 way to find out what is actually occurring in women who are  
2 receiving Accutane.

3 I stress that there is a connection between the dis-  
4 tribution of the drug, a restricted distribution, a registered  
5 distribution, and the ability to do the proper kind of study.  
6 You need both of them together, otherwise it is sort of a  
7 Catch 22, without some registered restricted release you do  
8 not have anywhere near the kind of follow-up you will need to  
9 ascertain the impact of the other kinds of interventions.

10 Moving on to page 5, Conclusion 1, there has been no  
11 demonstration that the interventions since the last Advisory  
12 Committee have been adequate to address the extremely serious  
13 problems associated with Accutane.

14 Two, the rate of Accutane prescribing does not ap-  
15 pear to change significantly. Most importantly, first-time  
16 Accutane use by women of child-bearing age has not declined  
17 from the levels of three years ago. A year from now, we are  
18 not going to know if pregnancy exposures have been reduced,  
19 because we do not have an adequate data collection system. As  
20 it stands, we will have no way of knowing whether the blister  
21 packs actually work to reduce pregnancy exposure. Our only  
22 reasonable information is prescription numbers and blister  
23 packs are a post prescribing intervention.

24 Four, focusing on the number of birth defects evades  
25 the issue of the number of spontaneous and induced abortions

1 consequent to Accutane exposure. Abortion should not be re-  
2 garded as a satisfactory outcome for pregnancies exposed to  
3 Accutane, even though it will relieve the company of some  
4 product liability lawsuits.

5 Five, we should not lose sight of the fact that  
6 there are other major morbidity associated with Accutane use  
7 which have been referred to this morning. We are frequently  
8 getting phone calls from people who had other serious side  
9 effects.

10 Six, responsibility for adverse outcomes from Accu-  
11 tane use has been shifted from Roche, the manufacturer and  
12 marketer to the prescriber and patient. This isn't a phase of  
13 Roche being obstructive to the process of gathering post-  
14 marketing data and misleading the public in its product warn-  
15 ings. Again, I refer to the fact that, even though the FDA  
16 has not used the legal authority that it does not deny it has,  
17 the company could engage in restricted distribution and it  
18 would thereby have a much better kind of surveillance system  
19 to use.

20 What do we do? One, there must be immediate re-  
21 strictions to reduce Accutane prescribing to severe acne that  
22 does not respond to more benign therapy. Our petition out-  
23 lines a workable set of such restrictions. Although FDA re-  
24 jected that part of our petition which would impose these re-  
25 strictions, the agency does not deny that it has the legal

1 authority to implement these much tighter restrictions.

2 It is interesting that in one year the agency does  
3 not seem at the legal end or at the top end to be able to come  
4 up with a decision as to whether they have legal authority and  
5 they sort of leave you all in limbo. I think that your con-  
6 clusion last year that some restriction should be done was  
7 based on the assumption that FDA might at least have the legal  
8 authority. I think it is inexcusable that as to now they one  
9 the one hand don't say that they don't have the legal author-  
10 ity, and on the other hand they don't say that they do.

11 If they don't have the legal authority, we want to  
12 hear about it, because there is a lot of interest in Congress  
13 in quickly passing legislation that would give them the legal  
14 authority to do the restricted distribution and thereby be  
15 able to do a proper kind of surveillance.

16 Post marketing surveillance with rigorous 100 per-  
17 cent follow-up should be made an immediate requirement by FDA.  
18 The protocol should be submitted to and approved by the FDA,  
19 in consultation with independent reviewers. If the FDA finds  
20 that it doesn't have the legal authorities, as I just said,  
21 Congress will give it the legal authority, I will bet.

22 Three, upon future review of Accutane use, its con-  
23 tinued availability should be contingent on hard evidence that  
24 it is being used appropriately and with a clear major reduc-  
25 tion in drug-related morbidity. For product warnings to



1 physicians regarding the outcome of pregnancy following  
2 Accutane exposure should include the actual measure relative  
3 risk of Accutane-induced birth defects. Information should  
4 also be provided about the effects of dose gestation time and  
5 duration of exposure and pregnancy outcome.

6 Finally, this is an administration which claims to  
7 be strongly against the rights of women to have an abortion,  
8 if they choose to. It is especially ironic that FDA negligence  
9 at the top level has resulted in massive and continued pre-  
10 scribing of a drug to women of child-bearing age which is  
11 predictably causing birth defects and, in the more frequent  
12 scenario, the FDA is implicitly recommending that most women  
13 who use the drug while pregnant should have an abortion.

14 By refusing to require Roche to state more accurately  
15 that serious birth defects occur "in one chance in four or  
16 greater" of fetuses exposed to Accutane during pregnancy, as  
17 FDA stated a year ago in a talk paper, it instead is allowing  
18 Roche's labeling and patient warnings to state that "poten-  
19 tially all exposed fetuses can be affected" by the severe  
20 birth defects.

21 FDA Commissioner Frank Young is allowing women to  
22 be misled into believing that the odds may be almost 100 per-  
23 cent that a serious birth defect will occur, thus increasing  
24 the likelihood that they will choose an abortion. A 1983  
25 memo from Dr. Lammer, then at the CDC, in response to a

1 conversation with some from Roche, suggested that Roche's  
2 strategy was to put out the assumption that there was 100 per-  
3 cent birth defects and that implicitly abortion should be  
4 recommended.

5 In summary, the failure of Roche and its partner,  
6 FDA, to more severely restrict the use of Accutane, the fail-  
7 ure to initiate or impliment acceptable surveillance to deter-  
8 mine the current extent of pregnancy exposure and the failure  
9 to accurately inform women, those women who become pregnant  
10 while using Accutane, the actual chances of a major birth de-  
11 fect must be shown by your Advisory Committee and the  
12 Fertility and Maternal Health Advisory Committee, which will  
13 review this issue on June 2nd.

14 It is our position that unless FDA immediately im-  
15 poses the restrictions on Accutane prescribing which we out-  
16 lined in our petition one year ago and puts in place an ef-  
17 fective monitoring system to track pregnancy exposures and  
18 outcomes, such as abortions and birth defects, Accutane should  
19 be immediately removed from the market.

20 Given that the epidemic of Accutane caused birth  
21 defects and spontaneous and induced abortions is the worst  
22 such drug induced epidemic to occur in this country, it is  
23 time for FDA to require an "unprecedented intrusion into the  
24 doctor-patient relationship" to protect the tens of thousands  
25 of American women of child-bearing age who are still

1 prescribed Accutane.

2 Thank you. I just included in the end in a little  
3 more detail a chronology of what has happened since 1954 when  
4 Vitamin A was shown to cause birth defects. I would be glad  
5 to try and answer any questions.

6 DR. PENNEYS: Dr. Woodley?

7 DR. WOODLEY: I was just wondering about conclusion  
8 number six, why your group does not feel that the responsi-  
9 bility for the drug in some part, in some measure should not  
10 be upon an informed physician and an informed patient. I  
11 mean we--

12 DR. WOLFE: I do. I was simply--I think that we  
13 always have to have responsible physicians and patients. What  
14 I really was saying is that from a legal or product liability  
15 or litigation standpoint, there is no question that the  
16 burden is shifted to the doctor and the patient. In the  
17 future, unless someone shows, as they might, that Roche was  
18 negligent in not restricting the use of the drug to certain  
19 physicians, only if they agree to do certain things, what may  
20 have been five or six years ago a product liability suit  
21 against Roche will be a malpractice suit against the prac-  
22 titioner. That is really all I was meaning. I did not mean  
23 to imply that patients and doctors don't have to have respon-  
24 sibility.

25 DR. WOODLEY: Maybe this was the wrong analogy, but

1 I read yesterday that GM is coming out with a new Corvette  
2 that goes 180 miles per hour, but we give that Corvette to  
3 anyone who wants to buy it, knowing that there are going to  
4 be 60,000 accidents and eaths on our highways every year. So,  
5 ultimately doesn't our society say that it is the individual,  
6 the informed individual that has some responsibility for their  
7 own behavior, and it is not the company or it is not a super-  
8 structure of the government?

9 DR. WOLFE: Well, I think that, yes, informed in-  
10 dividuals probably play an important role. But the fact that  
11 you have spent the hundreds, if not thousands of hours you  
12 have spent on the Advisory Committee of the FDA, I think  
13 suggests that you believe that there is a role for government  
14 intervention.

15 I think what we are saying here now--and I agree  
16 with the definition before, the definition of over-prescribing  
17 or mis-prescribing or inattentive prescribing is the continued  
18 existence of birth defects and spontaneous or induced abortion.  
19 I think that any reasonable--and I emphasize reasonable--  
20 intervention that can be imposed by the company itself, which  
21 it chose not to so far, or the FDA by way of restricting the  
22 use so that we get the benefits and minimize the risks.

23 I think that the chart that Dr. Erickson just showed  
24 on what various kinds of effectiveness of contraception would  
25 do to the number of birth defects or, conversely, the number

1 of spontaneous or induced abortions at various levels of pre-  
2 scribing suggests some ways of changing things. I agree that,  
3 even though we don't have the best kind of epidemiologic  
4 evidence as to exactly what the target population is, I think  
5 it is probable, at least the dermatologists I have talked to  
6 who are careful and who prescribe this drug very, very rarely,  
7 all know dermatologists who over-prescribe the drug. All of  
8 you know such dermatologists, and it is not I think so much  
9 for you and people who practice as you, but people who prac-  
10 tice excessively.

11 DR. PENNEYS: Dr. Wolfe, can we restrict our discus-  
12 sion to data or our analysis to data.

13 Any other questions?

14 [No response.]

15 I have one comment, and that is for the record. The  
16 vote on restriction at this meeting last year was tied.

17 DR. WOLFE: It was 4 to 3, as I remember.

18 DR. PENNEYS: Well, you are incorrect, and the  
19 minutes are here and available for your study, if you wish.

20 DR. WOLFE: The Chairperson didn't agree? I mean  
21 there was a comment made on these various kinds of restrictions  
22 that--

23 DR. PENNEYS: Dr. Wolfe, they are a matter of public  
24 record here and you have access to them.

25 DR. WOLFE: Well, let's assume that it was tied,

1 then that means equal numbers of people--

2 DR. PENNEYS: I am not arguing the point. I am just  
3 for the record correcting you and saying that it was a tie  
4 vote.

5 DR. WOLFE: Well, I would hope you would change your  
6 mind and vote in foavr of restriction this year, if that was  
7 the case.

8 DR. PENNEYS: Thank you very much.

9 Why don't we move on to the next presentation by Dr.  
10 Lammer, who is from the California Birth Defects Registry.

11 Dr. Lammer, the Committee would really appreciate it  
12 if you could try to do this as quickly as possible.

13 DR. LAMMER: I am pleased to be here to talk to the  
14 Committee again this year, after inviting myself to come for  
15 the second year in a row.

16 Again, I agree with many of the previous speakers, I  
17 don't think this is a problem that has gone away or disappear-  
18 ed over the past year, and I want to present some data hope-  
19 fully that will shed a little bit of light on some of the  
20 questionmarks that were before this Committee last year, for  
21 which I think we have a little bit of data to answer a couple  
22 of questions, but certainly not all of them.

23 [Slide.]

24 This is a slide showing to the best of my knowledge  
25 the number of cases by year, this is children who have at

1 least one major birth defect. This is limited to patients in  
 2 the United States, excludes the cases from Canada, and these  
 3 are only the major birth defects in pregnancies reaching 20  
 4 weeks or beyond. Now I understand that Hoffman-LaRoche is  
 5 aware of 2 infants borth in 1989, and since we made this slide  
 6 now I need to update this. We now have identified 10 children  
 7 born in 1987, so that the curve for these 4 years is relative-  
 8 ly flat and, again, we are just into 1989 and there are only  
 9 2 cases that have apparently been identified as of this year.

10 Now, for the members of the Committee who weren't  
 11 here last year, I just want to briefly review the studies that  
 12 we do. We are involved, to the best of my knowledge, in the  
 13 only longitudinal study of the outcomes of pregnancies exposed  
 14 to Accutane, even in the first or second trimester of preg-  
 15 nancy.

16 We basically studied two populations of children.  
 17 One is this prospectively followed cohort, that is, we follow  
 18 pregnancies that are identified to us in which women have  
 19 taken Accutane, and to be eligible for this cohort, the  
 20 pregnancies have to be identified to one of these sources,  
 21 Hoffman-LaRoche, FDA, CDC, or our study group, before there is  
 22 any knowledge of the outcome of the pregnancy, that is, before  
 23 any ultrasound procedures have been done, and certainly before  
 24 the welfare of the fetus or embryo have been established.

25 So, by following the outcomes of this group, it

1 gives us an unbiased spectrum of the whole range of possible  
 2 outcomes. The other group that we study--and this is impor-  
 3 tant to differentiate the groups--is a retrospectively identi-  
 4 fied case series of children, all of whom have major birth  
 5 defects. That group is primarily valuable for giving us some  
 6 idea of the severe end of the spectrum of effects and to give  
 7 us some ideas about the possible mechanism of action, where-  
 8 as this prospective cohort, as I said, gives us a picture of  
 9 the full spectrum of effects and in what we think is an un-  
 10 biased fashion.

11 Now, you have heard some previous slides showing  
 12 that in fact the number of exposed pregnancies has not de-  
 13 creased significantly since 1985, and our data is certainly  
 14 supportive of that. These are pregnancies we have identified  
 15 prospectively. Again, these numbers have changed since I made  
 16 the slide.

17 There are now in 1985 12, 8 in 1986, 7 in 1987, so  
 18 the curve should pretty much be flat--12 in 1988, and we have  
 19 already identified prospectively 5 pregnancies, 2 of which  
 20 have delivered this year, 3 of the women still have not de-  
 21 livered their babies yet, so still we are only at May 1st.  
 22 Our vision, in terms of pregnancies that we have identified  
 23 prospectively as being exposed is flat, with a little bit of  
 24 bump, in fact, in 1988.

25 Now, we certainly haven't seen a decreasing trend,



1 and I should note that there has been one significant change  
2 in the types of pregnancies that are identified to us. If we  
3 look at the pregnancies we have ascertained in this fashion  
4 since 1987, in fact, nearly all of them are exposures which  
5 are limited to the first 14 days after conception, so we have  
6 seen a change in the types of pregnancies that are referred to  
7 us, and I have interpreted that as consistent with the idea  
8 that women with exposures that extend farther into pregnancy  
9 are getting counseling and that there is a high risk of  
10 teratogenic effects and are terminating those pregnancies and  
11 they never get referred to us. Whereas, pregnancies in which  
12 the exposure is limited to the first several weeks after con-  
13 ception, there are still a lot of questionmarks about whether  
14 the magnitude of the risks are lower during that period, and  
15 so the groups who refer patients to us, like genetic counselors  
16 and obstetricians, are more likely to contact me to get in-  
17 formation about the magnitude of risk for exposures in that  
18 period.

19 So, that may also explain why we haven't identified  
20 any malformed fetuses in 1989, because the epidemiologic  
21 characteristic in terms of timing of exposure that we are see-  
22 ing in the patients we ascertain has changed over the last  
23 couple of years.

24 And just for the purposes of informing the new  
25 members of the Committee, basically the results from following

1 the first 61 exposed pregnancies, we find for pregnancies we  
2 identified or we ascertained before 13 weeks after the LMP's,  
3 i.e., the first trimester, we find a 40 percent absolute risk  
4 for spontaneous abortion, and we would regard this as a mini-  
5 mal estimate. It is likely to be higher than that.

6           Again, of these first 61 pregnancies we followed  
7 prospectively, in the green area are those which reached 20  
8 weeks gestation and beyond, 11 out of 47 or 25 percent of these  
9 babies were born with at least one major birth defect.

10           Now, at last year's meeting there was a lot of de-  
11 bate about the need for getting some better estimates about  
12 the number of affected children and spontaneous abortions that  
13 may have occurred nationally, so we made an attempt to look at  
14 our data in that regard, and this is a little bit tricky to  
15 follow, so I will try to go slow, despite the Chairman's  
16 admonition that I speed this up.

17           Now, two differences we have observed between the  
18 prospectively followed cohort of pregnancies and those retro-  
19 spectively identified malformed children that I think can shed  
20 some light on estimating the number of affected children or  
21 fetuses that are, and those two factors are, one, in the pros-  
22 pective cohort the types of malformations those children have  
23 tend to be less severe than those we identify retrospectively,  
24 and they are much less likely to include irreparable congeni-  
25 tal heart defects. So, that the pattern of malformation in

1 this group, even among those with major malformations, is  
2 milder and less severe than those in this group.

3 The other factor which is consistent with that is  
4 that of the 11 malformed from the prospective cohort, the  
5 mortality is 2 out of 11 or, rounded off, is, say, 20 percent,  
6 whereas, retrospectively identified pregnancies, the mortality  
7 is between 60 and 70 percent. So, that differential mortality  
8 suggests that even among the severely malformed--I'm sorry,  
9 even among those with major malformations identified prospec-  
10 tively, their abnormalities are less severe than this group,  
11 suggesting that there are a number of children with major  
12 malformations less severe than this group who have not been  
13 identified yet, and this is the premise for the estimate that  
14 we came up with.

15 Now, basically, in our prospective cohort it breaks  
16 down like this: 40 percent of the pregnancies have spontane-  
17 ous abortion, and of those that don't abort, 15 percent of  
18 the overall 100 percent group have major malformations.

19 Now, within that group we find about a 20 percent  
20 mortality. Now, if you compare that to the retrospectively  
21 followed group, again, I use the number 74 here because it was  
22 my understanding that the FDA had ascertained a total of 85  
23 malformed children, and then when we subtract the 11 from this  
24 group, I came up with 74. This number actually may be some-  
25 what lower, but you can revise our estimates down a little bit.

1 if you assume that the total number that have been reported to  
2 FDA is actually smaller than this, again, in this group a  
3 differential mortality of 60 percent.

4 The assumption we are making here is that the two  
5 groups, the sub-groups of this group of patients who are most  
6 comparable to this group are the 20 percent of the malformed  
7 who have died, compared to those who have died from the retro-  
8 spective case series, and I hope you are able to follow that  
9 logic.

10 So, what we are saying is that our prospective co-  
11 hort, the mortality experience among those malformed is 20  
12 percent, and that is 2 of 11, so that is the weak part of this  
13 data. And then in the universe of malformed infants in the  
14 United States, if the mortality experience there is 20 percent,  
15 we then estimate that the total number of affected that that  
16 comes from is a universe of 220 malformed infants, so this  
17 number 44 is 60 percent of the 74 malformations identified  
18 retrospectively to the FDA. So, of those identified to the  
19 FDA, 60 percent or 44 have died, and we are guessing that that  
20 44 is 20 percent as a universe of malformed infants that we  
21 estimate is approximately in the range of 220.

22 Now, extrapolating from those numbers, if this is  
23 the percentage of abnormalities of exposures that we identify  
24 prospectively, that is, 40 percent of the pregnancies abort  
25 and 15 percent of the universe of exposed end up in a malformed

1 child, then our minimal estimates would be 230 malformed in-  
2 fants and 613 spontaneous abortions. Now, not all of these  
3 are going to be attributable to exposure, so if you assume  
4 that for recognized pregnancies, the background risk for spon-  
5 taneous abortion is 15 to 20 percent, that means of this number,  
6 approximately this number would be attributable to exposure,  
7 whereas, nearly all of the malformed infants are going to be  
8 attributable to the exposure, leaving us with this question-  
9 mark in terms of the number of children who do not have mal-  
10 formations but who will, nonetheless, end up with some kind of  
11 developmental disability as a result of the exposure to the  
12 drug.

13 Now, next I want to talk about the second phase of  
14 our study which we just started in October. The purposes of  
15 this study--and this is supported by Hoffman-LaRoche on a  
16 grant to the Massachusetts General Hospital--is to quantify  
17 the risks for developmental problems at age 5 years among mal-  
18 formed that are apparently non-malformed exposed infants, and  
19 we are looking at these outcomes, behavior, intelligence and  
20 socialization, and we are primarily interested in seeing what  
21 kind of problems the children are having who don't have major  
22 birth defects, and these are the measures we look at--I.Q.,  
23 with the WPPSI Vineland Social Maturity Scale, vocabulary,  
24 off-the-floor kindergarten battery, and these other assess-  
25 ments of memory, motor coordination, perceptual motor

1 functioning, attention deficits, and behavioral problems, and  
 2 this work is being done in collaboration with Dr. Jane Adams,  
 3 who is performing most of the developmental tests, and I want  
 4 to present some of our very preliminary results which I just  
 5 saw for the first time this morning on some of the develop-  
 6 mental outcomes in the first 20 infants we have evaluated at  
 7 5 years of age.

8 Now, these 20 are all unbiased. This is from our  
 9 prospective cohort, so some of these children have major mal-  
 10 formations, and some of them don't, in fact most of them don't.  
 11 I think only 3 or 4 out of this group of 20 have major abnor-  
 12 malities, and basically what we are finding--and again, I want  
 13 to stress, this is preliminary, this is based on the first 20  
 14 --40 percent of these children have an I.Q. below 85, which  
 15 Dr. Adams suggests to me is an I.Q. range for which a child  
 16 would unlikely be able to function well in a normal classroom.

17 Specifically, the most common abnormality that we  
 18 are seeing is problems with visual motor integration, primarily  
 19 manifested by picture-naming ability and other tests. This is  
 20 a test that assesses a child's ability to integrate informa-  
 21 tion that they receive visually and is the sort of problem  
 22 that children have who will eventually have difficulty with  
 23 reading and writing and learning from material that they re-  
 24 ceive from a visual source.

25 Lastly, one of the questions that came before the

1 Committee a year ago was the question about whether the  
2 teratogenic effects might be reduced by lowering the recom-  
3 mended therapeutic dose for the medication, and I think in the  
4 last year a lot of new information concerning this issue has  
5 come out and I want to present this case report which we have  
6 in press currently.

7 This is a mother who was taking 40 milligrams of  
8 Accutane a day. She took it from day 8 to day 28, with day of  
9 conception being day 0. On day 31, that is about 72 to 80  
10 hours after the last dose, she had pregnancy termination. At  
11 the time of the termination, we drew simultaneously blood, her  
12 blood, and measured both Accutane levels and metabolytes of  
13 the drug.

14 From the products of the termination, this was work  
15 done, we sent the samples to Hines-Nows Laboratory in Berlin,  
16 we were able to find a .2 gram intact 31-day human embryo and  
17 were able to measure levels of Accutane and its metabolytes  
18 both in the embryo tissue, placental tissues and maternal  
19 serum simultaneously.

20 Now, the results--I want to run you through this  
21 because I think it is pertinent to decisions made by this  
22 Committee--in the mother's blood, we find levels of Accutane  
23 in the primary metabolyte the 4-oxo compound to be just what  
24 you would expect for a blood sample taken about 3 days after  
25 the last dose, that is, the metabolyte is present in the

1 higher concentration in the parent compound, and all trans-  
2 retinoic acid present in about the same concentration as to  
3 Accutane.

4           Whereas in the embryo tissue, the absolute numbers  
5 aren't important here to relative ratios, what you see is in  
6 fact the Accutane level in the embryo is much higher than the  
7 metabolyte, and that in fact all trans-retinoic acid is  
8 present in an extremely high concentration in embryo tissue  
9 also.

10           What we see in the placenta is a similar phenomena,  
11 that is, in some areas of the placenta we see concentrating of  
12 Accutane, whereas we don't see increased levels of the  
13 metabolyte, the opposite situation of what you are seeing in  
14 the meternal blood. But the picture in the placenta is a  
15 little bit confusing, because it is apparent that there is  
16 regional concentrating of both Accutane and all trans-  
17 retinoic acid is not a uniform process throughout the  
18 placenta.

19           Since we disseminated this information, several  
20 laboratories have basically documented that this is apparently  
21 also the case in experimental animal species, that Accutane  
22 and all trans-retinoic acid, it seems concentrated in the  
23 placenta and embryonic tissue relative to the concentration of  
24 the drug and the all-trans in the maternal blood, and we  
25 think this is consistent with several possibilities.



1           One is that the drug is isomerized, may be isomer-  
2 ized in the placenta or in the embryo from the 13 cyst to all  
3 trans-retinoic acid, which is much more teratogenic compound,  
4 or that the drug is simply being concentrated in placenta or  
5 embryo tissue through some process that is not clear at this  
6 time, so there is a lot of work to be done on this. But the  
7 reason I am presenting this information to your Committee is  
8 that, based on what we are learning now that there is an  
9 isomerization reaction and that a more teratogenic compound  
10 is being generated and that the drug is concentrated in  
11 embryonic and placental tissues, it makes it unlikely that a  
12 strategy of recommending a lower therapeutic dose would be  
13 effective in reducing risks for teratogenicity.

14           Lastly, I would just like to say that I must concur  
15 with what Dr. Wolfe said, in that my recollection of the  
16 hearing of a year ago was that the Committee, although the  
17 vote was 3 to 3 to recommend a restricted distribution plan,  
18 in fact that was endorsed by the Chairman as the fourth vote.

19           In my correspondence with the FDA, I have not seen  
20 any kind of a disavowal of that voting. Now, my feeling is  
21 that a year ago the Committee did vote to recommend a re-  
22 stricted distribution plan, and in fact several members of  
23 this Committee at that time, in their deliberations, mentioned  
24 that they were only in favor of some of the recommendations  
25 which have been enacted by the manufacturer if there was a

1 restricted distribution plan developed with those other recom-  
2 mendations.

3 Now, for reasons that aren't clear to me, the FDA  
4 and Hoffman-LaRoche have decided not to implement a restricted  
5 distribution plan. My own feeling is that I hope the current  
6 changes will work, but I am quite skeptical that they will,  
7 and I would like the Committee to go back over the issues re-  
8 lated to a restricted distribution plan, because I think this  
9 has the greatest potential for bringing about a resolution to  
10 this problem, and I would be happy to answer any other ques-  
11 tions or if I can be of any help.

12 DR. PENNEYS: Are there any questions?

13 [No response.]

14 Thank you, Dr. Lammer.

15 Now we will go on to the last presentation by Dr.  
16 Jansen, from the American Academy of Dermatology.

17 DR. JANSEN: Chairman Penneys, Dr. Roubain, I appre-  
18 ciate the kind invitation for dermatology to again be repre-  
19 sented here. I am Tom Jansen, Immediate Past President of  
20 the American Academy of Dermatology, and I was at the hearing  
21 on October 26, last year.

22 As a physician practicing private dermatology in  
23 Little Rock, Arkansas, for the past 33 years, I have seen many  
24 patients with severe nodular cystic acne, and I trust that  
25 those patients that I have treated effectively with this

1 almost miraculous drug are also being represented by me at  
2 this time.

3 Severe cystic acne produces profound permanent scar-  
4 ring that you have seen, and I have deleted the slides from my  
5 presentation because I think you all appreciate that this is  
6 not a nonchalant incomplete disease, but really one that is  
7 quite destructive.

8 In spite of the fact that many treatments were used  
9 by me and others, either singly or in combination, none of  
10 these treatments proved to be completely effective. In fact,  
11 some of them produced very dramatic side effects and it was  
12 not uncommon for me to use very high doses of Vitamin A at  
13 the 100,000-200,000 unit level, and I would point out to you  
14 that such dosages can be taken at this time by patients  
15 through over-the-counter purchases if they wish to.

16 Dermatologists know that there are not alternate  
17 effective treatments for cystic acne at this time, except for  
18 isotretinoin, and I would also point out that this disease  
19 does not limit itself to the adolescent period. but really  
20 continues through adult life and that it is a significant  
21 disease for these people year after year after year, without  
22 the benefits of isotretinoin.

23 In spite of these concerns, the members of the  
24 American Academy of Dermatology, experts who knows this  
25 disease, its natural history and the ineffectiveness of these

1 alternate therapies conclude that the benefit-risk ratio, re-  
 2 ferred to at this meeting ealier, justifies its present and  
 3 continued use with appropriate warnings and protection against  
 4 pregnancy during therapy.

5 Those of us who are aware of the investigative work  
 6 in related compounds in the retinoids are fearful that the  
 7 research that is going on or the possible benefit in even much  
 8 more important diseases than cystic acne would be lost should  
 9 there be severe restrictions on this compound at this time.

10 In May of 1988, immediately after the hearing last  
 11 year, I as President of the Academy mailed a "dear colleague"  
 12 letter to the entire AAD membership, reporting on your deliber-  
 13 ations, discussing FDA concerns, and announcing the appoint-  
 14 ment of a committee to develop guidelines for the use of iso-  
 15 tretinoin. These guidelines were immediately developed and  
 16 approved by our board of the American Academy of Dermatology  
 17 in early June of last year, and some were available at the  
 18 registered counter.

19 Just prior to the approval, the FDA formally re-  
 20 quested the participation of the Academy in a broad education  
 21 program to inform physicians and patients as to the potential  
 22 hazards and benefits of Accutane, and I feel that our steward-  
 23 ship would indicate that we have carried this out. We will-  
 24 ingly undertook to inform our members and included in out  
 25 Journal in July 1988, the Journal of the American Academy of

1 Dermatology, much information concerning Accutane, its dangers  
2 and the guidelines that I have previously mentioned.

3           Withdrawal of isotretinoin from the market would  
4 force us to deal with young people who are physically and emo-  
5 tionally ravaged by this disease, as indicated by Dr. Hurwitz,  
6 and scarred for life. This would be unfair to all patients  
7 suffering from severe cystic acne, but particularly unfair to  
8 the 70 percent of those for whom the drug is prescribed, men  
9 and women who cannot bear children.

10           On the other hand, to have a completely restrictive  
11 system of use, as was proposed, including the type of distri-  
12 bution for Thalidomide, would be equally unfair restrictive  
13 for those same people who can now take the drug without fear  
14 of pregnancy.

15           So, in your deliberations on a restricted program,  
16 it would certainly be hopeful, from the standpoint of the  
17 American Academy of Dermatology, that those restrictions  
18 would not pertain to those people who do not have these fears.

19           Again, I as a representative of the American Academy  
20 of Dermatology, would like to thank the Committee for listen-  
21 ing to us. We are eager to join in any programs that you feel  
22 are appropriate, and I would stress that dermatologists are  
23 sensitive to the issues being raised at the present time as  
24 they relate to birth defects, and the Academy will continue to  
25 work closely with Hoffman-LaRoche, the FDA and this Committee.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

Thank you, Dr. Penneys.

DR. PENNEYS: Thank you, Dr. Jansen.

At this time, I would like to thank all of the presenters for their interesting information and declare the close of the open public hearing.

Thank you. The Committee will meet back here at 1:30.

[Whereupon, at 12:58 p.m., the Committee open session was concluded.]

- - -

Certificate of Reporter, Transcriber and Proofreader

DHHS/PHS/FDA-- Center For Drugs Evaluation &

Research Dermatologic Drugs Advisory Committee

OPEN SESSION

May 8, 1989

Rockville, Maryland

We, the undersigned, do hereby certify that the foregoing pages, numbers 1 through 158, inclusive, are the true, accurate and complete transcript prepared from the reporting by Pamela Briggle in attendance at the above identified hearings, in accordance with the applicable provisions of the current GSA professional verbatim reporting and transcription contract, and have verified the accuracy of the transcript by (1) comparing the typewritten transcript against the reporting or recording accomplished at the hearings and (2) comparing final proofed typewritten transcript against the reporting or recording accomplished at the hearings.

5/16/89

K. Sharon Miller (MC)  
Transcriber  
Miller Reporting Company

5/17/89

William D. M. Albright  
Proofreader  
Miller Reporting Company

5/8/89

Pamela Briggle  
Reporter  
Miller Reporting Company