

CENTER FOR DRUG EVALUATION AND RESEARCH
FOOD AND DRUG ADMINISTRATION

FORTY-SEVENTH MEETING
OF THE
DERMATOLOGIC AND OPHTHALMIC DRUGS ADVISORY COMMITTEE

This transcript has not been edited or corrected, except where relevant for the deletion of materials not releasable under the Freedom of Information Act. The Food and Drug Administration makes no representation as to its accuracy.

8:37 a.m.
Friday, September 5, 1997

209
SEP 23 11:00

Versailles I and II
Holiday Inn
8120 Wisconsin Avenue
Bethesda, Maryland

APPEARANCES

COMMITTEE MEMBERS:

JOSEPH MCGUIRE, JR., M.D., Chairman
Carol Herzog Professor of Dermatology
and Pediatrics
Stanford University School of Medicine
Department of Dermatology
MSLS Building, Room P-204
Stanford, California 94305

TRACY RILEY, Executive Secretary
Advisors and Consultants Staff
Center for Drug Evaluation and Research
Food and Drug Administration
Chapman Building, Room 200
5600 Fishers Lane
Rockville, Maryland 20857

SUSAN COHEN, B.S., Consumer Representative
3814 Inglemere Drive
Bethesda, Maryland 20817

S. JAMES KILPATRICK, PH.D.
Professor of Biostatistics
Medical College of Virginia
Virginia Commonwealth University
1101 East Marshall Street
Sanger Hall, Room B-1-039-A
Richmond, Virginia 23298-0032

JOEL MINDEL, M.D.
Director, Neuro-Ophthalmology
Mt. Sinai Medical Center
Annenburg Building 22-14, Box 1183
New York, New York 10029-6574

MILTON ORKIN, M.D.
Clinical Professor
Department of Dermatology
University of Minnesota
2733 Huntington Avenue South
Minneapolis, Minnesota 55416

APPEARANCES (Continued)

SPECIAL GOVERNMENT EMPLOYEES, CONSULTANTS
AND GUEST SPEAKERS:

WILMA BERGFELD, M.D.
Head, Clinical Research
Department of Dermatology
Cleveland Clinic Foundation
9500 Euclid Avenue
Cleveland, OH 44195-5032

COLIN CRAWFORD, M.B., CH.B., MRCP, DTM&H
Neuromuscular Diseases Department
Division of Neuroscience and
Psychological Medicine
Charing Cross Hospital
Fulham Palace Road
London, United Kingdom W6 8RF

KEN HASHIMOTO, M.D.
Professor and Chair
Departments of Dermatology and
Syphilology
Wayne State University School of Medicine
4201 St. Antoine
Detroit, Michigan 48201

W. CHRISTOPHER MATHEWS, M.D., M.S.P.H.
Antiviral Drugs Advisory Committee Member
Professor of Clinical Medicine
University of California at San Diego
Medical Center
Mail Code 8681
200 West Arbor Drive
San Diego, California 92103-8681

O. FRED MILLER, III, M.D.
Geisinger Medical Center
North Academy Avenue
Danville, Pennsylvania 17822-1406

APPEARANCES (Continued)

SPECIAL GOVERNMENT EMPLOYEES, CONSULTANTS
AND GUEST SPEAKERS: (Continued)

CYNTHIA MOORE, M.D., PH.D.
Birth Defects & Genetic Disease Branch
Centers for Disease Control and
Prevention, MS-F45
Atlanta, Georgia 30333

EDWARD J. SHANNON, PH.D.
G.W. Long Hansen's Disease Center
P.O. Box 20572
Baton Rouge, Louisiana 70894

EVA SIMMONS-O'BRIEN, M.D.
Drugs Assistant Professor of
Dermatology and Internal Medicine
Johns Hopkins University School of Medicine
550 North Broadway, Suite 1002
Baltimore, Maryland 21287-0900

RANDOLPH WARREN
CEO
Thalidomide Victims Association of Canada
P.O. Box 9061 sub 40
London, Ontario
Canada N6E 1V0

FOOD AND DRUG ADMINISTRATION STAFF:

DENNIS BASHAW, PHARM.D.
Biopharmacist, Division of Pharmaceutical Evaluation III

DEBRA BIRNKRANT, M.D.
Acting Director, Division of Antiviral Drug Products

MURRAY LUMPKIN, M.D.
Deputy Center Director for Review Management, CDER

KATHRYN O'CONNELL, M.D.
Medical Reviewer

APPEARANCES (Continued)

FOOD AND DRUG ADMINISTRATION STAFF: (Continued)

BRENDA VAUGHAN, M.D.
Medical Reviewer

MICHAEL WEINTRAUB, M.D.
Director, Office of Drug Evaluation V

JONATHAN WILKIN, M.D.
Director, Division of Dermatologic and Dental Drug Products

JANET WOODCOCK, M.D.
Director, Center for Drug Evaluation and Research

CELGENE REPRESENTATIVES:

ROBERT H. GELBER, M.D.
KAREN KOOK, PH.D.
THOMAS REA, M.D.
STEVE THOMAS, PH.D.
BRUCE WILLIAMS
LEO YODER, M.D.
JERRY ZELDIS, M.D., PH.D.

ALSO PRESENT:

ALLEN MITCHELL, M.D.

C O N T E N T S

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT by Tracy Riley	7
FDA PRESENTATIONS by Dr. Brenda Vaughan	10
by Dr. Kathryn O'Connell	26
by Dr. Jonathan Wilkin	45
THALIDOMIDE VICTIMS ASSOCIATION OF CANADA PRESENTATION - by Mr. Randolph Warren	72
COMMITTEE DISCUSSION OF FDA-PRESENTED QUESTIONS	81

P R O C E E D I N G S

(8:37 a.m.)

1
2
3 DR. MCGUIRE: Good morning. If the advisory
4 committee can be seated, we'll begin our work.

5 This is the second day of the 47th advisory
6 committee meeting of the Dermatologic and Ophthalmic Drugs
7 of the Food and Drug Administration.

8 This morning we will have an open session, and
9 rather than charge the committee, I will ask Tracy Riley,
10 the Executive Secretary for a conflict of interest
11 statement.

12 MS. RILEY: Good morning. The following
13 announcement addresses the issue of conflict of interest
14 with regard to this meeting and is made a part of the
15 record to preclude even the appearance of such at this
16 meeting.

17 Based on the submitted agenda and information
18 provided by the participants, the agency has determined
19 that all reported interests in firms regulated by the
20 Center for Drug Evaluation and Research present no
21 potential for a conflict of interest at this meeting.

22 With respect to FDA's invited guest speaker,
23 Mr. Randolph Warren, he has reported interests which we
24 believe should be made public to allow the participants to
25 objectively evaluate his comments. Mr. Warren would like

1 | to disclose that he has on two occasions discussed Synovir,
2 | thalidomide, with the Celgene Corporation.

3 | In the event that the discussions involve any
4 | other products or firms not already on the agenda for which
5 | an FDA participant has a financial interest, the
6 | participants are aware of the need to exclude themselves
7 | from such involvement and their exclusion will be noted for
8 | the record.

9 | With respect to all other participants, we ask
10 | in the interest of fairness that they address any current
11 | or previous financial involvement with any firm whose
12 | products they may wish to comment upon.

13 | We have on the committee four temporary voting
14 | members who are special government employees: Dr. Wilma
15 | Bergfeld, Dr. Ken Hashimoto, Dr. Fred Miller, and Dr. Eva
16 | Simmons-O'Brien.

17 | Thank you.

18 | DR. McGUIRE: Some of the faces around the
19 | table are different this morning, and I'd like to again
20 | have people introduce themselves, starting with Mr. Warren
21 | on the end.

22 | MR. WARREN: I'm Randy Warren of the
23 | Thalidomide Victims Association of Canada.

24 | DR. SHANNON: E.J. Shannon, the Gillis W. Long
25 | Hansen's Disease Center in Carville, Louisiana.

1 DR. CRAWFORD: I'm Colin Crawford, Imperial
2 College School of Medicine.

3 DR. MOORE: Cynthia Moore, Centers for Disease
4 Control and Prevention.

5 DR. MATHEWS: Chris Mathews, University of
6 California, San Diego.

7 DR. MINDEL: Joel Mindel, Mt. Sinai Medical
8 Center, New York.

9 DR. ORKIN: Milton Orkin, dermatology,
10 University of Minnesota.

11 DR. BERGFELD: Wilma Bergfeld, dermatologist,
12 the Cleveland Clinic.

13 DR. MCGUIRE: Joe McGuire, dermatology,
14 pediatrics, Stanford.

15 MS. RILEY: Tracy Riley, Executive Secretary to
16 the committee.

17 DR. SIMMONS-O'BRIEN: Eva Simmons-O'Brien,
18 dermatology and internal medicine, Johns Hopkins.

19 DR. KILPATRICK: I'm Jim Kilpatrick, School of
20 Medicine, Medical College of Virginia, Richmond, Virginia.

21 MS. COHEN: Susan Cohen, consumer member.

22 DR. HASHIMOTO: Ken Hashimoto, dermatologist,
23 Wayne State University in Detroit.

24 DR. MILLER: Fred Miller, dermatologist,
25 Geisinger Medical Center, Danville, Pennsylvania.

1 DR. BIRNKRANT: Debra Birnkrant, Division of
2 Antiviral Drug Products, FDA.

3 DR. WILKIN: Jonathan Wilkin, Division of
4 Dermatological and Dental Drug Products.

5 DR. WEINTRAUB: Mike Weintraub, Office of Drug
6 Evaluation V.

7 DR. WOODCOCK: Janet Woodcock. I'm head of the
8 Center for Drug Evaluation and Research at FDA.

9 DR. LUMPKIN: And I'm Murray Lumpkin, the
10 Deputy Center Director at the Center for Drug Evaluation
11 and Research, FDA.

12 DR. MCGUIRE: Welcome to all of you.

13 The major work of the day is to answer
14 questions that were generated by the agency, and before I
15 do that, because of mailing issues and various problems,
16 the briefing books were not received by all the members of
17 the advisory committee in a timely way. So, I'm afraid
18 that the reviews of the primary and secondary medical
19 officers may have been overlooked, and I would like to ask
20 either one of them, Dr. Vaughan or Dr. O'Connell, to go
21 over their conclusions and a little bit of the background
22 material, if they would, and then we could have some
23 discussion of that.

24 DR. VAUGHAN: Good morning. Just bear with me.
25 I'm a little nervous. I wasn't quite prepared to give a

1 presentation this morning. I was prepared to possibly
2 answer questions.

3 The application was unique in that, as
4 presented by the sponsor, Celgene, there was a
5 retrospective review of a published controlled clinical
6 trial conducted by Hastings, et al. at the Carville U.S.
7 Public Health Service site in Louisiana. The study is
8 called L-001.

9 In my review, I approached it -- I looked at
10 the published report. I looked at the results that were
11 given, the data -- well, not at the data. I looked at the
12 results of the published report, and then I looked at the
13 data as extracted and presented by the company.

14 There were several problems with the study as
15 presented. There was no protocol provided and the
16 randomization code was lost, but we were informed that
17 Hastings had provided Celgene with information for patients
18 that were identified between 1967 through 1969, were
19 identified as the original study group.

20 However, there were difficulties with the
21 information as presented, and some of the results had to be
22 inferred and some of the randomizations had to be inferred.

23 There were problems with the verbatim
24 transcriptions that were provided in assessing success or
25 failure.

1 There were problems with the results as
2 reported in the published paper and those that were
3 presented by the sponsor.

4 There were incomplete data sets and there was
5 difficulty with the data validation.

6 I don't know how many of you were able to read
7 the review.

8 However, I have as one example some of the
9 problems that I did have with the review and why I
10 reassigned some of the patients.

11 This patient had been deemed a success in the
12 review. However, this illustrates the difficulties and why
13 I excluded this patient. This patient did not have on-
14 study evaluation. I did not know if the patient had
15 actually qualified for study entry as written. The
16 temperature chart was provided, but there were not the
17 required temperature elevations above 99.6 at entry.
18 However, the patient did spike temperatures on day 1.

19 This patient also presents a problem with the
20 assignment of which group, whether the patient was in the
21 active or the placebo group. One of the main difficulties
22 is that assignment was made from bottle A, and bottle A
23 could contain either the active drug thalidomide or
24 placebo. So, therefore, without the code, it would have to
25 be inferred.

1 Now, some of the trials did show evidence of --
2 well, you could glean evidence of blinding. Some did not.
3 So, this patient was excluded from my reassessment of the
4 -- was not included in the efficacy analysis.

5 Additionally, there were problems with data
6 validation. This patient 1707 was assumed to have been on
7 thalidomide and successfully completed because the progress
8 notes said two courses A. However, when the actual record
9 was provided by the company, this assignment could not be
10 gathered. It's very difficult to read but the assignment
11 was from the 6/15/68, and the note continued to the second
12 page, could not say that this patient had received two
13 courses A. It was not there.

14 For some of those patients that I could see
15 that blinding was evident was patient 2643, and this
16 patient illustrates some of the difficulties I had with
17 validation of the published results.

18 The published studies gave the results of
19 single and double-blinded studies. We were to look at the
20 double-blind studies only. The results of the published
21 study, although this is not a critique of the study -- I'm
22 just giving what was presented. The published study stated
23 that there were no placebo successes. However, this
24 demonstrates that under the date of 1/22/68, it did give
25 the impression that this had been a double-blinded study.

1 Just found out patient was not receiving thalidomide, but
2 rather placebo. His improvement is doubly astounding.

3 The published report gave 0 successes and
4 clearly there were 3 successes as provided by Celgene.

5 There was a problem with the verbatim
6 transcriptions since we have to infer which group that the
7 patients belonged to. Patient on thalidomide once more.
8 Has been afebrile times 2 days. This made a difference in
9 the patient assignment. That was from the actual record.
10 The date was 3/18 -- 3/15 -- 3/18.

11 Patient on thalidomide once more. Has been
12 afebrile plus 2 days. No more ENL. This is different from
13 the transcription, although the words are the same. The
14 reason I reassigned this patient to the thalidomide group
15 was because of the patient on thalidomide once more, which
16 then with the period here, it refers to the thalidomide and
17 not to the febrile episode. I was able to count back and
18 reassign the patient.

19 The other problem with the verbatim
20 transcription was what was provided. One instance that I
21 noted was that the nurse's note was provided in the safety
22 assessment as opposed to the doctor's note which provided
23 vital signs, and this particularly would have been useful
24 information given the systemic nature of the ENL.

25 Additional problems that I had with this

1 submission was the validation of the data. There was a
2 patient that was listed as having expired prior to entry
3 until the double-blind study. It's patient 2253. However,
4 when a request for the actual records, the patient did
5 receive thalidomide, four doses, -- the dates are up here
6 -- on January 29th.

7 The listing that was used to identify the
8 patients was later identified -- Dr. Yoder informed us that
9 this listing could not be used as an official listing
10 because it was kept by a non-medical person.

11 With the reassessment of the patient outcomes
12 of the patients that I did include in the review, I could
13 not find that the evidence as presented demonstrated
14 efficacy.

15 Safety assessments. I was not able to glean a
16 successful -- an adequate safety profile because I was not
17 sure of what information had been transcribed and which had
18 not. I did not have time to review the primary patient
19 records.

20 DR. WOODCOCK: Could I clarify something about
21 this?

22 DR. VAUGHAN: Yes.

23 DR. WOODCOCK: Just to make sure that everyone
24 is clarified. My understanding of what happened here is
25 there was a published report of the experience. The

1 | clinician had used courses of either placebo or treatment,
2 | and that was historically collected over quite a while. Is
3 | that correct? And that the primary record keeping that was
4 | done for this study was not obtainable at the time that the
5 | firm went back to obtain the records. So, what you had to
6 | do and the firm had to do was try and reconstruct the
7 | course of the study from the primary patient records. Is
8 | that an accurate description?

9 | DR. VAUGHAN: I'm not understanding.

10 | DR. WOODCOCK: Were the actual case report
11 | forms of the study obtainable for you?

12 | DR. VAUGHAN: The actual case report forms?

13 | DR. WOODCOCK: Yes.

14 | DR. VAUGHAN: From the study.

15 | DR. WOODCOCK: Yes, from the study itself.

16 | DR. VAUGHAN: I'm not sure what Celgene had
17 | access to. I was not presented with that.

18 | DR. WOODCOCK: My understanding from the review
19 | is that those records were -- yes, maybe the firm could --
20 | I think we need to clarify what was done here. There was a
21 | published report and there was an attempt to verify the
22 | data in the published report.

23 | DR. KOOK: I'll just give a few words on
24 | basically how the data collection went. I'm Karen Kook.

25 | DR. McGUIRE: Could you identify yourself for

1 | the transcriptionist?

2 | DR. KOOK: Yes. Karen Kook, regulatory advisor
3 | to Celgene.

4 | When we became involved with Dr. Hastings, we
5 | were interested in collecting supportive documentation to
6 | support this particular published clinical trial. It was a
7 | placebo-controlled trial that was conducted in 1968 and
8 | 1969, published in the beginning of 1970.

9 | Dr. Hastings, who was involved in the initial
10 | setup of the data collection, indicated that all of his
11 | records pertaining to this trial, such as his original case
12 | report forms, his original protocol, his original
13 | randomization, were lost.

14 | In assisting us to identify the patients
15 | involved from the Carville medical records -- these are
16 | medical records for patients who were hospitalized or who
17 | resided at Carville at that time. I believe there are
18 | probably 3,000 medical charts there. I'm not quite sure.
19 | But to assist us in identifying those patients who may have
20 | participated in that trial, what we were given was a multi-
21 | page typed listing that had patient numbers, that also had
22 | patient dates when they received thalidomide. There were
23 | certainly discrepancies between those dates and the dates,
24 | once you looked in the medical records, whether it was
25 | nursing notes or doctor's orders, from when they received

1 thalidomide.

2 But nonetheless, we went through all of those
3 charts to attempt to identify patients that participated in
4 this double-blind trial.

5 Dr. Hastings initially conducted a single-blind
6 trial using thalidomide with a product that was provided by
7 Merrell Dow. There were probably a half a dozen patients
8 whose orders for thalidomide referred to MRD730, or
9 whatever the code name of the drug was. We kept a listing
10 of those numbers of patients but did not attempt to collect
11 any information from them.

12 It is clear also that he did in a single-blind
13 fashion treat some patients with what he identified as
14 thalidomide. But there were 27 patients who had in their
15 medical charts in the doctor's orders section a one-page,
16 typed-up study sheet that contained all of the instructions
17 for how the patients were to be treated in this particular
18 trial, and that included withdrawing whatever medications
19 they were on to control their ENL, whether it included
20 prednisone in some patients, analgesics, antipyretics, what
21 have you. Patients were observed for a 4-day period of
22 time off of anti-ENL treatment.

23 He had his criteria for initiating double-blind
24 treatment and patients did begin on bottle A.
25 Unfortunately, bottle A either contained, as Dr. Vaughan

1 indicated, thalidomide or placebo capsules. So, it was not
2 very easy to decide what these patients were receiving.
3 They received double-blind medication for 4 days and then
4 were either crossed over to bottle B, which again contained
5 the alternate treatment, but it was not that -- one had to
6 extrapolate, and then continued some on single-blind
7 treatment. And there were periods when they ran out of
8 medication, so they were on single-blind placebo, what have
9 you.

10 What we did was transcribed progress notes, and
11 what Dr. Vaughan was the electronic version of our
12 transcription of those records beginning on day minus 4,
13 which was when their treatment was discontinued, on through
14 the double-blind period and for varying lengths of time,
15 either until open-label thalidomide was discontinued or up
16 until about the time of publication.

17 A lot of these patients actually continued to
18 receive thalidomide for many years and we did not attempt
19 to collect that entire experience. What we really were
20 trying to focus on was the double-blind phase.

21 We created a series of listings. Dr. Vaughan
22 showed the listing for patient 1707, and it illustrates how
23 we approached doing this. There are many ways that you can
24 do it. The intent was not to extrapolate based on looking
25 at the data and coming to our own decision. What we were

1 | looking for were words of Dr. Hastings that indicated what
2 | his judgment at that time had been.

3 | So, patient 1707, you could see that there were
4 | not progress notes for every day, but on day 6, or whatever
5 | day that was, he had in his note written, success on
6 | thalidomide, or whatever it was. When we saw that, we
7 | would have categorized that as a success. We did not sit
8 | down and make our own independent judgment, and created
9 | listings that gave what the basis for that judgment was.
10 | One can certainly debate the treatment attribution for
11 | every patient.

12 | We created similar listings -- and Dr. Vaughan
13 | showed an example of that -- where because we were
14 | extrapolating from records to attempt to decide what
15 | patients were receiving, again we created listings so that
16 | one can, to the extent possible, independently decide
17 | whether one disagrees or not.

18 | And then there was a third listing for text
19 | taken from the notes that could have represented adverse
20 | events.

21 | I have looked at Dr. Vaughan's review quickly,
22 | and while I haven't had a chance to go through all of the
23 | cases, but there are 18 patients for whom her assessment of
24 | treatment response and our assessment does overlap.
25 | Basically this is what it looks like, that roughly two-

1 thirds of the patients on thalidomide by this approach
2 would have been considered responders as compared to one-
3 third of the placebo patients.

4 Dr. Vaughan did show an example of one of the
5 patients that was a placebo responder. That is in the
6 medical record. That is how we reported that patient. Why
7 Dr. Hastings did not include that patient in his
8 publication I have no idea. It's not surprising to me that
9 the numbers do not match up.

10 Our intent was to validate that these patients
11 existed, that yes, this was a double-blind trial. This is
12 fairly representative of what we believe the outcome of
13 this particular study was.

14 DR. MCGUIRE: Thank you.

15 Yes, Dr. Miller.

16 DR. MILLER: Could I ask one question? When
17 you said that 18 were responders, what do you think the
18 criteria were for response for a positive response. Did
19 you look at Dr. Hastings' note which said patient improved?
20 But what were the criteria that you could glean from there
21 that would indicate a response?

22 DR. KOOK: The publication stated that patients
23 had to be afebrile after 4 days of treatment and to have no
24 acutely inflamed lesions. He did not address the other
25 systemic manifestations of ENL.

1 And I don't have an overhead of this. If you
2 look at the mean temperatures during the 4 days prior to
3 initiation of double-blind treatment, you can see that the
4 temperature is steadily increasing, and following the
5 implementation of double-blind treatment, it basically is
6 sort of an inverted U-plot.

7 Again, because we were focusing on his
8 publication, we did not address any of the other symptoms
9 that the patients may have had, but you can see from the
10 notes that they were relatively brief.

11 DR. MCGUIRE: Dr. Wilkin?

12 DR. WILKIN: Well, I think the sponsor is
13 correct in that you can go back through and look at where
14 you can assign individual patients.

15 But the continual reference to this being a
16 double-blind study I think the committee needs to
17 interpret. The placebo was not a sedative and the amount
18 of thalidomide being given likely would break the blind in
19 this particular study.

20 Then Dr. Vaughan also was the reviewer for
21 E-002.

22 DR. VAUGHAN: This was my reassessment of the
23 patients from the data that I was presented and my findings
24 were different from the sponsor's findings with my
25 reanalysis of the patients.

1 DR. McGUIRE: Dr. Kilpatrick?

2 DR. KILPATRICK: I have to say that I find this
3 discussion moot, and I'd like to say why and ask the FDA
4 whether I'm wrong on this.

5 I'm coming to the Philippine study where we
6 were told by the sponsor that FDA had agreed that this
7 should not be a placebo-controlled trial which implies to
8 me that the FDA had accepted that thalidomide was
9 effective, perhaps not Synovir, but thalidomide in other
10 formulations.

11 DR. WILKIN: Yes. I can say that from the
12 discussions with the group from Celgene over the last two
13 years, that we were given the strong impression from them
14 and from their consultants. I believe that the
15 leprologists who are their consultants sincerely believe
16 that thalidomide does indeed work, and I believe that
17 Celgene believed that when they looked into the database,
18 looked into the Hastings study, looked into the vast amount
19 of data that was at Carville, that they would indeed find
20 that this was the case.

21 On that basis, yes, we did request that they do
22 placebo, but they could not find leprologists who were
23 comfortable with placebo. I think you heard their argument
24 for no placebo yesterday. It was on an ethical basis.
25 They believed they had convincing information that they

1 | were not prepared at that time to actually convey to us.
2 | It wasn't in that particular form. Frankly, we didn't see
3 | data on this until the NDA submission.

4 | DR. MCGUIRE: I'd like to hold other questions
5 | for just a moment.

6 | Dr. O'Connell, did you want to respond to any
7 | of this or did you want to give your conclusion of your
8 | review of the submission?

9 | DR. WILKIN: Could she speak to E-002 just
10 | briefly?

11 | DR. MCGUIRE: I can't hear you, John.

12 | DR. WILKIN: Dr. Vaughan reviewed E-002 and she
13 | has spoken currently about E-001, and maybe if she just
14 | said a couple of words about E-002. That was that really
15 | vast database that the sponsor was referring to.

16 | DR. VAUGHAN: Study L-002 was a retrospective
17 | look at a 16-year experience under IND 11,359 sponsored by
18 | the U.S. Public Health Service. The sponsor collected
19 | data, as I understand it, as entered into a database at
20 | Carville. My understanding is that the company did not
21 | have access to the case report forms in this instance.

22 | The problems that I found with the review of
23 | L-002 was that there is not a known current protocol that
24 | is being followed, and maybe one of the major problems was
25 | the way in which the data were collected and entered into

1 the electronic database.

2 This is a sample of the annual case report form
3 that was revised in 1978, and the difficulty with the
4 reporting is that the dose of thalidomide is the mean dose
5 of thalidomide taken during the year. It was difficult for
6 us to make an assessment using mean dose on an annual
7 basis.

8 Additionally, the safety profile of thalidomide
9 was collected in a way that we usually don't collect for
10 clinical trials. However, this was not intended initially,
11 I imagine, to be reviewed as a clinical trial. But the
12 side effects due to thalidomide only were reported and the
13 laboratory abnormalities due to thalidomide only were
14 reported.

15 The response to thalidomide was collected, but
16 without a protocol, it was unknown exactly what criteria
17 were being used.

18 Again, it was difficult to determine whether
19 there was adjunctive therapy or monotherapy from the
20 database because previous therapy for ENL was listed, but
21 not necessarily adjunctive. Even if adjunctive therapy had
22 been listed, as I understand it, if the drug were not
23 considered experimental, they were not necessarily entered
24 into the database.

25 During the 16-year period, the source of

1 | thalidomide also varied, and this presented a problem with
2 | then the selected adverse events recorded. There was one
3 | death recorded during the 16-year experience, and this may
4 | have been due to the selective nature of the reporting. I
5 | don't understand why there were no other deaths reported.

6 | As far as the safety data is concerned, we
7 | looked at the reports of neuropathy as reported.

8 | DR. MCGUIRE: This is Dr. O'Connell who was the
9 | secondary medical reviewer.

10 | DR. O'CONNELL: As Dr. Vaughan pointed out, it
11 | wasn't totally clear that we were not just going to be
12 | answering questions, to give a presentation. Actually I'd
13 | like to ask Dr. McGuire. I have two ways I can do this. I
14 | could sort of give an overall picture of how we approached
15 | this through each study and then people could specifically
16 | ask questions about the specific study they're interested
17 | in. Or I can just pick here and sort of go through. I
18 | think it will take longer that way. Which do you think
19 | would be most helpful?

20 | DR. MCGUIRE: You're offering me the short way
21 | instead of the long way.

22 | [Laughter.]

23 | DR. O'CONNELL: You'll take the short way.

24 | DR. MCGUIRE: That will get me every time.

25 | No. I think we've heard some of the

1 reservations that Dr. Vaughan had about the review. I
2 think you should just pick up a few points and then we
3 could question. There will be questions from the advisory
4 committee.

5 I think the important thing to the advisory
6 committee -- well, there are a lot of important things, but
7 several people on the committee did not have this
8 information to review before we came here. After you've
9 made a few comments, I'd like for Dr. Wilkin to comment,
10 and then there can be general questioning before we go into
11 the questions.

12 DR. O'CONNELL: Okay. Then why don't I try the
13 approach. It's essentially the approach that I took. I
14 think in most cases I'm speaking for both of us, but I'm
15 speaking for myself. She'll let me know if I'm not
16 speaking for her. I'll give you the overview and then if
17 you want details, I've got some overheads I can pull out.

18 The way I approach an NDA submission is I look
19 at the proposed indication, and then I go through the data
20 sets in the application and I ask myself does the
21 information in the data set support the proposed
22 indication.

23 Now, the proposed indication that was submitted
24 for our review stated was that thalidomide is indicated for
25 the acute treatment of moderate to severe ENL, erythema

1 | nodosum leprosum, that is characterized by signs and
2 | symptoms such as neuritis, orchitis, uveitis, nephritis,
3 | extensive cutaneous lesions that may be ulcerating, and
4 | high fever. Thalidomide is also indicated as maintenance
5 | therapy for prevention and suppression of ENL recurrence.

6 | So, I'm just going to briefly go through the
7 | four or five data sets that we had and just say why I
8 | didn't feel that the information was sufficient to allow me
9 | to make a conclusion one way or the other about this
10 | proposed indication, including orchitis, uveitis,
11 | nephritis.

12 | Dr. Hastings' study, L-001, as Dr. Vaughan and
13 | Dr. Kook just described, addressed skin and fever,
14 | cutaneous lesions and fever, and then L-002, the second
15 | study, which is the extensive IND experience.

16 | As far as we could determine those responses
17 | that Dr. Vaughan just showed -- good, fair, poor, whatever
18 | -- pertain to skin. Please, I invite the sponsor. If we
19 | didn't understand it correctly, please let us know.

20 | DR. GELBER: It was not entirely clear what
21 | everyone did, but I can only say what I know that I and Tom
22 | Rea did. That is, those that were judged good did not have
23 | anything other than an occasional skin lesion or rare skin
24 | lesion. So, those that had more than that, either many
25 | skin lesions or fever or other manifestations -- so, I

1 think that we were looking at other more significant
2 aspects of the ENL syndrome.

3 DR. O'CONNELL: Well, let me restate that then.
4 Again, if there's more information, we would like to hear
5 it.

6 We were not able to really find, though, any
7 actual data about the other manifestations. Did we miss
8 it? In the IND database.

9 DR. KOOK: If you're referring this to the
10 Carville IND experience specifically, if Dr. Vaughan had
11 showed the one-page case report form where it stated the
12 categorizations of response -- it was stated as good, fair,
13 poor I believe, no response, and what have you -- that was
14 the extent of what has been collected on an annual basis
15 under this IND. What Dr. Gelber described for you is how
16 he as a clinician who probably treated -- I don't know -- a
17 quarter of these patients applied this type of a global
18 rating to his judgment of the response of the patients.

19 My own interpretation of it is that it was not
20 intended to apply only to skin lesions. It is a global
21 clinical assessment of how these patients were.

22 DR. O'CONNELL: Okay, thank you.

23 Then the L.A. data which was collected from one
24 site under that Public Health Service IND, in order to try
25 to get us more information, because of the issues that Dr.

1 | Vaughan has already discussed and the sponsor discussed
2 | about the dosing being given as means and other issues.
3 | So, the L.A. data was collected. As Dr. Weintraub said
4 | yesterday, basically the database that the agency generated
5 | from those patient charts captured parameters relating to
6 | the cutaneous manifestations of ENL.

7 | Then the other data set that we had available
8 | to us was the published studies. There were five
9 | controlled published studies in addition to Dr. Hastings'
10 | study, and some of them did address systemic manifestations
11 | of ENL.

12 | In particular one study, Dr. Iyer's study,
13 | which was sponsored by the World Health Organization -- I
14 | think it was published in 1971 -- contained a lot of very
15 | good data. I think it was in your packet. We specifically
16 | included that paper.

17 | The problem is, I think as several people have
18 | pointed out yesterday, the people who wrote those very nice
19 | papers never looked forward to 1997 and thought that we'd
20 | be sitting in this room trying to reconstruct the sources,
21 | the information that we generally need to make a regulatory
22 | decision. We didn't have source documents for any of the
23 | published studies except for Dr. Hastings' study.

24 | I'll just use Dr. Iyer's study as an example.
25 | Even though it contained a vast amount of very useful

1 information and actually some very maybe interesting clues
2 about what kind of trials could be done, the inclusion
3 criteria for the systemic manifestations, as well as the
4 skin manifestations, were not defined in detail. In other
5 words, he would list, if you've got the paper there, in a
6 table nerves or lymph nodes. So, it wasn't really clear to
7 us what level of severity or how those things were assessed
8 or how they were assessed in the course of the study.

9 So, while the information is helpful, at least
10 I didn't really feel that I could make a regulatory
11 decision about that indication which included those serious
12 systemic manifestations of ENL based on those studies. I
13 think it's a very important point because, as we've heard
14 from the experts on leprosy, uveitis, orchitis, nephritis,
15 neuritis, if those conditions aren't adequately treated and
16 in a very timely manner, the patient suffers very serious
17 harm. So, I was very rigorous in my thinking about the
18 data.

19 Then I would come to the sponsor's ongoing dose
20 comparison trial which, as everyone has pointed out, is
21 still blinded, so we don't know how results will stratify
22 by dose. There are two doses, 100 milligrams and 300
23 milligrams.

24 Again, the initial NDA submission contained
25 data for 9 patients, and that's what's in my review that

1 | you have that says Secondary Medical Officer's Review, and
2 | it's dated I guess August.

3 | Then last Thursday we received data for 7 more
4 | patients and 1 additional patient who, as the sponsor
5 | pointed out yesterday, did not have any skin lesions. The
6 | patient was apparently enrolled with severe neuritis, but
7 | no skin lesions.

8 | Again, please correct me if I'm wrong because
9 | I'm sort of merging those two reviews and pieces of data in
10 | my head right now.

11 | But by my count, and like I said in my review
12 | of the draft information on the additional patients that we
13 | got Thursday -- it was in draft form. I did not have time
14 | to really go through the systemic manifestations of ENL
15 | because the draft material that we received was line
16 | listings essentially and tabulations, no formal analysis,
17 | no narrative type information. We appreciated the
18 | information very, very much. It's just that I didn't have
19 | time to go through the systemic manifestations yet, but
20 | I'll get to the cutaneous in a minute.

21 | But anyway, by my count, it appears that in
22 | this E-003 database to date -- and like I said, the study
23 | is ongoing, so there will be more information later -- I
24 | didn't find any cases of uveitis or nephritis. Is that
25 | right? Okay.

1 In the draft database, there was one case with
2 mild orchitis, and I think there are four cases with
3 neuritis at baseline. I think that's right. The one was
4 severe neuritis in the draft and then there was three in
5 the first group with mild to moderate neuritis at baseline.

6 There's also I think 2 patients -- and correct
7 me again if I'm not remembering this right -- in the first
8 set of patients who had neuritis listed, but it wasn't
9 listed at baseline. It was listed like on the first or
10 second day on drug.

11 DR. KOOK: In fact, you got the listings before
12 I did, so I've had less time to look at it.

13 There were 5 patients who had neuritis at
14 baseline.

15 DR. O'CONNELL: Five?

16 DR. KOOK: Yes, in the 17-patient updated data
17 set.

18 Dr. Cornblath yesterday put up a slide -- and
19 unfortunately he's not here today, nor do I have a copy of
20 it -- where all of the case report forms that pertain to
21 the neurological evaluation of these patients were provided
22 to him for his review. In essence, patients had a
23 neurologic exam at baseline that included sensory and
24 voluntary motor testing. They were questioned daily for
25 paresthesia and numbness. These patients were seen daily

1 | in the clinic, and they continued to be seen daily
2 | throughout the tapering period, but with formal case report
3 | form assessments weekly during the 6-week taper.

4 | If I remember his presentation yesterday, his
5 | overall conclusion was that roughly half of the patients
6 | had improvement in their neurologic symptoms during the
7 | 7-week period of time.

8 | There was 1 patient who comes to mind who on
9 | day 4 or 5 did answer yes to the questions whether or not
10 | he had paresthesia and numbness during the trial, but other
11 | than that, there was no persistent symptoms in the
12 | remaining 5 patients. So, there was that one transient
13 | observation.

14 | DR. O'CONNELL: Like I said, please step in if
15 | I misinterpreted this. There was actually 1 patient -- I
16 | don't want to get into minute details here, but there was 1
17 | patient -- I have here patient 5 -- who had mild neuritis
18 | present at baseline. Patient 5, by the way, was coded by
19 | the sponsor as a treatment failure. Then it was coded as
20 | moderate on drug at the end. So, the neuritis got worse.

21 | I was also wondering, since it was a draft and
22 | we haven't had time to really get together about it yet,
23 | about the patient that was enrolled in the new group with
24 | the severe neuritis but no ENL lesions. Do you have any
25 | more information? It said in the submission I think that

1 at the end of the 7-week tapering period it had resolved,
2 but I was wondering how long. Do you know how long it
3 persisted?

4 DR. ZELDIS: She actually saw the patient.

5 DR. KOOK: We have a case summary written by
6 the investigators of the patient. I don't have it with me
7 unfortunately.

8 This patient had in the past had episodes of
9 ENL that included skin lesions, fever, what have you, and
10 at this particular time was not being treated for ENL, had
11 had a fairly persistent nerve enlargement that was tender,
12 that was warm. It was literally the size of a pencil.

13 The investigators decided that they would treat
14 him with thalidomide even though he did not have lesions
15 and fever. Within a couple of days, the size of the nerve
16 was reduced. It was still enlarged at the end of the
17 trial, but the tenderness was gone and he had no other
18 fever. He had no other signs of ENL during that period of
19 time.

20 DR. O'CONNELL: Was he treated with prednisone
21 for the neuritis?

22 DR. KOOK: No, no.

23 DR. ZELDIS: The patient who had orchitis, if
24 you look at the 7-day shift table and the comparison,
25 you'll see that he was absent on day 7 as well. So, that's

1 | why yesterday, when I presented the data to the study, I
2 | did say that some people were worse. These were the
3 | treatment failures. But all those symptoms, if you go to
4 | what happened at 7 days, they all were absent. That's why
5 | I can make that statement. And you have the data.

6 | DR. O'CONNELL: Can I ask you just one other
7 | question? If you want me to move on --

8 | DR. KOOK: I'll make one other comment about
9 | the prednisone because the --

10 | DR. MCGUIRE: Let me get things a little bit
11 | under control here. A little chaos is good. A lot of
12 | interesting things come out, but where are we going?

13 | DR. O'CONNELL: Okay.

14 | DR. MCGUIRE: Do you have more in your
15 | presentation?

16 | DR. O'CONNELL: That's basically 003. That's
17 | the information for the systemic manifestations.

18 | Now, in the sponsor's presentation yesterday, I
19 | noticed that the proposed indication has changed. I
20 | couldn't really get it down in the exact words, but I seem
21 | to recall that the systemic manifestations, the signs and
22 | symptoms, were not in there anymore. As Dr. Wilkin pointed
23 | out yesterday, the term "ENL" can refer to ENL skin lesions
24 | or it can refer to the syndrome of ENL. So, I think that a
25 | clear understanding of the indication -- oh, thank you.

1 The new indication is thalidomide is indicated
2 for the acute treatment of erythema nodosum leprosum as
3 well as for maintenance therapy for prevention and
4 suppression of ENL recurrence. Then it says, dose: acute,
5 100 to 200 milligrams per day at bedtime; severe ENL, 300
6 to 400 milligrams per day at bedtime.

7 So, I think in my own mind that a clear
8 understanding of the indication proposed is critical to a
9 regulatory decision for the reasons that I stated earlier
10 regarding the systemic manifestations.

11 So, to move on here, I'll just do the same
12 thing I just did but in terms of the cutaneous
13 manifestations of ENL. So, the question is, does the
14 evidence contained in the application -- did it allow me to
15 make a decision either way about the clinical benefit for
16 cutaneous ENL? And if so, for what level of severity of
17 cutaneous ENL?

18 As I said in my review, I think that the issue
19 of disease severity is important. It's important to my
20 thinking about this drug because the drug can cause serious
21 birth defects.

22 Now, I'll now go over the database again, just
23 quickly, and ask whether in my mind they contained adequate
24 information to address the severity of cutaneous ENL.

25 Dr. Hastings' study, study L-001. As Dr.

1 | Vaughan pointed out, the entry criteria was freshly
2 | appearing lesions and fever, and that's basically it.

3 | In study L-002, which is the IND database, as
4 | we saw earlier, the coding is good, very good, excellent,
5 | whatever. The definition that we found in the application
6 | -- and again, if we missed something, please speak up. The
7 | definition that we could find for "good" was as follows:
8 | "A patient in whom there was a very clear response to
9 | thalidomide and the patient was not sick, had no fever, but
10 | may have had a few bumps." So, again, I don't think that
11 | that information from the IND database gives me much
12 | information about the severity of the cutaneous disease
13 | that we're treating.

14 | So, then again we went to the L.A. data set
15 | that the agency collected to try to get more information.
16 | As you saw yesterday, when Dr. Weintraub gave his
17 | presentation, the database generated captured three
18 | parameters relating to the cutaneous manifestations of ENL.
19 | One was ENL activity. One was ENL, present, absent, and
20 | one was ENL new lesions, yes or no. But it did not capture
21 | any quantitative or qualitative information about the
22 | lesions.

23 | So, when you see a dot and it was new lesions
24 | and it goes from yes to no, when I reviewed that database,
25 | which is what I was given to review, the statistical

1 | report, the scatter plots, I had no way to know whether
2 | that meant the patient had 2 nodules on their right arm or
3 | left arm that resolved or whether the patient had 200
4 | ulcerating nodules that resolved.

5 | Also, there's a list of assumptions that I made
6 | to review that in the review, and I won't go through that.

7 | As Dr. Weintraub pointed out yesterday, it did
8 | not address concomitant aspirin or nonsteroidal anti-
9 | inflammatory drugs.

10 | Then the published studies again, other than
11 | Dr. Hastings' study. One of the papers actually -- I think
12 | it was Dr. Waters' paper actually did have a six-point
13 | scale that was informative about the skin lesions, but
14 | there was sufficient detail as far as the results about the
15 | severity of the skin lesions that responded.

16 | But in general, the published studies, because
17 | they're published studies and they weren't meant for the
18 | purpose that I tried to use them for, just in my mind
19 | didn't have sufficient detail regarding the number and the
20 | severity of the cutaneous lesions for me to make a judgment
21 | about what exactly it was that was resolving.

22 | Then study E-003/P, which is the sponsor's
23 | ongoing trial, the dose comparison trial. As has already
24 | been pointed out this morning, doesn't have a placebo
25 | group, so it can't address the contribution of a placebo

1 effect or observer bias in assessing the lesions.

2 However, the protocol defines the primary
3 endpoints as fever and the number and the quality -- the
4 number and the quality -- of cutaneous lesions -- acutely
5 inflamed nodules, pustules, and ulcers -- which is very
6 useful information.

7 As I said before, there were results for 9
8 patients submitted originally and then the 7 additional
9 patients with cutaneous lesions.

10 Now, again, I can answer questions about this
11 later with some overheads, but for now, I'll just give you
12 a brief overview.

13 The draft submission, as the sponsor pointed
14 out yesterday, also contained updated data for concomitant
15 medications. In reviewing the information, it's also
16 important to note that the temperatures that were actually
17 measured at the site were axillary temperatures. They
18 weren't oral temperatures. The protocol, of course, calls
19 for oral temperatures.

20 So, when I reviewed the material, I shifted the
21 efficacy endpoint for the fever down by 1 degree. So, in
22 other words, if the listing said that it was 98.7, then I
23 took that to mean that the temperature was somewhere around
24 99.7 because it's an axillary temperature.

25 Also, the lesion counts were often approximated

1 at the site as like greater than 10, less than 10.
2 Sometimes the entry was few, more, some. There was an
3 algorithm. The way I understood it, the algorithm was
4 created retrospectively and used to convert?

5 DR. KOOK: Yes. The lesions were counted by
6 body region and they were counted as lesions that were
7 acutely inflamed or lesions that were resolving. Face,
8 head and neck I guess, trunk, right and left upper and
9 lower extremities. When there were greater than 10
10 lesions, that's typically when they would put down greater
11 than 10 lesions. If you tallied up the number of lesions,
12 they all had more than 40 acutely inflamed lesions at
13 baseline. Some of them had lesions in the hundreds.

14 Since that first interim submission, we did go
15 back out to the site, and for some of those patients, if
16 you went to the source documents, there were numerical
17 counts for some of those. So, some of the "fews" have been
18 resolved.

19 But in essence what we did -- and maybe you can
20 help me -- just in order to come up with numbers, if they
21 wrote down greater than 10 acutely inflamed lesions, we
22 arbitrarily made that 12. If it said few, I think we
23 called that 3. But that was something that we came up with
24 as a way to assign numbers to some of these qualitative
25 counts.

1 DR. O'CONNELL: So, my interpretation of that
2 was that would work for me if all of the lesions in the
3 7-day period could be expected to -- in other words, if the
4 therapeutic response that you'd expect was that the lesions
5 would be totally gone in 7 days, then that would work for
6 me because if they're gone, it doesn't really matter if you
7 say there's -- it's nice to know how many there are, but if
8 there's 100 and 100 are gone, 100 are gone.

9 But the problem is that ENL lesions don't just
10 vanish in 7 days. There may be no more acutely inflamed
11 lesions, but you can't expect the lesions that were already
12 there to disappear, which was very helpful what the sponsor
13 gave us to review in the original submission.

14 Like I said, the new submission is drafted and
15 so it's not complete yet.

16 But in the original submission, we were given
17 the number of acutely inflamed lesions, which is the
18 endpoint, as well as the number of resolving lesions, which
19 was very helpful because, if you think about it, the
20 distinction between an acutely inflamed lesion and a
21 resolving lesion is somewhat subjective. There's no
22 machine that you can use to measure that. It's a clinical
23 judgment. Because the trial is not a placebo-controlled
24 trial, I had to think about the contribution of observer
25 bias in that kind of subjective assessment.

1 Sometimes I had a problem because there would
2 be more resolving lesions of a certain type than there were
3 acute lesions preceding it.

4 But, nonetheless, in reviewing the line
5 listings with those alterations in mind and taking into
6 account the concomitant medications that were updated, I
7 tried to group the responses according to the protocol at
8 day 7. What I came up with was 4 complete responses out of
9 those patients. Like I said, if you would like me to later
10 answer any questions about why or how each patient fell
11 into those groups, I'll be glad to.

12 Now, when the trial is completed and all the
13 data are available and verified, I think that it may well
14 inform the question of the efficacy of the severity of
15 cutaneous disease because, as I said, the lesions are
16 stratified by their type, ulcerations, pustules, nodules,
17 and numbers, which is very helpful.

18 Then I'll just finish up by saying that the
19 second part of my thinking about the clinical benefit was,
20 of course, risk. I'm particularly concerned in this regard
21 because the safety database for the sponsor's formulation,
22 as I understand it, in leprosy patients is 28 patients. 28
23 patients is the number of patients that I have information
24 on for the sponsor's product in leprosy patients, and 6 of
25 those 28 patients received only one dose in the PK study.

1 As discussed yesterday, the Celgene formulation
2 is more bioavailable than the older formulations.

3 And we also heard discussion yesterday about
4 the relationship of dose of the drug to peripheral
5 neuropathy. As you can see in my review, I'm concerned
6 about the peripheral neuropathy. The information that was
7 submitted in my mind didn't really allow me to make a
8 conclusion of whether ENL patients are at risk or are not
9 at risk for thalidomide-induced neuropathy.

10 The reasons for that are discussed in the
11 review, and it has to do with the studies that have been
12 published, the type of testing that was done in the studies
13 that have been published, the lack of retrospective -- I
14 mean, prospective electrophysiologic studies in leprosy
15 patients, as was discussed yesterday.

16 The reporting problems for adverse events, as
17 Dr. Vaughan alluded to. When we actually went through the
18 database, the IND U.S. Public Health Service database, and
19 excluded sedation and drowsiness, but looked specifically
20 for neurologically related adverse events, we noticed that
21 there was an apparent asymmetry in the reporting amongst
22 centers where one center appeared to have like, I think it
23 was, 42 percent of all the neurologically related adverse
24 events. I don't know if those adverse events had anything
25 to do with drug-induced peripheral neuropathy, but they

1 | were things like paresthesia. It's in the back of the
2 | primary reviewer's review. It's a table. You know,
3 | burning sensation in the hands and feet, and some of them
4 | were leg cramps, whatever. So, I found it difficult to
5 | draw a conclusion either way as far as the risk of
6 | peripheral neuropathy in these patients.

7 | In my mind, it's an especially important issue
8 | in this patient population because it's my understanding
9 | that the disease-related damage in patients with leprosy
10 | and ENL -- both problems, leprosy and ENL -- that the
11 | disease-related damage to the peripheral nervous system is
12 | one of the greatest causes of morbidity in these patients,
13 | and that even slight worsening might be functionally very
14 | significant for these patients.

15 | DR. MCGUIRE: What I'd like to do now, I'd like
16 | to ask the Division Director, Dr. Jonathan Wilkin, if he
17 | would comment on his review, and then we'll have questions
18 | from the advisory committee.

19 | DR. WILKIN: Mine is brief. It's five pages.
20 | I can go just briefly through and comment on perhaps some
21 | of the things that have changed over the last several days.

22 | DR. MCGUIRE: Actually, Dr. Wilkin, could you
23 | start with your conclusions and work backwards?

24 | DR. WILKIN: Sure. My conclusions are that I
25 | would recommend that this be non-approvable given the

1 current information for efficacy and safety for erythema
2 nodosum, the systemic syndrome, and also for erythema
3 nodosum leprosum, confined to the cutaneous lesions.

4 I do concur with the two medical reviews. At
5 the time I wrote my review of theirs, I only had their
6 initial reviews, but of course, subsequently Dr. O'Connell
7 has written two additional brief reviews. I've read those,
8 discussed those with her, I concur with those as well.

9 I was particularly struck by the extremely
10 large databases the sponsor has described for E-002, the
11 many, many patient-years of thalidomide and the kind of
12 information we might be able to glean from it. It's not
13 that we really have evidence so much that there are adverse
14 reactions in that population. The difficulty is that we
15 don't really have evidence of safety in that particular
16 study.

17 My interpretation is that the investigators
18 were only describing adverse events if they thought they
19 could be attributed to thalidomide. Dr. Vaughan mentioned
20 that there was 1 death in the 1,000-plus patients, many of
21 whom were in their 50s, 60s, and 70s and had been treated
22 for years with this drug. If that is truly the case that
23 only 1 person in a population that large is going to die in
24 that period of time, then we're talking about the wrong
25 indication today. Longevity would be what I would be going

1 for. I think, though, that what really happened was the
2 investigators were only calling things they thought were
3 thalidomide-related.

4 The point has been made that a large signal
5 emerged from the Staten Island thalidomide ENL population
6 for neurotoxicity. The question would be, why was
7 neurotoxicity seen in the 800 series of E-002? It may have
8 been that the leprologist at that location was reporting
9 neurotoxicity whether it was related to leprosy or to
10 thalidomide, but was simply reporting it.

11 And it's a signal that needs to be teased out.
12 We need to know more about that I think. Dr. Crawford has
13 made the statement, and I think Dr. Cornblath agrees, that
14 we really don't have really superior information at this
15 point using the right kind of sensory nerve conduction
16 studies prospectively to find out how much neuropathy
17 really exists.

18 So, those were some of the things that I
19 thought were very important from the primary reviews that I
20 would mention.

21 I'm going down through the paragraphs. I still
22 agree with the statistical review. I extracted some of the
23 comments that the statistical reviewers had in their
24 conclusion section.

25 The third paragraph, as I say, I do not concur

1 | with the clinical pharmacology/biopharm review. That was
2 | the first biopharm review.

3 | I now concur with the second biopharm review
4 | which Dr. Bashaw signed off on subsequently and has come to
5 | the committee. I think it was in your packages when you
6 | came. His second conclusion is, should approval of the
7 | Celgene NDA require use of the Tortuga database?
8 | Basically, with the exception of 28 patients, all we've
9 | talked about is the non-Celgene thalidomide database today.
10 | The applicant would have to demonstrate bioequivalency
11 | between the products.

12 | Now, Tortuga and that particular lot of Tortuga
13 | is not the only thalidomide that was ever used to generate
14 | this database, and I realize that. But because of the
15 | strong bio-inequivalence signal, I would hope that the
16 | sponsor could find some other lots and that we might get a
17 | better feel for that relationship.

18 | If there is bio-inequivalency, I'm not really
19 | sure how we're going to come up with good dosing
20 | recommendations using the data we've got. We know that the
21 | AUC and the Cmax, the very peak concentration and the area
22 | under the curve, are different for the two products and I
23 | don't think there's good pharmacodynamic, pharmacokinetic
24 | modeling. So, I'm not sure which one we would chase after
25 | if we were going after a dosing strategy based on that.

1 The methodological problems. I think the
2 reviewers actually spent a lot of time talking about
3 individual patients and how they would be assigned, and I
4 think those are important considerations. I'm not
5 minimizing that, but I think if you stand back and look at
6 the database that we have received, because thalidomide is
7 a very strong sedative, I believe that all of the studies
8 in the literature are essentially unblinded and many of
9 them may have been conducted by leprologists who have
10 believed that they were simply coming up with the data to
11 document what they believed to be the case, that is, that
12 thalidomide works.

13 I think that that to me actually is one of the
14 key troubling areas in this, that there is no such thing as
15 a randomized controlled trial in the pure sense of the word
16 for thalidomide. There might have been some opportunities
17 in the past and today it would be very difficult with the
18 two dozen patients that might be new patients in the United
19 States each year.

20 But I would remind the committee -- and I have
21 in here some examples of treatments in the past that
22 haven't been just strongly supported by a handful of people
23 but actually have been widely embraced, universally
24 embraced. Insulin coma therapy for schizophrenia, the
25 internal mammary artery ligation for angina pectoris, and

1 then the one I thought was probably most similar to what we
2 might be thinking about with thalidomide is the penicillin
3 plus sulfisoxazole for neonatal sepsis.

4 It turns out that the penicillin plus
5 sulfisoxazole really did lead to a lower infection rate
6 than the tetracycline derivative arm, but it turned out
7 that, following those children, there was a much higher
8 mortality in that particular group because of kernicterus.

9 I think that's the troubling part of
10 thalidomide. Even if we can tease out and say, yes, this
11 has a positive effect on the cutaneous lesions, we really
12 need to know the whole picture to figure out whether we're
13 really doing the right thing for the patients who have
14 erythema nodosum leprosum.

15 I'm concerned about that population group. In
16 the United States, that is a group in which many of them
17 are Hispanic and Asian American immigrants. Some of them
18 have document difficulties. I think it's a population that
19 we need to be especially concerned about.

20 Others have described the steps that drugs will
21 go through before they are finally debunked, if that's
22 going to be what happens to them. I pulled this out last
23 night, McKinlay's seven stages of a new therapy in the
24 absence of well-controlled trials.

25 The very first stage is promising report. I

1 think we can identify when that happened for thalidomide
2 for erythema nodosum in the 1960s with Dr. Sheskin's work.
3 He was a very strong proponent and if you read the
4 articles, I think you too would come away with the notion
5 that this was very promising.

6 Then there is professional and organizational
7 adoption, and it looks like the leprologists began using
8 this and felt that indeed it really did work.

9 The third stage is public acceptance and state
10 or third party endorsement. The World Health Organization
11 I think in 1970 or 1971 described thalidomide for ENL, that
12 it should be restricted to purely investigative uses, and
13 by 1974 it was regarded as the drug of choice. I'm not
14 sure who at the World Health Organization decided that, but
15 it might have been a fairly small number of leprologists
16 and it may well have been based on those papers that came
17 out in 1970-1971, the early 1970s, and the Hastings study
18 would be one of the studies. The Iyer study would probably
19 be another study because that was sponsored by the World
20 Health Organization.

21 Then at that point it became standard
22 procedure, which is his fourth stage, and then there are
23 some observational reports that maybe it's not working well
24 for everyone or some people are having side effects with
25 it. Then stage five is a randomized clinical trial. Then

1 stage six is professional denunciation, and stage seven is
2 erosion and discreditation.

3 The idea is not to go through such a painful
4 series of stages but to try to get a randomized trial.
5 Even if it's a small trial, it can be controlled. It can
6 be done better. Lesions might be counted rather than 3 to
7 5 being interpreted as 4, and few is 3, and that sort of
8 thing.

9 So, I think trials actually don't have to be
10 large. They don't have to have all of the bells and
11 whistles that we expect for many of the drugs that we see
12 that are much larger populations. But I do think that a
13 better study can be designed than the E-003/P, the study
14 going on in the Philippines, to answer these questions.

15 The other aspect that entered into how I
16 formulated my thoughts on this is the off-label use. If
17 there are two dozen new patients a year that are going to
18 be using thalidomide for ENL, then that hardly seems to me
19 to be a profitable market. So, the question is where is
20 this really leading. I think that off-label use is where
21 the vast majority of the use would occur. It would dwarf
22 actually the use for ENL.

23 I'm concerned about the article written by
24 Jacobson and his group that came out in May in the New
25 England Journal of Medicine in which they studied patients

1 with aphthous ulcers who had AIDS, who were HIV positive.
2 Some of the disturbing things that they found were the
3 severe neutropenia, which is of course a special problem in
4 that population, and the other is the increase in HIV RNA
5 which suggests that maybe the HIV virus might be increasing
6 in that particular group. My question is if it is
7 available off-label, will the right kind of studies be done
8 in the AIDS population to look at the increase in HIV RNA,
9 and then also the question about neutropenia.

10 Now, I'm not a fan of the buyers' club. I
11 would say that that's not a great idea, that we have great
12 concerns about the purity of that product. It's coming to
13 patients without labeling and I did think about that as
14 well.

15 But when I put all of these things together,
16 and especially I think about the patients with erythema
17 nodosum leprosum -- I saw some of the slides yesterday that
18 were the dot slides that actually look like some of these
19 patients -- if you looked at the time axis, I think it was
20 600 weeks. I think I got that right. It looked like the
21 patient was on thalidomide for a substantial period of that
22 600 weeks.

23 I really think we need to rethink thalidomide
24 for ENL, come up with perhaps some different protocols,
25 some better controls on ways to ensure safety, and look for

1 efficacy in this particular population, again which I think
2 may be a compromised population in the United States.

3 There is no joy in recommending non-approvable.
4 I have to say there is a sense of satisfaction when we in
5 the division are able to recommend to the office or to sign
6 off at the division level an approval because that means
7 we've worked very effectively together with industry to
8 bring a drug to the patients and physicians in America and
9 to answer a medical need. And we all feel very good about
10 that, but it's a very hollow feeling to recommend not
11 approvable. But at this time, when I look at all of the
12 aspects of this, that's where I would have to be.

13 My final comment would be I think it was a very
14 important, wonderful moment for what Dr. Kelsey did many
15 years ago. I also think it was an incandescent moment for
16 the agency when our Deputy Commissioner requested industry
17 to take another look at thalidomide. You saw my slides
18 yesterday. I think there is potential in thalidomide as
19 well. I would like to see that potential developed.

20 I know that the sponsor has worked hard to go
21 back and look at a database. The database, as someone has
22 pointed out earlier -- these are patient records. They
23 were never intended to support proof of efficacy or safety.
24 The sponsor took the challenge of the Deputy Commissioner
25 and went with it and invested a lot of effort, and I admire

1 | also what they did.

2 | But again, at the end, my recommendation would
3 | still be not approvable.

4 | DR. MCGUIRE: Dr. Lumpkin?

5 | DR. LUMPKIN: Joe, I just wanted to say one
6 | thing, since this is an open public meeting, we have
7 | members of the public here with us and perhaps some new
8 | members of the advisory committee here, just on process.

9 | What you have heard, as Dr. Wilkin saying, when
10 | using the word "recommendation," the opinions that have
11 | been expressed are indeed I think the very heartfelt
12 | opinions of the reviewers and of the Division Director, but
13 | just you'll know the process, they represent their own
14 | personal opinions having looked at the data. That's what
15 | we employ these people to do, to look at the data, to look
16 | at it hard, to look at it critically, and to make a
17 | recommendation, but they are not the deciding officials.
18 | So, I just don't want there to be any misunderstanding
19 | within the public that what you've heard is, quote/unquote,
20 | the agency's recommendation. The agency has not made its
21 | mind up on this issue. That is why we are here today.

22 | What the primary reviewer, what the secondary
23 | reviewer, what the Division Director have done is given us
24 | their opinions and that's part of the equation. What the
25 | sponsor has done is given us their opinion. That's part of

1 | the equation. What you guys here at the table give us is
2 | part of the equation.

3 | And I think it's going to be very important
4 | what happens the rest of this day to hear from you, the
5 | people that we've asked to come and represent the
6 | community, having heard what the recommendations are from
7 | the division, what the recommendations are from the
8 | sponsor, how you synthesize this and what your
9 | recommendation to the agency would be so that the deciding
10 | officials within the agency could then make the final
11 | decision on this.

12 | So, just so people in the audience and newer
13 | members of the advisory committee would understand what the
14 | process is at this point.

15 | Thank you.

16 | DR. MCGUIRE: Thank you, Mack.

17 | What I'd like to do now is have the advisory
18 | committee direct questions toward Dr. Vaughan and Dr.
19 | Wilkin and Dr. O'Connell. Mrs. Cohen.

20 | MS. COHEN: I'd like to add something because
21 | this is a very difficult thing. We have a guide to
22 | advisory committees, and I decided to review it again
23 | because I'm in a difficult situation because I am the
24 | consumer member. I mean, that sounds like I'm conceited.
25 | I'm not. I'm scared.

1 I listened yesterday to Mr. Warren and to the
2 Women's Health Network, and I want you to know, in terms of
3 the objectivity, that's what I'm supposed to be and I'm not
4 supposed to have an intellectual bias. More than that, I
5 have to tell you, although the FDA pays me to be here, they
6 in no way influence what I think or how I think, and it
7 would be very hard to do anyway, just with my personality.

8 But I want you to know I consider it an honor
9 to serve here and I take it very seriously. As many of you
10 know, my husband was a scientist and I grew up -- not grew
11 up, but I lived with science for almost 43 years and I have
12 great respect for it for what it can do.

13 So, I listen very hard and I don't want you to
14 think, as a consumer member, I come in here, if it's
15 industry, I'm just prejudiced. I'm not. It's a very
16 difficult line for me to walk. And I worry. I do. I take
17 it home with me. I worry. Although I might not have the
18 disease, I do worry about the people who do, and when I
19 vote, let me tell you something, it takes a lot out of me
20 and I go home and I reflect and I reflect. So, I respect
21 any company that comes and attempts to give us information.

22 I hope you don't mind that speech, but I felt
23 it behooved me to say it as a consumer member.

24 I have a question, believe it or not. How much
25 information can you get in a one-dose study? I've been

1 | trying to figure that out in my mind. I've heard that it's
2 | very effective, even within 48 hours, but what does a one-
3 | dose study really tell you about thalidomide?

4 | DR. O'CONNELL: Do you want me to answer that?

5 | DR. McGUIRE: Sure.

6 | DR. O'CONNELL: When I referred to the single-
7 | dose study, that was a pharmacokinetic study that Dr.
8 | Bashaw talked about yesterday.

9 | MS. COHEN: Yes.

10 | DR. O'CONNELL: To be honest, I'm not really
11 | qualified to comment.

12 | DR. WOODCOCK: Can I answer in laymen's terms?
13 | Maybe that would be most helpful.

14 | The single-dose studies, Ms. Cohen, are
15 | intended to show how the drug is absorbed out of the GI
16 | tract and how the body handles it after it's absorbed into
17 | the blood. So, it isn't to look at the effect of clinical
18 | activity of the drug.

19 | MS. COHEN: Can I ask another question then,
20 | Dr. Woodcock? If one does a single-dose study, are there
21 | other things taken into consideration, the condition of the
22 | patient, what they might be taking, the interaction, and
23 | could you have a single-dose study that's an anomaly? Or
24 | do they do it on several people?

25 | DR. WOODCOCK: Yes. It's done on several

1 | people and we have massive experience with this because of
2 | our generic drug program where we approve a generic drug
3 | based on these studies where we show bioequivalence to the
4 | originator drug. So, we know about those factors.

5 | Yes, you're absolutely right. They all have to
6 | be taken into account and there are ways to do that.

7 | DR. MCGUIRE: Susan, they're done with food,
8 | without food, different times of day, a lot of those
9 | variables.

10 | MS. COHEN: But I noticed in what I read and
11 | what I reviewed -- and if the company would like to see all
12 | my red marks, they're welcome to do it because I did read
13 | and I read, for instance, that when they did it, they did
14 | it on some people who had fasted in some of their studies.
15 | Food must absolutely have a relationship to any kind of
16 | study that they do, as I understand it.

17 | DR. MCGUIRE: Dr. Bashaw?

18 | DR. BASHAW: Traditionally pharmacokinetic
19 | studies are done in fasted individuals to minimize the
20 | number of variables that you're looking at. You're trying
21 | to see what patient factors are. You're trying to see
22 | effect of concomitant medications.

23 | We also require, and the sponsor has done,
24 | although it was not contained in my review because it
25 | wasn't complete at the time my review was completed, a food

1 study. A food interaction study was looked at and a food
2 study was done. Although I have only seen a summary of the
3 information, basically what was seen is that the peak
4 levels and the extent of absorption, the amount absorbed,
5 was the same between people who took it with food and
6 people who took it without food.

7 This is a very intense meal. It's a high fat
8 meal. It's a couple eggs, whole milk. It's a great
9 American breakfast. It really is.

10 So, those factors are looked at. It was not
11 contained in my review because that study was not completed
12 at the time. It has only been summarized now.

13 DR. MCGUIRE: Are there other questions from
14 the advisory committee? Yes, Dr. Miller.

15 DR. MILLER: Dr. O'Connell, in the eight
16 records that you received that you reviewed, would you say
17 again what you found in regard to the efficacy with the
18 skin lesions? And how specific were the numbers, or did
19 they just say acute reaction vanishing?

20 DR. O'CONNELL: From the ongoing trial.

21 DR. MILLER: Yes, from the present trial.

22 DR. O'CONNELL: I don't have overheads for the
23 details of that. I have an overhead with the numbers. But
24 I can tell you out of my review why I put people where they
25 were.

1 Again, because the data just came in, we
2 haven't had an opportunity to get with the sponsor and
3 perhaps alter this. Like I said, if I've misinterpreted
4 any of these records -- and like I said before, this data
5 hasn't been formally analyzed or anything yet. This was
6 based on my attempt to go through line listings and sort of
7 cross reference various data from various charts and
8 tables.

9 The way I came up with my efficacy categories
10 was the patients that the sponsor did not analyze, I also
11 agreed should not be included in there in the unassigned.
12 Those were 3 patients who were re-randomized who had been
13 previously treated, and 2 of those were treatment failures
14 from the first group.

15 DR. MCGUIRE: Is this 003/P?

16 DR. O'CONNELL: What did you say?

17 DR. MCGUIRE: Is this the Philippine study?

18 DR. O'CONNELL: Yes. I'm sorry. 003/P.

19 The original submission did say that both of
20 those treatment failures, as I think may have come out
21 yesterday, that they did respond, that the investigator had
22 given a verbal report that they did respond to 300
23 milligrams in an open-label use. Then when they relapsed,
24 they were re-randomized. And the other re-randomization
25 was patient 10 who was in the new group. So, I didn't

1 assign those.

2 I also didn't assign patient 4 and patient 16
3 who were both categorized as a complete response by the
4 sponsor.

5 The reason I didn't categorize patient 4 at
6 this time is that I think I need more information. The
7 patient had no acute ulcers after baseline. I think the
8 number of acutely inflamed ulcers at baseline was 28, and
9 there were 75 nodules and 3 pustules acutely inflamed. But
10 28 acutely inflamed ulcers. So, there was none after
11 baseline. So, the very next day they were gone. And there
12 were no resolving ulcers at day 4. So, by day 4, even the
13 resolving ulcers were gone. On days 5, 6, and 7 then the
14 listing shows 48 resolving ulcers.

15 So, it's not clear to me if you can get
16 resolving ulcers from resolving nodules because there also
17 were no more acutely inflamed nodules. So, I think I just
18 need more information before I can assign that patient.

19 Then patient 16 was coded as a complete
20 response at day 7. Again, this is in the new group, so all
21 the data is not there yet. And there was no data for the
22 follow-up period, but the temperature at baseline was 96.9,
23 which even if you put it up a degree to make up for the
24 axillary temperature, that is 97.9. But in the ENL symptom
25 assessment data set, that patient is coded on baseline the

1 same date with a moderate fever, and at day 7 the
2 temperature was 98.7. So, I was not clear about the
3 febrile status of that patient, so I didn't reassign that
4 patient yet.

5 Like I said, both of those may end up going
6 back to the complete response list when I get more data.

7 Patient 1 was categorized as a treatment
8 failure by the sponsor, and I did not reassign that.

9 Patient 3 was categorized as a complete
10 response, and I did not reassign that.

11 Patient 5 was categorized as a treatment
12 failure, and I did not reassign that.

13 Patient 6 was categorized as a partial response
14 due to the onset of new acutely inflamed lesions over the
15 7-day period, and I did not reassign that patient.

16 Patient 9 was categorized as a complete
17 response. The lesion listings in the submission did note
18 13 new acutely inflamed ENL nodules appearing on day 4 in
19 the 7-day trial, but no acutely inflamed lesions at day 7
20 and no fever at day 7. So, I left the patient as a
21 complete response.

22 There was a little bit of confusion in my mind
23 because there were no acute lesions and 84 resolving
24 lesions at the first follow-up visit which was week 3, but
25 ENL can wax and wane. So, I left that as a complete

1 response.

2 Patient 10 was categorized as a complete
3 response, and the listing shows an endpoint temperature at
4 day 7 of 98.8 axillary. I left that as a complete response
5 because it's so close, even though there are temperatures
6 in the ENL symptom assessment that are less than that coded
7 as fevers. I left that case as a complete response.

8 Then again, 13 and 15 were coded as partial
9 responses at day 7, and I left that as it is.

10 Patient 17 was categorized as a complete
11 response. There were no listings for the follow-up period
12 for that patient after the acute 7-day course, but I left
13 that patient as a complete response because a complete
14 response is defined at day 7.

15 Now, the patients that I reassigned were
16 patient 2 who was categorized as a complete response. This
17 patient had a fever at day 4, and there was no concomitant
18 treatment listed in the original submission. So, that's
19 why in my original review I expressed that I wasn't really
20 sure whether this was a complete response or not, but I
21 left it as a complete response, technically a complete
22 response.

23 However, as the sponsor pointed out yesterday,
24 the updated listings did show new data for paracetamol use,
25 and the new listing does show that paracetamol was

1 | prescribed on day 4 as an antipyretic. It's listed as
2 | antipyretic. So, this patient I recategorized as a
3 | treatment failure. Actually I think that assessment is
4 | also supported by the fact that at the day 7 endpoint that
5 | patient had persistent anorexia and malaise and edema, all
6 | three of which were coded as mild, but they were present at
7 | day 7.

8 | Then patient 7 was categorized as a complete
9 | response by the sponsor. This patient had a temperature on
10 | day 7 of 99 in the listing in axillary temperature and no
11 | concomitant paracetamol listed in the original submission
12 | at day 3, for an axillary temperature of 101.7.

13 | In the new listing, however, that I received
14 | paracetamol is listed for that. It's listed for 7/21,
15 | start/stop the same day, and then it's listed for 7/23.
16 | That's the date. Start/stop. The reasons noted, as an
17 | antipyretic. Those dates correspond to treatment days 5
18 | and 7, and day 7 is the acute endpoint. So, even if that
19 | patient wasn't febrile at day 7, according to the protocol,
20 | would have been a treatment failure as the sponsor pointed
21 | out yesterday because any antipyretic use after 72 hours in
22 | this study was to be considered a treatment failure.

23 | Then patient 8 was categorized as a complete
24 | response, and this patient had at day 7 an axillary
25 | temperature of 99 degrees, which is higher than the

1 baseline temperature of 98.6, for which paracetamol was
2 listed in a concomitant medications listing. So, I
3 reassigned that patient as a partial response because that
4 patient's lesions resolved at day 7.

5 Then patient 11 was coded as a partial response
6 because he had nine acute lesions at day 7, nine new
7 acutely inflamed lesions at day 7. The listings for
8 concomitant medications show that paracetamol was started
9 on 11/25 and stopped on 11/29 as an antipyretic, and 11/29
10 is day 7 according to the line listings for the temperature
11 charting. So, I reassigned that patient as a treatment
12 failure because of new acutely inflamed lesions and
13 temperature.

14 The last patient, patient 12, was coded as a
15 partial response because the patient had 109 acutely
16 inflamed lesions at day 7. Now, this patient started with
17 a lot of lesions, so that is a definite decrease over the
18 7-day course of treatment with the thalidomide. The vital
19 signs listing shows a day-7 temperature of 99.6, so by
20 protocol there are acutely inflamed lesions and a
21 temperature.

22 Now, the secondary endpoint listings on day 7
23 show that this patient also had severe anorexia and mild
24 malaise and pain. I should point out that those systemic
25 manifestations were not primary endpoints by protocol.

1 | Those are secondary. So, it's just added information but
2 | it's not the primary efficacy endpoint.

3 | The severity of this patient's case was
4 | notable, as I have in my review. There were 497 nodules, 8
5 | pustules, a baseline fever of 100.9 with a pulse of 120,
6 | which was listed as severe fever, mild chills, moderate
7 | arthralgia, and severe malaise and anorexia. The
8 | concomitant medications listing for that patient suggests
9 | that that patient actually was on prednisone previously, 30
10 | milligrams to 25 milligrams to 20 milligrams from 11/22 to
11 | 12/12. Then it appears that the prednisone was stopped 5
12 | days before the baseline visit because in the protocol,
13 | patients cannot be on concomitant prednisone.

14 | Like I said, this is draft data and so I was
15 | not able to make any comment on the patient's condition at
16 | the time of the prednisone taper or the discontinuation.
17 | But at any rate, the combination of the acute lesions and
18 | the fever at day 7 prompted me to reassign that patient as
19 | a treatment failure. Like I said, this may change when we
20 | get the complete data.

21 | DR. McGUIRE: Fred, do you want to follow up?
22 | Is that adequate?

23 | DR. MILLER: Yes.

24 | DR. McGUIRE: Dr. Hashimoto?

25 | DR. HASHIMOTO: I think that the evaluation of

1 skin lesions should be completely separated from other
2 systemic manifestations, like you say complete, partial,
3 treatment failure. It's all a mixture of the systemic
4 fevers and pains and neuritis and then skin description.

5 A very strict dermatologic description should
6 be applied in this case because this is a major part of
7 this therapy effectiveness. Evaluations should be more
8 dermatological.

9 Of course, in dermatological evaluations of
10 drugs, we take pictures. Were there any pictures taken in
11 this study to document improvement?

12 DR. O'CONNELL: Well, first of all, maybe I
13 didn't make myself clear. The primary endpoints are fever
14 and cutaneous lesions. The other things were secondary
15 endpoints, and I did not reassign any patients based on
16 those endpoints. It's just added information. In my mind
17 I look at information like that and say is this consistent.

18 DR. HASHIMOTO: New lesions is not adequate to
19 assess the effectiveness. Just to evaluate preexisting
20 lesions. What happened to those? That's probably more
21 important criteria of effectiveness of treatment. A new
22 lesion may not show up for a couple of days maybe, but what
23 happened to the old lesion? That you have to pay attention
24 to when you evaluate, maybe documentation by picture or
25 even biopsy.

1 DR. O'CONNELL: It's my understanding that
2 photographs are archived?

3 VOICE: Yes, they are.

4 DR. O'CONNELL: Right. I haven't seen them.

5 DR. MCGUIRE: Are there other questions for the
6 reviewers?

7 (No response.)

8 DR. MCGUIRE: I propose that we take a 15-
9 minute break.

10 Wait. Who has a question? I'm sorry. I
11 didn't see you.

12 DR. REA: I'd like to make a comment on the --

13 DR. MCGUIRE: This is Dr. Rea.

14 DR. REA: Dr. Rea from Los Angeles.

15 On the subject of photography. Usually within
16 a week's time, the formerly acutely inflamed lesions will
17 still be photographable. There will be residual
18 vasodilatation. There may be some increase in
19 pigmentation. To convince you on the basis of photography
20 that the patient had improved, photography would be very
21 misleading. It would suggest that the drug is quite
22 ineffective, whereas the clinical response, the absence of
23 tenderness, the change in the color, the well-being of the
24 patient will not be reflected in a photograph. Don't
25 depend on photography.

1 DR. McGUIRE: For those of you who don't know
2 Dr. Rea, he is one of very few experienced clinical
3 leprologists in the United States.

4 Are there other comments, questions?

5 (No response.)

6 DR. McGUIRE: Can I have my 15-minute break
7 now?

8 (Laughter.)

9 (Recess.)

10 DR. McGUIRE: We are reconvening.

11 The last few minutes before the break were
12 directed toward questions to the primary and secondary
13 reviewer and Dr. Wilkin. Are there other questions now?
14 If so, we can take them; if not, we'll proceed directly to
15 the questions. I suspect that many of the individual
16 uncertainties about various parts of the data will come up
17 as we discuss the questions.

18 Yes, Dr. Miller.

19 DR. MILLER: I have a question for Dr.
20 O'Connell. Kathryn, you had mentioned or you noted a
21 discrepancy in the reporting in one of the cases that a
22 patient in the original report had not received Tylenol,
23 but in the report that you received subsequently had
24 received Tylenol. Was that a later date or was that
25 included in that first time frame when the person received

1 the Tylenol?

2 DR. O'CONNELL: I'm not sure I understand the
3 question.

4 What I received in the original submission was
5 a listing of concomitant medications, and it had patients
6 who had gotten concomitant medications, 01 through 09, and
7 whatever it was on the date. Then when the new draft came
8 in with updated information, then there was more
9 information.

10 DR. MILLER: I see, but that was on those dates
11 that were originally submitted, or not?

12 DR. O'CONNELL: Well, see, in the original
13 concomitant medication listing, if I recall, I think there
14 was only a date if there was a concomitant medication. So,
15 it's not like every date was listed and then none.

16 Maybe the sponsor could address it. I think
17 it's just more information.

18 DR. MILLER: I guess my question is why wasn't
19 it listed with the original submission.

20 DR. O'CONNELL: Yes, see, I don't know.

21 DR. KOOK: When the interim analysis was
22 submitted with the original NDA, the study was ongoing.
23 It's a study that is monitored according to a certain
24 frequency. Subsequent to that report, we have gone back to
25 the site again and audited another set of case report forms

1 relative to the original source documents, and there were
2 some occasions of use of paracetamol that were in the
3 patients' medical records that had not been transcribed
4 onto the case report forms. So, that is a limitation or a
5 problem with submitting interim data.

6 DR. MCGUIRE: Mr. Warren?

7 MR. WARREN: Correct me if I overstep protocol.
8 Definitely before the questions, I think it would be
9 appropriate for us to make our Thalidomide Victims
10 Association views known, but it's not necessarily a
11 question. So, I'm just asking for the opportunity to be
12 recognized before you go to questions.

13 DR. MCGUIRE: You mean apart from the public
14 hearing?

15 MR. WARREN: Basically what I'd like to do is
16 just let you know how we feel about things before you go to
17 reading the questions, if that's possible.

18 DR. MCGUIRE: Okay. We need to know how long
19 will it take us to learn?

20 MR. WARREN: Five minutes.

21 DR. MCGUIRE: Good.

22 MR. WARREN: Is that okay?

23 DR. MCGUIRE: Yes, please.

24 MR. WARREN: Well, I've been listening for the
25 last 24 hours to a lot of talk about thalidomide.

1 | Yesterday I had a bit of a little outburst just because I
2 | couldn't be quiet any longer. But here's exactly how we
3 | feel, the Canadian Thalidomide Victims Association.

4 | We feel it takes a lot of courage to be
5 | discussing thalidomide -- mothers, ourselves, and certainly
6 | this committee.

7 | We feel it is very important to make our view
8 | known. We will never, ever accept a world with thalidomide
9 | in it.

10 | However, we say that knowing that down the road
11 | there will be analogs that will have the benefits of
12 | thalidomide without the horrible side effects.

13 | Further than that, we're forced to prefer
14 | regulation of thalidomide. And I'll make that clear.
15 | Forced. It pains us, but we have come to this conclusion,
16 | that we're forced to prefer the regulation of thalidomide
17 | because we are so much more afraid of thalidomide being
18 | available as it is today or having it relegated to a secret
19 | world controlled by so few doctors and scientists, who we
20 | won't disrespect, but we would rather see it to be a very
21 | public controlled environment.

22 | We want people to have the opportunity to make
23 | risk-aware choices. Risk-aware choices to us mean so much
24 | more than just the birth defects. We owe a lot to those
25 | people who suffered from peripheral neuritis and who are

1 | unsung heroes and heroines in this battle towards
2 | thalidomide. We wouldn't be here today and have been
3 | recognized as so few in number, 5,000 of us left around
4 | worldwide, if it wasn't for those persons who suffer today.
5 | We'll never know who they are because we lost track of them
6 | when the sensational story of thalidomide babies came out.
7 | So, we are concerned that as much attention be given to the
8 | side effects regarding nerve damage as is given to us in
9 | any labeling and any packaging.

10 | We're concerned too about off-labeling. Who
11 | wouldn't be? But we believe that a regulated drug with a
12 | distribution system, which we have had some input into --
13 | and I was quite proud to always be every morning described
14 | as a conflict of interest. It wasn't really a conflict of
15 | interest. I wanted people to know that we thalidomiders
16 | have been talking this issue from every side and every
17 | angle.

18 | About the only thing I'll say towards Celgene
19 | at this point is it's the first time a drug company has
20 | ever given respect to thalidomiders by consulting us, and I
21 | can tell you that the victims groups around the world were
22 | shocked and surprised and await my word on that.

23 | With us the primary goal would be education,
24 | that we should be involved. As North American
25 | thalidomiders, we can assist. We are the result of an

1 American drug company coming into Canada and marketing this
2 drug and giving it to us. 95 percent of our people born
3 with disabilities are a direct result of an American drug
4 company.

5 Do we harbor any ill will? No. We come today
6 to help you to protect the American public, and we believe
7 that we can be effective and instrumental in that process.
8 We believe that North Americans listen to North Americans,
9 and we think that we can help.

10 Does it hurt us? Yes, it hurts. It hurts to
11 speak about this. Who thought 40 years later that we'd be
12 talking about thalidomide?

13 Do we like thalidomide? No. The words to us
14 is poison. That's what it is. Skull, crossbones, poison.
15 It violated our mothers.

16 Our mothers are the true heroines and they're
17 the victims of this drug. We're the consequence of the
18 drug. But as consequences of anything, of a teratogenic
19 drug, of a monster-causing drug -- very few people probably
20 around here know that teratogenic means monster-causing.
21 Well, I'm not a monster but if I'm a monster, I'm in good
22 company because we have quite a brave population around the
23 world.

24 I want people to avoid pregnancy during the
25 taking of this drug. And to be equal to that, I've always

1 worked in many fields where I'm part of the feminist
2 movement and I'm proud of that. I think the responsibility
3 has to be male and female in all forms of contraception.

4 We want to see the language be simple and
5 clean. We've actually had input into the language. Not
6 all of it was taken, but for the most part, we're feeling
7 much better.

8 What we do not like are the words "avoid
9 pregnancy" under a pregnant woman in a circle. We want "do
10 not get pregnant." We want something strong, something
11 clean. Thalidomide causes birth defects. Thalidomide
12 kills babies.

13 I'm here to also speak for the thousands of
14 babies we'll never know were never born.

15 We've had input, as I said, into the wording,
16 into the consent. We are very concerned that there be a
17 tracking system to be sure that people have given informed
18 consent and that it not just be a case of reading a
19 document at a grade 2 level. I don't even care if it's a
20 kindergarten level. We believe that we can be most
21 effective here perhaps through a video presentation and
22 also through the words of doctors, but we're not convinced
23 that doctors will give consistent warnings and that doctors
24 are necessarily aware of all aspects of their patients.

25 This isn't to slam doctors. Doctors operated

1 on me 24 times in my life making me capable to sit here,
2 making me capable to hear, making me capable to live and
3 breathe.

4 This is just to say that we believe that
5 perhaps some workshops for doctors and pharmacists attended
6 and perhaps cohosted by our association with appropriate
7 people who impress me, such as Dr. Moore, would be very
8 useful before a doctor is certified to be able to prescribe
9 thalidomide, should that day come.

10 The world is watching and the world is going to
11 follow what the United States of America does. You are our
12 closest neighbors and we have a lot of empathy for American
13 TV and American idioms and all that. I probably know more
14 about the United States of America than I do about Canada.

15 But our people are American victims. We're not
16 asking you for more than the respect that you've given us
17 and the dignity. But we're not trying to give you a victim
18 impact statement. We have some wisdom from all of this.
19 I've had 36 years to think about this, to reconcile it in
20 my mind and to say, oh, my God, it's come back? I can't
21 believe it.

22 The courage of my group in taking this
23 position, where we are forced to prefer regulation, is a
24 hard-fought courage. When we see people or meet people
25 who've actually ingested this drug, our heart breaks.

1 When I heard yesterday that thalidomide takes
2 people out of wheelchairs and I think of myself and others
3 that were put in wheelchairs because of thalidomide, tell
4 me we don't have the moral quandary of the century.

5 I am empathy and we all have empathy, because
6 of our age, for people that suffer. No one should suffer
7 needlessly. If thalidomide can extend life, can offer a
8 better quality of life to people, we, those who suffer the
9 consequences of the drug thalidomide, and the degenerations
10 that nobody thought ask us about, we say those people
11 should be given the opportunity to make a risk-aware
12 choice, but knowing all of the side effects.

13 I think it should be mandatory, any
14 distribution system that comes down. We're very clear on
15 the fact that we believe that while we may have one great
16 drug company that comes along or even two great companies
17 that come along, when thalidomide is more widely licensed
18 by other companies, we can't necessarily guarantee that
19 everybody is going to follow that kind of system, should
20 you license the drug.

21 And what of us? We who deteriorate physically
22 today are sad. We're somber. We're resolute in the fact
23 that we will be there. We will be watching. We will
24 advocate for what we believe to be the first thalidomide
25 baby that will come along.

1 But in our lives, we've been in the medical
2 system so often and our parents have been forced to make
3 hard decisions in consulting with doctors. And I'm a Star
4 Trek fan, so I'm going to actually give you a really good
5 analysis. Sometimes the needs of the many outweigh the
6 needs of the few or the one. And if you feel in your own
7 minds that you can speak to that as medical professionals
8 to a child who may be born with thalidomide deformities and
9 look him in the eye and say, and this is the wonderful
10 thing that happened because we put thalidomide on the
11 market and this is the wonderful thing that happened
12 because 5 to 10 years from now thalidomide is banned as a
13 substance because we found something to replace it, but in
14 that in-between time when you were born, you weren't
15 sacrificed to the slaughter. You became a hero or heroine
16 to the cause of helping to alleviate suffering.

17 In some ways, some small ways -- and it's so
18 difficult to say because of so many that have died or were
19 never born -- maybe, maybe the second go-around may do some
20 good. So, I just want to end on the note, we will never
21 accept a world with thalidomide in it. We insist that you
22 dedicate resources to researching an analog with the
23 benefits of thalidomide but without the harmful side
24 effects. We deserve to be recognized for what we've gone
25 through, but more recognition should be given to our

1 | mothers and I think they should be symbolized. I hope our
2 | mothers will join us in this education process.

3 | And we are afraid of thalidomide the way it's
4 | available. We've seen it on the Internet. If you have a
5 | U.S. address, you can probably get it. You know, hide it
6 | in a jacket as you're coming across the border from Mexico.
7 | How do we know what's being told about this drug? How do
8 | we know who's being protected? Isn't one thalidomide baby
9 | born out of ignorance worse than one thalidomide baby being
10 | born out of justice and a good attempt to regulate and
11 | control the distribution process?

12 | And isn't it something amazing that we're
13 | sitting here today and that I'm able to address you when we
14 | weren't supposed to live past the first 5 years, and then
15 | the first 10 years, and the next 20 years, and the next 30
16 | years? We are only the 40 percent that survive from live
17 | birth. We don't know what we herald. I want to make that
18 | point really clear. Our deaths are in an unknown quantity.
19 | Nobody knows how long we will live until we all die
20 | together because we are one group together and we're a
21 | family.

22 | So, our family has just told you what our
23 | family needs to tell you. We trust you. We trust you that
24 | you're wise men and women and that from this process on, it
25 | will be even wiser. But we insist and we know, even if

1 | it's not agreed to, that we will be here and we will be
2 | watching and we will be helping whenever we're asked.

3 | Thank you.

4 | DR. McGUIRE: Thank you, Mr. Warren.

5 | (Applause.)

6 | DR. McGUIRE: Thank you for taking the time to
7 | put that together. It's very important for the agency and
8 | for the committee and for the sponsor to have heard that.
9 | We appreciate it.

10 | I would like to go on with the questions and
11 | let the questions provoke discussion.

12 | First, you must understand that the advisory
13 | committee does not generate these questions. These
14 | questions are generated to challenge us and to bring out
15 | the best in our judgment, and so we'll see.

16 | But first I'd like to say that I spend most of
17 | my time considering dilemmas. I really like the choice
18 | between something good and something bad because that's not
19 | a dilemma. Then the real dilemma is choosing between
20 | something good and something else good. That's the kind of
21 | dilemma I like. But the worst dilemma is trying to make a
22 | choice between something bad and something bad and you
23 | decide which is not quite so bad as the other bad. That's
24 | enough of that.

25 | Number 1, has the efficacy of Celgene's

1 thalidomide in the treatment of the systemic erythema
2 nodosum leprosum, ENL, syndrome or any subset of ENL, such
3 as cutaneous ENL, been demonstrated?

4 Now, I know that we have a word from the
5 sponsor about the analysis of safety data and we can get
6 into that in a minute, but I'd like for the committee to
7 start with this issue.

8 By the way, these questions can be fragmented.
9 It has been my experience that the agency sometimes
10 consolidates a question that has many different pieces, and
11 so if you want to take a piece out of one of these
12 questions and address it, that's perfectly acceptable.

13 Dr. Bergfeld.

14 DR. BERGFELD: I think if we look solely at the
15 question about efficacy here and we look at the various
16 percentages of efficacy that have been presented to the
17 committee -- and it seems to me in my mind it ranges from
18 40 percent up to 100 percent -- that in the face of looking
19 at thalidomide as a therapeutic help in ENL, we might
20 consider those to be therapeutically helpful.

21 I think that the problem that we have today is
22 the premature presentation of information that has not been
23 cleaned up and that there is an incomplete study that might
24 be exceedingly helpful.

25 Then the larger issue perhaps is toxicology

1 | issues.

2 | But I would ask the FDA when you're looking at
3 | efficacy, what is the percent of response that you demand,
4 | the range of percent of response that you demand of any
5 | drug that is considered?

6 | DR. WOODCOCK: Yes. I'd like to answer that.

7 | There were a number of points made. We talked
8 | about this a little bit yesterday, about the quality of the
9 | database, and also you're raising really a new question
10 | about the meaning of effectiveness in this context.

11 | To reiterate about the database, there are at
12 | least three or four kinds of data that you're being asked
13 | to evaluate.

14 | You're being asked to evaluate placebo-
15 | controlled literature reports. The agency has sometimes
16 | approved efficacy supplements of drugs based on literature
17 | reports alone, when there were enough of them and they were
18 | robust enough and independent enough.

19 | You're looking at retrospective data analyses
20 | which are basically historically controlled data. As we
21 | discussed yesterday, the reliance upon historically
22 | controlled data is dependent on the expert assessment of
23 | the natural history of the disease and how reliably you can
24 | infer from the observed response and compare it to the
25 | historical response. For example, in cancer we do that

1 routinely, and response rates to different antitumor agents
2 are accepted because of the known low historical response
3 rate. So, for example, in many tumors the oncologists have
4 decided that around a 20 percent response rate is what you
5 might observe in the background and responses above that in
6 the historically controlled trial are indicative of
7 response, and that varies with tumor type and so forth.
8 That's not a generalization but it's an example.

9 We're also seeing -- and you've alluded to this
10 as somewhat of a problem -- a preliminary analysis of a
11 dose-controlled study. It is a controlled study that is
12 ongoing. Its primary endpoint is fever and cutaneous
13 lesions apparently, but in this analysis for the purposes
14 of today, you're really looking at again historically
15 controlled data. You're looking at the response rate in
16 that 20 patients or whatever, compared to what you would
17 have expected a response in those patients had they been
18 untreated for cutaneous, for fever, and so forth.

19 FDA can accept historically controlled data, as
20 I said, but it really depends on how reliable you feel the
21 inference is that can be drawn.

22 Now, as far as the effect size, which is what
23 you're talking about, we usually define effectiveness as
24 meaning a beneficial effect on the patient. We don't
25 really take size into account unless the size is so small

1 | that we have doubt that it's a clinically meaningful
2 | effect, if you follow me. So, many of our surrogate
3 | endpoints, such as cholesterol lowering and
4 | antihypertensive effect and so on -- drugs are approved on
5 | those because we believe that will reliably predict a
6 | beneficial effect on the patient. The size of that effect
7 | really isn't known in many cases.

8 | For other products, though, for example in my
9 | area of expertise, in rheumatology, usually placebo or
10 | active controlled trials are done, and we look for a
11 | statistical difference of a beneficial effect on the
12 | patient. The size of that effect isn't taken into account.
13 | You merely have to show the effect.

14 | Does that answer your question or not?

15 | DR. BERGFELD: Well, if I'm interpreting what
16 | you say, it's that it has to be a statistically evident
17 | effect.

18 | DR. WOODCOCK: Only in a trial that is set up
19 | as a randomized controlled trial, that would be the kind of
20 | endpoint.

21 | DR. BERGFELD: So, backing up then, what you
22 | really said, it has to show effect no matter what the range
23 | of effect is.

24 | DR. WOODCOCK: That's right.

25 | DR. BERGFELD: It has to be greater than what

1 is perceived either as historical or placebo-controlled
2 trials.

3 DR. WOODCOCK: And then what is done is the
4 risks of the drug in that condition are evaluated
5 separately and an attempt to quantitate them in as best a
6 fashion as possible is done, given whatever the data set
7 is, and then a risk-benefit analysis is conducted to see,
8 if with the projected effectiveness of the drug and the
9 known estimated risks, do the benefits outweigh the risks
10 in that case.

11 DR. BERGFELD: But the question we have been
12 asked has nothing to do with risk. It has only to do with
13 efficacy.

14 DR. WOODCOCK: That's question 3.

15 DR. BERGFELD: Yes, I know. But I'm trying to
16 answer question 1 because what has been presented is a
17 mixed bag of data.

18 DR. WOODCOCK: That's correct.

19 DR. BERGFELD: And it seems that there is a
20 range no matter what the control or pseudo-control was, the
21 historical control, but there is a suggested efficacy here.

22 DR. WOODCOCK: Well, we're asking your opinion
23 about whether you can -- what your confidence is that the
24 data, given their limitations, show a clinically
25 significant effect, not huge effect or whatever, an effect

1 | that would be beneficial to patients.

2 | DR. BERGFELD: Well, I will say that my opinion
3 | is this data does demonstrate that.

4 | DR. MCGUIRE: Are there other comments? Yes,
5 | Dr. Mathews.

6 | DR. MATHEWS: I'd like to comment on a slight
7 | reformulation of the question addressing not Celgene's
8 | product but thalidomide as a generic entity in this
9 | syndrome.

10 | As I've read the agency's review and the
11 | sponsor's review, I was struck by a contrast in paradigms.
12 | I must say I, on balance, come down on this issue not with
13 | the regulatory viewpoint, but more as I would consider an
14 | informed clinician who is used to reading scientific
15 | literature in medicine. I find that I cannot concur with
16 | the paradigm that this body of literature, which has been
17 | summarized, is comparable to the studies that Dr. Wilkin
18 | referred to in his comments, for example, the internal
19 | mammary ligation syndrome, the insulin shock therapy
20 | approach, because there are controlled clinical trials that
21 | we were asked to look at the reports in peer-reviewed
22 | medical literature. What the agency had to do, as I
23 | understand because of the regulatory requirements, was to
24 | reconstruct, as best you could, actual data sets from
25 | source documents which were no longer obtainable.

1 But as I look at the controlled clinical trials
2 and gave most weight to the Hastings paper and to the World
3 Health Organization trial, which wasn't a trial from which
4 you had access to the source documents, I was convinced
5 that there is a beneficial effect, at least on fever and
6 skin lesions by whatever formulation of thalidomide was
7 used in those two trials.

8 Supplement that by the fact that the people who
9 take care of patients with leprosy are an elite group of
10 clinicians who have done this for years -- Dr. Rea, for
11 example, was a professor of mine in medical school over 20
12 years ago and lectured us on leprosy, and that was the last
13 time I actually saw a patient with leprosy. But I have
14 enormous respect for the very careful clinical observations
15 of these investigators over the years, not that I give them
16 the same weight as a clinical trial because they're subject
17 to bias like any of us are, but there is a consistency of
18 effect.

19 So, on balance, I would just summarize my
20 impression that, yes, I don't think personally that a
21 placebo-controlled trial is required to show that
22 thalidomide works for skin manifestations and fever.

23 DR. MCGUIRE: Thank you very much.

24 Dr. Miller.

25 DR. MILLER: I think we have a body of data and

1 we've heard from the experts about their results and their
2 observations over the years, and it clearly appears that
3 thalidomide is effective.

4 The question here is the meaningful efficacy of
5 Celgene's thalidomide. I think that raises a question
6 because here we are in 1997 and it's time to do a study and
7 I think we can do a good study, but we have here a protocol
8 which was aimed I think at 30 patients. When we came into
9 this session, we had observation on 9 of those 30 patients,
10 and then we picked up an additional 8 patients and just
11 heard the data on those.

12 So, it's very difficult to come to any
13 conclusions, and still at the same time, we've got to
14 demand that we have this data and that we have good data.
15 The definition appears to have been truncated a bit and
16 we're limited now to cutaneous lesions, and if it is
17 limited to cutaneous lesions in our definition, well, then
18 we certainly should be able to observe those very well and
19 come up with an accurate assessment.

20 But we can't just do a 1-week or a 6-week. We
21 need some follow-up because in the presentations yesterday,
22 it was apparent that most of these patients who have been
23 put on thalidomide remain on thalidomide for long periods
24 of time. Again, then we have to define the subsets who
25 really need thalidomide at the outset, and if you don't

1 | need it, well, then what are the other modalities that
2 | could be used?

3 | So, I think from this study we do need to get
4 | some meaningful data, but we just don't have enough patient
5 | data at this time.

6 | DR. MCGUIRE: Ms. Cohen.

7 | MS. COHEN: Dr. Mathews, did you say or did
8 | someone say if they left the word "Celgene" out, it would
9 | make a difference? I thought someone had said that.

10 | DR. MATHEWS: I think there are two separable
11 | questions. One asks you to review the historical body of
12 | literature and make a judgment about is there evidence that
13 | some form of thalidomide is effective in this syndrome.
14 | The specific question facing the committee assumes I think
15 | the answer to that previous question because that is a
16 | major body of the evidence that is brought forward to
17 | support the specific application of Celgene.

18 | I agree with Dr. Miller that because of the
19 | prematurity of the current ongoing clinical trial data set
20 | that I cannot answer in the affirmative that Celgene's
21 | product is effective in a regulatory context. If at a
22 | committee meeting, discussions have to take place about
23 | where you classify individual patients as responders or
24 | nonresponders, there's some problem.

25 | MS. COHEN: I like the nuance of what I thought

1 | you suggested and I have to say that I would go along -- if
2 | it's possible to remove the name Celgene and do it. I
3 | think it takes a whole different --

4 | DR. MCGUIRE: Dr. Hashimoto.

5 | DR. HASHIMOTO: Well, I assume this is a kind
6 | of helpful drug by hearing from all the experts, and that's
7 | the extent I can understand the situation.

8 | As far as data is concerned, the database is
9 | very confusing, and I am not 100 percent convinced that
10 | this is done in a legitimate way in today's standards.

11 | So, also there's no reliable dermatological
12 | descriptions in this protocol. It just says new lesions
13 | didn't show up, but that is not today's drug studying
14 | dermatological disease. It's really not an acceptable
15 | description.

16 | So, it should be more wide-based and long-range
17 | dermatological evaluation which is one of the major
18 | components of this drug effects. So, the study should be
19 | redesigned and dermatologists should join the group and
20 | descriptive portion -- as I said, photography is probably
21 | required. You can tell the closure of the ulcer easily by
22 | a picture. There must be some documentation. That's my
23 | opinion.

24 | DR. MCGUIRE: Thanks, Ken.

25 | Dr. Orkin?

1 DR. ORKIN: In reviewing this material, there
2 are three words that come to mind that have been already
3 alluded to: promising, confusing, and premature.

4 DR. McGUIRE: Did you find anything that you
5 liked?

6 (Laughter.)

7 DR. ORKIN: Promising.

8 DR. McGUIRE: Promising, okay.

9 If I can tell you what I've been hearing for
10 the last 10 minutes, everyone sitting around the table who
11 has clinical experience is inevitably influenced very
12 heavily by the opinions and the experience of doctors like
13 Gelber and Dr. Rea and Dr. Yoder. They clearly have more
14 experience in six weeks than any of us would have in six
15 years. It is inevitable that that kind of experience is
16 very influential at least on the clinicians.

17 There is a problem and the problem was that a
18 decision was made to review old data, data obtained from
19 patients who were treated with thalidomide from various
20 sources, and an incomplete data set doesn't quite cover it.
21 I think it was cruel and unusual punishment for the sponsor
22 to have to go back and sort out those data and try to
23 analyze them in an orderly fashion.

24 We'll do a fast-forward to the present
25 Philippine study which, although Dr. Wilkin observes does

1 not have a placebo in it, I think it's very difficult to
2 have a placebo arm in this kind of study. So, we have a
3 two dosage range study that's going on with the proposed
4 goal of treating 30 patients, and now we've seen data on I
5 think 18 or 20. I think that fits your observation. Those
6 are very promising studies.

7 Then the problem is I think none of us was
8 prepared yesterday or today to sit and analyze individual
9 cases, that is, whether case 11 belongs in this category
10 and case 9 belongs in this category and the sponsor thinks
11 that case 12 belongs here. Those are not the kind of
12 clinical data that are powerful in moving you into approval
13 or disapproval. So, there is a problem with the sample
14 size.

15 Dr. Wilkin, how have I misquoted you?

16 DR. WILKIN: No. You may well have quoted me
17 verbally, but I think in my review I think what I had was
18 an active control could be performed for the E-003/P. It
19 could have a sedative, for example, in it. It could also
20 have prednisone. Prednisone for a week shouldn't be a
21 problem. It might have instead of prednisone, perhaps one
22 of the more potent nonsteroidal anti-inflammatory agents,
23 but an active control.

24 DR. MCGUIRE: Eva?

25 DR. SIMMONS-O'BRIEN: I would just like to say

1 a few comments.

2 I think to answer the question, I think
3 Celgene's thalidomide has shown to be efficacious in some
4 of these patients. However, I think in 1997 we would like
5 to see this medication, if it's used in a condition such as
6 ENL where it has been shown to be effective over the past
7 20 some odd years or longer, that Celgene provides us with
8 a model study using clearly predetermined objective
9 measurements of clinical response comparing thalidomide to
10 gold standards of treatment of ENL, prednisone and/or
11 dapson, looking at toxicities in both of those arms,
12 looking at mechanisms of action.

13 Just to go back to yesterday when Dr. Thomas
14 was saying that the educational package was not
15 specifically directed towards ENL because he was making the
16 point that one day in the future this is a medication that
17 will be used for off-label indications or other
18 indications, that they wanted to go ahead and have some
19 uniformity to make a really gold standard of how
20 physicians, patients, and the public should be educated.

21 Well, I would throw the challenge back to them
22 saying that this study on ENL in the Philippines should be
23 the gold standard by which other studies are done for other
24 NDAs. And that's what I don't see.

25 DR. McGUIRE: Yes, Dr. Mathews.

1 DR. MATHEWS: One of the implications of an
2 active control arm is clearly on sample size. I would
3 suspect if prednisone were the active control, you would
4 need a much larger sample size, and I wonder whether you
5 would be looking at an equivalence trial in the short term
6 and not on a superiority trial. I don't know if there are
7 enough patients to do an equivalence trial in any single
8 site.

9 DR. LUMPKIN: Just on a procedural thing there,
10 as Janet was saying a little while ago, there is not a
11 comparative efficacy standard for approval procedures in
12 this country. What has to be shown is that the product
13 itself, that's the object of the review, indeed offers
14 something of clinical benefit to the patient. I think
15 you're absolutely right.

16 As Janet talked about coming out of her
17 experience in rheumatology, my experience is in anti-
18 infectives where we don't do placebo-controlled trials for
19 obvious ethical reasons there.

20 The point that we're trying to make I think in
21 active controlled trials is not is one superior over the
22 other or is one equivalent to the other. Those are nice
23 things to know and there are clearly people within the
24 health care world who want to know those things, but in
25 trying to determine is the product under review effective,

1 | what that trial design offers us is a way to try to deal
2 | with some inherent biases that would be in an uncontrolled
3 | trial, albeit as we've all said here today, an active
4 | controlled trial has its own problems with biases depending
5 | on where you're going.

6 | I think you do bring up a very good point. We
7 | found that in the anti-infective world, where we have a lot
8 | of people who have the common infections, those trials
9 | sizes, when you're trying to get to statistically
10 | significant superiorities or statistically significant
11 | equivalence determinations, can be quite large. That's a
12 | very big problem when we're going into orphan indications
13 | like we're talking about here.

14 | I think in that situation we would not have the
15 | expectation that one was showing something statistically
16 | because of the realities of the disease entity being
17 | treated. We would use a trial design to eliminate bias so
18 | that the observations that you make on the product under
19 | review can be believed to be more robust so that you have
20 | to make a kind of a scientifically clinically relevant
21 | decision of has this product offered anything of value to
22 | the patient.

23 | So, I don't want you to think that there's some
24 | magic in a regulatory decision versus a scientific
25 | decision. These are all scientific decisions. Ours have

1 regulatory implications but it's still a scientific
2 decision. It's a clinically relevant decision and that's
3 what we need input on.

4 DR. MCGUIRE: Dr. Gelber, did you want to speak
5 to the issue of efficacy? Let's leave toxicity out right
6 now.

7 DR. GELBER: First of all, I want to thank the
8 clinicians for believing us. I rather thought that our
9 opinions, no matter how long we've been in the field and
10 how many patients we've seen, you might conceive that we've
11 been duped over a prolonged period of time.

12 Actually I understand proof, as well as most of
13 you do, and I understand controlled clinical trials.

14 First of all, let me say my experience, you
15 already know, has been over nearly 30 years. I was in on
16 the IND from its initiation. I respect the considered
17 reviewers who are looking as academics at a body of data
18 that I might add I reviewed for Celgene and signed the
19 reports that the FDA received. I looked over a lot of
20 literature and, frankly, was surprised that there was so
21 much. When I saw the literature, I saw the very same flaws
22 that all of you saw, and yet I think I was impressed with
23 the body of the data and the unanimity of the opinion.

24 Clinical trials in ENL are very difficult. I
25 am infections disease person and not a dermatologist. I'd

1 | hate to see what penicillin would do here at this meeting
2 | because one can't do those trials either. But that in a
3 | sense is an aside.

4 | Clinical trials in ENL are very difficult.
5 | First of all, the endpoints in almost all of the trials
6 | vary from place to place. This is not a syndrome where we
7 | have a readily available number like an SGOT or a blood
8 | pressure or things that can be easily measured. A lot of
9 | it is patient response and a lot of it is fairly
10 | subjective. It is not easy to do a careful controlled
11 | clinical trial.

12 | Yet, I think there have been attempts and I
13 | think most of the attempts have been honest ones and I
14 | think the results have been uniformly similar.

15 | Furthermore, when I write textbooks on this, I
16 | say ENL is a systemic disease and probably it's a
17 | vasculitis, and I suspect that most of the manifestations
18 | are really more a function of where the immune complexes
19 | land than anything else. My belief would be that if
20 | thalidomide is effective against skin lesions and fever,
21 | it's likely to be effective against the other
22 | manifestations, which is certainly my own and other's
23 | clinical experience.

24 | Yet, when you look for the data on that, it
25 | really is rather hard to come by in anything that

1 approaches controlled clinical trials, but there are an
2 awful lot of large open-label trials that attest to its
3 use. And the reason why it's hard is that skin lesions and
4 fever are far and away the most common manifestations. So,
5 it's hard to do studies.

6 I think the next-to-the-last point is that I
7 think that a lot has been placed on the Celgene product. I
8 think it has been fairly clear, at least in steady state,
9 that this is equivalent to at least other historical
10 thalidomides, and I think it's fair to extrapolate that
11 this product at least is equivalent in its bioavailability.
12 Hence, I think it's fair to extrapolate that one needn't
13 create a massive database on the Celgene product itself.

14 The last thing I want to square -- and I think
15 this is important. You've seen a lot of different response
16 data analyses from Celgene itself, from my reviews of the
17 literature, and from independent and carefully considered
18 considerations. I think these are largely explainable by
19 endpoint decisions and how one views the natural history of
20 the disease.

21 Dr. O'Connell, I think you felt that one
22 patient got put down in the lower response category because
23 there were ulcers present or ulceration occurred during the
24 course. Well, thalidomide does not affect the natural
25 course of individual lesions, nor does anything. Really

1 | what it does is prevent new lesions from occurring.

2 | I think, Dr. Weintraub, in your analysis of the
3 | L.A. data, you found that there were treatment failures
4 | that occurred that we would have called successes or at
5 | least partial successes because there were some skin
6 | lesions. So, your endpoint was a little more stringent
7 | than would have been called failures by the criteria that
8 | were used.

9 | So, I think I just wanted to share. I've had
10 | some diverse views here on diverse issues, but I did want
11 | to share some of these opinions and leave you with the view
12 | that I appreciate your considered thought. Having spent a
13 | lot of time on these reports and a lot of time with these
14 | patients, I'm fully convinced that the massive database in
15 | the literature and the clinical experience there leaves
16 | little doubt in my mind that this drug is effective in all
17 | manifestations of erythema nodosum leprosum.

18 | DR. MCGUIRE: Thank you, Dr. Gelber. The
19 | committee appreciates the clinical experience that you
20 | bring to this and also your willingness to analyze the
21 | data.

22 | Are there other questions from the committee?

23 | Yes, Eva.

24 | DR. SIMMONS-O'BRIEN: Not that there's a
25 | question but just to further take up that point. It is

1 very difficult to look at objective measurements in a small
2 population and in skin, but it can be done. It's timely
3 and it's very expensive, but just not photographs as the
4 only mechanism. Photographs are helpful. Reevaluating the
5 tissue histologically can be helpful. Having the patients
6 fill out a survey as to how they consider or how their
7 symptoms are doing in terms of burning, swelling,
8 induration, redness. There are also instruments out
9 available now that can measure the erythema as well as the
10 melanin index in a lesion.

11 So, there are a whole bunch of things that can
12 be done and then looked at to see if that also correlates
13 with a good response, a complete response, or a partial
14 response, but yet they are very expensive and they take a
15 lot of time, but it can be done.

16 DR. MCGUIRE: Yes, Joel. Dr. Mindel.

17 DR. MINDEL: I'd like to disagree with Dr.
18 Lumpkin a little bit in that he seems to feel that this is
19 a scientific and a regulatory meshing. But for me, this is
20 a divergence of scientific and regulatory decisions. I
21 have a faith that the drug is effective, but scientifically
22 I don't believe the data support that it's effective. This
23 is the first discussion of a drug where nobody has
24 mentioned .05 probability. Statistics have not come into
25 this discussion at all. If this weren't an orphan drug, it

1 would not have been at this level of a meeting.

2 I think we really have to throw the weight back
3 to the FDA that it's going to be an act of faith to some
4 extent and the reports and the literature and the experts
5 who feel that it's effective and the faith maybe of the
6 people around the table that's going to make you approve or
7 disapprove the drug.

8 DR. WOODCOCK: Yes. I think Mack and I both
9 would like to respond to that perhaps.

10 There are different ways to arrive at a level
11 of certainty and we use different methods when prevalence
12 in the population is very small or for other reasons we
13 cannot do randomized trials. Oncology is a good example.
14 For the first approval of oncology agents and for many
15 other types of treatments, randomized trials often cannot
16 be done.

17 That doesn't mean, though, it isn't a
18 scientific judgment based on the natural history of the
19 disease, the robustness of the data, the multiplicity of
20 observations that have been made, the independence of
21 observations. These are not simply specific to this
22 discussion. These are things that we have laid out and
23 discussed publicly as far as the bases for decision making
24 when randomized controlled trials are not available.

25 DR. McGUIRE: I'm getting close to asking for a

1 | vote on the first question, but I'm going to modify the
2 | first question very slightly. Mike, I'm not going to do
3 | anything bad to it. I'm just going to leave a part of the
4 | sentence off.

5 | Has the efficacy of Celgene's thalidomide in
6 | the treatment of systemic erythema nodosum leprosum or any
7 | subset of ENL, such as cutaneous ENL, been demonstrated?
8 | What I'd like to do is put a period after "syndrome," and
9 | then if we want to consider efficacy for subset, we can do
10 | that as a second question.

11 | So, the question now reads, has the efficacy of
12 | Celgene's thalidomide -- this is not Chemie Gruenthal's
13 | thalidomide, and it's not Tortuga's thalidomide. Has the
14 | efficacy of Celgene's thalidomide in the treatment of
15 | systemic erythema nodosum leprosum syndrome been
16 | demonstrated?

17 | DR. WOODCOCK: Could I just add one more
18 | clarification?

19 | DR. MCGUIRE: Dr. Woodcock.

20 | DR. WOODCOCK: I apologize for interrupting the
21 | flow.

22 | But as far as Celgene's thalidomide versus
23 | other thalidomides, we would like the committee to tell us
24 | what they think about the efficacy of thalidomide.

25 | Dr. Weintraub and Dr. Lumpkin and I don't agree

1 | with the statement that was made that formal bridging
2 | bioequivalence data should be obtained to the other
3 | formulations that were used in the past. The reason for
4 | that is those were not defined formulations, and it isn't
5 | at all clear that even if a number of those were tested,
6 | that you would know what the dose that was received in the
7 | historical database for any specific patient who responded
8 | was and what the absorbed dose was.

9 | Therefore, we are interested in the question of
10 | whether the committee believes that thalidomide itself is
11 | effective and the questions about Celgene's thalidomide is
12 | effective relate really to dose and safety at the dose.

13 | DR. MCGUIRE: So, you're suggesting that we
14 | amend the question? I think it's your question. It's not
15 | my question.

16 | DR. WOODCOCK: Well, we would like to have the
17 | answer to that question as well is what I'm saying. It
18 | might be useful if you entertain that question first, but
19 | it might not. I would say it's up to you.

20 | DR. MCGUIRE: Mrs. Cohen.

21 | MS. COHEN: Could you make it a two-part
22 | question, a little different? Has the efficacy of the data
23 | on thalidomide, because it is a lot of data there that
24 | doesn't come from Celgene. I think if you use the word
25 | Celgene and nothing else -- I used to draft my own cease

1 and desist agreements. I'm not a lawyer, but I've done a
2 lot of it. I'm a little uncomfortable with it because as
3 we go on and we vote, it kind of ties us into Celgene, and
4 maybe we shouldn't be in the other things we're going to
5 say. So, I don't know if you can divide it into two
6 questions: the data, one; Celgene for another.

7 DR. McGUIRE: Well, we have several kinds of
8 data. We have anecdotal data. We have clinical data. We
9 have historic data. We have Bob Hastings' data. We have
10 current data from a pretty clean study going on in the
11 Philippines. And the question is where do you put your
12 bets.

13 The other issue that comes up is that Dr.
14 Woodcock has suggested that we consider not just Celgene
15 thalidomide, but global thalidomide, and we can do that as
16 a separate issue.

17 I think what I would like to do now, Susan, if
18 you'll let me, is --

19 MS. COHEN: It's a free country.

20 (Laughter.)

21 DR. McGUIRE: -- to deal with Celgene. Has the
22 efficacy of Celgene's thalidomide in the treatment of
23 systemic erythema nodosum leprosum, ENL, syndrome been
24 demonstrated?

25 DR. BERGFELD: Can I ask for clarification? At

1 | this point in time or our projected feelings about the
2 | future reporting of a study that's under way? We're
3 | prematurely making decision on a current study because the
4 | only study they have is that Philippine study.

5 | DR. MCGUIRE: Wilma, you know, you got me
6 | there. I didn't write this question.

7 | MS. COHEN: Well, that was my point.

8 | DR. MCGUIRE: Fred, help me out here.

9 | DR. MILLER: I think the adjective "meaningful"
10 | is important here. Again, I guess I'm reiterating what I
11 | said before.

12 | But first of all, this study is not unblinded
13 | yet and we don't know if 100 milligrams or 300 milligrams
14 | is the appropriate dose or some other dose. And we have
15 | too few patients to really make a decision on. I think as
16 | Eva said, we have the opportunity to have a study here from
17 | which you can get some really good data, and now is the
18 | time to do it. Until we see all 30 patients and the data
19 | from these 30 patients, I don't think we can say, yes, this
20 | is truly meaningfully efficacious in the treatment of ENL.

21 | DR. BERGFELD: I want to comment again. I have
22 | never had a committee vote on a study under way as to
23 | whether it was going to have an outcome that was beneficial
24 | or not. So, I refuse to vote on this question as stated.

25 | DR. WEINTRAUB: Excuse me. Can I make a point

1 here?

2 This study was never intended to be one of the
3 ones upon which this decision would be made. It in fact
4 was designed as a phase IV study, although it was begun
5 now. It was to be completed after the approval -- if the
6 drug were to be approved, it would be continued on and
7 finished after the approval process. So, it's not that it
8 should be such a major part of it. If you want to back
9 away from it, that would be acceptable as well, and just
10 look at the database without the Philippine data.

11 Actually it's coming in in piecemeal, but we
12 have been required to do that both by the fact that this is
13 a priority drug and reviewed very rapidly and also by the
14 fact that we wanted to get all the information we could for
15 the committee to look at.

16 And the other thing is that this, remember, is
17 it is an efficacy trial, but it's also a dose-ranging
18 trial. That's one of the things we want to look at in this
19 case, is which dose, what dose, how will people respond to
20 different doses.

21 DR. MCGUIRE: But the question we're being
22 asked is one of efficacy, and Dr. Bergfeld's point is
23 pretty straightforward, which is that you are putting us in
24 a position of advising you on the basis of a partial study,
25 a study with few patients in it. To be sure, it's a blind

1 study and it's a very good study, but it hasn't been broken
2 yet.

3 I'm coming back to the same question over and
4 over. Do you want us to consider the totality of
5 thalidomide experience in ENL and forget about the Celgene?

6 DR. WOODCOCK: We are not asking you to say has
7 the efficacy of Celgene's thalidomide -- only in trials
8 that have used Celgene's thalidomide. Now, we recognize
9 that that issue relates to dose, dose response, and safety
10 as far as what the dose of that product is, but we're
11 asking about the efficacy of thalidomide.

12 DR. MCGUIRE: It's the easiest thing in the
13 world for me to mark out Celgene. Okay.

14 Has the efficacy of thalidomide in the
15 treatment of systemic erythema nodosum leprosum syndrome
16 been demonstrated?

17 I think the only way to do this is to walk
18 around the table and vote. Fred, are you prepared to vote?

19 DR. MILLER: Again, we've heard from the
20 experts, and I think that it is effective. But we've also
21 heard the caveats about the published studies on
22 thalidomide and the reasons for those caveats. But, yes,
23 it does appear to be an effective drug.

24 At what point do you use the drug? Are there
25 less teratogenic drugs that can be used for the same

1 | entity? I think there are big questions.

2 | The thing that bothers me the most about this
3 | is that this is a teratogen which has caused significant
4 | problems and now we're looking at it before the one study
5 | that might be available is not even finished, and it just
6 | does not seem to be consistent.

7 | But I think yes, thalidomide is --

8 | DR. MCGUIRE: That's a very long yes.

9 | DR. MILLER: Yes.

10 | DR. MCGUIRE: Dr. Hashimoto.

11 | DR. HASHIMOTO: Well, I think it depends on
12 | what you define the syndrome. I think fever probably
13 | evaluated and probably effective to control fever. Skin
14 | lesions. I heard many experts say it works, so I think it
15 | works. But when you talk about orchitis, uveitis,
16 | neuritis, there's no evaluable quantity of data there, so I
17 | have no idea what it is. I should say in a selected area,
18 | it's effective.

19 | DR. MCGUIRE: So, you would vote yes if this
20 | were cutaneous.

21 | DR. HASHIMOTO: Yes, cutaneous and maybe fever.

22 | DR. MCGUIRE: Cutaneous and fever.

23 | Mrs. Cohen.

24 | MS. COHEN: Well, you were trying to subdue me
25 | before, so I'm going to try and be subdued, but I'm not

1 | sure I can.

2 | Efficacy is a very interesting word in terms of
3 | definition. Has the efficacy of thalidomide and possibly
4 | other drugs -- I mean, because we don't know enough. But
5 | if you want me to vote yes, I'll vote yes.

6 | (Laughter.)

7 | MS. COHEN: But I'm not thrilled, I can tell
8 | you. When I hear that the lesions can go in 48 hours, that
9 | really kind of says something to me.

10 | I feel the FDA wants some direction on how we
11 | feel about thalidomide as one possibility, and it isn't the
12 | only possibility I suspect. This is really focusing in on
13 | thalidomide and nothing else, and that's what concerns me.

14 | The questions are very difficult and it's hard
15 | to draft them and it's to answer them. Sometimes I think
16 | we ought to have input into the questions too.

17 | DR. MCGUIRE: Well, Susan, in the first place,
18 | I'm not at all persuaded that if you thought I really
19 | wanted you to vote yes, you'd vote yes.

20 | (Laughter.)

21 | MS. COHEN: That's why we get along. He
22 | understands me very well. And we do need a little levity
23 | once in a while, if you'll forgive me.

24 | DR. MCGUIRE: So, are you voting yes?

25 | MS. COHEN: May I think about it a little bit

1 more, please, and come back?

2 DR. McGUIRE: You sure can.

3 Dr. Kilpatrick.

4 DR. KILPATRICK: In short, yes.

5 DR. McGUIRE: Dr. Simmons-O'Brien.

6 DR. SIMMONS-O'BRIEN: Yes, and if you review
7 the literature, you'll see it.

8 DR. McGUIRE: Dr. McGuire, yes.

9 Dr. Bergfeld.

10 DR. BERGFELD: Yes.

11 DR. McGUIRE: Dr. Orkin.

12 DR. ORKIN: Yes, for skin and fever.

13 DR. McGUIRE: Dr. Mindel.

14 DR. MINDEL: No.

15 DR. McGUIRE: Dr. Mathews.

16 DR. MATHEWS: Yes, for skin and fever.

17 DR. McGUIRE: So, in fact, we put that question
18 back together after I took it apart, and what we did was
19 eliminate -- I believe it's my sense of what the advisory
20 committee wants is that we're voting yes on efficacy for
21 skin and fever, and we don't know about the other pieces of
22 it.

23 MS. COHEN: That makes me more comfortable.

24 DR. McGUIRE: Everyone is going with that?

25 MS. COHEN: Yes.

1 DR. MCGUIRE: Susan, would you care --

2 MS. COHEN: Yes, sir. As long as it's skin and
3 fever, I'll be more comfortable.

4 DR. MCGUIRE: Okay.

5 The second question. Dr. Woodcock -- oh, I'm
6 sorry. Madeleine Duvic's vote?

7 DR. BERGFELD: She was yes.

8 DR. MCGUIRE: Is yes.

9 Dr. Woodcock, do you want to delete Celgene
10 from question 2?

11 DR. WOODCOCK: Yes.

12 DR. MCGUIRE: Has the safety of Celgene's
13 thalidomide been described in the treatment of systemic ENL
14 syndrome or any subset of ENL such as cutaneous ENL been
15 adequately described? Okay, I didn't write that question
16 either.

17 I think the sense of the question is, has the
18 safety of thalidomide been adequately described in systemic
19 ENL or any subset of ENL, such as cutaneous?

20 DR. LUMPKIN: Joe, just for clarification on
21 that. You know, as people obviously see, when we get to
22 question 3, it's the basic risk-benefit there. We're not
23 asking people here to say is this drug safe. We've spent a
24 day and a half talking about all the various concerns
25 people have.

1 What this question is asking, has the safety
2 profile been adequately characterized so that when we get
3 to number 3, you've got a premise upon which to do a
4 benefit-risk assessment. That's really what this question
5 number 2 is getting to.

6 DR. MCGUIRE: Well, before we address that
7 question, I would like to ask Dr. Crawford his views on
8 that because as I sat here in November and listened to the
9 data on neuropathy, axonopathy, it seems to me there were
10 different measures in different studies and there were
11 different results in different studies. I was left without
12 a clear view of what the neurological toxicity is.

13 DR. CRAWFORD: I don't think the neurotoxicity
14 has been amply demonstrated.

15 I support Dr. Miller's point, that ENL is
16 chronic, it's recurrent, it lasts for years, and
17 thalidomide has been given for many months or years.

18 As I tried to point out, the toxicity we know
19 for a fact, in well-documented studies in non-leprosy
20 disorders, the frequency of thalidomide neuropathy is at
21 least 21 percent, and as somebody has pointed out, in one
22 British study it may reach 50 percent. That is based on
23 electrophysiological studies which have not been performed
24 on leprosy patients.

25 Now, experienced leprologists have stated they

1 | have not observed this neuropathy in leprosy patients. We
2 | really have to ask the question, why is this difference
3 | occurring, a difference of between lower than 1 percent and
4 | upwards of 21 percent?

5 | One answer is I noted from the Hansen's Disease
6 | Center form, that the information on that form showed
7 | details of the classification of leprosy, but there was
8 | nothing about the clinical examination of the peripheral
9 | nervous system. Now, this is not a difficult thing to do.
10 | You need a pen, a piece of cotton, a reflex hammer. That
11 | should be recorded at the very start before thalidomide is
12 | administered, and that will give you a baseline as to
13 | whether the drug is safe or not.

14 | Now, we're told from the leprologists they
15 | carry out careful sensory codings, but we haven't seen any
16 | clinical data on that.

17 | So, I think in summary that the evidence
18 | suggests that thalidomide neuropathy has not been excluded.

19 | I'm also very concerned about the advocates of
20 | thalidomide, including Dr. Gelber, when they're writing
21 | about this in textbooks -- I think he has written in
22 | Mandel's Infectious Disease -- he doesn't mention
23 | thalidomide neuropathy as a side effect, and I think that's
24 | a serious omission.

25 | DR. MCGUIRE: Dr. Simmons-O'Brien, would you

1 | make a comment about some of the literature you've looked
2 | at with neuropathy?

3 | DR. SIMMONS-O'BRIEN: Yes, and I have to say I
4 | am not a neurologist and I wish sometimes I had paid more
5 | attention to neurology in medical school now in reviewing
6 | some of this.

7 | But as a dermatologist in reviewing literature
8 | and being concerned about some of the patients that I used
9 | thalidomide in compassionately -- and that thalidomide has
10 | not been obtained from Celgene, I'll say that -- I think
11 | that in the ENL patients specifically -- and that's what
12 | we're talking about -- the literature -- and I agree with
13 | Dr. Crawford -- does not look like the thalidomide
14 | neuropathy as it is characterized was looked at in the
15 | past. And it doesn't seem like from Celgene's report that
16 | it is being looked at today in a very standardized way
17 | using a standardized history, neurologic examination,
18 | looking at sensory nerve action potentials, and the median
19 | in sural nerves, looking at somatosensory VOC potentials,
20 | and looking at quantitative sensory testing to measure
21 | vibration and temperature, all of which has shown to be
22 | helpful in further elucidating a toxic neuropathy as
23 | demonstrated by Dr. David Cornblath, who was here
24 | yesterday. I think that it must be done prior to a patient
25 | ever beginning the medication and it should be done at

1 systematic intervals.

2 So, I don't know that ENL patients do not get
3 thalidomide-induced neuropathy. It seems to me that it has
4 not thus far been looked for.

5 DR. MCGUIRE: Is there more discussion on that
6 point? Yes?

7 DR. MATHEWS: If I recall correctly, at the
8 November meeting there was a discussion about whether a
9 clinical examination was sufficient once a drug is licensed
10 or made available for clinical practice to detect
11 neuropathy due to thalidomide or any other medication that
12 has neurotoxicity, or should electrophysiologic studies be
13 required. I think, if I recall, it was Dr. Cornblath's
14 comment at that time that careful clinical examination was
15 likely to be adequate to detect reversible neuropathy.

16 In the data that has been discussed so far
17 yesterday on reversibility of this entity, the neuropathy,
18 I don't recall hearing any data that detecting it when the
19 nerve action potential decreased by any specified amount
20 was any more likely to be reversible than discontinuing the
21 medication at the first sign of paresthesias, for example.

22 It's a big difference to require nerve action
23 potentials be measured in a clinical trial setting versus
24 stating that that has to be part of the monitoring of the
25 drug whenever it's used in any clinical care setting

1 | because it's simply not available in many contexts. So, I
2 | think we have to grapple with that issue.

3 | DR. MCGUIRE: That's my recollection of Dr.
4 | Cornblath's testimony.

5 | Yes, Eva.

6 | DR. SIMMONS-O'BRIEN: I think the problem gets
7 | into reversibility of neuropathy and reversibility of
8 | symptoms. I was not at that meeting and you did not hear
9 | any data yesterday to suggest that. However, in my
10 | experience, clinical evidence has shown that there seems to
11 | be a window that you can actually reverse the neuropathy if
12 | you are using all those measurements and there is a time
13 | when the neuropathy most likely is still present based on
14 | depression of those synaptic responses. However, the
15 | patient off of medication is no longer symptomatic or the
16 | patient on lower dose medication is no longer symptomatic.

17 | So, it's a very gray area. It's a very
18 | difficult area but it's one that we need, to the best of
19 | our ability, to try to understand and look at. The benefit
20 | will be that hopefully we'll have a better understanding of
21 | neuropathies in general.

22 | DR. MCGUIRE: The question is has the safety of
23 | thalidomide in the treatment of systemic ENL or any subset
24 | of ENL been adequately described. What I'm hearing is that
25 | we need to fill in some data in the neurologic exam and

1 | perhaps make predictive observations.

2 | Dr. Bergfeld.

3 | DR. BERGFELD: Well, I'd like to respond to
4 | that question as well. I think that the safety information
5 | as to where we should be looking for the risk areas has
6 | been adequately described. We've heard about the embryo
7 | toxicity, the neuropathy. We haven't mentioned the
8 | hematopoietic changes that occur in some individuals. But
9 | I think that all of the studies paint sort of the same
10 | picture, and so we know where our issue areas are.

11 | So, I would answer that question yes.

12 | Now, as to the total effect as to percentage,
13 | prevalence, however you define that, embryo toxicity I
14 | gather is 100 percent if the window is appropriate when the
15 | drug is given. The neuropathy ranges, in my estimation
16 | looking over the clinical information, from 60 percent. It
17 | may be higher than 50 percent. So, that needs to be better
18 | clarified as stated.

19 | Some of the hematopoietic changes, specifically
20 | the low white counts, perhaps need to be dialed up a little
21 | bit to more carefully.

22 | But on a whole, we do know the safety
23 | parameters and where the issue areas are, and so again, I'd
24 | answer that question yes.

25 | DR. MCGUIRE: Well, that just goes to show you.

1 | You and I have the same information, Wilma, and I would
2 | vote no on it because I think the axonopathy has not been
3 | adequately described and we don't have predictive
4 | measurements.

5 | DR. CRAWFORD: Could I just add or just repeat
6 | that the British experience is the committee has
7 | recommended sensory action nerve potentials to be done on
8 | all patients before they start thalidomide.

9 | DR. McGUIRE: I can't hear you.

10 | DR. CRAWFORD: The Committee on the Safety of
11 | Medicines in the U.K., the equivalent committee to this
12 | one, has recommended that sensory action nerve potentials
13 | be done before any patient is given thalidomide.

14 | DR. McGUIRE: Okay.

15 | DR. MATHEWS: Dr. McGuire, could I make one
16 | follow-up comment on that?

17 | DR. McGUIRE: Please do.

18 | DR. MATHEWS: Perhaps because of my experience
19 | on the Antiviral Committee, at least in HIV-related
20 | applications, we have used for over 10 years medications
21 | which have predictable neurotoxicity and because of the
22 | severity of the underlying disease, those risks are taken.
23 | In some cases, it's clearly dose-related; other cases, not
24 | so.

25 | So, in my mind, it depends on what is the

1 | indication for the treatment, what are the other treatment
2 | options. Of course, it's not only in HIV medicine. In
3 | oncology routinely these drugs with neurotoxic potential
4 | are used. So, it's not in my mind a question, does it
5 | cause it or doesn't it? Is it severe enough? Is it
6 | reversible and is it worth the risk?

7 | DR. MCGUIRE: The question really is whether
8 | we've described it fully, whether we know what we're
9 | dealing with. It's not whether it's a neurotoxin or not a
10 | neurotoxin and whether the axonopathy is permanent. I
11 | think the question, as I read it, is whether it has been
12 | adequately described.

13 | DR. BERGFELD: Or identified?

14 | MS. COHEN: I would use the word -- it has been
15 | described and substantiated. I mean, you can have all
16 | kinds of things about safety, but unless it's
17 | substantiated, you really don't know if it's safe. This is
18 | all in the mind, but it's the actuality that it's about.
19 | It seems to me if it has been substantiated, that's the
20 | part of the safety --

21 | DR. BERGFELD: I don't think any committee
22 | member here would say that the two major issues here are
23 | not the two that we've been talking about, the embryo
24 | toxicity and the neuropathy. I think that those are real
25 | and I think we all agree to that.

1 MS. COHEN: Yes, I understand that.

2 DR. McGUIRE: Dr. Miller?

3 DR. MILLER: Just one other area that was
4 mentioned by the reviewers was the area of postural
5 hypotension was I think 12 percent in healthy volunteers,
6 but there were no data for the patients with leprosy.

7 The other question gets back to the
8 teratogenicity issue, what are the long-term effects on
9 reproductive potential.

10 DR. KILPATRICK: Joe?

11 DR. McGUIRE: Yes.

12 DR. KILPATRICK: I think it depends how you
13 read and interpret the question. From my point of view, I
14 would vote no. It has not been adequately described
15 because we don't have point estimates and confidence
16 limits. There have been all sorts of figures thrown at us.
17 I'm not denying the knowledge of the existence of these
18 effects, but in terms of precise knowledge, I don't think
19 we have it.

20 DR. McGUIRE: Yes. That's amazing. I think
21 you and I agree 100 percent.

22 (Laughter.)

23 DR. McGUIRE: Yes.

24 DR. ORKIN: I'd like to ask Dr. Miller to
25 clarify. I think I made an implication of the point that

1 | you made yesterday and I wonder if we're on the same
2 | wavelength. Are you talking about pregnancies in the
3 | future, not toward the end of the current pregnancy, but
4 | what happens 10 years --

5 | DR. MILLER: Right.

6 | DR. ORKIN: Then I would agree with that
7 | completely.

8 | DR. MILLER: It got to the issue of what
9 | happens to this drug and what does it do to sperm. Wilma
10 | brought this up several times yesterday too. Is it
11 | deposited in fat or wherever? And we just didn't know the
12 | pharmacokinetics of the medication.

13 | DR. McGUIRE: Mr. Warren.

14 | MR. WARREN: I know I'm just a layperson, but I
15 | have healthy brothers and sisters. Most of the
16 | thalidomiders who are the oldest child quite often -- their
17 | parents went on to have other children just for posterity's
18 | sake I guess.

19 | DR. McGUIRE: I think most of us would be happy
20 | for you and your family, and we'd like to see a larger data
21 | set.

22 | (Laughter.)

23 | DR. McGUIRE: I think we've beat up on this
24 | question pretty much. I'm ready to vote. Fred, do you
25 | mind going first again?

1 DR. MILLER: No.

2 DR. McGUIRE: We are doing question number 2.
3 Has the safety of thalidomide in the treatment of systemic
4 ENL or any subset of ENL, such as cutaneous ENL, been
5 adequately described?

6 And Dr. Miller says no.

7 DR. HASHIMOTO: Well, yesterday someone
8 mentioned that TNF-alpha increases or fluctuates -- not
9 very certain. But in the literature, TNF-alpha induced HIV
10 -- activated or enhanced expression of HIV. If the drug
11 goes to AIDS patient community, this issue wasn't
12 addressed.

13 The other one is erythema nodosum is often a
14 complication of birth control pills. Actually that's one
15 of the most common complications of young female patients.
16 If a patient is put on birth control, what happens if a
17 large population trial goes on? Maybe erythema nodosum may
18 be added on ENL. That issue we haven't discussed yet.

19 So, I'm not quite sure all the possible risks
20 are disclosed at this point. So, I should say no.

21 DR. McGUIRE: Thank you.

22 Mrs. Cohen.

23 MS. COHEN: No.

24 DR. McGUIRE: Jim, you haven't changed your
25 vote just because I voted with you.

1 DR. KILPATRICK: No. That is, no, I haven't
2 changed my vote. On number 2, as amended, I vote no.

3 DR. McGUIRE: Dr. Simmons-O'Brien.

4 DR. SIMMONS-O'BRIEN: No.

5 DR. McGUIRE: Okay, McGuire, no.

6 Dr. Bergfeld.

7 DR. BERGFELD: I'm voting yes.

8 DR. McGUIRE: Dr. Orkin.

9 DR. ORKIN: No.

10 DR. McGUIRE: Dr. Mindel?

11 DR. MINDEL: Yes. I don't like voting opposite
12 everybody all the time.

13 (Laughter.)

14 DR. MINDEL: It seems to me in the first
15 question "demonstrated" is a very strong word. I find
16 "defined" is a much softer word. Dr. Kilpatrick talked
17 about confidence limits in terms of defined. I'm a little
18 perplexed by that.

19 DR. McGUIRE: Well, Joel, I think it's okay for
20 you to vote yes.

21 (Laughter.)

22 DR. MINDEL: But I find that the question is a
23 lot softer, and I can vote yes for it.

24 DR. McGUIRE: Okay.

25 Dr. Mathews.

1 DR. MATHEWS: I vote yes also and it primarily
2 relates to the experience of the physicians who have taken
3 care of these patients. While I don't doubt that there is
4 some clinical neuropathy in perhaps a significant
5 proportion, if it hasn't observed and diagnosed, then I
6 suspect it's not as clinically significant.

7 DR. MCGUIRE: We have a vote for Dr. Duvic?

8 DR. BERGFELD: I'm sorry. Yes, we do. Yes.
9 She votes yes.

10 DR. MCGUIRE: She votes yes.

11 And Dr. Crawford?

12 DR. CRAWFORD: I vote no.

13 DR. MCGUIRE: Oh, he's not voting.

14 DR. KILPATRICK: Dr. McGuire?

15 DR. MCGUIRE: Yes.

16 DR. KILPATRICK: May I point out that Dr. Duvic
17 who voted yesterday was probably answering in terms of the
18 original questions and not the amended questions and that
19 may make a difference.

20 DR. MCGUIRE: I'm sure that's right. Just note
21 that, Tracy.

22 We're moving right along to lunch. What I
23 would like to do is to get started at 1:10 here and we'll
24 begin with discussion of question 3.

25 (Whereupon, at 12:14 p.m., the committee was

- 1
- 2
- 3
- 4
- 5
- 6
- 7
- 8
- 9
- 10
- 11
- 12
- 13
- 14
- 15
- 16
- 17
- 18
- 19
- 20
- 21
- 22
- 23
- 24
- 25

recessed, to reconvene at 1:10 p.m., this same day.)

AFTERNOON SESSION

(1:16 p.m.)

1
2
3 DR. McGUIRE: Good afternoon. This is the last
4 session of meeting number 47, and we are now into the part
5 of the meeting in which the agency has asked us to consider
6 a number of questions. Today we have eight questions.
7 We've covered two of them, and I hope those were the hard
8 questions, but we'll see.

9 (Laughter.)

10 DR. McGUIRE: We don't need to introduce
11 anyone. I think it's the same cast that we had this
12 morning.

13 Let me read question 3. Do the benefits
14 outweigh the risks of Celgene's thalidomide in the
15 treatment of systemic ENL syndrome or any subset of ENL,
16 such as cutaneous ENL; i.e., does the committee recommend
17 that Celgene's thalidomide be approved for systemic ENL
18 syndrome or any subset of ENL, such as cutaneous ENL?

19 Once again, that question needs reading several
20 times, and it gets a little more complicated on each
21 reading. So, I think I'm going to strip it to do the
22 benefits outweigh the risks, and then we can talk about
23 cutaneous ENL versus other systemic forms of ENL.

24 Janet, how say you? Do you want Celgene left
25 in here or out of here?

1 DR. LUMPKIN: No, out.

2 DR. MCGUIRE: Out. Okay. Did everyone get
3 that? We're talking about thalidomide global.

4 Actually, Tom Rea, where are you? Dr. Rea, who
5 has been mentioned in the meetings many times, is a very
6 experienced clinician and leprologist. Tom, if you could
7 give us just a couple of minutes on thalidomide risks and
8 benefits.

9 DR. REA: Yes. I have been using thalidomide
10 since 1971 and approximately experienced with about 300
11 patients with at least four different forms of four
12 different drug products being administered and getting a
13 good response with all four.

14 The risks and benefits. I think the benefits
15 are large.

16 The population of patients are those pretty
17 much at the bottom of the economic barrel. A lot are
18 illegal immigrants. A lot are recent immigrants to this
19 country trying to hack it, and as most of you know, that is
20 not necessarily easy. Then they're not particularly happy
21 with the diagnosis of leprosy, but they can function with
22 that diagnosis and they can accept that diagnosis and they
23 can work or raise their family with that diagnosis.

24 When the acute ENL comes on -- and it's
25 episodic and these episodes can be infrequent or they can

1 | be occurring unremittently every three or four days.

2 | The real therapeutic choices are high dose of
3 | steroids, and we certainly have patients where we've chased
4 | up to prolonged doses of prednisone at the level of 60
5 | milligrams a day, which inflicts its own cost and does not
6 | give particularly good control.

7 | When these people are started on thalidomide,
8 | whether they've been on steroids before or not, the
9 | response is usually quite striking, within a week. For the
10 | patients it means that they can go back to work and when
11 | you're at the bottom of the barrel economically, this is
12 | very important because the employers with these people are
13 | quite ruthless usually and if they don't show up for work,
14 | they're soon out of a job.

15 | So, the benefits here are enormous when you
16 | consider that most of these men are fairly young, they have
17 | young families. The median age of our patients I think at
18 | the onset of ENL is in their mid-30s, so they usually have
19 | families and plenty of mouths to feed.

20 | The difficulties that we encounter with the
21 | medication are usually overcome quite easily. The
22 | somnolence. There is a tachyphylaxis to that or the dose
23 | can be reduced or steroids can be added in a fairly low
24 | dose, say, prednisone 20 milligrams a day, and get a very
25 | good result.

1 For the men, it means that they can function
2 and they can work and they can support their family. For
3 the women, it means that they can take care of their
4 children rather than lie around the house in bed much of
5 the time and really neglecting their family because they
6 are simply not well enough to do what they do.

7 I would accept actually greater risks than what
8 the present proposal allows. For example, I would welcome
9 returning to the time from 1971 to 1975 when we could use
10 thalidomide in women who were on two methods of birth
11 control. We did that. We selected patients very
12 carefully. They were reliable. They kept their clinic
13 appointments and they understood what was going on.

14 The neuropathy. I concede there is a -- and
15 the patients know it. They have informed consent. We have
16 not really seen what we would call a clinical progression
17 in the peripheral neuropathy which virtually all have at
18 the beginning.

19 This has been for these people a marvelous
20 boon. For the County of Los Angeles, the amount of money
21 that they have saved by keeping these people out of the
22 hospital must be a considerable amount of money because
23 prior to use of thalidomide, a lot of these people were in
24 the hospital for prolonged periods of time.

25 There is no question in my mind that the

1 | benefits that I have seen well outweigh the risks.

2 | I expect that over time in any kind of
3 | protocol, eventually that a thalidomide baby will be born
4 | and it will be a very sad day, and I don't know what I will
5 | do when I look that child in the eye or that parent in the
6 | eye.

7 | I am here as an advocate for the patients that
8 | I am taking care of, and if I cannot be their advocate, I
9 | really don't know who will be.

10 | Thank you very much, Joe.

11 | DR. McGUIRE: Tom, wait just a minute. Would
12 | you weigh in on cutaneous ENL versus systemic ENL?

13 | DR. REA: The way we practice in our clinic and
14 | we see starting in two ways. One, it can arise full-blown
15 | like Venus in the half shell arising virtually overnight,
16 | the full-blown systemic syndrome.

17 | It can also start occurring as the small dermal
18 | nodules looking a lot like mosquito bites occurring in
19 | various numbers, arising in crops, occurring
20 | intermittently. We usually note that down as incipient
21 | ENL, but don't treat it because the patients aren't sick
22 | yet. We don't want any more thalidomide out there than
23 | absolutely necessary. When the systemic symptoms develop
24 | or when the cutaneous lesions become interfering with one's
25 | occupation, then we treat. Usually they are systemic.

1 In the L.A. data that you read about, the 52
2 percent that were free of skin lesions, there was a
3 remainder called a partial response by Dr. Weintraub's
4 interpretation. By our interpretation, if they were free
5 of the systemic part of the disease and just a few skin
6 lesions, we considered that a good response. Actually, we
7 like to see those few almost asymptomatic lesions because
8 it lets us know that the patients really need to continue
9 this medication.

10 So, our treatment endpoint is the control of
11 the systemic aspects of the illness with a little bit of
12 cutaneous lesions being very acceptable because when such
13 people do fail to keep a clinic appointment and run out of
14 their thalidomide, they exacerbate very quickly.

15 DR. McGUIRE: How much do you dispense to a
16 patient in terms of days, weeks, months?

17 DR. REA: We usually go up to 200 milligrams,
18 and if there is not what we consider a complete systemic
19 response, we add prednisone. The reason why is I do not
20 want people on 300 milligrams a day driving on the freeways
21 to come into the clinic. That seems to be a very bad risk
22 to take.

23 The length of time. Initially we will see the
24 patient back in a week and then adjust the dose.

25 DR. McGUIRE: That's an entry patient.

1 DR. REA: That's an entry patient.

2 Once stable, we are now using 3 months as our
3 routine.

4 We have a young man from the Philippines whose
5 job is with a fishing fleet off of Alaska, and he can only
6 come to the clinic twice a year. We are keeping him on the
7 job, Joe, and maybe bending the protocol a little bit.

8 DR. McGUIRE: Let me ask you a rather loose
9 question. What do you think the incidence of noncompliance
10 is if we consider noncompliance to be using the medicine
11 for some other issue or giving the medicine to someone else
12 or not returning to clinic at the expected date?

13 DR. REA: The returning to clinic at the
14 expected date I think is much higher with the patients on
15 thalidomide than it is on the routine because they know
16 that this medication is keeping them out of trouble. Where
17 for, say, an ordinary lepromatous patient who doesn't have
18 any reactional state, that is, they're not feeling terribly
19 ill anyway, so there is not as big a premium in returning
20 as there would be if you were on thalidomide.

21 I don't know how much goes on of that. We've
22 got no way to really check on it. We can tell when the
23 patients say they don't need any more thalidomide and if
24 they were taking one a day, they should have run out. We
25 try with a fair degree of accuracy to have the patients

1 routinely bring in all of the medications that they are on,
2 so we will try and get some handle on what's going on.

3 They are cautioned at the beginning and we do
4 try to reiterate repeatedly that this is a drug that nobody
5 else in the family should take, and in a pregnant woman, it
6 is devastating.

7 DR. MCGUIRE: Tom, if you would just stay
8 there, maybe other people from the advisory committee could
9 question you. Dr. Orkin has a question.

10 DR. ORKIN: Have you seen, Tom -- and I think
11 you may have already intimated -- any pregnancies?

12 DR. REA: No. We have not seen any pregnancies
13 in women on thalidomide. We have seen, going back over 20
14 years, at least one of the women on thalidomide, which was
15 discontinued subsequently, she had had no children at that
16 time. She was about 18 years old and now has I think two
17 or three children. I don't know just when they were born.
18 I think the first one was probably about a year after the
19 thalidomide was stopped.

20 DR. ORKIN: Also, have you seen them on their
21 own use it for other conditions or pass it on to somebody
22 else?

23 DR. REA: We have not knowingly observed it.
24 Whether it has happened or not, I really don't know. The
25 nightmare that has not yet become a reality is the, quote,

1 sterilization with tubal ligation, which has a failure rate
2 of around 1 percent.

3 DR. McGUIRE: Are there other questions from
4 the committee? Dr. Hashimoto?

5 DR. HASHIMOTO: Do you see any great need for
6 making this prescription drug released out of the
7 institution? What is the reason, if any, that this should
8 be outside of the institution?

9 DR. REA: As an advocate for my patients, I
10 think it is important that there be a good supplier, a
11 reliable supplier within the United States. Otherwise,
12 they are at risk. We had, as Dr. Yoder mentioned, a crisis
13 when Chemie Gruenthal took the stuff away and there was a
14 short supply. I don't think there's any real hardship
15 there.

16 But as an advocate for my patients -- and I'm
17 under this privileged umbrella. So, that is why I am here.
18 But as a physician who has seen a group of patients benefit
19 greatly, I expect there are other patients that will
20 benefit greatly with other diseases, as witnessed, the
21 Behcet's syndrome.

22 DR. McGUIRE: Dr. Rea, which suppliers are you
23 using? Are you using only the Celgene product?

24 DR. REA: No. I have never used the Celgene
25 product. We started out with Chemie Gruenthal, then the

1 Carville kind of Tulane homegrown supply, then the
2 Brazilian tablet that was sort of like chewing on marbles.
3 I don't know if any patients broke their teeth on it. They
4 might have. And then the Brazilian product that comes as a
5 capsule.

6 DR. MCGUIRE: Dr. Miller.

7 DR. MILLER: Just two questions, Dr. Rea. The
8 first one is, do you worry about the sperm with these
9 gentlemen?

10 DR. REA: This was actually looked at in the
11 1960s, and there was no evidence. If you're taking
12 lepromatous men, that is a very poor place to start to look
13 because untreated, lepromatous patients, or treated --
14 there was no difference in our data -- 85 percent have an
15 elevated FSH. 80 percent have an elevated LH. About 33
16 percent have clearly subnormal testosterone levels, and
17 male infertility is not an uncommon complaint. It is not
18 easy for a machismo Mexican male to admit to this or a
19 retarded libido, so I expect the complaint is much more
20 prevalent just judging by our own biochemical data.

21 DR. MCGUIRE: Mrs. Cohen?

22 MS. COHEN: Go ahead.

23 DR. MILLER: I have one more question. How do
24 you select which subset actually receives thalidomide? Is
25 it all patients with ENL or how many lesions do you need

1 | and how do you define that?

2 | DR. REA: It's the being ill with it. There is
3 | no magic threshold on a numerical count. It's not like
4 | neurofibromatosis. You got it if you got six or seven cafe
5 | au lait spots. That is not the therapeutic motive. The
6 | therapeutic motive is you have a sick patient. It's like
7 | perhaps analogous to when a patient goes to garden variety
8 | psoriasis to pustular psoriasis, von zumbush, you got a
9 | sick patient on your hand and you're going to wheel out a
10 | big gun. And steroids won't do much good either.

11 | DR. MCGUIRE: Mrs. Cohen.

12 | MS. COHEN: How many patients do you have, men
13 | and women, and how many are successfully treated and leave?

14 | DR. REA: As of the end of 1996, under the
15 | Carville IND, we've had on thalidomide 291 patients. If I
16 | include those that have enrolled this year and those that
17 | enrolled in the early 1970s, it is certainly over 300. I
18 | think at the end of 1996, the last time I counted noses, we
19 | had close to 50 patients receiving thalidomide.

20 | MS. COHEN: Is that a pretty steady amount?

21 | DR. REA: It has been higher in years past.
22 | When the Vietnamese immigration to this country was at its
23 | peak in the mid-1980s, we were at a higher level. We were
24 | up to about 60 or 65.

25 | DR. MCGUIRE: Susan, let me ask another part of

1 | your question. How many patients are you accessing per
2 | year now? Let's say for 1996 or 1955. I'm not talking
3 | about patients in the system.

4 | DR. REA: How many new ones?

5 | DR. McGUIRE: Yes.

6 | DR. REA: This year there have been
7 | approximately 10 patients so far. If you want to reduce
8 | the number of leprosy patients in your clinic, a good way
9 | to get leprosy control is to get politicians to start to
10 | blame immigrants for all of your local problems. These
11 | people are very sensitive to what's going on, and there was
12 | a big drop in our numbers of cases when proposition 187 was
13 | passed. And that trough lasted for about a year, and I
14 | think we're getting a little wave in response to that
15 | trough now.

16 | MS. COHEN: I'm still curious to know in terms
17 | of treatment how long you keep them and are you successful?
18 | How often do they leave? And also, if they're really
19 | illegal aliens, I'm surprised that they would come in a
20 | system and even identify who they are.

21 | DR. REA: The one question we never ask is
22 | their immigration status because that is an inherently
23 | pejorative question and an intimidating question.

24 | Dr. Yoder's figure of the mean time on
25 | thalidomide was 3.3 years. I expect ours is a little

1 | longer. We've got a lot of Mexican men and they seem to be
2 | a prime candidate for prolonged -- we have a few patients
3 | that are pushing the 10-year mark. Just flying by the seat
4 | of my pants, I expect the median time is about 5 years.

5 | DR. MCGUIRE: Yes.

6 | MR. WARREN: I was just wondering. You said
7 | some people took themselves off of thalidomide.

8 | DR. MCGUIRE: I recognized Colin. Just a
9 | minute. Go ahead.

10 | DR. CRAWFORD: Presumably these patients are on
11 | multi-drug therapy, including clofazimine. Do you think
12 | the frequency and the severity of ENL has diminished?

13 | DR. REA: I can't answer that question. We
14 | don't use a lot of clofazimine. Dr. Gelber and I did a
15 | study 10 years ago where 1 out of 101 patients were dapsone
16 | resistant. The rationale of including clofazimine is to
17 | cover bets that patients might have a primary dapsone
18 | resistance. That's the rationale for triple drugs.

19 | The people in Brazil say since MDT has come
20 | along, there is more ENL and more reversal reactions than
21 | what there was before. I cannot comment on that.

22 | DR. MCGUIRE: Mr. Warren?

23 | MR. WARREN: I was just wondering. You said
24 | some people said they took themselves off of thalidomide.
25 | Do they bring back the pills?

1 DR. REA: That is not a very dependable thing.
2 We do ask them to flush them down the toilet. We are not
3 worried about malformed rates in the sewer system. But
4 there's a strong injunction for them to get rid of it.
5 It's an excellent suggestion to ask them to bring it back.
6 I don't know what the compliance rate would be on that, but
7 it's a very good idea. Thank you.

8 DR. McGUIRE: Tom, your testimony is very
9 helpful. Are there other questions from the committee?

10 (No response.)

11 DR. McGUIRE: Thanks very much, Tom.

12 DR. REA: Thank you, Joe.

13 DR. McGUIRE: What other questions do we have
14 about the risk-benefit ratio? Dr. Lumpkin, you're getting
15 closer and closer to the microphone.

16 (Laughter.)

17 DR. LUMPKIN: That's why I raised my hand.

18 The only thing I would like to say in relation
19 to this question, when we were forming the question,
20 clearly as the implication is, this is the question where
21 we're asking do you think this should be approved for this
22 indication or not.

23 I think what's always hard in trying to put
24 these questions together is for the committee to say --
25 we're asking you to look at the entirety of the system in

1 | which it might be used here. Do the benefits outweigh the
2 | risks?

3 | Now, obviously if we were saying do the
4 | benefits outweigh the risks for the use of this product
5 | over the counter, that would obviously put people in one
6 | frame of mind. And that we are not.

7 | Obviously, as has been said here previously,
8 | we're talking about use if this product were to be approved
9 | as a prescription product.

10 | But as we get into some of the other questions,
11 | I would just say, as we say to all of our committee
12 | members, think about it and if there are caveats that you
13 | think are important in trying to come to your benefit-risk
14 | equation here, this is the time to put those forward. I
15 | just didn't want people to be confused. If you think the
16 | benefits outweigh the risks if this thing were labeled in a
17 | certain way or if you think this thing were in a restricted
18 | distribution pattern or if you did neuropathy screens or
19 | whatever, if there are caveats, by all means put them in.
20 | We don't mean for you to take it in kind of a blinded
21 | fashion or a blinder fashion.

22 | DR. MCGUIRE: Thanks very much, Mack.

23 | What we're doing is anticipating questions 5
24 | and 6 and some of the other issues that will be dealt with.
25 | So, rather than deal with all of these issues at this

1 moment, I would rather deal with the safety/efficacy, and
2 then we will observe question 4, what additional
3 information, and then go right ahead to questions 5 and 6.

4 Is there more discussion before we move? Yes.

5 DR. MOORE: I'd just like to make a brief
6 comment. I believe this is an extremely difficult task for
7 the committee because we're talking about risk to the
8 patients themselves and risk to our next generation. But I
9 would respectfully ask that the committee realistically
10 consider the risk as being not only for use in ENL but also
11 for many other conditions, some of which will be more
12 prevalent in women of childbearing potential.

13 As I mentioned yesterday, we had had some
14 discussions with a couple of individuals who work at
15 Carville who now say they only have 5 patients who are on
16 this drug for ENL and have enough thalidomide stockpiled
17 for the next millennium. So, we're really talking about
18 the risk for a much larger group of patients.

19 DR. MCGUIRE: That's an important observation.
20 It has come up several times in the deliberations and it
21 will come up again after we take care of the risk-benefit
22 issue.

23 So, I'm ready to ask how you feel about the
24 risk-benefit. Cynthia, we can start with you.

25 DR. MOORE: I'm not on the committee.

1 DR. McGUIRE: Oh, you're not voting. Well, all
2 the more reason.

3 (Laughter.)

4 DR. McGUIRE: Chris. Dr. Mathews.

5 DR. MATHEWS: Understanding the question as you
6 formulated it regarding ENL patients, yes, I think it's
7 clearly a favorable risk-benefit ratio.

8 DR. McGUIRE: Dr. Mindel.

9 DR. MINDEL: Yes, I think it's clearly
10 favorable.

11 DR. McGUIRE: Dr. Orkin.

12 DR. ORKIN: Yes.

13 DR. McGUIRE: Dr. Bergfeld.

14 DR. BERGFELD: Yes.

15 DR. McGUIRE: Dr. McGuire, yes.

16 Dr. Simmons.

17 DR. SIMMONS-O'BRIEN: Yes.

18 DR. KILPATRICK: This is Kilpatrick speaking.

19 DR. McGUIRE: Dr. Kilpatrick.

20 DR. KILPATRICK: I should point out that the
21 ultimate authority in my life, my wife, has just walked
22 into the room.

23 (Laughter.)

24 DR. KILPATRICK: So, I have to be very careful
25 in what I say, but she knows me well enough to know that I

1 am somewhat perverse and I vote no because, again, as I
2 read the question as changed, I tend to look at things not
3 from a patient perspective, from a population perspective,
4 and I am not convinced that the benefits outweigh the risks
5 in a population sense. In a sense, I have no information
6 on this because no information has been collected that
7 would convince me otherwise.

8 DR. McGUIRE: I think the question is framed so
9 that we're talking about the population with ENL. We're
10 not talking about general population problems, which is
11 another issue that we have to deal with in a few minutes.

12 DR. KILPATRICK: In that case, not being a
13 clinician, I defer to my colleagues.

14 DR. McGUIRE: Which one?

15 (Laughter.)

16 DR. KILPATRICK: Anyone but yourself, sir.

17 (Laughter.)

18 DR. McGUIRE: That proves that I'm a neutral
19 chairman. That's very important.

20 Mrs. Cohen?

21 MS. COHEN: If it's just the ENL, it still is a
22 distribution problem, and I need to know what plans there
23 are for distribution. I mean, it isn't just the risk.
24 This is a very complicated question that really involves a
25 lot of things. It isn't just giving it. It's how do they

1 get it, how do they monitor it, and what additional
2 information, which is the next question. I must have about
3 20 things written here as to what information I need more
4 before I make that decision. So, I have to in good
5 conscience say no.

6 DR. MCGUIRE: Okay.

7 Dr. Hashimoto.

8 DR. HASHIMOTO: I shall say yes, as far as this
9 definition of ENL limited efficacy is.

10 DR. MCGUIRE: Dr. Miller.

11 DR. MILLER: Yes. Again, with the subset
12 clearly defined as Dr. Rea pointed out.

13 DR. MCGUIRE: Thank you very much.

14 Question 4 I'm going to read because I think
15 question 4 is really a segue.

16 DR. BERGFELD: Excuse me. Did I say that Dr.
17 Duvic said yes?

18 DR. MCGUIRE: Yes, and her vote would count if
19 that question has not been changed, has not been altered.
20 And the question has been altered so that "Celgene" now
21 reads "all thalidomide." So, someone else can consider
22 that, but as I understand it, her vote would not be
23 counted.

24 Does anyone want to tell me better?

25 A little side bar there that I didn't understand.

1 Question number 4 is what additional
2 information, if any, should the sponsor be asked to provide
3 before approval can be considered?

4 Now, this is a very big question and I'm not
5 going to deal with it head on. What I'd like to do is go
6 to question 5 which will carve a little piece out of
7 question 4. If that's acceptable to the advisory
8 committee, could we go directly to question 5? No
9 objection? Thank you.

10 Question 5 is, can Celgene's thalidomide be
11 safely used only if distribution or use is restricted? If
12 so, what kind of restriction of distribution would the
13 committee recommend?

14 Dr. Lumpkin, I gather here we would also
15 eliminate Celgene?

16 DR. LUMPKIN: Yes, yes.

17 DR. MCGUIRE: So, can thalidomide be safely
18 used only if distribution or use is restricted?

19 I don't think we even have to vote on that.
20 Let me say yes and see if anyone disagrees. Does anyone
21 disagree that it should not be restricted?

22 MS. COHEN: I hate to tell you that I reworded
23 the question.

24 DR. MCGUIRE: Susan, you would like to what?

25 MS. COHEN: I reworded the question.

1 DR. McGUIRE: Go ahead.

2 MS. COHEN: Should thalidomide be safely used
3 only if all the criteria of the FDA be met and any
4 distribution be highly restricted under a fail-safe plan?

5 DR. McGUIRE: Okay. We can deal with all of
6 those restrictions in a moment. If we will just answer the
7 first part of that. Can thalidomide be safely used only if
8 distribution or use is restricted?

9 My sense is that the committee feels that it
10 should be restricted.

11 DR. BERGFELD: I think adding to that would be
12 mandated and monitored.

13 DR. McGUIRE: Okay. Well, then let's talk
14 about what kind of restriction of distribution, what kind
15 of monitoring would the committee recommend.

16 DR. KILPATRICK: Mr. Chairman?

17 DR. McGUIRE: Yes.

18 DR. KILPATRICK: I'm in the position where I'm
19 uncertain that any type of restriction or limitation of
20 distribution can effectively meet the criteria which I
21 would like to see. So, I'm maybe not answering the
22 question, or am I again off in left field?

23 DR. McGUIRE: No, you're not off in left field.
24 But I think we should take a serious attempt at defining
25 what restrictions there should be that would give us some

1 degree of comfort.

2 Can we protect every consumer from this drug?
3 If you're asking that question, I think everyone, beginning
4 with Dr. Hanson yesterday who spoke for the American
5 Academy of Pediatrics, thinks that once the drug is out
6 there, there will be misuse.

7 The question is, is it possible to control its
8 use and what regulations do we build into its use?

9 But the question you're answering, if you want
10 an absolute answer, I think it is not possible to provide
11 absolute assurance, and I accept that as a fact.

12 But the question is what should we be doing.
13 Should we simply give up at this point and say we could not
14 provide enough safety --

15 DR. KILPATRICK: I see. I understand.

16 DR. McGUIRE: So, let's have some discussion.
17 Dr. Bergfeld.

18 DR. BERGFELD: I'd like to add to my comments
19 of mandated and monitored restricted distribution that this
20 be specific for ENL and that any other uses be subject to
21 INDs and future NDAs.

22 DR. McGUIRE: That's a very important issue. I
23 hope everyone on the committee understands it. We are
24 talking about restricting the use of thalidomide only for
25 the treatment ENL, not the other disorders for which it

1 | might be used, and I support Dr. Bergfeld's suggestion.

2 | Chris.

3 | DR. MATHEWS: While I'm in great sympathy with
4 | what the goal that you articulated is, I think we should
5 | consider what are the problems with the current
6 | distribution system under the IND mechanism. I'm sharing
7 | with you very small experience but enough to make me
8 | concerned that the present system is not adequate in the
9 | controls in place.

10 | My experience with this drug was in
11 | participating in a clinical trial in HIV wasting. I think
12 | we enrolled 7 patients. There were no problems that came
13 | to my attention as the local investigator on that study.

14 | The IND mechanism. I've treated 2 patients
15 | within the last year and a half, had to get IRB approval,
16 | had to get the FDA to give me an IND number, had to call
17 | through a list of companies that they gave me the names of
18 | that were willing to make the drug available. That takes a
19 | lot of time, so I was in sympathy with that family
20 | practitioner whose letter was read yesterday on how
21 | difficult this can be.

22 | Having said that, I have one patient who came
23 | into my practice having obtained thalidomide for aphthous
24 | ulcers from another physician a year previously. He still
25 | had a supply of the drug, and a couple of weeks ago had an

1 outbreak of severe aphthous ulceration. I phoned in a
2 prescription for prednisone because I knew I couldn't get
3 thalidomide right away. He says, oh, I have a bottle right
4 here. How about if I take that? So, there are people out
5 there with this drug.

6 The other case was even more disturbing. This
7 was a young man who was deaf and dumb who had horrible
8 aphthous ulcerations and he had lost 40 pounds because he
9 couldn't eat. He finally agreed to take thalidomide. We
10 got through the approved mechanisms. He took it. The
11 ulcerations healed completely. He gained weight.

12 And one day he showed up in the clinic and told
13 our pharmacist that he wanted another bottle of
14 thalidomide, which we didn't have, and his ulcers were
15 healed, so we didn't pursue it.

16 Shortly thereafter, it comes to my attention
17 that one of the practitioners in my clinic, which is an
18 academic clinic with about 20 different people working
19 there, had another patient with aphthous ulcers, a woman
20 who came in with horrible disease. It just so happens that
21 the patient, the gentleman who was deaf and dumb, had
22 brought in this huge bag of medicines, one of which was a
23 bottle of thalidomide, properly labeled. And she, who
24 wasn't involved with obtaining the IND or anything else,
25 took some pills out of that bottle, put it in a sterile

1 container cup, and gave it to this woman to take for her
2 aphthous ulcers. She fortunately knew enough to make sure
3 she wasn't pregnant and all this.

4 Well, you can imagine. I went ballistic,
5 contacted the IRB and everything else. But this is in a
6 fairly sophisticated medical care environment.

7 As I saw the presentation yesterday of the
8 educational program that was planned, if this drug is ever
9 licensed, the use of blister packs, label things and so on,
10 a lot of these little incidents, many of which nobody knows
11 about, would be more likely to be prevented.

12 So, I don't think the simple IND mechanism, by
13 way of sharing these anecdotes, is a fail-safe system and
14 needs to be improved.

15 DR. MCGUIRE: Well, I'm glad you shared those
16 clinical experiences. That must have been a terrible week.

17 (Laughter.)

18 DR. MCGUIRE: I can't imagine what I would tell
19 my IRB if that happened to me. Well, I would tell them the
20 truth, but I don't know what would happen to me.

21 Is there more discussion to the point? I think
22 the question is can we make the existing system better or
23 is the existing system going to continue as it is at least
24 with regard to ENL. Dr. Bergfeld's point I want to leave
25 out there because, as far as I'm concerned, we're talking

1 | about ENL at this point, and the recommendations that we
2 | will give the agency for controlling the drug and providing
3 | the drug, we're restricting that to ENL.

4 | Is everyone comfortable with that? Yes?
5 | Susan, you're saying no. You want to restrict it to
6 | another disorder, or you want to open it up to other
7 | disorders?

8 | MS. COHEN: I'm not being contentious. I just
9 | am concerned about the distribution, and if Chris is an
10 | example, it's probably a small example of what happens.
11 | There are so many things that are unanswerable and
12 | ponderable in all of this. It's like you have already
13 | decided that the distribution is going to be fail-safe, it
14 | will be wonderful. I really don't know. I've seen their
15 | package. I've seen what's here.

16 | When I asked yesterday about the experience
17 | we've had in this country with the buying clubs and what's
18 | happened, that was not a facetious question. That was a
19 | question to know what the actuality is and what's really
20 | going on out there. I have been told that there's
21 | thalidomide out there on the streets.

22 | DR. MCGUIRE: There is.

23 | MS. COHEN: So, I don't know how to answer
24 | because I don't understand enough. So, I have to pass
25 | because I need to know more, and if I don't know more, it

1 | isn't fair for me to vote on what I don't think I know.
2 | But that's just me, and remember I come from a consumer
3 | protection background.

4 | DR. McGUIRE: Thalidomide is out there, and
5 | we're talking about a specific application of thalidomide
6 | for a disease and can we control it.

7 | Susan, you know, I don't believe there is a
8 | fail-safe system for anything I can think of.

9 | MS. COHEN: But at least we can try. That
10 | should be our standard.

11 | DR. McGUIRE: And so, what we're attempting to
12 | do is to craft a program that will protect as many people
13 | as we can and provide benefit.

14 | MS. COHEN: I understand. Chris mentioned IND.
15 | I'm intellectually curious. I want to know how you're
16 | going to do it, how you're going to restrict it. I
17 | understand the fever in the ENL. That much I understand,
18 | but I don't know enough about the distribution.

19 | DR. McGUIRE: The granting of an IND controls
20 | the process only at a single point.

21 | MS. COHEN: I understand that.

22 | DR. McGUIRE: And this is a multi-point
23 | process.

24 | MS. COHEN: And that's my concern.

25 | DR. McGUIRE: And this is what we're attempting

1 to address now.

2 Dr. Hashimoto.

3 DR. HASHIMOTO: Well, considering only a very
4 small number of ENL patients treated right now, and
5 considering that current IND program working fairly well,
6 unless someone really push for off-label use, I really
7 don't think any need for releasing this medicine for
8 prescription category. If you talk about ENL, that's a
9 very small number of patients. The company probably never
10 makes money on that. So, there are different interests in
11 this procedure. But current program, as I hear from
12 experts, it's mostly institutional and working very well.
13 Why not change at this point?

14 DR. MCGUIRE: Ken, that's an excellent point
15 and I hope everyone understood Dr. Hashimoto's issue.

16 The financial issues, whether they bring the
17 drug to market, are not of concern to me. That's their
18 decision, and they can decide the market is too small, too
19 large. That's their decision.

20 You have heard from Dr. Mathews that the IND
21 doesn't protect the public. As I mentioned earlier, that
22 is a control only on one point in the process. So, we're
23 looking at ways to protect the greatest number of people.

24 And the issue as to whether the market is large
25 enough to support their effort, I think that's not -- well,

1 I'm not going to worry about it.

2 DR. KILPATRICK: Dr. McGuire?

3 DR. MCGUIRE: Dr. Miller. Oh, I'm sorry. Dr.
4 Kilpatrick.

5 DR. KILPATRICK: I just want to make sure that
6 I understand, not being a clinician, what is being said.
7 Dr. Hashimoto, do I understand that under the current IND
8 rubric, only caregivers are dispensing the drug to patients
9 or are patients being given drugs to take home and self-
10 medicate? If the former, I would think that would be much
11 more secure than sending supplies home with individuals.

12 DR. HASHIMOTO: Well, actually IND is a program
13 which individual institutional physicians should apply to
14 FDA and justify the use and documentation and then drug
15 distribution. Drug is given to patient. Patient can take
16 a drug home or whatever, but it's under control,
17 supervision.

18 DR. MCGUIRE: Dr. Rea addressed that, Jim. His
19 patients take the drug home for 2 weeks, 2 months, one
20 patient 6 months. So, the drug is dispensed under that
21 program to the patient.

22 Dr. Miller, you had a comment?

23 DR. MILLER: No.

24 DR. BERGFELD: Can I enter here?

25 DR. MCGUIRE: Dr. Bergfeld.

1 DR. BERGFELD: The package that we saw in the
2 communication package, which was the educational package,
3 dealt with a number of individuals being put into the safe
4 program or they hope to make a fail-safe program. And that
5 included the pharmacists, which was an interesting idea,
6 where it would be a monthly prescription only, not a bag of
7 pills for six months, and the individual with the
8 prescription would have to present with it certain
9 documentation to have the refill, not just the
10 prescription. That certainly is better than what we have
11 right now. Just that one piece is better than what we have
12 right now.

13 DR. MCGUIRE: Absolutely.

14 Dr. Mathews.

15 DR. MATHEWS: My evolving thought on this is
16 that what needs to happen is that whenever thalidomide is
17 dispensed for whatever reason, whether it's under an IND or
18 part of a prescription program, that there be a uniform
19 educational package which I should think the agency would
20 review and sign off on, rather than what's happening now
21 where it's just sort of an ad hoc process with every
22 institution having to sign off on something with no clear
23 uniformity of what's required even at the local level.

24 DR. WOODCOCK: That's one of the subjects of
25 the meeting next week. There are many new indications or

1 | diseases under investigation for treatment with
2 | thalidomide. The goal is to have some uniformity of
3 | message. As Lou Morris said yesterday, the most important
4 | thing is to know what your message is and have a consistent
5 | message and program out there.

6 | But with the situation that has been present in
7 | the country -- and I think Debbie Birnkrant knows most
8 | about this -- for quite a long time we've had no consistent
9 | sources of product, and therefore we haven't been able to
10 | ensure this kind of uniformity and sort of regularization
11 | of the product.

12 | DR. MCGUIRE: That's very important and added
13 | to the anecdote that you heard from Dr. Mathews, which is
14 | that the current method of distribution is a very leaky
15 | method. It's a very dangerous method. So, at the very
16 | least, we can improve what's happening.

17 | Milt, do you have anything to say?

18 | DR. ORKIN: I was just thinking and this is
19 | probably redundant and already been addressed, but perhaps
20 | I can address this to Dr. Woodcock or anyone else.

21 | When they order the prescription for the
22 | thalidomide, clearly a date is put on and perhaps no
23 | refill, and would it be appropriate, must be transmitted to
24 | the pharmacist by a certain date? In other words, put a
25 | restriction on it to avoid the refills that may be

1 | that's certainly something we could do and we'd like to
2 | hear more discussion on that.

3 | DR. ORKIN: There's also the implication that
4 | it be filled by the same pharmacy so that pharmacists would
5 | have some input in terms of the continuity.

6 | MR. WILLIAMS: Yes. The other thing is that
7 | the way the system is being developed, it would be designed
8 | to utilize some of the systems that are currently in place
9 | within pharmacy practice where there are central computer
10 | databases that pharmacists log in and out on when they are
11 | filling prescriptions. A portion of one of those databases
12 | will be carved out to actually have the pharmacist tracking
13 | and recording information on this patient so that we'd be
14 | in a position to monitor that the pharmacist was in fact
15 | complying with the program.

16 | DR. McGUIRE: Yes, Cynthia, go ahead.

17 | DR. MOORE: I have a question for you, a little
18 | bit of follow-up of what we talked about yesterday when I
19 | asked if somewhere on this documentation would be the
20 | patient's diagnosis or the indication for using it, and you
21 | said yes.

22 | MR. WILLIAMS: Yes.

23 | DR. MOORE: I guess my concern with all of this
24 | is that thalidomide may be prescribed for less than very
25 | serious disorders such as one or two aphthous ulcers in a

1 patient who's a non-HIV patient or some sort of
2 indiscriminate use, using a very dangerous and powerful
3 drug for something that is not life-threatening or not even
4 terribly serious although certainly annoying in that
5 patient.

6 You had at one point talked about looking for
7 -- I don't know if I should call it failures, but times in
8 which all of the measures that you had recommended weren't
9 being followed and getting back to the pharmacy or the
10 physician with this information.

11 MR. WILLIAMS: That's correct.

12 DR. MOORE: Does that include looking at the
13 reason this prescription was written and making some sort
14 of attempt to say back to that prescriber, you're
15 prescribing this very powerful drug in a way it was never
16 intended to be used and for a disorder in which there
17 really is no data about its effectiveness?

18 MR. WILLIAMS: Yes. I guess it's hard to be
19 specific in answering that question certainly because
20 there's a lot of hypothesis in it, but I share the concern.
21 The last thing in the world we would want is to see the
22 drug used in what I will call somewhat judgmentally trivial
23 indications or indications that are not serious. That to
24 us would be unacceptable.

25 We have had some discussions with Allen

1 Mitchell and his colleagues in Boston because that data
2 would first be coming in to them. I know in the current
3 Accutane situation, if they see data coming in that
4 suggests what might be inappropriate use, there is some
5 follow-up if I'm not mistaken.

6 Allen, do you want to address that?

7 DR. MCGUIRE: This is Allen Mitchell who has
8 been tracking the Roche program for a while.

9 DR. MITCHELL: Yes. Allen Mitchell from Boston
10 University, Slone Epidemiology Unit.

11 Just in the spirit of disclosure, we have been
12 negotiating with Celgene, as Bruce had indicated, yesterday
13 to perform a survey if the drug is approved.

14 In the Accutane survey, there was one component
15 when we were doing a telephone arm of the follow-up where
16 if we identified women who identified themselves as
17 sexually active but not using contraception, we would read
18 them what we called the riot act, which was a very stern
19 warning about the risks of that kind of behavior and the
20 need to discontinue drug immediately.

21 And I also asked them for permission if we
22 could contact their physician since we maintain
23 confidentiality and we couldn't contact their physician
24 without their permission. And if we were given their
25 permission, we would then contact the physician and inform

1 him or her of the practice as well.

2 That was for the patients who were identified
3 through the telephone survey. That kind of mechanism
4 exists in a survey mode. We haven't talked about it
5 specifically in this context. It might be something worth
6 pursuing.

7 MR. WILLIAMS: Yes. I think it's a very
8 important consideration, Dr. Moore.

9 DR. MCGUIRE: The issues that have been defined
10 are the issues of a stale prescription would not be
11 honored. A prescription would only be honored for a given
12 length of time. A limited amount of drug would be
13 dispensed, and the drug would be identified with the
14 diagnosis. And then the post-marketing surveillance would
15 be crafted by the company.

16 I think those are the large issues, but I'd
17 like to hear if there are other things that I've missed.
18 Chris?

19 DR. MATHEWS: There's a concern about the
20 number of pharmacies involved with the program because the
21 staff at pharmacies obviously rotates. It's possible to
22 get an inexperienced person in who could dispense the
23 medication without the proper fail-safe mechanisms in place
24 and so on.

25 One option which could be considered is a very

1 restricted or even single distribution system as was done
2 with one of the protease inhibitors initially in HIV
3 medicine where they would be totally responsible for the
4 quality control and have staff assigned who know that
5 they're responsible and not dealing with hundreds of other
6 medications simultaneously and in between.

7 DR. MCGUIRE: Dr. Bergfeld.

8 DR. BERGFELD: One thing I think you left out
9 in your list, Joe, was the fact that it might be very
10 interesting and important to track the physician ordering
11 and, when inappropriate, there be a means of contacting
12 that physician.

13 DR. SIMMONS-O'BRIEN: Joe?

14 DR. MCGUIRE: Yes.

15 DR. SIMMONS-O'BRIEN: I was thinking along the
16 same lines as Dr. Bergfeld. We all hate long paper trails,
17 but oftentimes they keep us doing the right thing. Maybe
18 prior to the patient getting the prescription, whether the
19 prescribing physician can send a letter to a designated
20 pharmacist at the pharmacy saying that they would like for
21 this patient to get this prescription and have that kept in
22 some sort of file with the pharmacist saying that they are
23 aware the patient is being treated for blankety-blank and
24 will be followed by this particular physician for ENL, but
25 just have communication between those two people to go in

1 files on that particular patient.

2 My concern would be a physician who writes a
3 prescription for a patient -- and hopefully they will have
4 ENL -- and have no plans on following that patient and
5 following them while they are on the medication.

6 DR. MCGUIRE: Susan?

7 MS. COHEN: Since many Americans don't live
8 near major medical centers, how do people who live in rural
9 areas or small areas get treated, and how are they taken
10 care of if they have these particular kinds of problems?

11 DR. MCGUIRE: Who would like to address that?

12 DR. YODER: You're asking specifically about
13 patients with ENL?

14 MS. COHEN: Yes, it can be ENL. Yes.

15 DR. YODER: I would be talking specifically
16 about ENL.

17 This is a problem. It's inherent in the
18 current system, and I would just comment on some of the
19 problems with the current system.

20 Patients in rural areas -- and I know of a
21 specific situation like that in south Texas where the
22 physician does not have an IRB in the local hospital and
23 therefore she has a problem getting this done. The patient
24 consequently will either have to come to Carville or travel
25 75 miles or so to San Antonio where they have access to

1 | thalidomide. So, it is a problem.

2 | Another alternative is they can come to
3 | Carville, for example, and get thalidomide from there, but
4 | that is one of the limitations on the current system.

5 | Certainly that's one of the things that could
6 | be relieved.

7 | I think another comment I would make along the
8 | lines we were just discussing, certainly that accessibility
9 | would be an improvement, also the reporting that would be
10 | done under what has been proposed here would, in my
11 | opinion, be a definite improvement over what we have at the
12 | current time.

13 | DR. MCGUIRE: Thank you.

14 | Dr. Bergfeld.

15 | DR. BERGFELD: I just want to remind the panel
16 | that yesterday was presented a package of an educational
17 | package, along with the packaging and everything else. My
18 | remarks have been based on the fact that that is a done-
19 | did, that will be done. So, the education of the physician
20 | and perhaps signing off an informed consent and then having
21 | restricted use by a physician according to their training
22 | and whatever else is developed would be very important
23 | here.

24 | DR. MCGUIRE: Yes. I'm sorry. Dr. Miller.

25 | DR. MILLER: Joe, could there be designated

1 | pharmacies which would be contacted by the physicians who
2 | are prescribing and then the prescription would be sent to
3 | those pharmacies and then they in turn would send the
4 | medication to the physician who would dispense it to the
5 | patient? That would certainly keep it under some control,
6 | but you would have maybe six, seven centers in the country
7 | and then the physician specifically sends the prescription
8 | to that person with, on the prescription, what's being
9 | diagnosed.

10 | DR. MCGUIRE: Yes. That's a novel idea. Don't
11 | ask me if it can be done. I haven't any idea. The
12 | question is, where is there greater control? At the
13 | physician or at the pharmacy? I don't know. You need
14 | maybe both in place, yes.

15 | Dr. Weintraub, this is not a yes/no question.
16 | You asked for restrictions, advice on distribution,
17 | ascertainment of diagnosis, lots of issues. We've talked
18 | about that for a while and you must have a lot of stuff on
19 | your yellow pad now.

20 | DR. WEINTRAUB: It's white but I did take a lot
21 | of notes. As far as I'm concerned, you may move on.

22 | DR. MCGUIRE: Okay.

23 | DR. MATHEWS: Dr. McGuire.

24 | DR. MCGUIRE: Dr. Mathews.

25 | DR. MATHEWS: Let me criticize some of the

1 are other disorders for which it might be used. I think we
2 should be wary of the off-label because that's where the
3 biggest mistakes are going to be made and that's where most
4 people are going to be at risk.

5 I think, as I mentioned yesterday, we need to
6 make this system as leak-proof as possible. It's still, I
7 grant you, not going to be a perfect system, but I think we
8 should deal with the ENL issue and then the agency will
9 probably pick up bits and pieces of our discussion and use
10 them in other applications, including the orphan
11 applications, and will attempt to eliminate, minimize off-
12 label use.

13 Are you and I talking about the same thing?

14 DR. MATHEWS: Well, the point is that the drug
15 is being used right now.

16 DR. MCGUIRE: Oh, I understand that.

17 DR. MATHEWS: It's not off-label because there
18 is no label, but it's being used for a variety of
19 indications. In my judgment it should continue to be used
20 under controlled circumstances. If ENL is the first
21 indication for which a label is granted, then I'm hopeful
22 that all of the mechanisms in place to ensure the quality
23 of that distribution program are simultaneously applied to
24 the other mechanisms of drug distribution.

25 In other words, I could envision something

1 | unfortunate happening whereby a particular sponsor gets a
2 | label for one indication and has this elaborate system in
3 | place which works very well, but then every other physician
4 | who tries to obtain the drug will go through a list of six
5 | or seven suppliers, and all of the problems that are
6 | currently potential issues would be unchanged.

7 | DR. MCGUIRE: I think that is a regulatory
8 | problem. Janet?

9 | DR. WOODCOCK: We hear you and we agree that
10 | this is a problem and we will do everything we can to try
11 | and deal with it, including the meeting next week which I
12 | urge people to attend.

13 | DR. KILPATRICK: Dr. McGuire?

14 | DR. MCGUIRE: Yes.

15 | DR. KILPATRICK: May I come back to a statement
16 | Dr. Moore from CDC made yesterday referring to off-label
17 | uses? She said, if I correctly quote her, that off-label
18 | uses should be banned or made illegal. Could you
19 | elaborate, ma'am, on that and tell us if that is feasible
20 | in any sense?

21 | DR. MOORE: The last part of the question I
22 | think I would redirect back to our colleagues from FDA.

23 | What I said was and what I gave yesterday were
24 | suggestions that individuals who attended our meeting in
25 | March of this year gave us, ways to limit fetal exposure,

1 | and that was one of the suggestions that off-label use
2 | should be prohibited. It's not a recommendation from CDC.
3 | It's one of the suggestions.

4 | But as far as whether that can actually be done
5 | or not, I can't really answer that question. I think
6 | that's a question for the other table.

7 | DR. MCGUIRE: Dr. Kilpatrick, did that meet
8 | your question?

9 | DR. KILPATRICK: FDA has not responded, but I
10 | take it that their nonresponse means that it's not possible
11 | to affect off-label use. We're going in circles here.
12 | There is no label as yet.

13 | DR. WOODCOCK: Right. It has not been done.
14 | This is not something that we have invoked. I think it
15 | would be very difficult. I think the question Dr. Mathews
16 | is raising is if we develop a perfect distribution system
17 | for ENL, are we sacrificing the practical and the best
18 | solution for the ideal?

19 | We understand the sentiment and we will
20 | certainly take these issues under consideration. Thank
21 | you.

22 | DR. MCGUIRE: Would the committee please look
23 | at question 6 and see if there's anything to be -- we are
24 | not voting on 5. We have given the agency a lot and they
25 | don't need a yes/no. They're getting a consensus and a

1 strong feeling.

2 (Laughter.)

3 DR. MCGUIRE: Let's go to issue 6 and see if
4 there's anything left to be discussed there. If so, we
5 should certainly do that at this time. Are there
6 recommendations other than those associated with restricted
7 distribution the committee would make regarding ways to
8 minimize the risk and safety concerns regarding the
9 product?

10 Well, we've talked about restricted
11 distribution. We've talked about certifying physicians,
12 certifying pharmacists, and putting a stale date on
13 prescriptions, and several other issues.

14 Is there more to be brought to question 6?
15 Joel?

16 DR. MINDEL: I was disturbed that there are
17 buying clubs that can get the drug legally.

18 DR. WOODCOCK: No.

19 DR. MINDEL: No. So, this is illegal.

20 DR. WOODCOCK: Correct. We have taken legal
21 action against the buyers' club and we have also talked to
22 other buyers' clubs and ensured that they stopped making
23 thalidomide available. But apparently it's still
24 available. That's what the CDC representative said
25 yesterday and other people have said it is still available

1 | illegally. It's difficult to totally suppress this.

2 | DR. MINDEL: I'm concerned a little bit that by
3 | being very strict in your control of the drug, we'll have
4 | the situation that we have now with cocaine where if I try
5 | as a physician to get some to test for Horner's syndrome,
6 | it's virtually impossible, but if I go down to the street
7 | corner, I can get all that I need. And we're going to have
8 | this situation with this drug.

9 | DR. MCGUIRE: Dr. Mindel, you bring up an issue
10 | that I would have given anything to have avoided today.
11 | Now that thalidomide has achieved the status of a street
12 | drug, how do we deal with that? I have no confidence.

13 | The sponsor has several comments.

14 | DR. THOMAS: Hi. It's actually Steve Thomas
15 | again from Celgene.

16 | It is our experience, our received wisdom that
17 | the primary reason why the buyers' organizations are
18 | stocking the drug is that it is very hard to come by
19 | because it is not approved. It is not easily obtainable in
20 | a regulated manner. There are numerous instances in which
21 | after drugs have actually been approved that a number of
22 | the buyers' clubs have actually ceased to continue to make
23 | that particular compound available.

24 | DR. MCGUIRE: Dr. Zeldis, did you want to
25 | comment?

1 DR. ZELDIS: It was the same comment.

2 DR. MCGUIRE: We're still dealing with issue 6.
3 Are there recommendations other than the ones that we've
4 been discussing that you would like to make at this time?
5 Yes, Chris Mathews.

6 DR. MATHEWS: Just a brief comment on the
7 neuropathy issue. I think that some consensus that we
8 haven't achieved here needs to evolve in terms of how it is
9 monitored, whether specific clinical examinations are
10 recommended, and those should all be spelled out, whether
11 physiologic testing is required and so on.

12 DR. MCGUIRE: Yes. I think everyone is in
13 agreement with that, and that was discussed at the meetings
14 in November.

15 Obviously, the more highly technical the
16 examination is, the less likely it is to be done well in
17 lots of different sites, and so we were looking for simple
18 techniques for peripheral axonal performance.

19 DR. SIMMONS-O'BRIEN: I'd like to ask a
20 question. Is the renewal of the prescription the following
21 month dependent on the patient having been seen by that
22 prescribing physician and evaluated and examined? And if
23 that is the case, then is that physician required then to
24 send documentation to Celgene that they have in fact seen
25 the patient that month and have given the go-ahead for the

1 continuation of the medication?

2 DR. McGUIRE: Are you asking the sponsor?

3 DR. SIMMONS-O'BRIEN: I guess so, yes.

4 DR. McGUIRE: Well, let me ask you. What would
5 you like?

6 DR. SIMMONS-O'BRIEN: Well, I guess I would
7 like to know that, and I'm not saying that every patient
8 needs to be seen every month necessarily who has ENL. But
9 I'd like to know whether it would be required -- and I
10 think it would be a good idea to be required -- at least
11 initially and to be modified in the future, if necessary,
12 to have a treatment plan designed for that particular
13 patient where the patient will undergo q 6-week or q
14 monthly evaluation and that evaluation will include a
15 history, a physical examination, blood work if necessary,
16 neurologic testing again if necessary at that particular
17 time.

18 I think that will benefit the patient
19 obviously, but will also continually help us accrue data
20 that should be meaningful because we can't begin to think
21 that we know all about this medication and how it has
22 worked and how it's going to affect all of these patients.

23 DR. McGUIRE: So, you're suggesting that we put
24 a standard of care piece into this.

25 DR. SIMMONS-O'BRIEN: Yes.

1 DR. McGUIRE: Susan.

2 MS. COHEN: For better or for worse, has the
3 Drug Enforcement Agency and Customs Service been informed
4 of thalidomide coming into the country?

5 DR. BIRNKRANT: The appropriate government
6 agencies have been informed.

7 MS. COHEN: Have you had any idea of what has
8 been going on? Have they responded to you in any way?

9 DR. BIRNKRANT: I'm sure they're keeping the
10 agency informed of the situation.

11 But I'd like to say a couple of things. If
12 you're interested, I can elaborate on the current situation
13 with regard to the IND process in general for those
14 patients who don't have access either because there is no
15 clinical trial or, as was brought out before, those
16 patients in rural areas, patients in Alaska, et cetera, et
17 cetera.

18 Because the indications today are so diverse,
19 the agency felt that in order to ensure consistent advice
20 to all practitioners, that they develop a thalidomide
21 working group, and we were charged with developing some
22 guidelines to be able to assist physicians and other health
23 care providers about how to use this drug safely. So, the
24 group is made up of about 20 researchers at the FDA,
25 including legal counsel, obstetricians, immunologists,

1 | neurologists, et cetera.

2 | The group over time has developed an informed
3 | consent document. It's available to every health care
4 | provider who requests compassionate use thalidomide and
5 | anyone else actually who wants to look at the document.

6 | Then we went a step further for an experimental
7 | therapy, and that is, we developed a patient education
8 | brochure which we view as a tool to the informed consent
9 | document that a patient can carry with them so that they
10 | can refer to it as frequently as they like to get the
11 | current information about how to use the drug safely.

12 | With this program in place with regard to AIDS-
13 | related indications -- and I can speak best to those -- we
14 | currently have approximately 500 patients receiving
15 | thalidomide who are outside of clinical trials. The way
16 | the process works is that the physician or dentist calls in
17 | and requests thalidomide. Our first response is that we
18 | encourage patients to enroll in clinical trials so that the
19 | drug does get developed properly.

20 | If for whatever reason they cannot enroll in a
21 | clinical trial, then they have to provide us with
22 | sufficient data so that we're satisfied that the patient
23 | could possibly benefit from the use of this product. In
24 | particular, we ask for the diagnosis, and in the case of
25 | aphthous ulceration, just as in the clinical trial, we want

1 | to make sure that this drug is used for the particular
2 | indication and not something that resembles the indication.
3 | So, we ask for a biopsy report for that particular
4 | indication.

5 | In addition, we ask for pregnancy testing in
6 | women of childbearing potential. We ask for the presence
7 | or absence of neuropathy. We are now asking about absolute
8 | neutrophil counts and we ask that physicians are aware of
9 | the recent New England Journal of Medicine article where
10 | aphthous ulcer patients were treated with thalidomide and
11 | where they did see an increase in viral load.

12 | After that has been satisfied, we issue what's
13 | called an IND number, and that allows the health care
14 | provider to call from a list of pharmaceutical sponsors.
15 | They call and they tell them that they've been authorized
16 | by the FDA to use thalidomide. Then the paperwork
17 | continues. The drug gets shipped and records are kept.

18 | We ask that all IRBs get informed, and
19 | subsequently we ask for progress reports on the patients.
20 | We ask for the informed consent document to make sure that
21 | the patient was adequately consented while receiving
22 | thalidomide, and we keep this in a database of regulatory
23 | information at the agency.

24 | In addition, we have collected data on birth
25 | control methods in these women of childbearing potential,

1 | and I can tell you that out of the 500 patients who have
2 | received it, approximately 80 of those patients have been
3 | women of childbearing potential. I can tell you that a
4 | third of those have used the birth control method of
5 | surgical sterilization, not at our recommendation, but they
6 | just happen to have had a surgical procedure.

7 | The rest of the female patients have, at our
8 | request, used two methods of birth control, usually one
9 | hormonal, one barrier, and if there's a contraindication,
10 | we request two barriers, and we accept abstinence as a
11 | means of birth control.

12 | The process is cumbersome not only for the
13 | patients, for the physicians, it's cumbersome for the FDA.
14 | As Dr. Woodcock was saying, if you have a standard that is
15 | for ENL, we would hope that that standard could be applied
16 | to some of the other indications as well because at present
17 | what we have is the best that we can have, given the
18 | situation, but clearly more can be done. Whatever is done
19 | for a particular indication, I would hope that it could
20 | extend at some point in time to the other indications as
21 | well so that all patients could benefit from an adequate
22 | safety monitoring program.

23 | Thank you.

24 | DR. MCGUIRE: Dr. Orkin.

25 | Thank you, Dr. Birnkrant.

1 DR. ORKIN: May I ask Debbie or Dr. Woodcock,
2 do we know anything about these clubs -- the source of the
3 thalidomide, for example, from Brazil or South America? Is
4 there any overlap that's known to other sources of what's
5 sometimes called recreational drugs?

6 DR. BIRNKRANT: There doesn't appear to be an
7 overlap. Apparently the pills are not marked at all. It
8 could be confused with aspirin, acetaminophen. They're
9 completely unmarked, the buyers' club type of product.

10 DR. ORKIN: Do we actually know that in most
11 instances that they are thalidomide?

12 DR. BIRNKRANT: They do get tested. It gets
13 tested periodically.

14 DR. ORKIN: But we don't know the source.

15 DR. BIRNKRANT: No, we don't know the source.

16 DR. MCGUIRE: We have two more issues. Let's
17 once again focus on issue 6. Are there recommendations
18 other than those associated with restricted distribution
19 the committee would make regarding ways to minimize the
20 risk and safety concerns regarding this product?

21 Yes, Dr. Miller.

22 DR. MILLER: I thought the suggestion yesterday
23 about using an actual photo of an affected child was
24 reasonable. The photo in here doesn't come through very
25 well, but certainly with technology, that could be done and

1 that could be on every package.

2 DR. MCGUIRE: I think that's the intention of
3 the sponsor.

4 MR. WILLIAMS: It is.

5 DR. MCGUIRE: It is.

6 DR. MILLER: The other question I had is in
7 your packet I think they were 50-milligram tablets and
8 there were 14 in a packet. Is that correct?

9 DR. WILLIAMS: Yes.

10 DR. MILLER: If someone is taking even just 100
11 milligrams a day, that's only a week's supply. If you're
12 doling out packets, that would be a lot of packets to put
13 in a drawer.

14 DR. MCGUIRE: Fred, let's hold onto that one
15 because we're going to talk about dosing.

16 DR. MILLER: Okay, I'm sorry.

17 DR. MCGUIRE: And dosing I think is going to
18 influence packaging.

19 DR. MILLER: The way they do it, okay.

20 DR. MCGUIRE: Who is willing to walk away from
21 item 6? Everyone?

22 (Laughter.)

23 DR. MCGUIRE: Fine.

24 Question 7. Does the committee have any
25 recommendations regarding appropriate dosing regimens?

1 What we heard from Dr. Rea was that an initial
2 or low dose would be 100 to 200 milligrams a day I think
3 taken in the evening and the larger dose would be 300 to
4 400 milligrams a day. Tom, did I recall what you said?

5 DR. REA: Yes, that is correct. Usually we
6 start at 100 or 200 milligrams a day as outpatients, and
7 because of the sleepfulness that occurs in that, I'm
8 reluctant to go to 300 or 400 milligrams a day. I want to
9 keep the sleepy people off the roads.

10 DR. MCGUIRE: But you start with 100 to 200
11 milligrams a day in the evening as a single dose.

12 DR. REA: Yes.

13 DR. MCGUIRE: And then if you increase that
14 after the patient is accommodated to the drug or needs more
15 drug, then you use 300 to 400.

16 DR. REA: I go to supplemental corticosteroids,
17 yes.

18 DR. MCGUIRE: Yes, Dr. Gelber.

19 DR. GELBER: My good friend, Dr. Rea, lives in
20 L.A. and he hates freeways and I know he avoids them at all
21 costs.

22 I use little higher doses at times. Most of
23 the historical studies started at 300 and 400 milligrams a
24 day, and I certainly have generally used 100 or 200. But I
25 do see some patients that are not responding well or fully

1 to 200 where I do go higher. At times it's necessary to
2 spread the dose out twice a day or three times a day, but
3 generally a nighttime dose. But I just thought I'd give
4 you the broad view of how it's generally used.

5 DR. McGUIRE: So, there is something I missed
6 there. That the Bay Bridge is safer than the L.A. freeway?

7 (Laughter.)

8 DR. GELBER: Tom just is afraid of freeways.

9 DR. KILPATRICK: May I ask these clinicians,
10 Dr. McGuire, if body weight is any consideration and how
11 did they arrive at these magical figures which are rounded
12 off to 00s? I'm coming at this as a nonclinician from a
13 scientific point of view and it seems that, with respect,
14 gentlemen, you've determined these figures from what
15 source? Just experience presumably, but this is not
16 science. This is clinical expertise.

17 DR. McGUIRE: Dr. Yoder.

18 DR. YODER: Just a comment about dosing. I see
19 the patients in a hospital setting primarily, and therefore
20 we frequently use 300 to 400 milligrams a day, usually
21 spread out in that situation. Of course, as they respond,
22 we will reduce it down to the lower doses.

23 There is no strict calculation by body weight.
24 We do take that into consideration at times. A very small
25 Vietnamese lady obviously would be considered for a smaller

1 dose. This is based mainly on experience and the past
2 history of knowing what works primarily.

3 DR. MCGUIRE: Dr. Gelber?

4 DR. GELBER: I just want to insist that we're
5 not entirely arbitrary. Although there aren't a lot of
6 dose comparison studies in the literature, what we
7 generally do is use as small a dose as we can to control
8 the systemic manifestations.

9 DR. MCGUIRE: I think the agency wants the
10 advisory committee to give you recommendations regarding
11 appropriate dosing, and clearly the recommendations are
12 going to come from the professional leprologists. And I
13 would leave it at that.

14 DR. BERGFELD: I would just like to state that
15 what was handed to us yesterday by the company suggested
16 that the acute dosing would be 100 to 200 milligrams a day
17 at bedtime, and for severe ENL, 300 to 400 milligrams a day
18 at bedtime, which is not dissimilar to what has been
19 presented.

20 DR. MCGUIRE: Now, the question that Dr. Miller
21 raised a few minutes ago is how the packaging should
22 reflect the recommended dosing, and that's sort of a
23 technical issue but it needs to be read into our
24 activities.

25 Now, number 8 is really interesting. What

1 additional phase IV studies, if any, would the committee
2 recommend be performed, e.g., clinical trials,
3 pharmacokinetic studies, safety studies, special
4 investigations?

5 Who would like to begin?

6 DR. BERGFELD: I would. I have an opinion on
7 this. I believe that the phase IV study that is in the
8 Philippines should be finished. That's of utmost
9 importance, and the data from that should be synthesized
10 and hopefully this committee might see it, but realizing
11 that we may not be the end group to make this decision.

12 Under the pharmacokinetic studies, I strongly
13 believe we have to understand more about the metabolites,
14 the elimination, and the storage of this drug.
15 Specifically in that area, one might be looking for a
16 metabolite that would work better with less of the side
17 effects as has been stated today.

18 Under the safety studies, there's no doubt in
19 my mind the neuropathy has to be better worked out and
20 recommendations for safety monitoring of patients who take
21 this drug need to be put in place. I will just say that
22 and quit.

23 DR. MCGUIRE: I would like to say something.
24 I'm not speaking for the sponsor, but I would guess that
25 the sponsor would like to have a better product than

1 | thalidomide. They would like to have a product with the
2 | efficacy and without the toxicity. If I'm wrong, tell me.

3 | DR. THOMAS: You're not wrong, sir. You're
4 | absolutely right. It's actually Steve Thomas again.

5 | As I alluded to all those many years ago --
6 | actually yesterday morning -- our company is actively
7 | involved in the development of a range of analogs and
8 | derivatives of this compound which are being used to
9 | explore actually both the unique mechanisms of action of
10 | the parent compound, to provide us actually with extra
11 | information which will enable us, hopefully, to retain
12 | efficacy in specific indications, having engineered out a
13 | range of toxicities, obviously the most important of which
14 | is birth defects.

15 | That's not simple. That's the holy grail of
16 | drug development. It isn't actually going to happen
17 | overnight. It is actually something which our company is
18 | completely committed to. It is the future of our company,
19 | and there is nothing that -- our company and me personally
20 | would like to be able to provide to this advisory committee
21 | in the future is the successor actually to this compound.

22 | DR. McGUIRE: I'm not surprised at what you
23 | said, but I'm still very happy to hear you say it.

24 | I think what all of us would like to learn
25 | through your efforts is what the target was in the first

1 place because I think we don't know how the drug works.
2 It's very likely people who are in your kind of business
3 who will discover that.

4 What else would we like the sponsor to do in
5 the next several years? Susan.

6 MS. COHEN: It's more the packaging. Are home
7 pregnancy tests going to be considered pregnancy tests, or
8 is that going to be excluded? Are these things expensive
9 for people to do since you talked about the socioeconomic
10 class of the people who are using thalidomide? But I think
11 you have to. If you don't want home pregnancy tests,
12 you're going to have to say that it has to be done at a
13 certain, specific place.

14 MR. WILLIAMS: Yes, Ms. Cohen. We recognize
15 that it is important that these tests be administered in a
16 professional setting. We've had some discussion with the
17 agency and I know the agency is seeking advice from other
18 sources, including the American College of Obstetrics and
19 Gynecology, as to the most effective and most practical
20 forms of pregnancy tests. We expect that in the final
21 labeling that will be reflected.

22 DR. MCGUIRE: Other comments from the
23 committee? Dr. Miller.

24 DR. MILLER: I would just like to bring up an
25 issue that Dr. Duvic brought up yesterday about the name,

1 that she had a concern that Synovir sounded like another
2 antiviral. And should the name be thalidomide or how would
3 that be used? That certainly should be discussed.

4 DR. MCGUIRE: Yes. We discussed that at our
5 November meeting. I felt very strongly that to some
6 degree, foolishly, that thalidomide should be in big
7 letters, and someone pointed out to me that I was
8 practically the only one in there who knew what thalidomide
9 really was because everybody else is of an age that they
10 came on the scene after thalidomide. So, it's not the
11 brand name that we'd like for it to be.

12 Nonetheless, I think there was a consensus that
13 thalidomide should be associated with any other name that's
14 used for it and there shouldn't be a whole family of names.
15 It should be very distinctive, very straightforward.

16 Now, the question is its similarity to an
17 antiviral, and I don't know how the sponsor wants to deal
18 with that. It does sound like it ought to be good for HSV.

19 DR. WEINTRAUB: Dr. McGuire, the company has
20 been informed some weeks ago that the Nomenclature
21 Committee had reviewed the name and not found it the right
22 name to be used.

23 DR. MCGUIRE: It's the right name for another
24 product.

25 (Laughter.)

1 DR. WEINTRAUB: Perhaps.

2 DR. KILPATRICK: Dr. McGuire?

3 DR. MCGUIRE: Yes, Jim.

4 DR. KILPATRICK: Following on Dr. Weintraub's
5 comment and indeed to indicate my confusion, I'd like some
6 clarification of where we are. We started off with a set
7 of questions from FDA which were specific to Synovir. We
8 proceeded to delete the word "Celgene" in front of
9 thalidomide, so we were talking about generic thalidomide.
10 But we now appear to be talking as though we had already
11 voted to recommend approval for Synovir as opposed to
12 thalidomide by this company. Can you clarify what the
13 situation is?

14 DR. MCGUIRE: Well, this sponsor is interested
15 in Synovir and this committee is interested in thalidomide.
16 We asked the agency if we could drop the Celgene as a
17 modifier of thalidomide.

18 DR. LUMPKIN: Dr. McGuire, do you want me to
19 speak to that from our perspective?

20 DR. MCGUIRE: Please.

21 DR. LUMPKIN: I think people need to remember
22 from the agency perspective what we needed from the
23 committee today was a basic medical policy kind of
24 perspective. Here the drug thalidomide -- and perhaps we
25 ought not to say the generic drug thalidomide. That takes

1 us down a whole other road. The general term for the drug
2 thalidomide is one obviously that has a tremendous amount
3 of baggage and it has a tremendous history that we've all
4 talked about the last two days.

5 What we needed from the committee here was a
6 perspective from the medical community of are we in 1997 at
7 a point where, in the treatment of ENL, you feel that the
8 benefits outweigh the risks, and I think we got that
9 message from you as clinicians.

10 I think people need to remember that generally,
11 no matter what it is, when we come to a committee, even
12 though the questions are often worded "would you approve
13 such and such product," you generally only look at the
14 clinical implications of it. We, for example, don't bring
15 manufacturing issues up, but the manufacturing procedures
16 are part of the marketing application. When we, at the end
17 of the day, have to make the decision, we have to make the
18 decision on not only whether the clinical data support the
19 use of the product, but whether the company can manufacture
20 it and several other different things that come into it.

21 What we did not want to have happen today was
22 for people to somehow confuse Celgene's thalidomide with
23 the basic issue of thalidomide, which is what we wanted to
24 get from you, and I think we did get that today. At least
25 that's what I'm taking away from here.

1 Joe, is that a fair expression from your
2 perspective as Chair?

3 DR. MCGUIRE: I wouldn't have said it so well,
4 but thank you.

5 (Laughter.)

6 DR. MCGUIRE: I think we've just fallen off the
7 end of question 8 unless there is more to be added to
8 question 8.

9 DR. WOODCOCK: Is the committee member's
10 question satisfied, however, around Celgene thalidomide
11 versus thalidomide?

12 DR. KILPATRICK: I'm very disturbed because I
13 came prepared to answer the specific questions. In mid-
14 field the questions were changed. I accordingly changed
15 some of my recommendations, and as I've indicated, I don't
16 know what this committee's recommendation to the FDA is now
17 because I came to speak to a specific product being
18 produced by a specific company and now I'm talking about
19 something which will go back to historical data, to
20 clinical experience, et cetera as opposed to the evidence
21 put forward by a company specifically on their product.
22 And those two things are quite distinct in my mind.

23 DR. WOODCOCK: I think if you have opinions
24 you'd like to contribute on that bridge between the
25 historical data and the particular data on Celgene's