

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
FOOD AND DRUG ADMINISTRATION

X- - - - - X  
:  
: DERMATOLOGIC DRUGS ADVISORY COMMITTEE :  
:  
X - - - - - X

ORIGINAL

Friday, October 21, 1983

Conference Room M  
Parklawn Building  
5600 Fishers Lane  
Rockville, Maryland 20857

This transcript has not been edited or corrected, except where relevant for the deletion of information not releasable under the Freedom of Information Act. The Food and Drug Administration makes no representation regarding the accuracy of this transcript.

P A R T I C I P A N T SCOMMITTEE MEMBERS PRESENT:

William Eaglstein, M.D.	Chairperson
Ronald Goldner, M.D.	Member
Lowell Goldsmith, M.D.	Member
John R. Haserick, M.D.	Member
Marilyn C.P. Koehn, M.D.	Member
John A. Kenney, Jr., M.D.	Member
Jerome R. Pomeranz, M.D.	Member
James E. Rasmussen, M.D.	Member
Maria L. Chanco-Turner, M.D.	Member

FDA REPRESENTATIVES:

David C. Bostwick  
C. Carnot Evans, M.D.  
Edward Tabor, M.D.  
Dr. Bilstad

C O N T E N T S

Opening Remarks by C.C. Evans, M.D.	4
Opening Public Discussion	6
Accutane (NDA 18-862, Hoffman-LaRoche). Discussion concerning adverse reactions observed in patients undergoing therapy.	
Presentation by Dr. Allan Yard and associates of Hoffman-La Roche	7
Dr. Allan Yard	7
Dr. William Cunningham	9
Dr. Philip Del Vecchio	32
Dr. John Strauss	25
Presentation by Dr. Sidney Wolfe of Public Citizen - Health Research Group	75
Committee discussion concerning actions taken with respect to adverse reactions to Accutane. Response to FDA questions.	114
Kwell (NDA 6-309 and 10-718, Reed & Carnrick).	
Presentation of representatives of the National Pediculosis Association	254
Presentation by the Lindane Subcommittee	
Committee discussion concerning recommended actions for increasing the margin of safety in the use of lindane containing drugs.	256
Adjournment	271

P R O C E E D I N G S

(9:00 a.m.)

DR. EAGLSTEIN: Let us get started.

There are several agenda items that could easily take a lot of time. We may have an abbreviated luncheon session as well.

So, the first thing I would like to do is welcome everybody and turn the meeting over to Dr. Evans, who is going to make a few opening remarks and announcements.

DR. EVANS: On behalf of the Agency, I would like to thank you for being present and we are appreciative of all the comments that many of you have submitted to the Committee beforehand.

I would like to acknowledge Dr. Edward Tabor, who is the acting director of of the Division of Anti-Infective Drug Products, who has taken Dr. Merle Gibson's place.

I would also like to acknowledge that we have a new Chair, Dr. Bill Eaglstein, who is chairman of the Department of Dermatology of the University of Pittsburgh.

We also have two new members of the Committee, Dr. Lowell Goldsmith of the University of Rochester Medical Center, who is not with us yet and also Dr. Marilyn Koehn of Mountain View, California.

I would also be remiss if I didn't publicly acknowledge the stalwart service given by other members of the Committee,

1 who finished their service last year, Dr. Faye Arundell,  
2 Alfred Allen, Henry Jones and Dr. Lee Lumpkin.

3 Those are the end of my comments, Mr. Chairman.

4 DR. EAGLSTEIN: Okay.

5 I think our first item is going to be Accutane  
6 and --

7 MR. BOSTWICK: We need to ask if there are any  
8 public discussion.

9 DR. EAGLSTEIN: -- right. But even before we have  
10 public discussion, I think for context for the Committee,  
11 and I am the one developing the context in that sense, my  
12 impression of what we have been given is information about  
13 a bunch of events. Some of them were predicted and some were  
14 expected more or less, and some, perhaps, were unexpected.  
15 And that in addition to information about these events associa-  
16 ted with Accutane, we have got some reactions to the event and  
17 the reactions, at least that we have paper about, are in three  
18 categories. They are citizen's petition in reaction to these  
19 events and there are the sponsor's revised labeling in reaction  
20 to these events. And then this morning, we received -- I must  
21 say I received it last night after the subcommittee meeting,  
22 but most of you received it this morning, an FDA position or  
23 set of recommendations which would be a third reaction to these  
24 unexpected or expected events.

25 During the course of the first hour or two here, we

1 are going to have a time for open public discussion at which  
2 anybody else who wants to react can have the floor. And then  
3 we will have discussions by the sponsor and then discussions  
4 by the representative of the Public Citizen Health Research  
5 Group.

6 So, at this time, is there anybody who would like  
7 to speak in the time allotted to open public discussion?

8 (No response.)

9 DR. EAGLSTEIN: I think this is the time if anybody  
10 here wants to speak as a public citizen or --

11 (No response.)

12 DR. EAGLSTEIN: All right.

13 So, the next session will be initially devoted to  
14 presentation that will be spearheaded by Dr. Yard of Hoffman-  
15 La Roche, and he is the assistant director of Drug Regulatory  
16 Affairs and I am told that he is going to introduce several  
17 speakers and he has requested that the presentation, which  
18 is anticipated to last 45 minutes be uninterrupted by  
19 questions and that questions come at the end.

20 Does the Committee have any feeling as regards to  
21 this procedure? Would you like to interrupt during the course  
22 of the presentation, or would you rather comply with the  
23 request that the presentation be given in an uninterrupted  
24 fashion?

25 Mr. Kenney, any response?

1 DR. KENNEY: Let's comply, I think. Maybe we'll have  
2 an overview and maybe some of our questions would have been  
3 answered.

4 DR. EAGLSTEIN: Okay.

5 DR. KENNEY: If we listen to everything.

6 DR. EAGLSTEIN: Is that satisfactory to all of the  
7 members of the Committee?

8 (No response.)

9 DR. EAGLSTEIN: All right. If Dr. Yard wants to  
10 take the microphone at the podium.

11 (Slide.)

12 DR. YARD: Mr. Chairman, members of the Committee,  
13 members of the administration, ladies and gentlemen, my name  
14 is Dr. Allan Yard. I am the assistant director of Drug  
15 Regulatory Affairs at Hoffman-La Roche, Incorporated in  
16 Nutley, New Jersey.

17 On behalf of Roche, I wish to thank you for the  
18 opportunity to present this timely review of events that have  
19 occurred since Accutane was introduced in September of 1982,  
20 just a little over a year ago.

21 (Slide.)

22 DR. YARD: This morning, we shall first review for  
23 you the safety and efficacy of Accutane. This review will  
24 include a brief summary of the data in the NDA, as well as new  
25 findings that have become available to us during our continuing

1 research on Accutane since marketing.

2 Next, we will address new information and experiences  
3 that have come to us from health professionals during the past  
4 year. This part of our presentation will record the timing  
5 of these experiences and the steps that Roche has taken to  
6 communicate this new information to all health professionals  
7 and patients.

8 Lastly, we will invite your comments, your suggestions,  
9 your help on what Roche can do better to assure that this very  
10 effective drug is used properly by both physician and patient  
11 alike.

12 (Slide.)

13 DR. YARD: Speaking from Roche this morning will be  
14 Dr. William Cunningham and Dr. Philip Del Vecchio. Also,  
15 speaking to us will be Dr. John Strauss of the University of  
16 Iowa.

17 Our first speaker will be Dr. William Cunningham,  
18 who is director of clinical research of dermatology at Roche  
19 and he will discuss briefly the data in the NDA and also our  
20 continuing research efforts with Accutane.

21 Next will be Dr. John Strauss, who is professor of  
22 dermatology and chairman of the Department of Dermatology at  
23 the University of Iowa in Iowa City.

24 Dr. Strauss will share with us the results of a dose  
25 evaluation study with Accutane in which he was a principal

1 participant.

2           And the third speaker will be Dr. Philip Del Vecchio,  
3 who is director of Professional Services at Roche, and he will  
4 review for us the Roche communications effort during the past  
5 year.

6           Before presenting Dr. Cunningham, I wish to add also  
7 that we have with us this morning, Dr. James Corbett, Associate  
8 Professor of Neurology at the University of Iowa to assist us  
9 with any discussion on pseudotumor cerebri.

10           I wish now to present Dr. Cunningham.

11           DR. CUNNINGHAM: Thank you, Dr. Yard.

12           Mr. Chairman, we would like to thank you for this  
13 opportunity to address you this morning and to discuss with  
14 you some of the events that have occurred during the time of  
15 Accutane research.

16           I would like to start this morning by just giving you  
17 a brief overview because I know the members of the Committee  
18 are well familiar with the drug and you have all used it, but  
19 for those who haven't and who haven't heard some of the back-  
20 ground, I will just start with a little bit of an overview  
21 and go into some of the history in regard to development of  
22 of the compound, some of the biological activities of the  
23 parent compound, Vitamin A. The clinical trials will be  
24 summarized just rather briefly in terms of efficacy and  
25 safety and then I will discuss a little bit the post-marketing

1 experience which we've had with Accutane since September of  
2 1982.

3 I will summarize by drawing your attention to some  
4 of the continuing research that is ongoing in the area of  
5 Accutane.

6 (Slide.)

7 DR. CUNNINGHAM: Now, the retinoids as a class are  
8 a large group of compounds both naturally occurring and  
9 synthetic molecules that have been studied in the past, the  
10 vitamin alcohol is known as retinol, that is the standard  
11 Vitamin A molecule, if you will. All trans retinoic acid  
12 I'm sure you are familiar with as Retin-A, the anti-acne  
13 topical preparation. Vitamin A esters are the form that  
14 vitamin A is generally ingested in diet.

15 And then we get into the synthetic compounds, which  
16 are currently represented by 13-Cis retinoic acid or  
17 isotretinoin, the trade name is Accutane and the molecule,  
18 the aromatic retinoid, etretinate, which is currently in  
19 clinical trials in the United States.

20 It is a very large group of compounds. The parent  
21 compound, vitamin A, has several effects which are illustrated  
22 here.

23 (Slide.)

24 DR. CUNNINGHAM: Especially, one might note the  
25 effects of differentiation of epithelial tissue, effects on

1 growth. This parent compound action in a way predicts both  
2 the effects of the class of compounds in the biologic organism,  
3 as well as perhaps predicting some of the side effects which  
4 might see. Differentiation of epithelial tissue, for example,  
5 is one of the common effects of the drug and also one of the  
6 common side effects.

7 Similarly, growth and reproduction are intimately  
8 associated with vitamin A.

9 (Slide.)

10 DR. CUNNINGHAM: The historical background gives one  
11 a little bit of a perspective. The molecule was initially  
12 synthesized in the '30s, although it was known for many years  
13 before that. And therapy with vitamin A, I think some of you  
14 will be familiar with as it was instituted in the 1940s.  
15 The search for a better compound was ongoing at this time and  
16 in 1955 with a synthesis of 13-Cis retinoic acid, one had  
17 a molecule now which instead of an alcohol end group, had  
18 a carboxylic acid end group, and this changes quite  
19 dramatically the pharmacokinetics of the molecule.

20 The vitamin A compounds in general are stored in  
21 the liver and the carboxylic acid compound, 13-Cis is not  
22 stored in the liver. And this was the main area of interest  
23 in eliminating some of the potential side effects of vitamin  
24 A.

25 (Slide.)

1 DR. CUNNINGHAM: The history, I'll pick up again,  
2 with 1955 and a few years went by before it was introduced  
3 into human trials in Europe in 1971 in psoriasis and various  
4 other disorders of keratinization and clinical trials in the  
5 United States began in 1976 with cystic acne studies, and then  
6 in 1977 with disorders of keratinization.

7 And I might say that although the NDA, which was  
8 approved in 1982 contained 160 cystic acne patients at the  
9 time of approval, we have had experience up to date in our  
10 clinical trials with over 1200 patients. Although, as I said,  
11 they were not all part of the NDA originally.

12 (Slide.)

13 DR. CUNNINGHAM: The clinical trials in cystic  
14 acne, which were part of NDA consisted of 160 patients which  
15 were evaluable. The mean dose was 0.9 mg/kg/day, but there  
16 was a great range with dosing as high as 2.26 mg/kg/day.  
17 I might point out that the clinician experienced the  
18 phenomenon that the truncal acne patient did not respond as  
19 well, and these higher doses reflect to a large extent the  
20 treatment given to patients with severe involvement of the  
21 trunk.

22 The duration of dosing similarly varied according  
23 to the particular protocol. There were a number of different  
24 protocols. The mean duration was 16 weeks, which is about  
25 what our package insert currently recommends. The range,

1 however, was eight to 26 weeks. I might point out that the  
2 relapse rate at the lower range is rather significant and the  
3 15 to 20 week period is the optimum treatment period at the  
4 present time.

5 (Slide.)

6 DR. CUNNINGHAM: I won't go into all of the  
7 efficacy details because you've had that presented to you  
8 in the past when you approved the drug for severe recalcitrant  
9 cystic acne. But with all these various parameters that I  
10 have just outlined, if one looks at those as an overview,  
11 one sees that one can achieve a 78 percent mean reduction  
12 in lesion count by the end of two months post-therapy.

13 Similarly, 80 percent of patients experience at least  
14 a 50 percent reduction of lesion count after a single course.  
15 If one retreats those that have failed, or those who have  
16 not received satisfactory improvement after the first course;  
17 that is, first and second course combined, one gets up to a  
18 96 percent figure with patients showing at least a 50 percent  
19 improvement. So, I think although the figures here are rather  
20 simple, the efficacy is rather dramatic. And the pictures, of  
21 course, tell the story, and you've seen these; so, I won't  
22 belabor them.

23 (Slides.)

24 DR. CUNNINGHAM: But before and after treatment of  
25 severe recalcitrant cystic acne is a rather dramatic event.

1 This one doesn't project as well in the lighted room,  
2 but that is before and after.

3 (Slide.)

4 DR. CUNNINGHAM: Virtually no active lesions remain-  
5 ing, just scarring.

6 (Slide.)

7 DR. CUNNINGHAM: A woman with severe involvement of  
8 the face before therapy --

9 (Slide.)

10 DR. CUNNINGHAM: -- and after. And so, I think  
11 the efficacy of the drug is not at all in question.

12 Now, the safety of the drug is comprised of two  
13 phenomena, two parts. One is the NDA experience and this is  
14 out of standard side effect tables.

15 We have a very high incidence of clinical side  
16 effects with the drug. This was very clear from the  
17 beginning. Up to 100 percent of patients experience one or  
18 another of especially mucocutaneous side effects. It is very  
19 common. Generally rather mild to moderate. Occasionally,  
20 rather severe, but, in general, very treatable and very reversi-  
21 ble.

22 I might point out that the musculoskeletal symptoms  
23 are seen in 16 percent of patients. In the NDA phase, all  
24 of those resolved rather promptly after discontinuation of  
25 drug and I'll talk a little bit more about this phenomenon

1 a little later.

2 The others, I won't go into specifically. I think  
3 you've seen these figures before and these are the figures  
4 that are in the package insert.

5 (Slide.)

6 DR. CUNNINGHAM: Laboratory side effects similarly  
7 are generally not a terrible problem. The elevated  
8 triglycerides in general, although they are frequent, are not  
9 reason for discontinuation of the drug unless they are very  
10 elevated. The short course of therapy here, I think, precludes  
11 a problem with elevated triglycerides.

12 Long-term therapy might be a little different, but  
13 here the short-term of four to five months, I think, one can  
14 tolerate even these modest elevations of triglycerides.

15 And Dr. Strauss will present some data on that in a  
16 few moments.

17 The other side effects, I think you are familiar  
18 with. I won't go into them in detail.

19 (Slide.)

20 DR. CUNNINGHAM: Now, the Accutane experience  
21 in the post-marketing period is much larger than that during  
22 the NDA period naturally. The drug was very well accepted.  
23 There were a large number of patients waiting for this drug.  
24 It was very effective. It was clear that it was. Up to  
25 300,000 patients have been treated. This is an estimate.

1 It is not an exact figure. I think one could expect to have  
2 a broadening experience in the side effect realm with this  
3 kind of patient population. And, in fact, we do have that  
4 kind of experience.

5 I might point out that vitamin A is teratogenic  
6 in animals and in humans. It was known in the pre-marketing  
7 period that Accutane was teratogenic in animals. You see  
8 the data, for example, for the rabbit, the drug is clearly  
9 teratogenic at 10 mg/kg/day.

10 (Slide.)

11 DR. CUNNINGHAM: The original package insert  
12 contained the pregnancy warnings pretty much the same as  
13 they are at the present time. The use of this drug in  
14 pregnancy has always been contraindicated. Meticulous  
15 contraception has always been recommended.

16 And now we have human experience and that is that  
17 Accutane is clearly teratogenic at therapeutic doses.

18 (Slide.)

19 DR. CUNNINGHAM: The specifics of this, I think are  
20 again familiar to you. The current figures are that we have  
21 seven reports of fetal abnormalities in women who have taken  
22 Accutane during the first trimester of pregnancy. The  
23 congenital abnormalities, which I have listed, primarily,  
24 although there are a large number of others that are of less  
25 common appearance, the major one being the CNS malformations,

1 the ear and eye malformations. These are major fetal  
2 abnormalities and, again, underlie the necessity for very  
3 strict contraception. This is an absolute must with this  
4 drug.

5 (Slide.)

6 DR. CUNNINGHAM: Now, to digress a little bit to  
7 some of the other effects, pseudotumor cerebri or papilledema  
8 has been reported to us in a number of instances. We have  
9 the present time approximately 10 reports of either one or the  
10 other, with the majority being pseudotumor cerebri. We have  
11 a total of seven reports of that condition at the present  
12 time.

13 Now, I need to put this in some perspective although  
14 the epidemiology figures for this are not very good, one has  
15 to see that these are 10 reports out of about 300,000 patients  
16 who have taken the drug.

17 Six of them have resolved completely. Some of them  
18 had visual disturbances, which is a very common presentation  
19 of pseudotumor cerebri. The benign increased intracranial  
20 pressure causes papilledema, which has been seen in a number  
21 of these patients. One of the patients that was reported  
22 in retrospect probably had pseudopapilledema; that is the  
23 disks were -- the margins were blurred, but in retrospect it  
24 appeared that that had been the case before therapy and there  
25 were no sequelae from that.

Three cases, as you might expect, in this kind of  
*Baker, James & Burkes Reporting, Inc.*

1 environment are still under investigation. There symptoms are  
2 resolving, but we do not have the last follow-up on those  
3 patients.

4 Now, five of the patients at least had concomitant  
5 tetracycline or minocycline, and Dr. Del Vecchio will digress  
6 for a moment during his discussion about that experience. It  
7 is difficult to say at the present time whether there is an  
8 association or not. Certainly there is with the numbers, but  
9 whether there is, in fact, in terms of pathogenesis, that's  
10 not clear.

11 (Slide.)

12 DR. CUNNINGHAM: Now, the other eye related changes,  
13 of course, you are familiar with the high incidence of  
14 conjunctivitis with the drug. It is very common, and very  
15 treatable in general. We also had some experience with  
16 corneal opacities in the NDA period, the patients especially  
17 with disorders of keratinization had this as a result of the  
18 dryness of the eyes. Those were reversible on discontinuation  
19 of therapy. And in this post-NDA period now, we have had three  
20 reports of patients who have developed corneal opacities while  
21 on Accutane.

22 One of them resolved completely while on therapy  
23 after discontinuation of her contact lenses, so that was not  
24 a problem. One also were contact lenses, in general, but  
25 did not during the time of treatment and that at the present

1 time is nearly resolved. She has one small opacity remaining  
2 which has not interfered with vision. And one report was  
3 a nonconfirmed report that the patient was told they had  
4 opacities and there was apparently no vision problem, and  
5 the follow-up was not obtainable in that particular patient.

6 In general, I would say, this is not an unexpected  
7 event. The eyes are rather dry. Conjunctivitis is common.  
8 Meticulous eye care is necessary. I think you've all had that  
9 experience.

10 Now, the more disturbing question of visual loss has  
11 come up and I think that, again, as part of the pseudotumor  
12 cerebri and papilledema spectrum, one can expect that this is  
13 one of the most common presentations of pseudotumor cerebri  
14 in fact.

15 Other than that, however, we have had only one other  
16 report of visual loss, and that was in a patient that apparently  
17 had it as a result of encephalitis. The patient had a viral  
18 encephalitis. Had some decreased visual fields during that  
19 time. Upon recovery, the visual fields returned to normal  
20 and the patient has normal vision at the present time, although  
21 the patient did have a subsequent exacerbation of encephalitis.  
22 So, I would say that other than the pseudotumor cerebri, visual  
23 changes other than blurring -- other than blurring of vision  
24 from conjunctivitis, let's say, have not been observed to our  
25 knowledge.

1 (Slide.)

2 DR. CUNNINGHAM: Now, inflammatory bowel disease is  
3 a little bit of a different story, I think. Here you see the  
4 epidemiology figures. There are a total of nine reports to us  
5 out of this large population and it is hard to say whether  
6 there is over or under reporting, but we've had a large number  
7 of reports. I tend to think that the dermatologist has been  
8 very meticulous about reporting these patients, especially  
9 with disease of this sort.

10 Ileitis, in general, has an incidence of one to  
11 two cases per 100,000 per year, and to date we have four  
12 reports of ileitis. I might say that I see nothing other than  
13 a temporal relationship here. One patient in fact had a previous  
14 history of regional enteritis. One patient developed regional  
15 enteritis three weeks after discontinuation of the medication  
16 and did not develop an exacerbation after rechallenge with the  
17 medication. So, that one as well does not support the cause  
18 and effect relationship.

19 Similarly, with colitis, the figure for ulcerative  
20 colitis is 6.5 to 9.1 cases per 100,000 per year and to date  
21 we have five reports of colitis. Not all of them ulcerative  
22 colitis.

23 I might point out here as well that one patient  
24 had ulcerative colitis to begin with and exacerbated while on  
25 drugs. The relationship is not clear to that, but I can tell

1 you that it was on the second course of drugs. The first  
2 course was without incidence; so, again, I question whether  
3 there is anything more than a temporal sequence here.  
4 The two patients with ulcerative colitis, one was unexplained  
5 and at least temporally was related to drug. The other  
6 patient had a past history of megacolon and, interestingly  
7 enough, also had pseudotumor cerebri in the past from  
8 tetracycline, and developed ulcerative colitis while receiving  
9 Accutane.

10 Now, it is a difficult picture to sort out, but,  
11 again, I think what we are left with is a figure, at least a  
12 number figure which is well within the expected incidence  
13 from these diseases.

14 Furthermore, I might say that all of the other  
15 side effects which we have seen to date follow the vitamin A  
16 toxicity pattern very closely. The incidence of the side  
17 effects is different with Accutane versus vitamin A, but to  
18 a large extent many of the side effects follow that hypervita-  
19 minosis A pattern. And inflammatory bowel disease is not  
20 part of the hypervitaminosis A syndrome.

21 (Slide.)

22 DR. CUNNINGHAM: Now, I will digress from cystic  
23 acne patients, and I'd like to bring you up to date on the  
24 bone changes related to Accutane in patients with disorders  
25 of keratinization. We have a prospective study in place in

1 cystic acne patients looking for bone changes in that group,  
2 and to date we have had 30 patients complete that prospective  
3 study with baseline and follow-up X-rays, and, of course,  
4 X-rays showed no change in the cystic acne population. That's  
5 with package insert dosing and duration.

6 On the other hand, we have observed a rather high  
7 prevalence of skeletal hyperostosis with Accutane in patients  
8 treated for disorders of keratinization. These have been  
9 patients for the most part treated for long durations. Our  
10 oldest protocol, you will remember, goes back to 1977 and  
11 many patients have been on drug four and five years. The mean  
12 dose in that larger group is 2 mg/kg/day. The duration in  
13 that group is about two years duration, the mean duration.  
14 Many have received drug longer than that.

15 On the other hand, a smaller prospective study of  
16 patients with disorders of keratinization, again, at mean  
17 dosing higher than most of the patients are receiving for  
18 cystic acne; that is, about 2 mg/kg/day mean dose, and that  
19 small prospective study, five out of eight patients had X-ray  
20 changes consistent with skeletal hyperostosis at six and 12  
21 month X-ray.

22 I might say that I have seen the 12 month X-rays.  
23 The changes are very minimal. The patients are asymptomatic  
24 for the most part. The progression of the disease is uncertain  
25 because most of the patients have such serious disease they

1 do not choose to come off therapy.

2           The six month X-rays, I might point out parenthetically  
3 were reinterpreted after the 12 month X-rays in the small study  
4 and when looked at with that careful scrutiny, small changes  
5 could be detected on that.

6           Now, the hypervitaminosis A syndrome includes  
7 hyperostosis in general. In the literature, that has been  
8 reversible upon discontinuation of drug.

9           I cannot say this at the present time for Accutane,  
10 but I would predict that that would be the case with these  
11 minimal changes.

12           So, I would like to just leave you with that in mind  
13 that there is no question that Accutane is related to bone  
14 effects, especially in long-term, high-dose therapy. In the  
15 cystic acne population to date, which we have looked at  
16 prospectively, there have been no changes of bone.

17           (Slide.)

18           DR. CUNNINGHAM: Other findings, I won't dwell on  
19 because our time is limited. Again, you are familiar with  
20 these, I believe. Many of you have experienced them. Rather  
21 more common side effects and less severe in general than the  
22 others we've been discussing.

23           (Slide.)

24           DR. CUNNINGHAM: Now, I would like to just in two  
25 minutes tell you what we are doing in the present and in the

1 future. First of all, our research effort in Accutane is  
2 rather extensive at the present time. It is ongoing. We are  
3 committed to a very prolonged period of follow-up with the  
4 drug.

5 Our epidemiology studies, for example, were initiated  
6 at launch. This is the first instance of such an event  
7 occurring in the industry that I am aware of. We have two  
8 major epidemiology studies in place in the Pacific West Coast.  
9 Both of those are looking at adverse reactions. To date, the  
10 experience is similar to the experience in the NDA period; that  
11 is, nothing outside of the NDA experience.

12 Those studies will continue, I presume, as long as  
13 we are using the drug and they are projected to go indefinitely.  
14 The musculoskeletal signs and symptoms, I discussed a little  
15 bit. That is ongoing as well and I've just expanded that  
16 study to 100 patients. I think we will have a very good  
17 prospective study that will very definitively answer the  
18 question which I believe has really been answered in the  
19 initial patients.

20 Lipid metabolism, as well, will be looked at in a  
21 large outpatient and inpatient rather detailed elaborate  
22 sophisticated lipid metabolism protocol.

23 Immunologic and androgen function, which many have  
24 requested be looked at is in place and is being examined.  
25 Semen analysis similarly is being looked at in cystic acne

1 patients.

2           And now I might say that at the time of approval,  
3 there was some discussion of dosing, and we had at that time  
4 in place a rather large, and, I think, rather definitive  
5 study which Dr. Strauss will address. This is a very  
6 nicely designed study, a triple dose study. Three investigators,  
7 Dr. Shalito, Strauss and Pochi and I believe probably the  
8 most definitive dosing study to date with Accutane.

9           And with that, I'd like to turn it over to Dr.  
10 Strauss to discuss that study.

11           DR. STRAUSS: Thank you.

12           Chairman Eaglstein, members of the panel, representa-  
13 tives of the government and interested parties. What I am  
14 about to describe to you is the results of a study, a double  
15 blind study involving dosing. Our question was addressed  
16 to try to determine whether there was any one dose that was  
17 superior to another in terms of clinical effect, at the same  
18 time trying to reduce the side effects, both clinical and  
19 laboratory that Dr. Cunningham has indicated.

20           And we looked at not only the clinical response in  
21 this group of patients, but the incidence of side effects,  
22 the incidence of laboratory side effects and the degree of  
23 these. And of greatest importance, as I'll emphasize, what  
24 happens in long-term follow-up in these patients because that  
25 is going to be a critical issue.

1 Dr. Cunningham has already pointed out to you this  
2 was a study that was done in three different centers. Dr.  
3 Pochi at Boston University; Dr. Shalito at Sunny downstate,  
4 and our group at the University of Iowa.

5 (Slide.)

6 DR. STRAUSS: The large study. We had at the end  
7 of the study a total of 141 patients who were analyzable,  
8 with at least 46 in each of the three treatment groups.  
9 Treatment was given for 16 to 20 weeks in these patients.  
10 If you look at this slide, you can see that the groups are  
11 roughly comparable in terms of age, in terms of duration of  
12 treatment and duration of acne. I want to emphasize that this  
13 was a fixed dose study. The same dose was used throughout  
14 in all of these patients.

15 (Slide.)

16 DR. STRAUSS: First of all, let's look at the  
17 clinical effects. If you can see this, this is a summary of  
18 the response of nodular cystic lesions 4 millimeters or greater  
19 in diameter on the face. At the end of the 20 week period,  
20 as you can see, there are roughly comparable decreases in the  
21 percent of lesions that we're seeing.

22 You will also notice that for the 12 weeks in the  
23 immediate post-treatment period that these patients were  
24 followed, there was a further decrease in the lesions. This  
25 is something that has been reported continuously in all of the

1 studies. And at the end of that 32 weeks, the 20 week treat-  
2 ment and 12 weeks of post-follow-up, you can see that the curves  
3 are roughly the same.

4 (Slide.)

5 DR. STRAUSS: Similarly, if we plot the lesions on  
6 the trunk, at the end of 20 weeks, the three groups are  
7 comparable. At the end of 12 weeks follow-up, the results are  
8 comparable.

9 So, from this, we would -- there seems to be an  
10 indication that all three dosages, a 0.1 of a milligram,  
11 0.5 milligram and 1.0 mg/kg/day are roughly comparable. I  
12 should add that we did -- I did an earlier study -- it was one  
13 of the early studies that was done with the drug in which we  
14 investigated the three different dosages and this formed the  
15 basis for doing this study. And we had seen in that previous  
16 study roughly comparable results with the three doses.

17 However, in that previous study, the cell size was  
18 very small involving 4 or 5 per cell so that this study involving  
19 141 patients does give us a confirmation of the earlier study  
20 that we did.

21 (Slide.)

22 DR. STRAUSS: In terms of the clinical side effects,  
23 I plotted out here the clinical side effects that have been  
24 seen with greater than 30 percent incidence and with the  
25 three dosages. Of course, starting with chapped lips, as

1 Dr. Cunningham has already pointed out, this was the most  
2 common side effect. And you will see that there is a difference  
3 between 77 percent and 93 percent between the lowest dose and  
4 the highest dose.

5 If you look down the line, you will see that there  
6 is not any consistent change in relation to dose. And the  
7 difference to me between 77 percent and 93 percent is in line  
8 with what I'll discuss at the end of this is not anything  
9 that we have to be concerned with, and I think that it does  
10 not justify necessarily reducing the dose one milligram per  
11 kilogram per day to 0.1 milligram per kilogram per day.

12 (Slide.)

13 DR. STRAUSS: In terms of the laboratory side  
14 effects, I think there are two that there has been some concern,  
15 as Dr. Cunningham pointed out to you, were liver function and  
16 what happens to blood lipids. All of these patients had liver  
17 function studies done in each of the observation periods  
18 and I've plotted out here the results of 4, 8 and 20 weeks.

19 As you can see with 1 mg/kg/day at the 8 weeks and  
20 at the 20 weeks, there was a statistically significant  
21 increase in the SGOT aspartate transaminase.

22 However, even at the end of 20 weeks, the value of  
23 32.4 is well within the normal limits. So, the elevation  
24 here, and these are group means, is not significant.

25 (Slide.)

1 DR. STRAUSS: When we look at the SGPT, or  
2 alanine transaminase you will see that once again there is  
3 a slight rise which was statistically significant at eight  
4 weeks, but still it is within the normal range.

5 (Slide.)

6 DR. STRAUSS: If we look at the LDH, there is no  
7 significant elevation all during the time that these patients  
8 were on treatment.

9 (Slide.)

10 DR. STRAUSS: And, finally, if we look at alkaline  
11 phosphatase, why there is a slight rise at four weeks, with  
12 0.5 mg/kg/day and at 20 weeks with 1.0 mg/kg/day, these still  
13 are with normal limits.

14 In sum total for this particular -- these laboratory  
15 studies, while there has been a slight elevation in the mean  
16 values, they are still within normal limits.

17 (Slide.)

18 DR. STRAUSS: Turning to the blood lipids,  
19 triglycerides, of course, have been a major concern. We note  
20 that in this large group, 141 patients, there were slight  
21 rises particularly with 1.0 mg/kg/day which were statistically  
22 significant as compared to baseline, but yet they still were  
23 within normal limits so that the elevation while there was  
24 a elevation, this was within normal limits. And I would like  
25 to reemphasize what Dr. Cunningham has already said that the

1 patients that we're treating with isotretinoin for acne, we  
2 are treating in general with lower dosages than the diseases  
3 of keratinization where some of the elevated triglycerides  
4 have been seen.

5 If we look at cholesterol levels, once again, a slight  
6 rise, but, once again, still within the limits of normal.

7 (Slide.)

8 DR. STRAUSS: And, finally, because the major concern  
9 is not with the triglycerides, but with the HDLs, high density  
10 lipoproteins, which, of course, has been tied to the possibility  
11 of increased risk of coronary artery disease with 1.0 mg/kg/day  
12 as well as with 0.5 mg/kg/day at eight weeks, there is a slight  
13 decrease in the high density lipoproteins.

14 Once again though, it has been the pattern that  
15 I've already talked about, the drop in high density lipoproteins,  
16 they still are within the limits of -- normal limits.

17 So, with these two laboratory parameters now, once  
18 again, there does not appear to be a clinical significant  
19 difference between the three dosages.

20 (Slide.)

21 DR. STRAUSS: One of the things that -- when Dr.  
22 Peck originally reported on isotretinoin, he elaborated on,  
23 and I think one of the things that is of greatest importance  
24 with this drug, is the length of remissions that occur with the  
25 drug and we did a survey of those patients who had been treated

1 with the drug, only one course of the drug, some 18 to 24  
2 months later a survey was done as to what their status was,  
3 and I repeat that these are patients that had only one course  
4 of drug.

5 And as you can see, let's look at line "gone  
6 entirely," 0.1 percent, 23, roughly a quarter of the patients  
7 said that their disease was gone. With 1 mg/kg/day, half of  
8 the patients said that their disease was gone.

9 Going down to the bottom line here, those who were  
10 worse with 0.1 mg/kg/day, 30 percent reported they were worse,  
11 whereas only approximately 7 percent reported that they were  
12 worse with 1 mg/kg/day dose.

13 (Slide.)

14 DR. STRAUSS: We asked them the question: if acne  
15 is worse, is it as severe as it was before therapy? There's  
16 a clear cut difference between 0.1 mg/kg/day and 1.0 mg/kg/day.  
17 None reported that they were worse when they were on 1.0  
18 mg/kg/day. 37.5 percent reporting that they were worse with  
19 0.1 mg/kg/day.

20 Going down to if acne has recurred, have you begun  
21 any acne therapy? Once, again, the same type of difference  
22 between the two dosages. And so we think that this is a very  
23 interesting thing.

24 (Slide.)

25 DR. STRAUSS: But of more importance was what  
percent of the patients who were treated with isotretinoin

1 in the three different dosages in this particular study  
2 needed retreatment with isotretinoin, and there is a clear  
3 cut difference. With 0.1 mg/kg/day, over 40 percent of the  
4 patients required a second course of therapy, whereas with  
5 1.0 mg/kg/day only 10 percent required retreatment. I think  
6 this is the most critical issue that this particular study  
7 has shown, because we are working with a drug that admittedly  
8 does have side effects. And it is my opinion; I think the  
9 opinion of my co-workers in this study that the ideal thing  
10 to do is to treat these patients as quick as possible and  
11 with just a single dose, a single course of therapy.  
12 And if that is one of our aims of therapy; then, there can  
13 be no question that the 1 mg/kg/day dose is more effective  
14 than the 0.1 mg/kg/day in terms of preventing the recurrences.

15 The summary of this data involving a large group  
16 of patients, 141 patients is that there is a clear cut  
17 difference in the remission rate between the three dosages,  
18 and it is our recommendation that the 1.0 mg/kg/day dose  
19 be the general starting and course dose when you are treating  
20 with isotretinoin.

21 I now turn the meeting over to Dr. Del Vecchio.

22 DR. DEL VECCHIO: Thank you, Dr. Strauss. Good  
23 morning. I am Dr. Philip Del Vecchio, I am director of  
24 Professional Services of Roche Laboratories and I here this  
25 morning to talk to you about Accutane communications.

1 What we have done to communicate that information that you  
2 have just heard over the past year, both the old information  
3 that we knew at the time of launch and the new information  
4 that has come out.

5 (Slide.)

6 DR. DEL VECCHIO: In order to do this, I am going  
7 to cover it in four different phases, four different time  
8 period, the first being the period of the approval and  
9 launching product back in fall of 1982; the second being the  
10 late winter and early spring of 1983 when the first indication  
11 came of the new adverse effects which were previously un-  
12 recognized; the third period being the summer of 1983 when  
13 we started teratogenicity data, the human fetal defects became  
14 know, and, finally, what we're doing at present and what we  
15 propose to do in the future.

16 (Slide.)

17 DR. DEL VECCHIO: And for each of those time periods,  
18 I'm going to go through the specific dates, the important  
19 dates taht things happened. What the things were that we  
20 needed to communicate and what our considerations were in  
21 making those communications, the actions that we took, and  
22 for the present and future time period what are future options  
23 might be, and in that regard, we would certainly like to ask  
24 the Committee for their input and information, their opinion  
25 as to which direction we might go.

1 (Slide.)

2 DR. DEL VECCHIO: The first time period is that of  
3 approval and launch. As most of you are aware, our NDA  
4 clinical program started in 1976 and in 1981, we submitted  
5 the Accutane NDA. Following two meetings of this particular  
6 committee, during which time the drug the drug was given an  
7 approvability status and the labeling was approved and the  
8 product was given final approval by the FDA, and the official  
9 launch of Accutane took place in September of 1982, just a  
10 little over a year ago.

11 (Slide.)

12 DR. DEL VECCHIO: And at that particular time  
13 the things that we needed to communicate were these: First,  
14 the indication, that is for severe recalcitrant cystic acne.  
15 We needed to communicate that very clearly. The dosing, 1.0  
16 to 2.0 mg/kg/day in divided doses for 15 to 20 weeks.  
17 A very important consideration, teratogenicity in animals had  
18 very clearly been demonstrated. Obviously, we had no human  
19 data at that time, but based on that and animal data and human  
20 data for vitamin A, we certainly anticipated the possibility  
21 that there might be teratogenic effects in humans. And based  
22 on that information, obviously, we had to consider the problem  
23 of pregnancy, and, as Dr. Cunningham alluded to earlier,  
24 pregnancy was contraindicated from the start. This is a  
25 product, a category X compound from the very beginning.

1           We also needed to communicate the clinical side  
2 effects for Accutane. At that time, the only clinical side  
3 effects that were know were the mucocutaneous side effects  
4 and the muscoloskeletal side effects. And all of those were  
5 known to be both minor and reversible upon discontinuation of  
6 therapy.

7           In addition, we had to communicate the laboratory  
8 abnormalities, the most prominent one at the time being the  
9 lipid abnormalities.

10           (Slide.)

11           DR. DEL VECCHIO: There were some special considera-  
12 tions in regard to communicating these things. We did  
13 marketing research data which told us that there were a  
14 minimum of 360,000 severe cystic acne patients under the care  
15 of physicians at that time. About two-thirds of them were  
16 being treated by dermatologists and about one-third by non-  
17 dermatologists. We were told there was no effective therapy  
18 for those patients at that time. We have no idea of the number  
19 of patients who were not in the medical care system at that  
20 time who have come into the system since that time, since the  
21 coming of Accutane. This clearly is a minimum number.

22           The indication was very important. A drug that has  
23 a great number of side effects as this did needed to be used  
24 for the proper indication and that was severe cystic acne and  
25 we felt there was a very strong need to pinpoint that

1 indication, as well as, I mentioned, a very strong need to  
2 prevent pregnancy. Contraception was paramount. This was  
3 a drug that we knew that the possibility of teratogenicity  
4 was very possible and we did not want that to happen; therefore,  
5 we needed to warn patients and physicians about that.

6 Obviously, we needed to inform the physicians on  
7 the side effects profile, and the last point that we felt was  
8 very important and that was the need for patient information.  
9 In a drug that has up to 100 percent side effects, the possi-  
10 bility that a patient takes that drug might begin to experience  
11 side effects before they experience beneficial effects is  
12 very possible, and, I think, as most of you know, that is  
13 exactly what may happen with patients. There may be exacerba-  
14 tions of their acne. They may have other skin effects before  
15 they begin to feel better. We felt this could produce a very  
16 big problem with compliance. That patients may go on and off  
17 the drug. Might reduce the dosage themselves. Might not  
18 report back to their physicians, and, therefore, we felt that  
19 we had a very strong need to go ahead and issue patient informa-  
20 tion.

21 (Slide.)

22 DR. DEL VECCHIO: Well, what did we do. First, of all,  
23 we decided which audience to go to. We went to all dermatologist  
24 with a very major emphasis on this product. We felt that the  
25 majority of patients should be treated by dermatologists with

1 this particular drug for this particular indication two reasons.  
2 Because it was a complicated drug in terms of the mucocutaneous  
3 side effects and also because of the pinpointing of the  
4 diagnosis.

5 And, in fact, as you will see later that is exactly  
6 what has happened. However, we also went to primary care  
7 physicians because we felt that they might see some of these  
8 patients who were being treated perhaps for side effects, per-  
9 haps for contraception, perhaps for the lipid problems.  
10 Also, some primary care physicians were going to treat patients  
11 with Accutane and for those physicians, we provided them with  
12 complete prescribing information, complete information. We  
13 did not go to the total medical universe with this particular  
14 product.

15 Obviously, we went to all pharmacists, all  
16 institutions in both the residence programs and the outpatient  
17 departments and, of course, we went to the patients, but only  
18 via the dermatologist and the physician in general.

19 (Slide.)

20 DR. DEL VECCHIO: I would like to show you some  
21 examples of the program, just a few examples of the programs  
22 that we've used for information to the physicians, to the  
23 pharmacists and to the patients.

24 (Slide.)

25 DR. DEL VECCHIO: This is an informational piece

1 that was used very early after the launch of Accutane.

2 (Slide.)

3 DR. DEL VECCHIO: That is the cover and what I  
4 would like you to see on this piece is the emphasis and the  
5 balance. You don't need to read all of this, but there are  
6 data here on efficacy and you see the pregnancy warning very  
7 prominently displayed in bold type.

8 (Slide.)

9 DR. DEL VECCHIO: Another set of pages. I don't  
10 know who well that is in focus, but this particular area  
11 has to do with the pregnancy contraindication and data regard-  
12 ing work up and lipid abnormalities and side effects.

13 (Slide.)

14 DR. DEL VECCHIO: Another set of pages, the side  
15 effects profile, the same side effects profile chart that you  
16 saw from Dr. Cunningham.

17 Another piece, the cover of the piece, I think, is  
18 interesting. It is a very dramatic face of a patient with  
19 severe cystic acne. We felt that the photographs themselves  
20 helped to pinpoint the indication for which this drug is  
21 supposed to be used.

22 (Slide.)

23 DR. DEL VECCHIO: And following the page which shows  
24 the response to that drug.

25 (Slide.)

DR. DEL VECCHIO: The following pages have to do  
*Baker, James & Burkes Reporting, Inc.*

1 with efficacy, reduction in sebum count, reduction in cyst  
2 count, reduction of sebum production.

3 (Slide.)

4 DR. DEL VECCHIO: And the following pages immediately  
5 after that are all basically what we call fair balance pages,  
6 teratogenicity and pregnancy problem in bold print. The work  
7 up for lipids, the side effects. I think what I am trying to  
8 point out from these is the emphasis that we have placed on  
9 not only the beneficial effects, but also the risks that we  
10 knew at that time with Accutane.

11 (Slide.)

12 DR. DEL VECCHIO: In addition to those pieces, these  
13 are three more informational pieces that were produced. The  
14 comprehensive product information, basically a monograph on  
15 Accutane. Everything you ever wanted to know about Accutane  
16 was contained in there.

17 The scientific summary on Accutane. We produce  
18 these for every one of our new products. It is a summary  
19 of all of the NDA information, a compilation of all of the  
20 data that we have submitted to the FDA in support of the  
21 NDA application. In this particular case, it gave the results  
22 of the trials for cystic acne as well as the safety profile  
23 on a total of 523 patients who were treated not only for acne,  
24 but also for other disorders. And because of the particular  
25 problem with the drug, we issued an additional scientific

1 summary at the time of launch which was this uninduced lipid  
2 changes, giving the physician some information on the tri-  
3 glycerides, the HDL levels and what to do about them and what  
4 they might mean.

5 (Slide.)

6 DR. DEL VECCHIO: This was a tear off from a patient  
7 chart. This was the patient's instruction sheet that the  
8 dermatologist was supplied with to give to his patients in  
9 regard to side effects. This is all the side effects. It  
10 talks about the drying of the skin, the pregnancy contraindica-  
11 tion, and other warnings in regard to triglycerides and other  
12 things that may happen to the patient when they are taking  
13 Accutane.

14 (Slide.)

15 DR. DEL VECCHIO: And the back side lists the side  
16 effects, lists how frequently they occur for the patient's  
17 information. Gives them some hints about what to do about them.  
18 The ones that they can treat. They one they should see their  
19 doctor about.

20 We felt that the patient needed to get this informa-  
21 tion from the doctor. We are very concerned that we maintain  
22 the physician/patient relationship, the physician/patient  
23 communication and dialogue about this drug.

24 (Slide.)

25 DR. DEL VECCHIO: One final piece for patients,

1 a piece which we are very proud of and that is the patient  
2 information brochure, which we issued at the time of launch.  
3 This is the cover.

4 (Slide.)

5 DR. DEL VECCHIO: This is the back page.  
6 You will see on the back page a warning for female patients.

7 (Slide.)

8 DR. DEL VECCHIO: And just for your information,  
9 the warning itself specifically says that birth defects have  
10 been shown in animals. If you are pregnant or intend to become  
11 pregnant while undergoing treatment, you shouldn't be taking  
12 Accutane. Be sure to use an effective form of contraception  
13 and should you become pregnant, be sure to tell your doctor.

14 (Slide.)

15 DR. DEL VECCHIO: The inside of the brochure talked  
16 a little bit about cystic acne and a little bit about general  
17 guidelines in taking the medication.

18 (Slide.)

19 DR. DEL VECCHIO: And when you open it up all the  
20 way, it talked about what to be concerned about before treat-  
21 ment; things that might occur during treatment and what to  
22 expect after treatment.

23 (Slide.)

24 DR. DEL VECCHIO: You will notice again that on the  
25 inside of the brochure the same warning, which I have again

1 have blown up here just for you to see, the fact that we felt  
2 that these patients needed to have this information so that  
3 they knew what was happening. They knew how important it was  
4 not to become pregnant.

5 (Slide.)

6 DR. DEL VECCHIO: That brochure was made available  
7 in September of 1982. At that time, 500,000 of those brochures  
8 were made available through our sales force besides the ones  
9 that were mailed out to those people who requested them.

10 In 1983, up to about the summer of 1983, an additional  
11 250,000 brochures have been requested and ordered by our sales  
12 force for distribution to physicians who wanted them.

13 I can assure you that sales representatives do not  
14 order unnecessary material to carry around in their trunks.  
15 The fact that 250,000 more brochures were ordered means that  
16 they were being distributed and they were being used.

17 This figure of 750,000 brochures that are out there  
18 does not include an additional 600,000 that went out by  
19 pregnancy warning letter. But they have been available  
20 over the entire year's period from the time the product was  
21 launched.

22 (Slide.)

23 DR. DEL VECCHIO: Accutane was obviously a very  
24 important drug. The FDA announced its approval. The media  
25 was interested in what was happening with this important new

1 drug. We received a large number of media inquiries.  
2 Our response to the media was exactly the way it was to the  
3 profession and that was we felt we had to give complete and  
4 important information. We wanted to be sure that the indication  
5 was highlighted. That it was for severe cystic acne. We  
6 wanted to be sure that the public knew about the fact that  
7 there were adverse reactions, and we wanted to sure that they  
8 knew about the need to prevent pregnancy. This is just one  
9 single example of an article that appeared in a New Jersey  
10 newspaper. You will notice the subheading mentions the fact  
11 that the drug causes some side effects.

12 (Slide.)

13 DR. DEL VECCHIO: And there is a blow up here of one  
14 of the paragraphs, one of the first paragraphs. It says,  
15 "Adverse effects from the drug make it unsuitable for treating  
16 milder cases and it should not be used by pregnant women  
17 according to information packaged with the drug."

18 Our finding was that the media in general was very  
19 responsible in reporting the things that we gave them. They  
20 did report the fact that the drug should not be used for mild  
21 forms of acne. It did report the need for contraception.

22 It was another sources of information that both the  
23 professions and the public had and we responded to it by giving  
24 them the most important information that we did have.

25 (Slide.)

1 DR. DEL VECCHIO: And one additional source of  
2 information was the FDA itself, the FDA Drug Bulletin, which  
3 was issued in August of 1982, and I believe appeared in  
4 September at the time of launch.

5 (Slide.)

6 DR. DEL VECCHIO: It contained an article on Accutane  
7 and all of its benefits and its risks.

8 (Slide.)

9 DR. DEL VECCHIO: I want to move on now to the spring  
10 of 1983. That was the approval and launch period. This is the  
11 period when he began to have reports of new side effects.

12 As Dr. Cunningham has mentioned, the three major areas that  
13 we are concerned about for pseudotumor cerebri, and we had  
14 three cases reported to us in the spring. Since that time  
15 there have been four others and there were three additional  
16 cases of plain papilledema, as he mentioned.

17 Colitis, there were four cases during that period.  
18 And Ileitis, there were four cases also during that period.

19 There are a couple of very important points that  
20 need to be made here, I think, in regard to pseudotumor cerebri.  
21 It is clearly a potentially serious illness. It is also not a  
22 medical emergency. Pseudotumor cerebri is a disease that is  
23 usually not drug-related. It is kind of unusual to have  
24 drug-related pseudotumor cerebri. There are the drugs that  
25 cause it.

The usual cause of pseudotumor cerebri is idiopathic.  
*Baker, James & Burkes Reporting, Inc.*

1 it is unknown, and, as such, that can become a very serious  
2 illness, and in some cases may cause permanent visual loss.

3           There is no indication whatsoever that the earlier  
4 the diagnosis, the less likely there will be visual loss. As  
5 a matter of fact, patients who come in with visual loss may or  
6 may not have permanent visual loss, but I don't wish to minimize  
7 the importance of this illness because it is important.  
8 On the other hand, we don't wish to exaggerate the severity of  
9 the illness. I would encourage the Committee if you have any  
10 specific questions in regards to pseudotumor cerebri, I would  
11 encourage you to ask them of Dr. James Corbett, who is certainly  
12 an authority -- he probably has the largest collection of drug-  
13 induced pseudotumor cerebri patients in the country, and he  
14 is available for your questions later on.

15           The other point that I would like to make about  
16 pseudotumor cerebri is that there is no way this could have  
17 been picked up an earlier. The incidence is 10 in 300,000.  
18 An ADR that occurs in an incidence in one in 30,000 cannot  
19 be picked up in clinical trials. You would probably have to  
20 study 10,000 to 15,000 patients in order to pick up something  
21 like this. Yes, it had been known that it could occur from  
22 vitamin A toxicity. However, as Dr. Cunningham pointed out,  
23 this drug is not vitamin A. It is different than vitamin A.  
24 It has different characteristics, different pharmacokinetics,  
25 different metabolism. As such, you could not expect that

1 everything that happens with vitamin A is going to happen with  
2 Accutane.

3           The converse, however, seems to be true that just  
4 about everything that happens with Accutane may happen with  
5 vitamin A overdosage.

6           In regard to the ileitis, I would like to make a  
7 couple of points about this also. Dr. Cunningham mentioned the  
8 usual incidence, expected incidence of ileitis in this particular  
9 age group. It is considerably higher than the incidence of  
10 reports that we have. I know that there is a feeling that  
11 there is underreporting of adverse events to the extent of  
12 1 to 10, and that may very well be true for an older more mature  
13 drug for minor side effects. We do not believe that is true  
14 for this drug. We do not believe it is anything near the  
15 1 to 10 underreporting ratio that you see with other drugs.

16           As a matter of fact with a drug of this importance  
17 and this potency, with the amount of information that we have  
18 gotten out to dermatologists, our feeling is that this is fully  
19 reported. That dermatologists who don't normally deal with  
20 drugs that have serious systemic effects or potentially  
21 serious effects tend to let us know very early. They tend to  
22 ask information.

23           Just for your information, I just checked yesterday  
24 before we left. Our department is in the business of answering  
25 questions, of giving information to dermatologists about the

1 drug, side effects, efficacy, and everything else, and as of  
2 yesterday, we had received 6500 inquiries by phone and mail  
3 from dermatologists and other physicians involved in treating  
4 patients to which we responded by giving them information on  
5 a variety of things about Accutane. There were a number of  
6 places from which they could get this information.

7 To get back to ileitis, I think there is another  
8 important point that needs to be made and that is that the  
9 use of the term "Chron's disease," in conjunction with these  
10 particular cases is probably medically inappropriate. It  
11 could be that two of those patients, from the description given  
12 to you by Dr. Cunningham, may very well have Chron's disease  
13 or have had Chron's disease.

14 Chron's disease is a chronic granulomatos  
15 disease with remissions and exacerbations over a long period  
16 of time. It is idiopathic in terms in terms of its etiology  
17 being unknown. It is not specifically related to drugs.  
18 We have no evidence whatsoever that Accutane causes Chron's  
19 disease. We do know that these four cases did occur. Of  
20 these four cases, two of them could be considered ileitis,  
21 which was regional, but the mistake that we make, I think,  
22 in looking in the books and seeing that a synonym for regional  
23 ileitis is Chron's disease. That may be true, but this is  
24 not typical Chron's disease. Even if it is, as Dr. Cunningham  
25 has pointed out, the incidence of this particular side effect

1 is actually less than what is expected in this particular  
2 age group.

3 Our feeling is that the most that can be said about  
4 this is that inflammatory bowel disease has been associated  
5 with Accutane -- with patients receiving Accutane therapy, but  
6 there is no proven cause and effect.

7 And the final point on that, again, to repeat what  
8 Dr. Cunningham has said, this is not a vitamin A toxicity side  
9 effect.

10 (Slide.)

11 DR. DEL VECCHIO: What needed to be communicated at  
12 that time obviously the side effects, the new ones that we  
13 knew about, the infection, the prevention, and we needed to  
14 report to FDA, which we did.

15 (Slide.)

16 DR. DEL VECCHIO: And our action at that time was  
17 to request that the FDA give us a change in labeling. We  
18 sent a letter to them in May of 1983 requesting a change based  
19 on those particular side effects.

20 (Slide.)

21 DR. DEL VECCHIO: However, before anything could be  
22 done and that could be resolved, something new happened and  
23 that brings us to the summer of 1983 when the reports of  
24 teratogenicity came in. On June 15th, we received our first  
25 report. A week later, we received our second report. Those

1 were fully investigated; reported to the FDA and while they  
2 were being reported, a third report on a preliminary basis  
3 came in on July 5th. We contacted the FDA of July 11th and  
4 asked for a meeting to discuss new labeling and to discuss  
5 changes in the package insert. The FDA responded very promptly.  
6 We met with them on July 14th and very quickly thereafter the  
7 pregnancy warning letter was sent.

8 (Slide.)

9 DR. DEL VECCHIO: What needed to be communicated at  
10 that time? Obviously, one point. We now had human teratogen-  
11 icity data. We had to reinforce the pregnancy contraindication  
12 one more time. I would like to emphasize that this is no  
13 different than it was in the beginning. The drug was and  
14 still is contraindicated to pregnancy, and in fact decided  
15 adding wording about the fact that we now had human data, there  
16 was no difference in what we had had before in regard to that  
17 warning. Nothing had changed except that what we anticipated  
18 might happen did happen.

19 Again, there is no way, of course, to determine  
20 this in clinical pre-NDA trials. You obviously cannot do  
21 studies on pregnant women. This is something that we did  
22 anticipate and, in fact, it did happen.

23 (Slide.)

24 DR. DEL VECCHIO: What were our actions at that time?  
25 Well, our first action was to send the pregnancy warning letter.

1 We notified our sales force, and I'll get to that in just a  
2 moment.

3 (Slide.)

4 DR. DEL VECCHIO: Let me just show you the letter.  
5 This is the pregnancy warning letter that was sent out.

6 (Slide.)

7 DR. DEL VECCHIO: It was sent out marked important  
8 Accutane pregnancy warning in bold red print on the envelope.  
9 It was sent out along with the patient brochure and the package  
10 insert, which had, of course, not yet been revised. This had  
11 all just started to occur, but we felt the warning had to be  
12 out there as quickly as possible.

13 We also asked dermatologists to let us know about  
14 their pregnant patients. To let us know about any pregnancies  
15 either with good results or bad results so that we could  
16 develop epidemiologic data.

17 (Slide.)

18 DR. DEL VECCHIO: That particular letter went to  
19 a total audience of almost 600,000 people as contrasted to  
20 our original material, we went to all physicians, osteopathic  
21 physicians, every pharmacy, all the Roche wholesalers, all  
22 physician assistants and, in fact, even a special list, a  
23 special AMA list that the mailing house obtained of physicians  
24 who don't wish to obtain mailings. They don't wish to obtain  
25 a promotional mailing. The AMA agreed that this warning was

1 important enough that they allowed that list to be used for  
2 this particular mailing. 600,000 went out. We feel that this  
3 covered everything.

4 The reason we went to everyone was that we felt that  
5 everyone should know about it even those who were not known  
6 prescribers of Accutane. We felt they needed to know in the  
7 event they saw a patient or heard of a patient who was taking  
8 Accutane, someone in their family, some other patient, we  
9 wanted them to know about the change in the pregnancy informa-  
10 tion. Many of them might not have known about the pregnancy  
11 warning before, because we had not gone to them, but at this  
12 time we felt that everyone needed to know about it and, there-  
13 fore, we went to the entire mailing list.

14 (Slide.)

15 DR. DEL VECCHIO: In addition to the warning letter,  
16 we notified our sales force immediately at that time. We asked  
17 them to visit all the known Accutane prescribers within the  
18 next two-week period to be sure they had received the letter.  
19 They knew about the pregnancy warning, this was indication  
20 that they knew about the new data.

21 We asked them to make presentations to pharmacists  
22 in regard to this new data when they entered the pharmacies.  
23 And we asked them to incorporate that warning in the new informa-  
24 tion in all of their future sales presentations.

25 We notified our clinical investigators who were

1 studying both the dermatologic use and the oncologic use  
2 of Accutane. We notified them both by personal telephone call  
3 and personal letter in addition to the letter that went to all  
4 physicians.

5 (Slide.)

6 DR. DEL VECCHIO: And, finally, we sent out warning  
7 sticker to pharmacists and wholesalers. This sticker was to  
8 be placed on every stock bottle of Accutane and it says,  
9 "Contraindicated in pregnancy. Label all prescriptions  
10 accordingly and inform patient."

11 (Slide.)

12 DR. DEL VECCHIO: In addition, we sent out these  
13 stickers which say, "Accutane avoid pregnancy during therapy."  
14 Those stickers are to be used by the pharmacist to put on the  
15 prescription bottle itself. In addition, those prescription  
16 -- those stickers were given to physicians, who when they wrote  
17 an Accutane prescription could put the sticker on the  
18 prescription to remind the pharmacist to put the sticker on  
19 the bottle.

20 As of this time, all of the new Accutane bottles  
21 already have that information on it. It doesn't require a  
22 sticker. The others are still available to the pharmacist.  
23 Again, we felt one more chance to get to the patient to remind  
24 her of the problems about pregnancy.

25 Before, I get to the present time period, I would

1 like to tell you where we stand in regard to what we know  
2 about the use of Accutance at this time. Approximately --  
3 and this ia very approximate figure. Approximately 300,000  
4 patients have been treated. About 5,000 dermatologists are  
5 prescribing Accutane and they are treating 85 percent of those  
6 patients at this time. About 12,000 nondermatologists are  
7 prescribing Accutane. About 15 percent of the Accutane  
8 prescriptions come from nondermatologists. If you divide that  
9 out, it means that the average dermatologist has treated 40  
10 to 50 patients. The average nondermatologist probably three  
11 to four.

12 The important point in the slide is that at this point  
13 in time that there are approximately 17,000 physicians who  
14 are prescribing Accutane and probably account for 99 percent  
15 of the prescriptions. This is not a widely prescribed drug  
16 in terms of the number of physicians who are using it.

17 (Slide.)

18 DR. DEL VECCHIO: And let's come up to the last  
19 couple of months and the present. You remember we left un-  
20 resolved the adverse effects problem that came up in the  
21 spring because of the need to get the human teratogenicity  
22 data out. During this time period, of course, we were working  
23 on that, but additionally the new bone data that Dr.  
24 Cunningham alluded to also became apparent. The fact that the  
25 earlier section of bone changes in the EOK patients. And so  
on July 27th, we met with the FDA in regard to changes in

1 labeling for all of these things, the teratogenicity, and all  
2 the new side effects.

3 On August 9th, we received the FDA approval for  
4 current revised package insert, including the new paragraph  
5 on pseudotumor cerebri, as well as the other new ADRs.

6 A new labeling letter was sent in August, which I'll  
7 show you in just a moment. We have been in the process of  
8 revising the patient brochure. I'll show you that also in  
9 a moment. On the 20th, we met with the FDA to go over this  
10 new patient brochure, and it brings us up to the present time.

11 (Slide.)

12 DR. DEL VECCHIO: And what did or needs to be  
13 communicated at this time, the new side effects, the revision  
14 of labeling, the new patient information, revised patient  
15 information based on this new information. We need to  
16 distributed these brochures. We are looking at further  
17 information for patients. How far shall we go with information  
18 for patients.

19 (Slide.)

20 DR. DEL VECCHIO: What actions have we taken?  
21 First of all, we sent out in August, August 25th and 26th, a  
22 new letter to the same list of 600,000 regarding the new  
23 changes in the package insert.

24 (Slide.)

25 DR. DEL VECCHIO: This is a copy of that letter.

1 You will see that it clearly points out that there are three  
2 things included in the letter, Accutane and pregnancy in humans;  
3 Accutane and skeletal abnormalities and new clinical adverse  
4 reaction information. The pregnancy problems are again  
5 repeated and, again, in bold print both in contraindication  
6 and the warning section. In bold print again, another paragraph  
7 on the bony changes.

8 (Slide.)

9 DR. DEL VECCHIO: And the letter, of course, included  
10 the new package insert which is not shown here.

11 (Slide.)

12 DR. DEL VECCHIO: In addition to that, we are now  
13 in the process of completing our revised patient brochure.  
14 You, I believe, have this in your possession. I believe it was  
15 sent to you, and these are the proposed changes.

16 This is from the first page, that warning that  
17 you saw on the inside page. We have now expanded the warning  
18 to include human birth defects. We have strengthened it  
19 even further talking about discussing contraception with your  
20 doctor. Use during and for up to one month after Accutane  
21 therapy. That will be in bolder print than it was before  
22 and it will continue to be in this place in the patient  
23 brochure.

24 (Slide.)

25 DR. DEL VECCHIO: Again, before treatment an

1 additional paragraph has been added that now says, "Accutane  
2 should not be taken until you are sure you are not pregnant  
3 and you are using an effective form of contraception."

4 (Slide.)

5 DR. DEL VECCHIO: And, finally, the most important  
6 inside page, I believe, has to do with during treatment. We  
7 have added a section that has to do with the side effects  
8 that relate to the new ADRs that we have been discussing.  
9 That is this section here. I'll just briefly go over it with  
10 you. "You should be aware that Accutane may cause some less  
11 common, but more serious side effects. Be alert for any of  
12 the following early symptoms of these conditions."

13 We deal with the symptoms that have to do with  
14 pseudotumor cerebri, headache, blurred vision, nausea, vomiting,  
15 and so forth.

16 We deal with gastrointestinal symptoms, severe stomach  
17 pain, diarrhea, rectal bleeding and musculoskeletal, severe  
18 muscle aches and pains, stiffness of the joints. "These  
19 symptoms may be early signs of conditions which, if left un-  
20 treated, could possibly result in permanent effects. If you  
21 experience any of these symptoms, or any other unusual or  
22 severe problem, discontinue taking Accutane. Check with your  
23 doctor as soon as possible."

24 This is our proposed revision of the patient review.  
25 As I said, you have that in your possession. We would certainly

1 like to have your comments in regard to that.

2 I would like to point out a couple of things about  
3 this. We continue to use wording which we feel the patient  
4 can understand. We don't feel it is appropriate to name  
5 diseases to give patients a scare word, or use medical jargon.  
6 Our objective is to get the patient to talk to the doctor about  
7 this particular drug. Our objective is to get the patient to  
8 know what symptoms should lead him or her to go see the  
9 physician and to ask him or her what they should do about it.  
10 We would like to encourage the patient/physician dialogue.

11 We don't want to have the patient making a  
12 decision themselves in reading a piece of paper. We want them  
13 talking with their physician and we feel this is the way to  
14 approach it.

15 (Slide.)

16 DR. DEL VECCHIO: The distribution that we suggest  
17 on this brochure, and we plan to go ahead with, would be all  
18 dermatologists. They will receive 10 copies of this brochure.  
19 In addition, they will receive a business reply card to order  
20 additional copies. We will go to all 12,000 identified  
21 nondermatologist users of Accutane. They will get the same.

22 All 60,000 retail pharmacies will receive three  
23 copies, plus a business reply card for each and the 700 Roche  
24 sales people will receive another very large quantity and they  
25 will be sure that this brochure is distributed to everyone

1 who may need it. Additional copies obviously will be available  
2 either through the sales people or directly from Roche.

3 (Slide.)

4 DR. DEL VECCHIO: I have shown you the labeling  
5 letter and the patient brochure. The sales force obviously  
6 has been informed to be sure that their presentation contains  
7 all this information. We are in the processing of revising  
8 our printed materials, our promotional materials to be sure  
9 everything is included.

10 We are also in the process of developing a booklet  
11 for females on contraception, a separate booklet to address  
12 the subject of contraception particularly aimed at the younger  
13 female patient, teenage patient who may not be aware of some  
14 of the problems of contraception and may have certain myths or  
15 fantasies in their mind about contraception, and we feel that  
16 that might be a useful adjunct to the patient brochure for that  
17 particular type of patient. That is in the process of being  
18 developed.

19 (Slide.)

20 DR. DEL VECCHIO: We feel that we have done, and we  
21 are doing everything that is necessary to get adequate informa-  
22 tion out to both physician and to the patient, to the medical  
23 profession, to everyone that needs to have it. We feel that  
24 what we are proposing is more than sufficient to make sure  
25 that gets out there adequately. However, there may be some

1 additional options which you may wish to consider. We would  
2 like to have your opinions in regard to those options.

3 First of all, in regard to the pregnancy warning  
4 itself. There has been a proposal to perhaps add a pregnancy  
5 test to the professional labeling and perhaps to the patient  
6 brochure. That is certainly something possible to think about  
7 it and it doesn't sound like there is very much wrong with  
8 that, and I don't think we would have any serious objection  
9 to that; however, I would just like to remind you that there  
10 is the possibility that a pregnancy test alone might lead to  
11 a false sense of security in either the patient and/or the  
12 physician. Our position has been to be sure that the patient  
13 is not pregnant. Only part of that is the pregnancy test.  
14 We feel that an adequate history and an adequate examination  
15 are also very important so that a pregnancy test alone is  
16 not sufficient. However, it certainly is a possibility.

17 Another possibility with regard to pregnancy is to  
18 make it a box warning. Put a box around the pregnancy warning  
19 and contraindication within the package insert to draw more  
20 prominence to it. We certainly would appreciate having your  
21 opinion in regard to that.

22 Another area we could go into is additional informa-  
23 tion on side effects, and our feeling is that that additional  
24 information is best given directly to the physician in separate  
25 pieces of material rather than in the official labeling itself.

1 I am concerned that putting a lot of information about pseudo-  
2 tumor cerebri in the package insert is not likely to have  
3 it read. We would propose a possibility of the option of  
4 developing additional information for the dermatologists, for  
5 the prescribing physician on pseudotumor cerebri. What is it  
6 all about. What to anticipate. How to handle patients who  
7 come in.

8 If you think about it for a while, you remember the  
9 headache incidence with Accutane. It ranges anywhere from 10  
10 to about 20 percent of headaches with Accutane. 300,000  
11 patients have been treated. If 60,000 of those patients showed  
12 up in your offices with headache, obviously there is a little  
13 bit of a problem screening the very small number who might have  
14 pseudotumor cerebri.

15 The physician needs to understand the complex of  
16 symptoms. The things that are important to look for, the  
17 important screening areas that he might want to look for in  
18 order to screen these patients, and that is an additional  
19 area that might be used as an option.

20 And, finally, the possibility of putting in a warn-  
21 ing in regard to tetracycline. As Dr. Cunningham said, we do  
22 not know the role of tetracycline in either the additive  
23 effect or the synergistic type of effect in causing pseudo-  
24 tumor cerebri. We also don't have very good efficacy data  
25 for concomitant use anyway. Certainly, many dermatologists

1 wish to use it for a period of time while they are treating  
2 with Accutane. The possibility of a warning to the effect that  
3 the combination of the two drugs may lead to an increased  
4 incidence of pseudotumor cerebri is certainly another option.

5 (Slide.)

6 DR. DEL VECCHIO: In summary, I have presented to you  
7 what we have done for both the health professionals and the  
8 patients over the past year. We feel that we've supplied  
9 current, reliable and timely information. We have supplied it  
10 in a way that was understandable and usable. The efficacy  
11 and importance of this drug are not in question. This is a  
12 drug that everyone accepts as being efficacious, as being  
13 very important. What has happened is that significant ADRs,  
14 teratogenicity have now been identified.

15 We have in the past, and we will continue, to inform  
16 both the medical profession, pharmacy profession, everyone that  
17 needs to know, including the patient, of all the information  
18 they need to have in a very responsible, informative and useful  
19 way. We will continue, obviously, to do that.

20 Our objective, as I mentioned before, is to maintain  
21 the patient/physician dialogues so that they can together  
22 use this drug appropriately. We feel that the responsibility  
23 for the use of this drug is a shared responsibility. It's  
24 shared by the corporation itself. We have a responsibility  
25 to provide information which is timely and accurate. By the

1 physician, who has a responsibility to get that information to  
2 the patient and by the patient who has the responsibility to  
3 look at that information; to use it appropriately; to respond  
4 appropriately.

5 In closing, I would like to say that when Accutane  
6 is used for the appropriate indication, with both the patient  
7 and the physician having adequate information on the benefits  
8 and the risks, and both of them engaging in an open dialogue  
9 on treatment that Accutane is a highly effective and safe  
10 drug.

11 Thank you. I would be pleased to entertain any  
12 questions on my presentation, or on anything that deals with  
13 the rest of our presentation.

14 DR. EAGLSTEIN: Thank you, Dr. Yard, Dr. Cunningham,  
15 Dr. Del Vecchio and Dr. Strauss.

16 Does the Committee want to ask questions now or go  
17 ahead to the next --

18 Ron, do you want to ask a question?

19 DR. GOLDNER: I would like to ask some questions.  
20 I have some burning questions.

21 DR. EAGLSTEIN: Burning questions.

22 DR. GOLDNER: Burning questions.

23 DR. EAGLSTEIN: For whom?

24 DR. GOLDNER: I guess Dr. Del Vecchio and/or Dr.  
25 Cunningham. Dr. Del Vecchio is going through, you know, an  
elaborate means to show us the communication that you have

1 done, and I'm sure we all know that and have received the  
2 communications.

3 I am a little concerned though about maybe the  
4 accuracy. I'm concerned because of a personal experience.  
5 Ten reports of pseudotumor rather than visual loss, I think,  
6 Dr. Cunningham, ten reports of -- you had ten pseudotumor  
7 rather than ten visual loss on your slide?

8 DR. CUNNINGHAM: Ten pseudotumor or papillidema.

9 DR. GOLDNER: Or papillidema.

10 DR. CUNNINGHAM: Some of them had visual loss as  
11 part of their pseudotumor cerebri complex.

12 DR. GOLDNER: Well, I am concerned about a personal  
13 report. I don't know -- I have some reason to suspect that a  
14 case that I reported is not really included in with that data  
15 because it was a little unique and I think you would have  
16 brought it out in some of the communications that you made  
17 about the uniqueness of the case that I reported to Roche.  
18 And I am concerned that when I called the company and reported  
19 an unusual possible reaction to the drug that I received very  
20 little follow-up and attempt to find out more about my patient.

21 I certainly gave adequate data and gave the patient's  
22 internist and whom else was treating her. I am concerned that  
23 if the company communicated only with the internist and did not  
24 get back in touch with me that there might be false data or  
25 false reporting. And I am wondering how vigorous the company

1 does go into reports of adverse reactions and how vigorous the  
2 company follows up on those reports to find out about the  
3 patient.

4 I called the company at my expense. Was given Dr.  
5 Rofsky's name. I tried to get in touch with him. When he was  
6 not available, someone else did speak to me from the company.  
7 Took my information and that was the last that I heard of it.  
8 This was a patient who had visual loss while the drug had  
9 been stopped. At the time of visual loss, she was not taking  
10 Accutane at the time we reported the visual loss. I certainly  
11 think that it was a close enough association for someone to  
12 have gotten back in touch with me and to further evaluated that  
13 report. And I wonder how vigorous you are in following up  
14 those reports and why a member of this Committee who reported  
15 an adverse reaction received no follow-up?

16 Are those ten just documented, or are they just  
17 reports, and how vigorous do you determine to find out about  
18 the reactions to this drug?

19 DR. DEL VECCHIO: Dr. Goldner, those ten cases are  
20 very meticulously documented and investigated as are all of  
21 those, particularly the more serious ones. I cannot respond  
22 specifically to your particular case. I am not aware of that.  
23 You did mention one possibility. Reports frequently come in  
24 from several sources on the same case. It may very well be  
25 that communication went on with the person who did the report-

1 ing, who was following the patient for the pseudotumor or the  
2 visual loss, and for some reason that information did not get  
3 back to you.

4 It doesn't matter whether it is a Committee member  
5 or a dermatologist, or a nondermatologist, they are followed  
6 meticulously.

7 Dr. John Pepper, who is chief of our Medical Services  
8 Department is with us today. I don't know whether he can  
9 comment specifically on your case, but I can only tell you that  
10 we have an obligation. In fact, under law, we have an obliga-  
11 tion to be very meticulous in that investigation and to present  
12 them to the FDA.

13 DR. GOLDNER: That is exactly my point. If you have  
14 a meticulous -- if you are meticulous in that, it would seem  
15 that you would follow all leads on this.

16 DR. DEL VECCHIO: We do. And I have to presume it  
17 was done, but I have to presume from what you are telling me  
18 that you did not receive that information back. That being  
19 the case, I have to apologize to you for that, but I can assure  
20 you that any case that was reported was fully investigated and  
21 included in our reports to the FDA. I don't know whether Dr.  
22 Pepper has that case available. Perhaps we can look it up  
23 and get back to you in a little while.

24 I, personally, cannot respond directly to that.

25 DR. GOLDNER: I am concerned, of course, not with the

1 fact that it is my personal case, but I am concerned with the  
2 fact that I had a case that I don't think is in that data and  
3 I wonder how many more are that way?

4 DR. DEL VECCHIO: It has to be in that data. If your  
5 case was reported, it has to be in there somewhere. We  
6 report all cases to the FDA even if we feel there is no  
7 -- even if you said that you felt there was no significant  
8 association, we would still be under an obligation to develop  
9 the information and to report it. And I can only say to you,  
10 again, if you did not get that information back, that was  
11 inappropriate. You should have gotten.

12 DR. GOLDNER: I agree.

13 DR. DEL VECCHIO: But I would stake my standing here  
14 on the fact that that case has been investigated and is some-  
15 where in those files and included in the data that we presented.

16 DR. EAGLSTEIN: Did you report a pseudotumor or  
17 a visual loss?

18 DR. GOLDNER: I reported a visual loss.

19 DR. DEL VECCHIO: Well, it may be in the group that  
20 has the report as visual losses, but, again, I would have to  
21 bow to Dr. Pepper on that. I do not have those in my head.  
22 I cannot respond to that.

23 John, are you aware of that particular case?

24 DR. PEPPER: I'm trying to look it up.

25 MR. BOSTWICK: Let me ask that if you do make a

1 response and if you haven't had your name read into the record  
2 yet, please come up here and tell us who you are so we can  
3 get everyone's name right.

4 DR. DEL VECCHIO: Could we please give Dr. Pepper  
5 a few moments to look that up and perhaps respond to you  
6 later?

7 DR. EAGLSTEIN: Absolutely.

8 What other questions, Dr. Goldner?

9 DR. GOLDNER: Well, maybe you can go around. I have  
10 some other comments that I can make, but you can go to anyone  
11 else. I'm wondering about -- nothing was mentioned about  
12 the possible recommendations of pretreatment evaluation of the  
13 retinal disk. I mean, should we not consider some things  
14 about looking at the retina. I mean, now that we know that  
15 there is such a problem, should there not be a recommendation  
16 of pretreatment evaluation. If a dermatologist doesn't feel  
17 comfortable in using an ophthalmoscope, maybe that patient  
18 should be properly evaluated. That hasn't been brought up.  
19 I think it can be discussed.

20 DR. DEL VECCHIO: I recognize that that is one of  
21 the recommendations that the FDA has made in that material  
22 that you have received. I would prefer to defer that question  
23 to Dr. James Corbett, if it is the pleasure of the Committee,  
24 because I think that he could give us a little bit more  
25 definitive information on what an appropriate screening

1 mechanism may or may not be. If that's all right with the  
2 Chairman, I would like to ask Dr. Corbett to respond to that.

3 DR. EAGLSTEIN: Did you mean, Dr. Goldner, the  
4 prescribing dermatologist check to see if the disk is normal?

5 DR. GOLDNER: Right.

6 DR. EAGLSTEIN: Before treatment?

7 DR. GOLDNER: Right. That's exactly what I meant.

8 DR. EAGLSTEIN: Is that what you want to address by --

9 DR. DEL VECCHIO: Well, I believe that's the question  
10 a routine screening before, a baseline and then following the  
11 patient routinely --

12 DR. GOLDNER: Oh, yes.

13 DR. DEL VECCHIO: -- without symptoms?

14 DR. GOLDNER: Yes.

15 DR. DEL VECCHIO: I would prefer that Dr. Corbett  
16 address that question.

17 DR. CORBETT: I think that a pre-treatment situation  
18 examination of the optic disk is a pretty straightforward sort  
19 of thing and if there is any question in your minds as to  
20 whether or not the disk is swollen -- if there is any question  
21 about what the appearance is, I think it is reasonable to  
22 refer the patient to somebody who has more expertise than you  
23 and that may be an internist, that may be an ophthalmologist,  
24 whoever you like, but I think to recommend that everybody has  
25 a pretreatment examination, and then what are you going to

1 require, are you going to require that the patient have a pre-  
2 treatment examination and photographs taken, or drawings be  
3 made? I think that it would add considerably to the expense  
4 and may not be a very high yield situation.

5 DR. RASMUSSEN: Does visible papilledema appear or  
6 precede symptoms, or is there any association whatsoever?

7 DR. CORBETT: Yes. There is an association. I would  
8 say that at least an idiopathic pseudotumor, which is what  
9 the vast majority of cases of pseudotumors that are available  
10 to look at, that depending on the study, somewhere between  
11 75 percent and 100 percent of the patients have symptoms  
12 as well as signs; that is, they have symptoms of headache and  
13 transient visual blurring, as well as papilledema.

14 In some studies where the patients have come in through  
15 an ophthalmologist's office, patients will be discovered to  
16 have papilledema without headache or without any other  
17 symptoms, but I would think that if you review the drug-related  
18 cases, vitamin A-related cases, all of those patients were  
19 symptomatic, save one that I am aware of. They had headache  
20 as a warning that something was going on.

21 DR. RASMUSSEN: Well, given the time frame in which  
22 we see patients, which is not once a week with this type of drug,  
23 do you think there is a value to looking at someone's optic  
24 disks? It would seem to me that if there is a close association  
25 between symptoms and papilledema, that you would get much more

1 out of relying on symptoms than looking at somebody's eye brows,  
2 because it is a means of picking up the developing pseudotumor  
3 cerebri.

4 DR. CORBETT: I don't think you do one exclusively.

5 DR. EAGLSTEIN: Dr. Pomerantz?

6 DR. POMERANZ: Yes. I would like to see a more  
7 detailed analysis of what went wrong with the patients that  
8 have gotten pregnant. Is it the failure of patient communica-  
9 tion? I would like to know what percentage of those patients  
10 were being seen by dermatologists, what percentage were being  
11 treated by other physicians, and also is it conceivable that  
12 this drug interferes -- were any on contraception at the time  
13 that they got pregnant and is it conceivable that this drug  
14 interferes with contraception in a similar manner to tetra-  
15 cycline?

16 DR. EAGLSTEIN: Can you address that? I think there  
17 are two questions. How many of these people who got pregnant  
18 were treated by dermatologists compared to other physicians?  
19 And were any of them on presumed adequate contraception?

20 DR. DEL VECCHIO: I cannot answer the first question  
21 specifically. There were patients being treated by both  
22 dermatologists and nondermatologists. In some cases, we don't  
23 know. It is not always possible to get that information.  
24 The reports on these came to us from such places as OG/GYN  
25 physicians, pediatricians, geneticists. We were not always  
able to get information directly from the physician who treated

1 the patient. In fact, it was very difficult at times to do  
2 that. However, it was both categories. I can't give you  
3 the breakdown. I don't know that. In fact, there are  
4 enough missing among the seven cases that I can't tell you  
5 that. There are many others, of course, who underwent either  
6 an elective or spontaneous abortion after becoming pregnant  
7 while on Accutane. And those patients, we have very little  
8 information on most of those particular patients.

9 Most of them, as far as we know, were on contraception,  
10 but there are some exceptions. There are some things you can't  
11 get around. One of the first patients reported was a 16  
12 year old young lady who denied being pregnant at the time she  
13 was put on Accutane therapy. She claimed she did not know she  
14 was pregnant until she was 6-1/2 months along by which time  
15 she had already completed her Accutane therapy and was one of  
16 the first reports of having a major birth defect. There are  
17 those kinds of things that happen.

18 Obviously, that particular case would have been an  
19 ideal case to have a pretreatment pregnancy test. It probably  
20 would have picked it up. Most all of them have been on one  
21 form of contraception or another. We have to remember that  
22 every form of contraception has a failure rate and there are  
23 going to be pregnancies in patients who are taking Accutane  
24 on the usual contraceptive methods if they are of child bearing  
25 potential.

1           Now, again, if you would like the specifics on all  
2 of the cases, we do have that available, but that would take  
3 a little time to compile. Dr. Pepper also has that available.

4           DR. EAGLSTEIN: Are you saying that you could not  
5 find out what doctor prescribed Accutane?

6           DR. DEL VECCHIO: Not always.

7           DR. CHANCO-TURNER: Eight cases, seven cases?

8           DR. DEL VECCHIO: John, am I incorrect on that. Do  
9 we have information on all of the prescribers on the seven  
10 cases of birth defects?

11          DR. PEPPER: We have some data.

12          We have fairly adequate data on drug usage in the  
13 majority of the pregnancies. There is a little variation in  
14 the picture we get from the obstetrician who is treating the  
15 case in the terms of a pregnancy and the dermatologist report  
16 on the use of the drug.

17          DR. EAGLSTEIN: The question is: did a dermatologist  
18 prescribe the Accutane or did a nondermatologist? And if a  
19 nondermatologist, what --

20          DR. PEPPER: As far as my recollection goes, all of  
21 the patients were dermatological medications.

22          DR. EAGLSTEIN: That was the question, wasn't it?  
23 All of the cases were given the Accutane by dermatologist?

24          DR. PEPPER: To my recollection.

25          DR. DEL VECCHIO: That is not true of all the patients

1 who become pregnant. Those are all of the seven who have  
2 had birth defects. There are others among the other group  
3 who were treated by nondermatologists.

4 DR. EAGLSTEIN: Okay.

5 Is this related to this same issue?

6 DR. KOEHN: I wonder if there were any more results  
7 on the 13 other pregnancies that are coming to term between  
8 September of '83 and January of '84, according to the August  
9 17th ADR highlights? Have any more of the 13 people delivered?

10 DR. DEL VECCHIO: I don't know. If you are asking  
11 if we have had normal deliveries other than the ones that we've  
12 reported, we haven't had any additional deliveries that I know  
13 of that have been -- there was one patient who did have a  
14 normal delivery. I'm not sure if it is in that group that  
15 you are referring to. That particular patient apparently did  
16 not take Accutane during the critical period of organogenesis.  
17 She probably started shortly thereafter the first trimester.

18 The others are yet to come. We have seven that we  
19 know of are all that we have and there are additional ones that  
20 were are waiting for.

21 DR. POMERANZ: I have one other question which you  
22 may consider as a when did you stop beating your wife kind of  
23 question. But at least there is anecdotal evidence in north-  
24 east Ohio that there is considerable detailing by the Hoffman-  
25 LaRoche people of this drug to nondermatologists. That's what

1 I've heard, and I wonder if you have any programs in place  
2 to restrain the enthusiasm of your marketing people?

3 DR. DEL VECCHIO: The sales representatives were  
4 given very specific directions to promote the drug, as far as  
5 the total promotional approach, only to dermatologists. How-  
6 ever, where a nondermatologist wants the information, we have  
7 an obligation to give it to him and we are doing that. Where  
8 a nondermatologist is already prescribing the drug, we are  
9 obviously giving him all the information that he needs to have.  
10 I don't think we have an alternative. If a nondermatologist  
11 wishes to prescribe the drug, we want him to have all the  
12 information available. I personally do not believe that that  
13 is happening in large degree, Dr. Pomeranz, but I cannot  
14 account for any individual area or any individual person.

15 There is not a great deal to be gained from in-  
16 discriminate promotion to a large number of physicians who  
17 might not write very many prescriptions for Accutane. It's  
18 not really a very economical use of a sales representative's  
19 time, and I frankly doubt if that would be done on a very large  
20 scale except to those who are writing for the drug at the  
21 present time. Certainly, that is our policy and the sales  
22 representatives are given very specific direction as to what  
23 their objectives should be and whom they should be visiting  
24 and whom they should not be visiting.

25 DR. EAGLSTEIN: I think we will go ahead. Thank you,

1 and we will ask Dr. Sidney Wolfe of the Public Citizen Health  
2 Research Group to address us and then we can get back to  
3 questioning both these presenters and Dr. Wolfe.

4 DR. WOLFE: Thank you. I am just going to take  
5 a few minutes here to talk, first of all, about the adverse  
6 reactions to the drug, particularly ones that have come to  
7 light since the drug was marketed. And, secondly, something  
8 that wasn't discussed by the company for some curious reason,  
9 namely an alternative dosing that involves starting at one dose  
10 and reducing it such as advocated by Dr. Peck, one of the  
11 original investigators, who is now at NIH. And, third, what  
12 we believe the best remedies are for the problem of best and  
13 most completely informing both doctors and patients about the  
14 proper indications for and proper use for the drug.

15 I will start out by first by saying at least in the  
16 modified way the same thing Dr. Del Vecchio said that the drug  
17 is an important and useful drug and the better we all can  
18 do at arriving at the safest use in those people for whom it  
19 is indicated, the better will all be. It is not a drug that  
20 should be taken off the market at all. On the other hand, a  
21 number of people think it came on the market a little too  
22 quickly, this country being the first in the world as opposed  
23 to the second or third, or worse, if that's the way you look  
24 at it in the case of other drugs. So, the goal of all of us  
25 is really to make sure that if the drug is used everyone from

1 on both sides, documentation -- are adequately informed and  
2 pick out those side effects that may occur as soon as possible.

3 As far as the adverse reactions are concerned,  
4 it was just stated that pseudotumor is not a medical emergency.  
5 I think that's not true on one hand, and on the other hand,  
6 there are several reasons why our consultants, Dr. Morris  
7 Victor (phonetic), who is chairman of the Department of Neurology  
8 at Case Western and Metropolitan General and Dr. Melvin  
9 Greer, who has, as Dr. Corbett has, written and studied pseudo-  
10 tumor extensively wide, they believe it is an emergency.  
11 First of all, any patient who presents with symptoms, signs  
12 of increased intracranial pressure has the possibility of not  
13 only having pseudotumor, but also having the result of trauma  
14 or having a brain tumor, and so that the immediate evaluation  
15 of someone with headache, papilledema, and so forth clearly  
16 is an emergency situation.

17 Secondly, the discontinuing of the drug in this case,  
18 a possible cause of the pseudotumor is something that has to  
19 happen right away and, therefore, the advice to immediately  
20 discontinue the drug upon findings that may relate to pseudo-  
21 tumor is obviously a good idea, but it is again part what I  
22 and, I think, others would describe as a medical emergency.

23 And, third, even though there have been no control  
24 studies because they would be unethical on taking a bunch of  
25 patients with pseudotumor and not doing anything in a well

1 designed, randomized control study as opposed to doing an  
2 initial or repeat spinal taps. It is certainly suggestive at  
3 the least that in the cases where the increased intercranial  
4 pressure is particularly high that it is a good idea once,  
5 obviously, you've ruled out other causes of intercranial  
6 pressure to do repeat taps.

7 Dr. Victor has looked at a series of patients with  
8 this, and so forth. So, I think that just for the standpoint  
9 of placing the proper perspective on the finding of pseudo-  
10 tumor and treating it, diagnosing it and treating it as  
11 rapidly and effectively as possible that I think it is reason-  
12 able and, I think, necessary to describe it as a medical  
13 emergency.

14 The other point I'd like to just mention for a  
15 minute has to do with these figures you saw concerning the  
16 expected incidence of various side effects as judged from  
17 whatever best judgments one can make as opposed to the actual  
18 number of cases that have been reported.

19 Now, to be sure, once a drug has been on the market  
20 for a long time, the likelihood of reporting various side  
21 effects is diminished, although some would argue that as  
22 papers appear in the literature, there are more waves of  
23 reporting, but whereas I would agree with the statement that  
24 long after marketing there are fewer and a smaller and smaller  
25 fraction of actual reports coming in to the FDA or company, I

1 certainly disagree with the notion that the reporting is  
2 near or close to complete at the present time. It would not  
3 fit in with anything that is known, to my knowledge, about any  
4 drug. The estimates of 1 in 10 are the high end of the range  
5 that people say are being reported. Others have estimated  
6 that it is as few as one in 100 adverse events that occur  
7 in conjunction with the use of the drug are reported to the  
8 FDA.

9 One of the big problems is the accessibility to  
10 practicing physicians of the reporting forms. Just last week  
11 we requested and got from the FDA for the last two years, the  
12 month by month analyses of how many total adverse reactions  
13 are being reported to the FDA either from the companies or  
14 from doctors directly, the ones coming from doctors directly  
15 are about fifth or so of the ones, total ones coming in. And  
16 what is interesting is that there appears to be a significant  
17 wave of reports following each of the instances in which a  
18 FDA drug bulletin which contains a report on the back page  
19 comes out. This only happens three times a year and the fact  
20 that there is this wave after to me indicates, amongst other  
21 things, that at all times physicians who are practicing medicine  
22 in this country do not easily have accessible a report to send  
23 in even if they see something that they believe may be drug-  
24 related. So, I think that it is not possible to make any kind  
25 of statement that side effect X, whether it be ileitis or

1 colitis, or whatever is occurring at about or less than  
2 the expected instance based on the spontaneous reports that  
3 come in to this country.

4 In Britain, a health system with many flaws, from  
5 my viewpoint, there appears to be probably between one-half  
6 or two times more reporting based on the amount of a given drug  
7 that is used here overall. So, I think that we are getting  
8 a small fraction, perhaps it is higher -- and I wouldn't  
9 dispute that -- the possibility that it is higher than 1 in 10,  
10 but that it is close to complete is not something that is  
11 very likely, I would say. And it certainly is unprecedented  
12 as far as anyone I've ever talked to about adverse reactions.

13 Just for a few minutes on the question of dosing.  
14 I was glad to see the very nicely done study by Dr. Strauss and  
15 his colleagues in the other medical centers which, as he said,  
16 enlarged upon, but came up with pretty much the same kinds of  
17 findings on the much smaller study where there were only four  
18 or five patients in each of the three dose groups.

19 The thing that I don't know and perhaps if I see  
20 more of the data that was presented, could answer the question  
21 as to how many of the people who went back on the drug went  
22 back on what dose and for how long, because one of trade offs,  
23 particularly since a significant number of people at the even  
24 lowest dose did not need further treatment is the decision  
25 as to whether the total amount of drug that is going to be

1 given out to everybody in such a study is going to be more or  
2 less or the same if you go back to a second dose, assuming that  
3 you've started out at a lower dose with everyone. So, I'm  
4 sure there are some data on that. I'd like to see them to  
5 answer that question.

6 But what was not mentioned at all is something that  
7 has been, to the say the least, a tug of war between NIH,  
8 Dr. Peck, and Hoffman-La Roche over the issue of their having  
9 patented a dosage schedule. I don't know what it is in the  
10 briefing package that was sent to the members of the Committee,  
11 because unfortunately I didn't get one. I would have at least  
12 liked to have had a chance to look at the proposed labeling  
13 for the doctors and patients so that we could comment on it.  
14 I caught at least some of it on the slide, but I don't know  
15 whether, for instance, the issue of this starting out at one  
16 dose and then systematically reducing it as posed by and  
17 studied by Dr. Peck was in the brochure of information you  
18 got. Certainly, I'm sure you have seen Dr. Peck's studies,  
19 the ones that have been published and the issue really has to  
20 do with another way of reducing the total amount of isotretinoin  
21 that people get, which to the extent that something, whose  
22 side effects are similar, not always identical with vitamin A  
23 toxicity, has to be described as a dose-related kind of group  
24 of side effects.

25 Anything that can be done to treat people effectively

1 and at the same time reduce in one way or another the total  
2 amount of drug that is given is likely, even though the  
3 laboratory values are less than 100 percent convincing, they  
4 certainly -- the trends with all the laboratory values are  
5 towards larger abnormalities even though the average within  
6 the normal range at the higher doses.

7 Certainly, the occurrence of things such as pseudo-  
8 tumor or the gastrointestinal problems are likely to be less  
9 with a lower dose. I don't think that there should be much  
10 dispute on that despite whatever one has seen with the lab  
11 values.

12 And given that, the second approach to making sure  
13 that people are getting the lowest dose beyond the starting  
14 out at 0.1, 0.5, or 1.0 is Dr. Peck's approach. As I said,  
15 it wasn't mentioned at all this morning. What has happened is  
16 that the company has now paid NIH \$50,000 and has signed an  
17 agreement whereby if the reduced dosage is adopted as the  
18 labeling way for the drug, they will get a very small percentage  
19 of the increment, the increase in the amount of the drug  
20 that is sold.

21 DR. EAGLSTEIN: Could you explain that more fully?

22 DR. WOLFE: Well, I mean, I can explain only to the  
23 extent that I understand it because we have gotten some  
24 documents concerning this whole tug of war and a lot of legal  
25 briefs, and so forth. I understand some of them, and it really  
has to do with the NIH, Dr. Peck, having obtained a patent

1 on the dosing regime that would have you start, for instance,  
2 at 1.0 for two to four weeks, then drop to 0.5 mg/kg. Because  
3 they have a patent, if this is adopted, as I understand it,  
4 as the preferred treatment for people getting Accutane for  
5 cystic acne, and it is thereby incorporated in the labeling,  
6 according to the agreement signed a few months ago between NIH  
7 and the company, the company would have to give a percentage,  
8 I think it was 3 percent of the increase in sales above the  
9 time when the agreement hadn't been reached to the NIH for the  
10 right to use this patented dose reduction schedule.

11 But without going into any more of the details,  
12 the point that I am raising is here is yet another possible  
13 way which is said to be effective in one of the company  
14 brochures describing Dr. Peck's experiment. It does say that  
15 this was an effective treatment for acne, cystic acne. As I  
16 said, I am disappointed not to have heard a discussion of it  
17 and since one of the conditions for the approval of the drug,  
18 the so-called Phase IV studies, post-marketing studies was the  
19 consideration -- and this is a recommendation of your Committee  
20 at approval -- was the requirement to do some post-marketing  
21 surveillance on the question of different dosing. We heard  
22 that one study, a very nicely done study as far as I can see,  
23 on the -- starting out with 1.51 has been. I wonder whether  
24 or not a study, any more are necessary using the Dr. Peck  
25 approach has been done. If it hasn't, I don't understand why,

1 because it certainly is a dosing method that appears to work  
2 (a), and (b) would reduce the total amount of the drug that  
3 people would get and would, therefore, as far as I'm concerned,  
4 reduced the likelihood of side effects by getting the markedly  
5 lower dosage of the drug.

6 The last point, as I said, I want to mention is what  
7 are the best remedies to the question of maximizing the informa-  
8 tion flow to both doctors and patients.

9 There has been a lot of debate and dispute over the  
10 last six or seven years on the topic of mandatory patient  
11 package insert. The Food and Drug Administration reviewed all  
12 of the studies published and unpublished on the topic. Had  
13 hearings, meetings with the drug industry, everybody, and  
14 concluded that it was important to begin an experimental  
15 mandatory patient package insert program which was to have  
16 begun after the final regulation was finalized in early  
17 1981 for just ten classes of drugs, such as the benzodiazepams,  
18 Valium, Librium, and others and Darvon, and eight other classes  
19 of drugs.

20 This program was cancelled due to pressure on this  
21 Administration from the pharmacists, doctors, and so forth.  
22 And, therefore, it is not in place. But the information upon  
23 which it was based is still valid. If anything, more examples  
24 of why such programs are necessary and have come to light  
25 since the cancelling of the program. And the two kinds of

1 considerations are, one, are for certain drug patients  
2 usually, if not always, getting full accurate information on  
3 both proper indications and side effects from physicians  
4 and, two, if not, do voluntary approaches work. One the first  
5 question, there are a number of studies on a number of different  
6 drugs that suggest that -- they don't suggest, that show that  
7 patients are not adequately informed even much, let alone most  
8 of the time about proper use and side effects to look for with  
9 certain prescribed drugs.

10 And, secondly, on the question of is the voluntary  
11 approach for providing such information adequate, most of the  
12 studies that have been done prior to the time that the regula-  
13 tion was finalized showed that some are between 5 and 10 percent  
14 of the patients got patient information, brochures on a  
15 voluntary basis, that's including the inclusion of them in  
16 the pharmacy and, in some cases, in the doctor's office. It was  
17 because of the answers to those two questions that a mandatory  
18 program was started.

19 Now, it may be that a voluntary program such as  
20 has occurred thus far and is clearly desired by Hoffman-La Roche  
21 for this drug will do better than 10 percent. Maybe it will  
22 do 40 or 50 or 60 percent, but given the importance of the  
23 information, both on the proper prescribing and on the  
24 variety of side effects that can occur, which, amongst other  
25 things, may affect the decision of the patient who doesn't

1 have cystic acne, for instance, to subject themselves to the  
2 drug, I think that we need to do something more than a  
3 voluntary kind of approach, namely, mandatory patient package  
4 inserts, which is what we have proposed in our petition that  
5 I hope will be adopted here.

6 In terms of the notification of doctors, I did an  
7 informal survey, and it was a small informal survey on the  
8 question of how many of the people who received that second  
9 letter that you saw, the envelope for which you didn't see,  
10 because unlike the first which had a very adequate warning on  
11 the envelope concerning birth defects, the second one really  
12 did not have what I would call an adequate warning and might  
13 not have even been opened by a number of people. But I spoke  
14 to people who had opened it and some who hadn't. Those who  
15 had opened it -- and this is the letter where, again, there is  
16 a reminder of the birth defect, plus there is the information,  
17 secondly, on the hyperostosis, and, third, the new kinds of  
18 information such as the pseudotumor. I spoke to, I think, five  
19 or six dermatologists and I talked to someone yesterday who is  
20 in internal medicine residency rotating to dermatology at  
21 University Hospital, and he had spoken, at my request, to  
22 another six. None of these people, having looked at the letter,  
23 had noticed that pseudotumor is a new problem. It is sort of  
24 buried in the last part of the letter.

25 Of the people I spoke to, one of them was a clinical  
investigator, had been and is still a clinical investigator for

*Baker, James & Burkes Reporting, Inc.*

1 the drug and certainly is as aware or more so because of that  
2 of some of the problems associated with the use of the drug.  
3 So, what I am saying essentially is that not only from the  
4 patient standpoint is the lack of mandatory patient package  
5 inserts acceptable in terms of reaching most people in the  
6 most effective way. But from the doctor's standpoint, sending  
7 things out like that letter in the way in which the pseudotumor  
8 was downplayed, it said, "Usually associated with monicycline,  
9 tetracycline" which at least in terms of the ten cases is not  
10 true. I believe it is five with and five without. It is  
11 somewhat misleading and, of course, if one took a look, as we  
12 did, took a day or so or actually a few hours or so to get the  
13 information, the relative occurrence, as best as one can judge,  
14 of pseudotumor cerebri as associated with monicycline and  
15 tetracycline as opposed to pseudotumor cerebri as associated  
16 with isotretinon, it is much rarer with monicycline and tetra-  
17 cycline despite the fact that there are millions, conservatively,  
18 of people getting tetracycline or monicycline for acne and other  
19 problems every year over the last decade or so that FDA has  
20 been collecting adverse reaction information, fewer than  
21 one case per year on the average of pseudotumor in people using  
22 tetracycline or monicycline has been reported whereas in less  
23 than a year, we have these ten cases in people using Accutane.  
24 The fact that half of them had been using monicycline or  
25 tetracycline means likely that it is not caused by the

1 monicycline, tetracycline alone. It may, as was just suggested,  
2 be a combination effect, but certainly one of the responsi-  
3 ble parties statistically is likely to be Accutane in most,  
4 if not all, of those cases. And I discussed this with Dr.  
5 Greer. He agreed that what looks like is being seen here with  
6 Accutane is something that is higher in terms of occurrence  
7 than has been seen with tetracycline.

8 So, in summary, there have been some very serious  
9 side effects reported. Some predictable. I would say all  
10 predictable as far as the pseudotumor or birth defects. And as  
11 far as the some of the intestinal problems, they may or may not  
12 have been predictable. They certainly are occurring. We don't  
13 know whether these people will continue to have regional  
14 ileitis for a long period of time and thereby the technical  
15 definition of Chron's disease, but certainly from what little  
16 we have been able to see, these people are seriously ill with  
17 their intestinal problem.

18 The dosing question, I think, needs to be addressed  
19 in terms of the regime that Dr. Peck has studied which would  
20 result in a much lower total dose to people. I think serious  
21 consideration should be given despite the fact that the company  
22 would have to pay the NIH for adopting that kind of dosage  
23 recommendation.

24 And, finally, on the remedies, I think that we really  
25 do need to have mandatory patient package inserts to reach

1 everybody. Another, I think, important spin off of mandatory  
2 patient package inserts is that it increases, not interferes  
3 with the doctor/patient relationship. One of the curious  
4 and steady complaints offered during the years when various  
5 parts of the drug industry, doctors and pharmacists and others,  
6 were objecting to patient package inserts is that patient  
7 package inserts on a mandatory basis interfere with the doctor/  
8 patient relationship. That statement is present in an  
9 affidavit from the American Society of Internal Medicine and  
10 other groups who said that if -- and this is in the context  
11 of efforts to try to block mandatory patient package inserts  
12 for estrogens, menopausal estrogens. I think that what has  
13 happened in talking to a large number of practicing physicians,  
14 they agree that when the doctor knows that on a routine  
15 required basis every patient is going to get -- every patient,  
16 not 10 percent or 50 percent, or 60 percent -- is going to  
17 get a brochure, they are much more likely out of their desire  
18 to preserve the doctor/patient relationship to add a discussion  
19 between himself and the patient to this more formal written  
20 kind of information that is going to come out at the pharmacy  
21 in the case of the three patient package inserts that are now  
22 required. I think that that kind of spin off to encourage  
23 most, if not all, doctors to make sure that the patient is  
24 not surprised when they learn that Accutane is not approved  
25 for acne other than severe cystic acne, or when they learn

1 that you shouldn't be pregnant with the drug or when you learn  
2 that it can cause pseudotumor or whatever else. That should  
3 not be a surprise, and I think that is one of the more  
4 important side effects of mandatory patient package inserts  
5 is greatly increasing the likelihood that doctors and patients  
6 will talk to one another.

7 Thank you. I'd be glad to try and answer any of your  
8 questions.

9 DR. EAGLSTEIN: Before I ask the Committee, I  
10 would like to ask for a little clarification of one point.  
11 With regard to doses, will lower doses lower the incidence of  
12 the serious side effects, the birth defects, pseudotumor?

13 DR. WOLFE: Well, these do not appear to be  
14 "allergic idiosyncratic reactions." And the reason I say that  
15 is because they have been previously described in either animal  
16 studies or in association with hypervitaminosis A, and I  
17 think that one can at least reasonably accurately assume that  
18 the more drug there is, the more likely they are to occur and  
19 the less drug there is, the less likely they are to occur.  
20 I mean, there are obviously aren't any studies on that, nor,  
21 hopefully, will there ever be. But I think that given that  
22 as presenters from the company that said that at least many, if  
23 not all, of these adverse effects that are being seen were  
24 previously known to occur with hypervitaminosis A, and I don't  
25 believe that they have occurred with lower or "normal" doses

1 of vitamin A. I think that they can be described as dose-  
2 related and, therefore, every effort to lower the dose should  
3 be made.

4 DR. EAGLSTEIN: And it just occurred to me that in  
5 the course of discussing lower doses, we would be discussing  
6 them to avoid the more minor side effects, such as chapping  
7 and eye dryness as compared to the major?

8 DR. WOLFE: Well, I think the main concern is reduc-  
9 ing the major ones. I mean, if you also wind up reducing the  
10 amount of epistaxis, which was one of the "more minor effects,"  
11 it was significantly different between 1.5 and 0.1, that's  
12 fine also, but both are likely to occur.

13 DR. EAGLSTEIN: So, it is your feeling that that we  
14 would reduce major?

15 DR. WOLFE: I believe that that would occur, yes.

16 DR. EAGLSTEIN: Questions from the Committee?

17 Ron?

18 DR. GOLDNER: Is it possible to have a brief  
19 presentation of Dr. Wolfe's credentials as to who he is and  
20 what his training has been?

21 DR. WOLFE: I am a physician. My training is in  
22 internal medicine. I started this group 12 years ago. Prior  
23 to that time, I was on the staff of the NIH in --  
24 arthritis in those offices for five years doing clinical  
25 and laboratory research.

1 DR. HASERICK: Do you have your boards in internal  
2 medicine?

3 DR. WOLFE: No, I don't.

4 DR. HASERICK: Where do you have your boards?

5 DR. WOLFE: At Cleveland Metropolitan General  
6 Hospital.

7 DR. EAGLSTEIN: Further questions about this presenta-  
8 tion? Especially, I think, you discussed the dosing and you  
9 also discussed the fact the pseudotumor cerebri probably is,  
10 in some people's opinion, a medical emergency? And that you  
11 pointed out the desire -- and you did petition for a mandatory  
12 patient package insert. Are there any questions on these  
13 areas for Dr. Wolfe?

14 Yes?

15 DR. CHANCO-TURNER: Would it be ethical at this  
16 time to ask for a presentation from the three neurologists  
17 who are here as to the significance of headaches? The  
18 evaluation of headaches as a symptom of papilledema? It was  
19 presented earlier that quite a few of the patients that we give  
20 Accutane to develop headaches at some point or another and it  
21 would really be very useful for most of us, and we can tell  
22 our colleagues later on, just how excited should we get about  
23 a headache or two?

24 DR. EAGLSTEIN: You would like the neurologists to  
25 discuss what, the significance of headaches?

DR. CHANCO-TURNER: The significance of headaches  
*Baker, James & Burkes Reporting, Inc.*

1 or what signs, you know, what symptomatology we should really  
2 look for before suspecting papilledema prior to visual loss,  
3 hopefully? You catch it before that happens because I  
4 really also don't think that it is practical to require an  
5 ophthalmologic exam of every patient before we put them on  
6 Accutane.

7 DR. EAGLSTEIN: Also, there were corneal opacities,  
8 which would be part of it, I guess.

9 DR. CHANCO-TURNER: That's right.

10 DR. EAGLSTEIN: There is only Dr. Corbett, is that  
11 right?

12 DR. WOLFE: Well, if I could just comment briefly  
13 on it. Certainly -- I mean, the figures that were given  
14 there were the average number of patients being treated with  
15 Accutane per dermatologist were 40 to 50, something like that?  
16 Is that what the figures showed, 40 to 50? And the incidents  
17 of headache was somewhere between 10 and 25 percent, I think,  
18 in a different series; so, this would mean that on an average  
19 a given dermatologist, who I would agree with Dr. Pomeranz,  
20 should be the main, if not the exclusive people using the  
21 drug, might have a dozen or more, somewhere in that range,  
22 of people who took the drug and developed the headaches.

23 Certainly the idea of discontinuing the drug  
24 immediately, as apparently has been proposed, is a good idea.  
25 I think also certainly having the person come in and do a

1 fundoscopic examination to see whether attendant to headaches  
2 are any changes of the papilledema. That is not a terribly  
3 complicated thing to do. If dermatologists for some reason or  
4 other don't feel they would like to do that, certainly, someone  
5 else could see these patients, but I don't think that is a  
6 difficult thing to do and given at least what is described as  
7 the average number of patients being seen by a dermatologist  
8 that would not close down their offices. This is, of course,  
9 over a long period of time -- I mean, I suppose 50 since the  
10 drug has been introduced in the market. It may increase and  
11 so forth.

12 DR. EAGLSTEIN: I think Dr. Turner was concerned, or  
13 wanted more information as to the need to examine every  
14 patient ophthalmologically before they start Accutane. You are  
15 answering that if they have a headache, then you should look?

16 DR. WOLFE: Well, at the very least, and as far  
17 as whether every patient should have an ophthalmologic exam  
18 before they start, I suppose from the standpoint of product  
19 liability and/or malpractice, it might be important to see  
20 whether someone has either a corneal opacity -- I think in  
21 some of the animal experiments, there have been lenticular  
22 opacities also. Or whether they have -- this is rarely the  
23 case -- some congenital problem that may blur the disk. And  
24 there was one patient who was said to previously had  
25 papilledema before in retrospect. Is that right?

1 DR. CORBETT: Pseudopapilledema.

2 DR. WOLFE: Pseudopapilledema, okay, fine.

3 I can't answer the question right on the spot. This  
4 may make sense, again, given that we're not talking about huge  
5 numbers of patients per doctor over any period of time. It  
6 isn't that difficult to do a fundoscopic examination of the  
7 patient.

8 DR. EAGLSTEIN: Dr. Tabor?

9 DR. TABOR: We are fortunate in having in the  
10 audience today Dr. David Harper, who is an neuro-ophthamologist,  
11 who has just joined the Division of Anti-Infective Drug  
12 Products and I wonder if perhaps Dr. Harper could just make a  
13 few brief comments on some of the questions that have just  
14 been raised?

15 DR. EAGLSTEIN: And does he want to come to the  
16 microphone?

17 MR. BOSTWICK: We need you up here, Dr. Harper.

18 DR. HARPER: I agree with Dr. Corbett that the  
19 performance of an ophthalmologic examination on every patient  
20 prior to the institution of the drug therapy would be difficult  
21 and probably lead to confusion and ultimately medical records  
22 on that are difficult to interpret without fundus photographs.  
23 However, since headache is such a prominent part of the pseudo-  
24 tumor cerebri, and at that point the examination of the optic  
25 nerve head is usually changed adequately to be readily visible,  
it does seem to me that it is reasonable to have an

1 ophthalmoscopic examination on anybody who does develop head-  
2 aches. Whether or not that leads ultimately to a diagnosis of  
3 pseudotumor cerebri or not, it would help to rule it out  
4 or in in, and it would be quite useful.

5 DR. HASERICK: What do you think of Dr. Wolfe's  
6 suggestion of doing a spinal tap on patients with papilledema?

7 DR. WOLFE: My suggestion was doing a spinal tap  
8 in people with papilledema who have already been diagnosed  
9 as not having other causes of increasing intercranial pressure  
10 but on whom a diagnosis of pseudotumor had been made, and,  
11 again, that suggestion of a number of people such as Dr.  
12 Morris Victor, who has treated a number of people with pseudo-  
13 tumor that way.

14 DR. HARPER: Well, the diagnosis of pseudotumor  
15 cerebri essentially requires a spinal tap. Now, inasmuch as  
16 just reliance of the CT scan could be misleading, there are  
17 other conditions that can cause increased intercranial pressure  
18 that do not show up well on the CT scan, and so part of the  
19 criterion for the diagnosis of pseudotumor cerebri in most  
20 people's hands is a spinal tap showing essentially normal  
21 spinal fluid examination along with these days, a CT scan.

22 DR. WOLFE: So, in terms of the chemical composition  
23 it is cellular, but it is showing increased pressure?

24 DR. HARPER: Right.

25 DR. WOLFE: Well, I think that is technically

1 correct, but at least in terms of the data that is presented,  
2 some of the patients that make up the ten with pseudotumore that  
3 have been associated with Accutane did not have LPs, or at  
4 least they weren't reported. I was speaking now only of the  
5 initial one which should be, but isn't always done to make  
6 the diagnosis, but also the possibility of repeat ones for  
7 therapeutic purposes.

8 DR. HARPER: The question to me was what do I  
9 think of the spinal tap, and I agree with Dr. Wolfe that this  
10 really is part of the work up of pseudotumor cerebri.  
11 I presume in a somewhat conservative setting, one could dis-  
12 continue a drug and if the condition resolved rapidly that  
13 perhaps it wouldn't be necessary, but normally it is considered  
14 part of the overall work up.

15 DR. HASERICK: There is a lot of risk to that  
16 procedure, is there not?

17 DR. HARPER: One normally does it after other studies  
18 which show that the absence of any large mass intercranially,  
19 a CT scan is done and then a spinal tap.

20 Would Dr. Corbett like to address that point?

21 DR. CORBETT: Yes. There is a great deal of risk  
22 in not doing it. And the lumbar puncture is performed after  
23 the CT scan is done and prior to the time that we had CT  
24 scans available, we had to do arteriograms and if those were  
25 negative, then numoencephalograms, and things of that sort.

Today, we can do a CT scan. It is very fast. It tells us

1 that there is no mass lesion in the brain. Once we've done  
2 that; then, doing a lumbar puncture is mandatory because there  
3 are a lot of conditions that can masquerade as pseudotumors,  
4 including sarcoidosis, neurosyphilis, septicemic meningitis,  
5 we have seen a number of different conditions. The commonest  
6 problem that we see is the patient who comes in with headaches  
7 from whatever cause and pseudopapilledema, and I think in any  
8 neuro-ophthalmologist's practice, this is something that we're  
9 asked to see eight, ten, twelve times a year. I would say  
10 that one patient in five or six that we see who is sent in on  
11 a diagnosis of pseudotumor turns out to have pseudo pseudotumor.

12 DR. HASERICK: But you do the spinal tap after the  
13 CT scan?

14 DR. CORBETT: Sir?

15 DR. HASERICK: You do do the spinal tap after the  
16 Cat scan?

17 DR. CORBETT: Yes.

18 DR. CASTIELLO: What I am asking is if a person  
19 has a headache and the consideration of pseudotumor is made,  
20 is the lack of papilledema then enough to rule pseudotumor  
21 out, or must you do all these other things to be absolutely  
22 sure that there isn't something else going on?

23 DR. CORBETT: Yes, I would like to answer that.

24 DR. EAGLSTEIN: I think the question is: if a  
25 patient has a headache and you don't see papilledema, what

1 should be done at that point? Is that the question?

2 DR. CORBETT: As far as I know there is only one  
3 person who really serious believes that there are large numbers  
4 of people walking around with pseudotumor that don't have  
5 papilledema. Aside from that one report of a number of  
6 patients, I am not aware of anybody else who holds the same  
7 opinion, and I think that headache is such a ubiquitous  
8 symptom and papilledema is such an uncommon finding that the  
9 combination of the two, as Dr. Wolfe mentioned, makes it  
10 mandatory to be sure that the patient does not have a tumor  
11 to begin with. And then if you go ahead and find out whether  
12 the patient has pseudotumor. As far as pseudotumor being a  
13 neurologic emergency, I would reemphasize that it is not a  
14 neurologic emergency. It is emergent to find out whether the  
15 person has a tumor. Once that is discovered -- once you're  
16 dealing with pseudotumor, you can deal with that in a good,  
17 rational, easy pace and it isn't something -- it is not a life  
18 nor livelihood threatening condition and it rarely is the  
19 cause of permanent visual deficit. I wrote a paper about a  
20 year ago that reported 14 cases of blindness or severe visual  
21 loss in a group of 57 patients that were followed through  
22 5 to 41 years. The largest number of those patients were seen  
23 prior to the time that there were any forms of treatment  
24 available aside from subtemporal compression.

25 The recognition of the disease occurred at the

1 time that the patient went blind. Today, patients are being  
2 recognized, I see between 10 and 15 new patients a year,  
3 which is probably in the neighborhood of two-third to three-  
4 quarters of the patients in Iowa that have pseudotumor, and  
5 in the last seven years -- six years, we have seen eight people  
6 who have required surgical procedures to preserve vision, two  
7 of those surgical procedures were done because the patients  
8 were doing on dialysis and were expected to have hypotension  
9 and we didn't want to put them at further fix for visual  
10 loss.

11           Serious visual loss, when you look prospectively  
12 at a group of patients in the modern era with a multiple of  
13 drugs available for treatment is unusual.

14           DR. TABOR: Can I just comment. I think we are  
15 talking about semantics to some extent. I don't think anyone  
16 would disagree with the statement that papilledema of unknown  
17 etiology or undiagnosed cause is a neurologic emergency; so,  
18 I think that's really just a semantic difference, but I think  
19 to follow up your comments, perhaps Dr. Harper could comment  
20 on just how extensive the ophthalmologic risk, either undiagnosed  
21 or delayed treatment of papilledema related to pseudotumor  
22 cerebri is?

23           DR. HARPER: Well, for undiagnosed or untreated  
24 pseudotumor cerebri with papilledema, I refer in large measure  
25 to Dr. Corbett's paper that he mentioned. For your incidence,

1 there was -- 25 percent of the patients had serious visual  
2 loss so that untreated papilledema is a serious problem.  
3 In this circumstance where we have a presumed cause that can be  
4 stopped once the condition is recognized; then, it shouldn't  
5 be as serious a problem in the overall view from either the  
6 causes stopped and the papilledema goes away at a relatively  
7 early stage.

8 DR. EAGLSTEIN: So, is it fair to say that both  
9 Dr. Harper and Dr. Corbett felt the examination of the fundus  
10 was indicated after headache occurred rather than before  
11 starting Accutane?

12 DR. HARPER: I would think so.

13 DR. EAGLSTEIN: And that you would recommend dis-  
14 continuing the Accutane in a patient with a headache and  
15 papilledema, or just a headache?

16 DR. HARPER: Well, certainly with the headache and  
17 the papilledema. With just a headache, without papilledema,  
18 I can't really address that. I would think no.

19 DR. WOLFE: The recommendation was that if the  
20 patient gets a headache, they should, on one hand, stop the  
21 drug and then go in for medical evaluations. So, we are really  
22 talking about the interval between then and whenever they get  
23 evaluated. I certainly would think there would be safer  
24 to just have them, as recommended, discontinue the drug right  
25 away. And if it turns out that there isn't any papilledema