

TRANSCRIPT OF PROCEEDINGS

DEPARTMENT OF HEALTH AND HUMAN SERVICES

PUBLIC HEALTH SERVICE

FOOD AND DRUG ADMINISTRATION

JOINT ADVISORY COMMITTEES

DERMATOLOGIC DRUGS ADVISORY COMMITTEE

FERTILITY AND MATERNAL HEALTH DRUGS ADVISORY COMMITTEE

90 JUN 1 - 6
MAY 23

Pages 1 thru 280

Rockville, Maryland
May 21, 1990

MILLER REPORTING COMPANY, INC.

507 C Street, N.E.
Washington, D.C. 20002
546-6666

DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
FOOD AND DRUG ADMINISTRATION

90 JUN -6 AM 11:03
PROJECTS MANAGEMENT

JOINT ADVISORY COMMITTEES
DERMATOLOGIC DRUGS ADVISORY COMMITTEE
FERTILITY AND MATERNAL HEALTH DRUGS ADVISORY COMMITTEE

Monday, May 21, 1990

Conference Rooms D & E
Parklawn Building
5600 Fishers Lane
Rockville, Maryland

P A R T I C I P A N T SDermatologic Advisory Committee

Arnold L. Schroeter, M.D., Chairman

Elizabeth A. Abel, M.D.
David H. Stein, M.D.
Joseph L. Fleiss, Ph.D.
David T. Woodley, M.D.
Jaime A. Tschen, M.D.
Jerome R. Pomeranz, M.D.

Fertility and Maternal Health Drugs Advisory Committee

Barbara S. Hulka, M.D., Chairman

Dorothy M. Barbo, M.D.
Arthur F. Haney, M.D.
Susan A.R. McKay, Ph.D.
James J. Schlesselman, Ph.D.
Anne Colston Wentz, M.D.
Jennifer R. Niebyl, M.D.
Subir Roy, M.D.
Ezra C. Davidson, Jr., M.D.

FDA Staff

Isaac F. Roubain, Ph.D., Exec. Sec. Dermatologic Drugs
Philip A. Corfman, M.D., Exec Sec. FMHD
C. Carnot Evans, M.D.
James Bilstad, M.D.
Carl C. Peck, M.D.
Murray M. Lumpkin, M.D.
Robert C. Nelson, Ph.D.
Gloria Troendle, M.D.

C O N T E N T S

Welcome, Murray M. Lumpkin, M.D.	5
<u>Open Public Hearing:</u>	
Adrian Fugh-Berman, M.D., Women's Health Network	8
Alice Guttler, Esq.	13
Betsy Harkaway	16
<u>Tretinoin Emollient Cream (NDA 19-963):</u>	
Introductory Remarks, C. Carnot Evans, Jr., M.D.	18
R.W. Johnson Pharmaceutical Research Institute Presentation:	
Introductory Comments, George H. Ohye	21
Background Information, G. Thorne, M.D.	21
Statistical Methods, B. Schwab, Ph.D.	33
Efficacy and Safety Data, S. Worobec, M.D.	37
Percutaneous Absorption, R. Wills, Ph.D.	46
Summary and Conclusions, G. Thorne, M.D.	50
Discussion	54
Questions Posed to the Committees	79
<u>Accutane (NDA 18-662):</u>	
Introductory Comments, Robert C. Nelson, Ph.D.	92
Hoffmann-La Roche Presentation:	
Background Information, Robert B. Armstrong, M.D.	99
Pregnancy Reports, Wanju S. Dai, M.D.	102
Drug Usage, Robert B. Armstrong, M.D.	105
Usage Study Results, Brian Strom, M.D.	107
Hoffmann-La Roche Pregnancy Prevention Program, Robert B. Armstrong, M.D.	112
Slone Epidemiology Unit Study Results, Allen A. Mitchell, M.D.	116
Response to FDA Memo, Robert B. Armstrong, M.D.	132
Review of Data, David Graham, Ph.D.	141

CONTENTS (continued)Comments from Guests:

Sidney Wollfe, M.D., Health Research Group	177
Ed Lammer, M.D., California Birth Defects Monitoring Program	185
Jane Adams, Ph.D., University of Massachusetts	196
William Scott, D.V.M., Ph.D., Teratology Society	200
Peter E. Pochi, M.D., American Academy of Dermatology	212
Mary K. Spraker, M.D., Emory University	220
Godfrey P. Oakley, Jr., M.D., M.S.P.M., Centers for Disease Control	226
Harold Kaminesky, M.D., American College of Obstetricians and Gynecologists	231
Committee Discussion and Questions	237

P R O C E E D I N G S

1
2 DR. LUMPKIN: Ladies and gentlemen, good morning.
3 My name is Larry Lumpkin. I am the Director of the Division
4 of Anti-Infective Drug Products, here at the FDA. The
5 evaluation of dermatologic drug products comes under the
6 purview of my Division and that is why I am happy to be here
7 today and to welcome you to this Advisory Committee hearing.

8 As you are well aware, we have two different
9 products that are on the agenda today. The first one is
10 tretinoin emollient cream, NDA 19-963. The second one is
11 Accutane, NDA 18-662.

12 Just to get some of the logistics straight from the
13 beginning here, this morning the plan is that for the first
14 hour, from 8:05-9:05, the open public hearing will be for
15 both drugs. So if there is anyone from the public that
16 wishes to make statements relative to either of those drugs,
17 that is the appropriate time period for that to be done.
18 After that we will go into a Committee discussion on tretinoin
19 emollient cream. The issues for tretinoin emollient cream
20 are only relative to the Dermatologic Advisory Committee and
21 will be handled by the Dermatologic Advisory Committee alone,
22 headed by Dr. Schroeter.

23 Following that discussion and the coffee break, we
24 will go into the discussion of Accutane. As you are aware,
25 we have a joint advisory committee set up to discuss that

1 topic. It will be a joint committee discussion between the
2 Dermatologic Drugs Advisory Committee and the Fertility and
3 Maternal Health Drugs Advisory Committee. That discussion
4 will be co-chaired by the chair of both of those committees,
5 Dr. Schroeter and Dr. Hulka.

6 As these committees have not met together in this
7 format and as we have some new members on the committees,
8 prior to starting the open public hearing, I would like to
9 ask each of the committee members to simply introduce
10 themselves and tell us where they are from so that they will
11 get to know each other at the very beginning. If I could
12 start on the other side, with the Fertility and Maternal
13 Health Advisory Committee?

14 DR. BARBO: Dorothy Barbo, Philadelphia.

15 DR. DAVIDSON: Ezra Davidson, from Los Angeles.

16 DR. HANEY: Cap Haney, from Durham, North Carolina.

17 DR. MCKAY: Susan McKay, from Laramie, Wyoming.

18 DR. NIEBYL: Jennifer Niebyl, Iowa City, Iowa.

19 DR. ROY: Subir Roy, Los Angeles.

20 DR. SCHLESSELMAN: Jim Schlesselman, Bethesda,
21 Maryland.

22 DR. WENTZ: Anne Colston Wentz, Chicago.

23 DR. HULKA: Barbara Hulka, from the University of
24 North Carolina at Chapel Hill.

DR. LUMPKIN: And the dermatologic group?

1 of interest: It has been determined that all reported
2 interests in firms regulated by the Center for Drug Evaluation
3 Research which have been reported by the participating
4 members present no potential for an appearance of a conflict
5 of interest at this meeting when evaluated against the
6 scheduled agenda. However, in the event that the discussions
7 should somehow involve these firms, all participants are
8 aware of the need to exclude themselves from such partici-
9 pation, and their exclusion will be noted in the record.
10 Thank you.

11 DR. SCHROETER: At this time, we are open for
12 public hearing. We have three individuals who have requested
13 time to make a presentation. The first of these is Dr.
14 Adriane Berman, representing the National Women's Health
15 Network. Dr. Berman?

16 COMMENTS BY ADRIANE FUGH-BERMAN, M.D.

17 DR. FUGH-BERMAN: Hello. My name is Adrian Fugh-
18 Berman, with National Women's Health Network. Thank you for
19 this opportunity to address both Committees.

20 Accutane is unarguably a highly teratogenic drug,
21 affecting one out of four exposed pregnancies. It is also
22 the most effective treatment for severe cystic acne. This is
23 not your run-of-the-mill adolescent pimple outbreak but a
24 persistent, severe, scarring condition which results from
25 tender, acute, localized collections of pus deep in the

1 DR. WOODLEY: David Woodley, Palo Alto, California.

2 DR. TSCHEN: Jaime Tschen, Houston, Texas.

3 DR. STEIN: David Stein, Buffalo, New York.

4 DR. POMERANZ: Jerome Pomeranz, Cleveland, Ohio.

5 DR. FLEISS: Joseph Fleiss, New York City.

6 DR. ABEL: Elizabeth Abel, Stanford, California.

7 DR. SCHROETER: Arnold Schroeter, Wright State
8 University, Dayton, Ohio.

9 DR. LUMPKIN: Thank you very much. I now turn the
10 conduct of the meeting over to Dr. Schroeter.

11 DR. SCHROETER: Thank you, Dr. Lumpkin. I have one
12 statement to make. As I review this decision on tretinoin
13 emollient cream (NDA 19-963), and although it is a dermato-
14 logic question and consideration, I believe that there are
15 certain epidemiologic considerations that should be under-
16 taken. I invite the group from Fertility and Maternal Health
17 Drugs Advisory Committee to participate in that discussion
18 whenever they feel that it is pertinent to the ongoing
19 discussion.

20 At this time, I would like Dr. Isaac Roubein to
21 introduce the group.

22 DR. ROUBEIN: I have an announcement to make
23 concerning the conflict of interest. Based on the information
24 provided by the participants, the Agency has taken the
25 following actions to preclude any appearances of a conflict

1 dermis which can break down adjacent tissue, enlarge and form
2 lakes of pus, sinuses and scar tissue.

3 Most of us can recall the humiliation of ill-timed
4 adolescent pimples. An outbreak always seemed to precede a
5 big date. Cystic acne, however, is on a whole different
6 plane. Long-lasting and disfiguring, the condition may be
7 accompanied by depression, a common companion of highly
8 visible dermatologic conditions. Even after resolution of
9 the acute lesions, deep, ice-pick-type scars remain.

10 The National Women's Health Network believes that
11 Accutane is a strong, effective, expensive and overused drug.
12 Leaving aside for the moment the serious issue of fetal
13 malformations, Accutane can cause pseudotumor cerebri,
14 hepatitis, corneal opacities, other visual problems, hyper-
15 ostosis, inflammatory bowel disease and a host of minor side
16 effects. About 25 percent of patients receiving Accutane
17 experience an elevation in plasma triglycerides, which may
18 predispose patients to cardiovascular disease and pan-
19 creatitis.

20 A course of treatment, which lasts 15-20 weeks, for
21 a 60 kg woman will cost between \$300-1200. The course may be
22 repeated after an 8-week break, resulting in an expenditure
23 of \$2400 for medication alone. This does not include the
24 costs of testing for blood lipid changes, liver function
25 testing and office visits.

1 Besides its expense, Accutane is not a benign drug
2 and should be restricted to the most severe cases of cystic
3 acne. The number of cases of cystic acne in this country
4 bears little relation to the number of prescriptions written
5 for Accutane. Apparently, the drug is being prescribed for
6 acne vulgaris, the common, milder form of acne which responds
7 to more benign drugs, such as retinoin or benzoyl peroxide.
8 Using Accutane in acne vulgaris is like using an Uzi to shoot
9 a butterfly.

10 Some cases of cystic acne also respond adequately
11 to standard therapies. Accutane is a potent drug with
12 potentially serious side effects and its use should be
13 limited in both men and women.

14 None of us is an advocate for birth defects and, as
15 a rule, women do not deliberately try to deform their
16 fetuses. But measures such as monthly pregnancy tests or
17 mandatory hormonal contraception are absurd intrusions. We
18 need to educate doctors to break out the Accutane only on
19 rare occasions. We do not need to subject patients to
20 expensive serum pregnancy tests or a form of birth control
21 that they would not otherwise use.

22 A female patient using Accutane should be thoroughly
23 counselled on the potential for fetal malformation. The
24 patient must be apprised of risks, that is, the principle of
25 informed consent. But the duty of the physician is to warn.

1 it is not the duty of the physician to police the patient.
2 It is not the duty of the physician to enforce compliance.
3 The duty of the physician is to warn.

4 It is offensive to women to assume that we are so
5 untrustworthy and uncaring that monthly blood tests must be
6 performed regardless of whether a woman is abstinent, gay or
7 using contraception. Besides, pregnancy tests do not prevent
8 pregnancy any more than mammograms prevent breast cancer.
9 Early detection is not the same thing as prevention.

10 While in many situations early diagnostic infor-
11 mation is helpful, what exactly is the doctor who required
12 this pregnancy test supposed to do when he catches a woman
13 with a positive pregnancy test? Slap her around? Force her
14 to have an abortion? The doctor can cut off further supplies
15 of the drug but the conceptus has already been exposed in the
16 first trimester to a drug which is teratogenic "in any amount
17 even for short periods", and, at least while abortion is
18 still legal in this country, it is still a woman's choice
19 whether to continue or terminate a pregnancy.

20 What is accomplished by a monthly pregnancy test
21 besides the erosion of what should be a trusting relationship
22 between doctor and patient and the separation of the patient
23 from upwards of \$45 that a serum beta-HCG test costs?

24 The suggestion that women on Accutane be required
25 to use hormonal contraception is based on strange logic. A

1 properly used barrier contraceptive can be more effective
2 than an improperly used oral contraceptive, for example.
3 Also pregnancies on hormonal contraception can, and do, occur
4 and hormones are known teratogens.

5 We live in an era in which court-ordered hearings
6 result in women having C-sections against their will. If the
7 FDA goes along with mandatory pregnancy testing or mandatory
8 hormonal contraception, or an outright ban on Accutane, it
9 will be supporting a dangerous trend, that of viewing a woman
10 merely as a support system for a fetus, even a pre-conceived
11 fetus.

12 Are we, as physicians, to view all women of
13 reproductive age as incubators to be kept ready for occupancy
14 at all times? What comes next? Most drugs for epilepsy are
15 teratogenic. Are we going to deny these drugs to repro-
16 ductive-age women? A number of antihypertensive, psycho-
17 tropic and other drugs are teratogenic. Are we to require
18 hormonal contraception for all these women?

19 Perhaps eventually we will require monthly pregnancy
20 tests for all women so that we can institutionalize them,
21 force-feed them nutritious food and keep them away from drugs
22 and alcohol.

23 I am exaggerating (I hope) but I am trying to point
24 out that it is absurd to work from the supposition that we
25 can view women as wombs first and patients second.

1 and very debilitating disease. Not only can it have physical-
2 ly devastating effects but it can have severely devastating
3 psychological effects. In my own situation, I refrained from
4 socializing on many occasions; I refrained from going out of
5 the house many times. After my Accutane treatment, however,
6 it truly had a profound effect on the manner in which I
7 carried out my day-to-day activities.

8 It can be extremely depressing to an individual to
9 have a very poor physical appearance. It can have psychologi-
10 cally devastating effects when you have to go out and
11 function in the marketplace in whatever profession you have
12 or in your social activities.

13 As I mentioned, in 1982, Accutane was prescribed
14 for me and, quite frankly, I do not know where I would be
15 today had that not taken place. I am, and I was, a woman of
16 childbearing age at the time. My physician thoroughly
17 discussed with me the implications of taking Accutane and,
18 yet, it boiled down to my sense of responsibility as to
19 whether or not I would either be abstinent or take some form
20 of birth control. But I clearly went into that period of
21 taking Accutane with my eyes wide open.

22 The packaging at the time was different from what
23 it is today and, yet, it contained the clear notice to me
24 that I should not become pregnant during that period of time
25 and I knew full-well what the effect could be but, quite

1 frankly, the risks did not outweigh the benefits to me
2 because the benefits were, as I mentioned before, quite
3 profound.

4 I wanted to speak today because I am disturbed by
5 the prospect that those who would disregard the warnings can
6 now perhaps bring about a ban of a particular medication or
7 even a limitation as to who that medication can be prescribed
8 to.

9 As I mentioned before, to me, Accutane was essen-
10 tially a cure for a very devastating disease. After I
11 completed my Accutane treatment, it was really a change in my
12 own life style. I went to law school. I became an attorney.
13 I practice litigation. I am out in the public. I have an
14 entirely different perspective on life.

15 Perhaps I am coming across as somewhat disingenuous,
16 corny or something, but I truly must say to you that before
17 my series of treatment with Accutane, it was quite a humili-
18 ating experience not to be able to face members of the
19 public. As I mentioned before, I am an attorney and I am
20 aware of the risk and benefit analyses that an administrative
21 agency like yours must go through to determine the appropriate
22 patient market.

23 I must say to you that in a case like this, the
24 benefits far outweigh the risks. This is not a case of a
failure to warn of a known side effect. Those individuals

1 who are prescribed Accutane know full-well what they are
2 getting into. It really boils down to a sense of personal
3 and individual responsibility whether they are going to
4 function in an appropriate manner during and immediately
5 after their course of Accutane treatment.

6 I am, indeed, troubled by how far you have been
7 asked to go to ostensibly protect the public. While the
8 birth of a child with congenital defects is truly a tragedy,
9 the real issue is who is accountable, given that this is a
10 known side effect. For the sake of the many who could
11 benefit from Accutane, I would entreat you not to either ban
12 a medication or to limit it from those in the patient
13 population, such as myself, of childbearing age.

14 I would also entreat you not to place such onerous
15 burdens upon the taking of the medication that it would
16 dissuade those who could truly benefit from it. Thank you.

17 DR. SCHROETER: Thank you, Miss Guttler. Our next
18 participant in the open session is Miss Betsy Harkaway. Miss
19 Harkaway?

20 COMMENTS BY BETSY HARKAWAY

21 MS. HARKAWAY: Accutane changed my life. I am 26
22 years old and I was given Accutane in 1983. So I was one of
23 the first people to be prescribed this drug. It took me a
24 long time to find a treatment that actually did something and
was very effective and changed my whole outlook on myself,

1 which at that age, 15-19 -- I do not know if any of you have
2 gone through severe acne or had any acne but you look in the
3 mirror and you see a pimple, and you see the persistence of
4 that pimple, and you do not want to go outside. You do not
5 want anybody to see you. You sit inside. Your whole life
6 and the way you are looking at yourself is changed.

7 Having Accutane prescribed to me for five months --
8 my doctor sat me down and told me that I cannot be pregnant
9 while on this drug. I cannot get pregnant. I could and
10 would endure certain side effects of very dry skin, dry
11 mouth, nausea maybe.

12 When I read articles about people wanting to take
13 Accutane off the market because some people cannot follow
14 directions from the physician and from Hoffmann-La Roche,
15 which has taken measures to ensure patients that this is what
16 you have to go through in order to take this drug -- if you
17 are not going to be responsible enough to follow our instruc-
18 tions, then you should not have this drug prescribed to you.

19 But I do not think the drug should be taken off the
20 market because a few individuals cannot follow directions that
21 are given to them from their physicians and from Hoffmann-La
22 Roche. I do not think that teenagers should not have an
23 option, if they need to, to use this drug in order for them
24 to have a better outlook on themselves and then on life so
25 that they can carry on and can be normal, functioning people

1 in society.

2 I hope that today you will look at that side, that
3 normal people out there are not going outside and are staying
4 in because they cannot look at themselves in the mirror and
5 want people to look at them. I think this drug was very
6 beneficial to me in five months of treatment. I had no
7 problem with acne after that. I think the benefits of the
8 drug far outweigh. Thank you.

9 DR. SCHROETER: Thank you, Miss Harkaway. We will
10 now move into the open Committee discussion and move to the
11 tretinoin emollient cream discussion. We will have opening
12 remarks by Dr. Carnot Evans. Carnot?

13 INTRODUCTORY REMARKS, C. CARNOT EVANS, JR., M.D.

14 DR. EVANS: I would like to welcome all of you here
15 today. I would particularly like to indicate our delight
16 that we have the Fertility and Maternal Health Drugs Committee
17 join our Dermatologic Drugs Committee in the review of these
18 very important items.

19 The first on our agenda is tretinoin emollient
20 cream, which is a product which has been extensively studied
21 for its effect in the treatment of photo-aged or wrinkled
22 skin.

23 The application for this product is currently under
24 review by the FDA staff and there was agreement that this was
an appropriate time to pose some questions to the Committee

1 about the drug.

2 The R.W. Johnson Pharmaceutical Research Institute
3 supplied us with extensive background material and you have
4 additional information supplied to you by the Agency.

5 The active ingredient in tretinoin emollient cream
6 is tretinoin, a retinoic acid, the acid form of vitamin A.
7 Retinoic acid was first approved for marketing in 1971, under
8 the name of Retin-A cream, for the treatment of acne vulgaris.
9 It has proved to be a highly effective product, especially in
10 comedo acne. Currently, Retin-A is marketed in cream, gel and
11 liquid formulations and in concentrations from 0.25 to 0.1
12 percent.

13 Since the publishing of the first article a few
14 years ago, which reported the benefits of Retin-A on photo-
15 aged skin, there has been a surge in the interest of treti-
16 noin-containing products. Sales have increased and there has
17 been a marked rise in media attention.

18 Firms, other than the R.W. Johnson Pharmaceutical
19 Research Institute, are now testing topical retinoids and
20 there have been several ANDAs (abbreviated new drug appli-
21 cations) submitted for the purpose of demonstrating bio-
22 equivalence to the Retin-A products.

23 In the efficacy evaluation of tretinoin emollient
24 cream, the endpoints are the improvement of the condition of
25 the skin, as observed by the investigator and the patient,

1 and the conversion to a more normal histological picture.
2 Skin surface replica analyses have also been performed. Is
3 this a useful and reliable measuring tool? If so, how does
4 it compare with the other parameters?

5 We hope that we can stimulate some discussion today
6 about the margin of safety that can be anticipated with
7 regular application of tretinoin emollient cream to extensive
8 skin areas of pregnant patients over prolonged periods.

9 We are unaware of any positive demonstration of
10 embryo toxicity with Retin-A use over a 20-year period.
11 Nevertheless, all of these analogs of vitamin A are terato-
12 genic in animals. Tretinoin has been reported by France to
13 be absorbed through normal and dermatitic human skin at 5.2
14 and 7.1 percent of the dose applied respectively.

15 Since the no-effect level is unknown, is it
16 possible that the product under consideration could be
17 hazardous in the pregnant state? A recent report by Lammer
18 indicates that the oral intake of 13-cis-retinoic acid during
19 pregnancy has resulted in a high placental and embryonic
20 concentration of tretinoin, which has been known in years "to
21 be extremely teratogenic in all species investigated".

22 Simply put, is it possible that this product used
23 daily over extensive skin areas could cause embryo toxicity?
24 If it is possible, how great is that likelihood and how
should the drug be handled?

1 We are pleased to have the opportunity to share
2 these background data with you and we look forward to your
3 discussion on this important and timely issue. Thank you.

4 DR. SCHROETER: Thank you, Dr. Evans. We will now
5 open the discussion on the part of the R.W. Johnson Company.
6 The opening remarks will be by George Ohye, senior vice
7 president.

8 INTRODUCTORY COMMENTS BY GEORGE H. OHYE

9 MR. OHYE: Dr. Peck, Dr. Bilstad, Dr. Lumpkin, Dr.
10 Schroeter, Dr. Evans, members of the Committee, ladies and
11 gentlemen, good morning. I am George Ohye, senior vice
12 president of the R.W. Johnson Pharmaceutical Research
13 Institute.

14 It is my pleasure this morning to introduce Dr.
15 George Thorne, director, clinical research dermatology. Dr.
16 Thorne directed our photo-aging program from its inception, in
17 1985, and he will chair and moderate our portion of the
18 session this morning. Dr. Thorne?

19 PRESENTATION BY GEORGE THORNE, M.D.

20 (Slide)

21 DR. THORNE: Thank you, Mr. Ohye. We appreciate
22 the opportunity to discuss with the Panel again Renuva,
23 tretinoin emollient cream, shown by extensive clinical
24 testing to be safe and effective for the treatment of
25 photodamaged skin.

1 (Slide)

2 The FDA has provided the Panel two questions to
3 consider. The first deals with efficacy determinations. Our
4 presentation will focus on the key efficacy parameters based
5 on investigator and patient evaluations. While we obtained
6 biopsy and skin surface replicas, these are regarded as
7 additional measurements.

8 The second question involves the effects of
9 prolonged use on percutaneous absorption of topical tretinoin.
10 Today we will present data which show that only minimal
11 absorption occurs even after prolonged use.

12 (Slide)

13 The agenda of our presentation will include a
14 discussion of skin aging, protocol development and a brief
15 description of the clinical methodology used in the studies
16 with Renuva.

17 Dr. Schwab will describe the conservative and
18 focused statistical method used to analyze our data. Dr.
19 Worobec will then present the results which largely validate
20 our experimental design and the adequacy of our efficacy
21 parameters.

22 (Slide)

23 Dr. Wills' presentation will provide data from
24 recent percutaneous absorption studies that indicate that
25 tretinoin is minimally absorbed through photodamaged skin. I

1 will summarize the discussion from the last Advisory Panel
2 meeting when they agreed that topical tretinoin did not pose
3 a teratogenic problem. After this summary and conclusions we
4 will answer questions.

5 (Slide)

6 In addition to the speakers, we have several
7 scientists from our Company, Dr. Powers, from toxicology; Dr.
8 Ng, from formulations; and Dr. Mezick, from pharmacology.

9 (Slide)

10 Additionally, we have invited Dr. Gary Grove, whose
11 group analyzed the skin surface replicas, and Dr. Tom Nigra,
12 a clinical investigator in the Renuva trials.

13 As you can see, we have a full agenda. So if you
14 could hold your questions until the end, we can complete our
15 presentation in the time allotted.

16 (Slide)

17 The subject of this NDA is Renuva, 0.05 percent
18 tretinoin, in a specially designed base which provides a high
19 degree of emolliency for patients with photodamage. Renuva
20 is a water and oil emulsion, in contrast to Retin-A cream
21 which is indicated for acne and has an oil and water formu-
22 lation.

23 (Slide)

24 The proposed indication for Renuva is the treatment
of photodamaged skin. Patient benefits include reduction of

1 fine wrinkling; mottled hyperpigmentation and roughness.
2 Proof of efficacy for this indication are the results from
3 the extensive clinical studies using Renuva.

4 (Slide)

5 Let's review the role of photodamage in skin aging.
6 Visible signs of aging can be due to a number of causes but
7 the passage of time and the exposure to the environment are
8 the most important. For protected skin chronologic aging is
9 most evident, while on exposed surfaces photodamage causes
10 most of the visible signs of aging.

11 (Slide)

12 Clinical features of chronologic aging are due to
13 thinning of the epidermis and the dermis. This condition is
14 not reversible. The body cannot repair chronologically aged
15 skin.

16 (Slide)

17 Photodamage is a term used by dermatologists to
18 define a skin disease caused by chronic sun exposure. Most
19 important, and in contrast to chronologic aging, photodamage
20 is reversible. Photodamage can be thought of as a wound
21 caused by chronic minor trauma. By avoiding or reducing the
22 injury, in this case sun exposure, in time the body can heal
23 the damage.

24 (Slide)

Photodamage progresses through several stages,

1 beginning as early as the teen years and, in many cases,
2 eventuating into skin cancer. Specific clinical and struc-
3 tural features of photodamage have been well described.

4 Let's focus our attention on the middle stage.
5 This group has photodamage with little chronologic aging and
6 has a low incidence of visible actinic keratoses and skin
7 cancers. These generally occur in the later stages. Thus,
8 they provide a good study population for photodamage. Since
9 photodamage does progress into skin cancer, it is obvious
10 that we need to interrupt the progression of this disease.

11 (Slide)

12 Prevention is the cornerstone in the management of
13 photodamage. The public must be educated to avoid the
14 harmful rays of the sun, apply sunscreens and wear protective
15 clothing.

16 (Slide)

17 Other therapeutic modalities include emollients
18 which may smooth rough skin and counselling on proper skin
19 care, such as avoiding irritants and overexposure to the sun.
20 Additionally, the routine use of sunscreens may help repair
21 the skin by preventing the continual damage caused by
22 everyday sun exposure.

23 As a reminder, all patients in the study using
24 Renuva applied moisturizers and sunscreens. Additionally,
25 they received monthly counselling by dermatologists on proper

1 skin care.

2 (Slide)

3 It is noteworthy that older acne patients using
4 topical tretinoin were the first to report that their skin
5 looked and felt better. An important clue to the action of
6 topical tretinoin came from studies using photodamaged mice.
7 The studies showed that tretinoin accelerated the healing
8 process by laying down new collagen in the subepidermal
9 repair zone. Also in uncontrolled studies beneficial
10 responses were seen in patients with photodamaged skin.

11 (Slide)

12 The excitement about a potential topical treatment
13 for photodamage stimulated us to design protocols and to
14 develop efficacy parameters.

15 (Slide)

16 We began this model development with three pilot
17 studies using Retin-A cream. The pilot studies have all been
18 published and are included in your material. I do want to
19 emphasize the methods as they provide valuable information
20 for future conduct of photodamage studies.

21 First, all were double-blind. Two were parallel
22 groups for the face and paired comparison of the forearms.
23 One was a paired comparison for both the face and the
24 forearms.

25 The lowest concentration of Retin-A cream tested

1 was 0.05 percent. The studies were all vehicle controlled,
2 lasting up to 6 months. One study evaluated middle stage
3 photodamaged patients, similar to our studies using Renuva.

4 (Slide)

5 The individual clinical signs selected for study
6 encompass those from classical descriptions of photodamaged
7 skin by Kligman, Marks and others. The evaluation scales
8 included the visual analog type, which was later modified for
9 use in studies with Renuva. Biopsies and skin surface
10 replicas were performed which provided additional information.

11 (Slide)

12 Based on the significant results of these pilot
13 studies, we had several meetings with the FDA to discuss
14 protocol design and analysis plans. Since this was a new
15 therapeutic class, no FDA guidelines existed. In 1987, we
16 presented data from the pilot studies to the FDA Advisory
17 Panel. In the fall of 1988, we met again to discuss the
18 clinical program. Later in the fall, we outlined our
19 statistical analysis plan.

20 (Slide)

21 Helpful suggestions from the FDA, Advisory Panel,
22 consultants and investigators were incorporated into the
23 studies using Renuva. We chose parallel group design for our
24 facial studies because of the possibility of translocation of
25 the test creams.

1 The question about controls is more difficult. We
2 believe the best control, in addition to the vehicle, would
3 be lower concentrations of tretinoin. We were asking the
4 question whether investigators and patients could detect an
5 efficacy difference between Renuva and its vehicle during
6 simultaneous testing of other concentrations of topical
7 tretinoin.

8 Patients with mild to moderate photodamage were
9 chosen because they had a low incidence of visible actinic
10 keratoses, skin cancers and less chronologic aging. Study
11 length of six months was selected to allow adequate time for
12 therapeutic results.

13 (Slide)

14 Following the suggestions of the FDA, we focused
15 our attention on three key efficacy parameters, based
16 primarily on investigator and patient assessment. Other
17 measurements were supportive, such as ratings of individual
18 clinical signs by the investigator and skin features by the
19 patient.

20 (Slide)

21 Now let's turn our attention to the protocols using
22 Renuva. Three studies, two multi-center and one single
23 investigator study, have been filed to this NDA.

24 In the first study, in addition to Renuva, the
25 vehicle and two other concentrations were investigated; in

1 the second, one other. The third study was similar to our
2 early pilot studies. In this study, in addition to the face,
3 forearms were also evaluated in a paired-comparison manner.

4 (Slide)

5 All patients were required to have mild to moderate
6 photodamage and be between the ages of 30-50. No visible
7 actinic keratoses should be present, nor history of skin
8 cancer.

9 (Slide)

10 This slide illustrates the study events. The key
11 efficacy parameters are highlighted in yellow. The global
12 evaluation was done by the investigator at week 24; overall
13 severity at each visit; and the patient's overall self-
14 assessment at week 24.

15 Color photographs were taken at baseline, 3 months
16 and 6 months as a reference for the investigator to help him
17 in grading the patient's response. Examples are available,
18 if you would like to see them after the presentation, during
19 the question and answer session.

20 At baseline and 6 months 2 mm punch biopsies were
21 taken. Skin surface replicas were obtained at baseline, 3
22 months and 6 months.

23 (Slide)

24 This slide illustrates how the investigator
25 reported his global evaluation. This was done at the end of

1 therapy but was compared to baseline.

2 (Slide)

3 The investigator made a determination of overall
4 severity on a 10-point scale at each visit. At entry, all
5 patients had a rating between 6-1.

6 (Slide)

7 The patient's assessment was based on their answer
8 to the question, overall, compared to when I began using the
9 treatment my skin is worse, the same, somewhat improved or
10 much improved.

11 (Slide)

12 All 8 individual clinical signs of photodamage were
13 measured for the entire face at each visit. These measure-
14 ments formed, in part, the composite overall severity score.
15 Definitions of the clinical signs have been provided in your
16 material.

17 (Slide)

18 Like the investigator, the patient reported an
19 evaluation of their individual skin features.

20 (Slide)

21 Other measurements included skin surface replicas
22 and skin biopsies.

23 (Slide)

24 Skin surface replica evaluation has been published
25 by Dr. Grove and is in your material. So, in the interest of

1 time, I will just summarize the technique. Silflo, a dental
2 impression material, is mixed with a catalyst --

3 (Slide)

4 -- and placed in electrode rings in the crows feet
5 area and the cheek. There it polymerizes and becomes a
6 permanent record of the skin topography.

7 (Slide)

8 This is an example of a skin surface replica,
9 showing the fine detail of the topography of photodamaged
10 skin.

11 (Slide)

12 The 2 mm punch biopsies were sent to Boston
13 University Department of Dermatology for processing into both
14 plastic and paraffin sections. The 7 microscopic features
15 that were measured for the epidermis are listed here.

16 (Slide)

17 Additionally, 4 dermal parameters were evaluated.

18 (Slide)

19 Microscopic features, listed on this slide, were
20 graded directly by light microscopic observation.

21 (Slide)

22 This is an example of a slide from a plastic-
23 embedded section, stained with toluidine blue, showing
24 excellent morphologic detail which allowed the pathologist to
25 accurately grade the effects of topical tretinoin on the

1 skin.

2 (Slide)

3 Four parameters were evaluated using innovative
4 image analysis techniques.

5 (Slide)

6 Here is an example showing computer-enhanced image
7 analysis measurement of the epidermal area and the area of
8 the papillary dermis.

9 (Slide)

10 Let me again emphasize the key efficacy parameters:
11 Investigator's global evaluation at the end of therapy;
12 overall severity rating of photodamage measured at each visit
13 by the investigator; and the patient's overall self-assessment
14 done at the last visit.

15 (Slide)

16 Other measurements included individual signs rated
17 by the investigator and individual skin features rated by the
18 patient. These ratings help to characterize the time
19 response and the benefit patients will derive from treatment
20 with Renuva.

21 Skin replicas were shown in a pilot study to be a
22 useful adjunct in measuring the response of the skin surface
23 to topical tretinoin therapy. This response appeared to be
24 related to improvement in roughness and wrinkles. Skin
25 biopsies were intended to define the mechanism of action and

1 investigate the microscopic structural changes following
2 prolonged use of tretinoin.

3 (Slide)

4 Now I would like to introduce Dr. Barry Schwab, who
5 will present the statistical overview of the studies using
6 Renuva.

7 PRESENTATION BY B. SCHWAB, Ph.D.

8 DR. SCHWAB: Thank you. Today I will be discussing
9 the statistical aspects of the tretinoin emollient cream
10 studies for photodamage. The details of what I will be
11 discussing are contained in the written document that has
12 been provided to the Committee members. What I would like to
13 do is just briefly go over the approach to the data analysis
14 that was taken as a preface to the presentation of the
15 results by Dr. Worobec.

16 (Slide)

17 Essentially, I will be covering four points today
18 in my talk. The first one deals with our a priori determi-
19 nation of the key efficacy parameters of the study and the
20 importance of this from a statistical point of view; secondly,
21 adjustments to significance levels that were made in accor-
22 dance with the multi-center trials. The third point has to
23 do with additional intent-to-treat analyses that were
24 conducted and, finally, our evaluation of the consistency of
25 results across centers.

1 (Slide)

2 The first point deals with our focus on three key
3 efficacy parameters. Prior to the establishment of our data
4 bases and prior to the statistical analysis, a meeting was
5 held between representatives of the Robert Wood Johnson
6 Pharmaceutical Research Institute and FDA's Division of
7 Biometrics. At this meeting, our analysis plan was discussed
8 and our specification of three parameters as being the
9 primary parameters of the study was presented.

10 (Slide)

11 Again, these three parameters are the investigator's
12 global evaluation at the end of therapy; the overall severity
13 of photodamage, which was rated on a 10-point scale at
14 baseline and at each subsequent visit; and, thirdly, the
15 patient's overall self-assessment from the end of therapy
16 questionnaire.

17 Importantly, this a priori designation provides for
18 focused statistical inference and guards against searching
19 through the data for significant findings.

20 (Slide)

21 Another approach that was taken is that appropriate
22 adjustment to the statistical significance levels was made in
23 accordance with the design of the multi-center trials.

24 (Slide)

A feature of the multi-center studies is that

1 various concentrations of tretinoin emollient cream were
2 included. For example, in multi-center study 1, 3 concen-
3 trations of tretinoin emollient cream are included, as well
4 as a vehicle cream treatment group. So based upon this
5 design, appropriate methodology to account for these 3
6 comparisons was employed.

7 The procedure that was used is referred to as the
8 sequentially rejective Bonferroni procedure and I would like
9 to just briefly illustrate the stringency imposed by this
10 technique.

11 First, the p values for each comparison of tretinoin
12 versus vehicle would be computed and ordered from smallest to
13 largest. Then, rather than testing each at the 0.05 level of
14 significance, the first comparison would be declared statisti-
15 cally significant only if the p value is less than 0.017. If
16 it is, testing continues and the second comparison is
17 compared to the 0.025 level and, finally, the third p value,
18 corresponding to the third comparison, would be tested at the
19 0.05 level.

20 This approach is appropriate for the design at hand
21 but, importantly, gives the desired level of protection
22 against declaring differences between treatment groups when,
23 in fact, no difference exists.

24 (Slide)

25 The next point I would like to make has to do with

1 additional intent-to-treat analyses that were conducted or
2 analyses including all patients enrolled into the trial.

3 For our primary analyses, subjects may have been
4 excluded due to non-compliance or insufficient time on
5 therapy. Overall, the validity rates were quite high,
6 however. Approximately 93 percent of the subjects in the
7 first multi-center trial were included in the statistical
8 analysis and approximately 85 percent of the subjects in the
9 second multi-center trial and the single-center trial were
10 included in the analyses.

11 However, for completeness, additional intent-to-
12 treat analyses were conducted in multi-center trial 2 and in
13 all cases the results were in agreement with the conclusions
14 drawn from the primary validation analyses. Just as a note,
15 the results that Dr. Worobec will be presenting are based on
16 the valid patient analyses.

17 (Slide)

18 Additionally, the consistency of results across
19 investigators was evaluated via the statistical model. The
20 results of our analyses indicated generally no significant
21 trend by investigator interactions, signifying the consistency
22 of treatment differences from one investigational site to the
23 next within the multi-center trial. Observationally, we also
24 noted consistent findings from one study to the next.

25 (Slide)

1 So to summarize, a conservative approach to the
2 data analysis was taken, one that accurately reflects the
3 design and intent of these clinical trials. Based on this
4 approach, we observed consistent, reproducible results both
5 within the multi-center trials, as well as across the three
6 studies.

7 (Slide)

8 I will now introduce Dr. Worobec, who will present
9 the efficacy and safety results from the clinical studies.

10 PRESENTATION BY S. WOROBEK, M.D.

11 DR. WOROBEK: Thank you. Members of the Committee,
12 ladies and gentlemen, I will now present the results of the
13 clinical studies.

14 (Slide)

15 These studies were conducted by nine investigators
16 in geographically diverse areas of the United States.

17 (Slide)

18 In the first study 320 patients were enrolled and
19 93 percent completed the study. In the second multi-center
20 study 299 were enrolled and 88 percent completed the study.
21 In the third study, the design of which followed that of the
22 pilot study, 40 patients were enrolled and 85 percent
23 completed the study.

24 (Slide)

25 The patient characteristics were that the age

1 ranged from 29-58 years; 19 percent were men and 81 percent
2 were women. The grading of the overall severity of photo-
3 damage was mild for 35 percent; moderate for 65 percent. A
4 single patient, with an overall severity grading of 7 placing
5 her in the severe category, was also enrolled.

6 (Slide)

7 The efficacy parameters, which were presented by
8 Dr. Thorne, were as follows: The key efficacy parameters;
9 individual clinical signs and patient self-assessments; and
10 measures of the skin structure and surface.

11 (Slide)

12 We will first go over the results for the key
13 efficacy parameters, that is, the global evaluation, the
14 overall severity of photodamage at the end of the study
15 compared to baseline and the overall patient self-assessment.

16 (Slide)

17 In this and the following slides the bars represent
18 the percent of patients improved. There is one set of bars
19 for each study. The first multi-center study is on the left.
20 The second multi-center study is in the middle and the third
21 study is on the left.

22 Please focus on the green column which represents
23 patients treated with Renuva or tretinoin emollient cream
24 0.05 percent, and the blue column which represents vehicle-
treated patients. The asterisks in this slide and all the

1 ones that follow indicate that a statistically significant
2 result was present between the Renuva and vehicle-treated
3 groups.

4 In this slide we see the results for the first key
5 efficacy parameter, that is, the global evaluation of
6 improvement at the end of the study compared to baseline. A
7 greater percentage of patients improved in the groups treated
8 with tretinoin emollient cream 0.05 percent than the vehicle
9 treatment groups.

10 In the third study there were 18 patients in the
11 tretinoin emollient cream 0.05 percent group and 16 in the
12 vehicle group. Therefore, despite the difference seen here,
13 statistical significance was not achieved.

14 (Slide)

15 The second key efficacy parameter was the investi-
16 gator's rating of improvement from baseline in the overall
17 severity of photodamage. A greater percentage of patients
18 showed improvement in the tretinoin emollient cream 0.05
19 percent group than the vehicle treatment group. This
20 difference was statistically significant in all 3 studies.

21 (Slide)

22 Here is the third key efficacy parameter, the
23 overall patient self-assessment at the end of the study.

24 More patients applying Renuva, tretinoin emollient cream 0.05
25 percent, rated themselves improved than those who were

1 treated with the vehicle. This change was statistically
2 significant in the second large multi-center study.

3 (Slide)

4 Now let's look at the individual clinical signs.
5 First we will look at the improvement as rated by the
6 investigators. Then we will see the patient self-assessments.

7 Three of these, fine wrinkling, mottled hyper-
8 pigmentation and roughness, are designated in yellow, meaning
9 that these were statistically significantly improved in both
10 large multi-center studies. Laxity was significantly
11 improved in one multi-center study.

12 (Slide)

13 Here are the individual features as rated by the
14 patients. More patients treated with Renuva 0.05 percent
15 rated themselves improved than those treated with the
16 vehicle. Improvement in all of these was statistically
17 significant in the second multi-center study and improvement
18 in some was significant in more than one study group.

19 (Slide)

20 Now we see the consistency of the evaluation of
21 improvement in three individual clinical signs, which are
22 presented here for fine wrinkling, mottled hyperpigmentation
23 and roughness, as rated by the investigators and patients.

24 (Slide)

25 We will now go on to the measures of the skin

1 surface and structure that were done.

2 (Slide)

3 The skin surface replicas were analyzed by a central
4 investigator, May Joe Grove. Upon receipt, each replica was
5 placed upon a turntable stage, seen by her hand. A light was
6 shined upon the replica along the lines of the major skin
7 creases. This was termed the east-west orientation. Then
8 measurements were taken in a perpendicular direction, termed
9 the north-south orientation. A video image, (upper right)
10 was captured by a computer and analyzed. A mathematical
11 curve (lower right) was generated for the light and dark
12 areas. This led to the derivation of R_a and R_z values which
13 are explained in the following slide.

14 (Slide)

15 The R_z value is the average distance between the
16 tallest peak and the deepest valley within each of five
17 segments across the replica. Ten such scans were performed
18 for each replica. The R_a value is the average of the areas
19 within the peaks and valleys, created when a central line is
20 drawn across this curve. Both values measure surface
21 features, such as roughness and wrinkles.

22 (Slide)

23 Shown here is an example of the changes seen of the
24 results of a single value, the crow's foot R_z value in the
25 east-west orientation from the first multi-center study.

1 What we see here is the percent change from baseline for each
2 treatment group. A zero value means no change from baseline
3 to the end of the study at week 24. A positive value means
4 that this R_z value increased and a negative value means that
5 it decreased or that a flattening of the surface had occurred.

6 (Slide)

7 Here are the results for values analyzed within the
8 second multi-center study, showing the decreases seen at 6
9 months of tretinoin emollient cream 0.05 percent treatment
10 versus vehicle treatment. Complete tables for all the
11 studies are presented in the booklet which you have received
12 for this meeting.

13 (Slide)

14 Now let's look at the skin biopsy results at the
15 studies' end compared to baseline. The data from the first
16 two large multi-center studies will be presented and data
17 from the third study are currently being submitted.

18 The parameters shown here in yellow represent those
19 for whom there were statistically significant changes present
20 in both multi-center studies. Melanin content decreased to a
21 statistically significant degree in one study. There are no
22 changes from baseline in the other histologic parameters,
23 which were studied directly by light microscopy or by image
24 analysis.

(Slide)

1 Epidermal thickness increased by an average of 33
2 percent in both multi-center studies for patients treated
3 with tretinoin emollient cream 0.05 percent.

4 (Slide)

5 Here is an example of the increased epidermal
6 thickness in one biopsy. The baseline biopsy is shown to
7 your left and the end of treatment biopsy is shown to your
8 right.

9 (Slide)

10 Next are the changes detected in the granular cell
11 layer thickness. The granular cell layer increased by an
12 average of 55 percent consistently in both multi-center
13 studies.

14 (Slide)

15 Shown here is an example of a baseline biopsy (on
16 the left) where the granular cell layer is 2 cell layers
17 thick; and a 24-week biopsy from the same patient (on the
18 right) in which the granular cell layer is 4-5 cell layers
19 thick on a teluidin blue stained section.

20 (Slide)

21 The stratum corneum changed from a woven to a
22 compact morphology in approximately 70 percent of patients
23 treated with tretinoin emollient cream 0.05 percent in both
24 multi-center studies.

 (Slide)

1 This slide illustrates the change from a baseline
2 woven stratum corneum (on the left) to a compact stratum
3 corneum at 24 weeks (on the right).

4 (Slide)

5 These measurements of the change in skin structure
6 are in the same direction as the key evaluations of tretinoin
7 emollient cream 0.05 percent efficacy in the treatment of
8 photodamage.

9 (Slide)

10 Now let's review the safety parameters for all the
11 studies combined. These consisted of the direct elicitation
12 of the signs and symptoms of skin irritation at each visit,
13 and a collection of adverse event data at each visit.

14 (Slide)

15 The signs and symptoms of skin irritation were
16 rated on a 10-point scale at each visit.

17 (Slide)

18 The height of the retinoid response occurs at week
19 2. Here we see the mean grades for individual parameters at
20 week 2 and they are greater for the tretinoin emollient cream
21 0.05 percent group than for the vehicle group. However, they
22 are mild for the vast majority of patients. So the mean
23 grade is within the mild category for each of these para-
24 meters. These signs, while persisting, gradually decreased
during the course of the study.

1 (Slide)

2 Further safety data were collected during 3
3 extension studies. In the second one, all patients either
4 discontinued or decreased their application to once or three
5 times a week. In the third extension study, 435 patients
6 were entered, of whom 360 completed the study. So for this
7 group we have up to 2.5 years safety data.

8 (Slide)

9 The non-cutaneous, systemic adverse events occurred
10 in a low incidence and those which were reported for the
11 tretinoin emollient cream treatment group were comparable to
12 those reported for the vehicle.

13 (Slide)

14 No systemic retinoid adverse effects have been
15 reported by the investigators.

16 (Slide)

17 In summary, we have demonstrated consistency in the
18 results across the key efficacy parameters. The superiority
19 of Renuva 0.05 percent over vehicle is demonstrated by the
20 three key efficacy parameters, two of which were rated by the
21 investigator, that is, the global and the overall severity,
22 and one rated by the patient, their own self-assessment of
23 what their improvement had been.

24 (Slide)

This superiority is also shown by additional

1 parameters which include the individual signs of photodamaged
2 skin as rated by the investigator and the patient, biopsy
3 results and skin surface replica analysis.

4 (Slide)

5 I will now introduce Dr. Rob Wills, who will speak
6 about the percutaneous absorption studies that have been
7 performed with tretinoin in various formulations. Dr. Wills?

8 PRESENTATION BY ROB WILLS, Ph.D.

9 DR. WILLS: Good morning. What I am going to
10 present to you is our data on the percutaneous absorption of
11 tretinoin. I will also place these data into perspective
12 with respect to endogenous circulating concentrations of
13 tretinoin.

14 (Slide)

15 With that, I would first like to review what is
16 known about the percutaneous absorption of tretinoin. In the
17 six studies you see before you, radiolabeled tretinoin was
18 applied topically in either healthy subjects, patients with
19 acne or patients with psoriasis.

20 What you can see in the column labeled "absorption"
21 is that the absorption was minimal and the percent recovered
22 in the urine, for the most part, ranged from less than 1
23 percent up to approximately 8 percent of the applied dose.
24 In 1 study they also looked at feces. So this is a cumulative
amount. However, in none of these studies did they profile

1 the radioactivity in plasma.

2 (Slide)

3 In our studies we wanted to look at the percutaneous
4 absorption of Renuva and compare this to that of Retin-A, a
5 product on which we have a tremendous amount of clinical
6 experience.

7 We used healthy male subjects. The test article
8 was ³H-tretinoin. We applied a 50 mcg dose of tretinoin to
9 the area of the face. This is the target tissue for safety
10 and efficacy.

11 (Slide)

12 We looked at 5 test groups. We applied a single
13 application of radiolabeled drug Renuva to naive patients.
14 We also applied a single radiolabeled application of Renuva
15 after 28 days of daily application of unlabeled drug in the
16 second scenarios. We repeated these 2 scenarios for Retin-A
17 and we have just completed a single application of radio-
18 labeled drug after 1 year of therapy using Retin-A. In all
19 these studies we collected urine and feces for 7 days and
20 plasma up to 72 hours.

21 (Slide)

22 Our results were consistent with what has been
23 reported in the literature. Here is the cumulative percent
24 of the applied dose recovered in urine. If you look out in
time after collection, the percent recovered ranges from

1 approximately 0.6-1.8 percent of the dose. There was no
2 difference between formulations in time of therapy, again
3 suggesting minimal absorption.

4 (Slide)

5 The same case was true for feces. In this case
6 there was less percent recovered, approximately 0.6 percent
7 of the applied dose. Unfortunately, at the time of this
8 presentation, we have not completed the analysis after 1 year
9 of therapy.

10 (Slide)

11 As I mentioned previously, the other studies
12 reported in the literature had not measured or followed
13 plasma radioactivity. We happen to have done that. What you
14 see here is the picogram equivalence of tritium-labeled
15 tretinoin per milliliter of plasma versus time after appli-
16 cation.

17 As is typical with these curves, concentrations
18 rise with absorption and then decline with distribution and
19 elimination. As you also can see, the amount that we are
20 peaking after a single application is in the 20 pg/mL range,
21 again supporting a minimal absorption of these formulations.
22 These profiles appear to be independent of the formulation
23 and time of therapy. In fact, even here there is a trend for
24 diminished absorption after 1 year of therapy.

(Slide)

1 Where did those small picogram/milliliter levels
2 fall with respect to endogenous concentrations? In these 4
3 studies which are summarized from the literature, the
4 endogenous concentrations ranged from 1 to about 7 ng/mL.
5 This is approximately 100-fold what we observed from our
6 single applications.

7 Unfortunately, the best analytical method today
8 will not allow us to profile intact tretinoin or any of its
9 metabolites in that low picogram range. So to get a handle
10 on accumulation we need to project based on the radioactivity
11 curves.

12 (Slide)

13 If we do that and compare that to endogenous
14 concentrations -- as you recall endogenous concentrations are
15 in the 2-6 ng/mL range -- for Retin-A at the recommended dose
16 applied daily, we would predict 0.3 ng/mL steady state
17 concentration. Renuva at our suggested dose would provide a
18 steady state concentration of 0.5 ng/mL, which is 15-40-fold
19 less than is circulating endogenously.

20 We would also like to state that this level, even
21 though it is projected, is probably an overestimate because
22 it includes, as you know, radioactivity which has tretinoin
23 in its metabolites. But, clearly, these low levels would not
24 contribute significantly to the body pool of endogenous
25 retinoic acid.

1 (Slide)

2 In conclusion, we have demonstrated that the
3 absorption of ³H-tretinoin is minimal from topical application
4 of Renuva and that the additional body burden of tretinoin
5 from realistic topical doses of Renuva is insignificant and
6 would be an unlikely source of systemic toxicity or terato-
7 genicity.

8 Thank you. With that, I will turn the podium over
9 to Dr. Thorne.

10 PRESENTATION BY GEORGE THORNE, M.D.

11 (Slide)

12 DR. THORNE: Thank you, Dr. Wills. We presented an
13 update of our photodamage studies at our last FDA Advisory
14 Panel, in the spring. At that time, I stressed that the
15 method of application of topical tretinoin for photodamage is
16 comparable to acne treatment. Importantly, topical tretinoin
17 has an excellent safety record of over 18 years, with
18 approximately 16 million patients applying it and very few
19 having reported an adverse reaction. The vast majority of
20 those adverse reactions were limited to local effects.

21 In addition, I presented data addressing the
22 minimal percutaneous absorption, which makes the possibility
23 of systemic toxicity from topical tretinoin remote.

24 Dr. Rosa discussed the results of two FDA epidemi-
ological studies which suggested that any relationship

1 between topical tretinoin and fetal abnormalities was
2 consistent with chance occurrence.

3 (Slide)

4 After review of the written and oral material, the
5 Panel voted unanimously that topical tretinoin did not now
6 pose a teratogenic problem.

7 (Slide)

8 In summary, Renuva, with its high emolliency
9 formula, has been specifically designed for the treatment of
10 photodamage. Photodamage can be a serious skin disease,
11 characterized by specific visible signs which include fine
12 wrinkling, mottled hyperpigmentation and roughness. In
13 addition, microscopic damage occurs to the epidermis which
14 might eventuate into skin cancer.

15 (Slide)

16 Pilot studies suggested that clinical parameters
17 could be used to demonstrate efficacy. The FDA, the Advisory
18 Panel, consultants and investigators all provided valuable
19 input into the protocol design.

20 (Slide)

21 The first question for the Panel dealt with the
22 efficacy parameters. During our presentation, we focused on
23 three key parameters involving the investigator and patient
24 evaluation. All of the variables should be considered
25 additional data.

1 We used a focused, conservative statistical
2 analysis which demonstrated consistent results across the
3 three studies.

4 (Slide)

5 This slide illustrates the consistency of the key
6 efficacy parameters for all patients using Renuva and 78
7 percent of the patients treated with Renuva had global
8 improvement after 6 months of therapy, compared with 43
9 percent for the vehicle group. Remember, the vehicle
10 treatment group received the best currently available topical
11 treatment for photodamage.

12 Of the patients applying Renuva, 68 percent showed
13 improvement in overall severity, compared with only 38
14 percent for the vehicle.

15 Lastly, 81 percent of patients -- the ultimate
16 judges of efficacy for this indication -- believed they
17 improved after using Renuva for 6 months.

18 (Slide)

19 The measurement of the effects of Renuva on
20 individual clinical signs provide patients a guide as to what
21 benefits they might have and when they may occur. Fine
22 wrinkling, mottle hyperpigmentation and roughness were most
23 consistently improved during our 6-month studies.

24 (Slide)

Renuva produced 3 consistent changes in the

1 microscopic structure of photodamaged skin: An increase in
2 the area of the epidermis; an increase in the granular layer
3 thickness; and a change in the overall appearance of the
4 stratum corneum.

5 (Slide)

6 The results from computerized analysis of skin
7 surface replicas reinforce the investigator and patient
8 observation that fine wrinkles and roughness were improved
9 with Renuva.

10 (Slide)

11 Your second question regarding percutaneous
12 absorption of tretinoin after prolonged use was clearly
13 answered by data presented by Dr. Wills. It is obvious that
14 minimal amounts are absorbed even after prolonged use on
15 photodamaged skin.

16 (Slide)

17 Your written material contains these calculations
18 but I would like to highlight the safety factor, which is at
19 least 20,000. This is based primarily on a dose of 250 mg
20 applied to the face, and that is really where we expect
21 Renuva to be used. So at least 20,000-fold safety, based on
22 oral teratogenicity studies in rats, is evident.

23 (Slide)

24 In conclusion, we have presented data based on
extensive clinical testing which has shown that Renuva,

1 applied once daily, is safe and effective for the treatment
2 of photodamaged skin with improvement in fine wrinkling,
3 mottled hyperpigmentation and roughness. Potential risks are
4 limited to local irritation and the potential for any long-
5 term cutaneous or systemic toxicity is remote.

6 Thank you for your attention and we would be glad
7 to answer questions now.

8 DR. SCHROETER: Thank you, Dr. Thorne. Dr. Thorne,
9 would you mind staying at the podium to field questions,
10 either for yourself or for your staff?

11 I will open up the discussion now to both Committees
12 and to address the questions that you may have to R.W. Johnson
13 Company. Yes, Dr. Fleiss?

14 DR. FLEISS: I have a couple of questions on design
15 and statistics. The investigators seemed to be more sensitive
16 to treatment effects than the patients. Is there a chance
17 that the blinding that they were presumably working under was
18 somehow broken? Were there clues as to which patients were
19 getting active and which were getting just vehicle?

20 DR. THORNE: One of the interesting things about
21 topical tretinoin therapy is the effects that retinoids have
22 on the skin. What we tried to do in this study was to add
23 enough factors, such as additional concentrations of treti-
24 noin, to help confuse the investigators so that any kind of
unblinding would not be a factor. In fact, there was not

1 really a very good association of factors such as irritation,
2 the peeling and redness that we saw that the retinoids caused
3 with activity. So to answer your question, we do not think
4 that there was any biasing.

5 DR. FLEISS: On the statistical end, there was a
6 report that the intention-to-treat analysis confirmed the
7 efficacy analysis -- with respect to statistical significance
8 or just with respect to direction of difference?

9 DR. SCHWAB: Actually, both. They confirmed in
10 every way the conclusions that were drawn. We would see the
11 same statistical significance and again the same directions.
12 Because in the valid patients analysis so few patients were
13 excluded, we saw consistent findings.

14 DR. FLEISS: One final statistics question, you
15 used this sequential Bonferroni procedure. Why not the
16 Dunnett procedure?

17 DR. SCHWAB: We felt that this was good. It had
18 nice statistical properties, power properties and it was not
19 very labor-intensive. So it certainly is, we felt, an ap-
20 propriate procedure to apply.

21 DR. POMERANZ: I wonder if you would further define
22 local irritation. It is hard for me to believe that none of
23 these patients had any undesired side effects. I wonder if
24 any of them wandered out into the sun, which sometimes
25 aggravates patients.

1 DR. THORNE: As I mentioned, all patients in the
2 Renuva studies were instructed to avoid excessive sun
3 exposure and to use sunscreens. Actually, the number of any
4 problems associated with the sun are really very minimal.

5 DR. STEIN: I have some further questions about
6 methodology. Did you look at the reproducibility of your
7 skin surface replica results in a model of some sort in an in
8 vitro situation?

9 DR. THORNE: The skin surface replica material in
10 our study was, again, additional information. We used it not
11 really to see how they actually directly related to the
12 clinic because the entire face was used for the clinical
13 evaluation. Dr. Grove, who is with us today, did do studies
14 where he actually evaluated the skin surface replica and how
15 that was related to the clinical signs in the areas that the
16 skin surface replicas were done. That material was supplied
17 to you and it has been published. If you would like to have
18 more information, Dr. Grove is available to speak to that
19 point.

20 DR. STEIN: That is good. I am aware of that. But
21 did you look at the reproducibility of the technology itself?
22 Was there any question about that?

23 DR. THORNE: No, all the samples were sent to Dr.
24 Grove. They looked at all of them as far as techniques were
concerned and over 80, 90 percent of them were evaluable. So

1 they were evaluable and were of high quality.

2 DR. STEIN: Okay. So you were satisfied with that.
3 If you were, then why wasn't it weighted more or used more in
4 your overall evaluations?

5 DR. THORNE: Again, we focused on the two major
6 factors in our studies, the patient and the physician
7 evaluation of the skin. At the time we were doing the
8 studies, we did not have a lot of information about skin
9 surface replicas. While they are helpful, it still depends
10 on what the patient sees and what the physician can evaluate
11 with his hands, just as you would do with a patient who comes
12 into your office.

13 DR. STEIN: I realize the technique is very new and
14 I guess the point I am trying to make is that I think it has
15 a lot of potential from what I have read about it, what I
16 know about it and from what you presented today.

17 I am wondering if it could potentially be much more
18 objective. I am a little concerned about the subjectivity of
19 your global evaluations and I am wondering if potentially,
20 especially if we are looking at vehicles and ideal concen-
21 trations, that technique might be much more valuable and if
22 you might put greater weight in the future on that. You did
23 show some statistically significant results that were
24 published --

25 DR. THORNE: Right.

1 DR. STEIN: -- and I am just wondering if you
2 should emphasize that more in the future.

3 DR. THORNE: Certainly, the results of our skin
4 surface replicas were consistent with our clinical results
5 and they were statistically significant. I agree that in the
6 future it certainly may be a valuable adjunct to studies of
7 photodamage.

8 DR. STEIN: I think it would be nice to eliminate
9 some of the subjectivity, which was referred to previously,
10 in your investigator evaluations.

11 DR. THORNE: Again, the evaluations were all very,
12 very consistent. You would think that there would be a lot
13 of noise in this but really there was not very much. There
14 was very good consistency even with all of the additional
15 concentrations of tretinoin.

16 DR. TSCHEN: Is it possible that edema and cellular
17 infiltration accounts for some of the thickening in the
18 epidermis and dermis? Was that looked at?

19 DR. THORNE: As you know, in the pilot studies this
20 was looked at very closely by Dr. Voorhees and others, and
21 really very little edema was seen in any of the biopsies,
22 either clinically or under the microscope. In our studies we
23 did biopsies at baseline and at 6 months and we saw virtually
24 no inflammation and no evidence of any irritation, even after
25 6 months of therapy. So I think the answer is no.

1 DR. ABEL: I would like to come back to the issue
2 of the photosensitivity. I was wondering what type of
3 sunscreen was recommended. Was this a specific SPF number?
4 Also how does the acute photosensitivity affect the per-
5 cutaneous absorption? Were the absorption studies done in
6 patients who were using sunscreens regularly? Also could you
7 go into the issue of photodegradation and what happens to the
8 metabolism of Retin-A with photodegradation? This information
9 refers to the 13-cis-isomer.

10 DR. THORNE: The first question --

11 DR. ABEL: The sunscreen recommended. Was there a
12 difference in the patient who used sunscreens and those who
13 did not?

14 DR. THORNE: We provided all of our patients with
15 Sundown. However, they were free to use any sunscreen they
16 wanted and they were all at least SPF-15.

17 DR. ABEL: The second question is, would there be a
18 difference in the absorption studies in the patients who used
19 sunscreens and did not use sunscreens? Also how was it
20 photodegraded?

21 DR. THORNE: The studies that Dr. Wills reported
22 on, for 28 days pre-application all the patients were given
23 sunscreens and told to avoid sunlight, just as any other
24 Retin-A patient would be. So those were all done in the face
of sunscreen applications, to the best of our knowledge. We

1 cannot tell because we did not have a control group that did
2 not use sunscreens. So they all used sunscreens.

3 DR. ABEL: They all used sunscreens?

4 DR. THORNE: Right.

5 DR. ABEL: All right. What are the studies on
6 photodegradation and the 13-cis-isomer?

7 DR. THORNE: We have not done any particular
8 absorption studies looking for 13-cis or photodegradation.
9 You have to understand that the amount that gets through the
10 skin is so infinitesimally small that it is very difficult to
11 quantify those sorts of things.

12 DR. WOODLEY: I wanted to ask you a question about
13 the skin replica stuff again. Your vehicle is an emollient
14 and my mother tells me that when she puts vaseline on here
15 face, her wrinkles get a lot less noticeable. If I read you
16 results correctly, it looks as if the vehicle itself actually
17 make the skin replicas worse. There was a positive number.
18 That would be somewhat against what most women would think,
19 or maybe men too, who are applying emollients to wrinkled
20 skin. They usually feel they look less noticeable. So I am
21 trying to figure out why your vehicle actually had objective
22 results that were a worsening of the wrinkling -- your R_a and
23 R_z values.

24 DR. THORNE: One thing I did not mention was that
all patients were required to stop using their emollients and

1 the test creams 48 hours before they took the replicas. Also
2 this was done toward the wintertime when the skin tends to be
3 rougher. So I think that is one of the attributes of
4 tretinoin to the emollient. It did not make the skin
5 smoother even after stopping for 48 hours.

6 DR. WOODLEY: You had a range of patient ages from
7 29, I think, up to 50 or so. Were there differences in the
8 skin replica R_a and R_z values depending on age? I mean, you
9 would predict that a 29-year old would be different from a
10 50-year old.

11 DR. THORNE: Baseline difference?

12 DR. WOODLEY: Yes, baseline difference because it
13 would give some validity to that procedure.

14 DR. THORNE: Dr. Schwab, do you recall any statisti-
15 cal differences?

16 DR. WOODLEY: Specifically in the ages of the 29
17 versus --

18 DR. THORNE: You know, there were not that many.
19 The mean age was 43 or so and most everybody clustered around
20 that. So I do not think that we probably had a large enough
21 piece of data from our studies to segregate them.

22 DR. GROVE: I do not know if you have done it in
23 your studies but we have done other studies where we have
24 looked at the relationship of the R_a and R_z parameters with
age, as well as severity of photodamage. The more important

1 component is the degree of photodamage because you can have
2 individuals who are, say, age 50 who have the same chronologi-
3 cal age but have completely different physical appearances,
4 largely due to whether they have had outdoor exposure,
5 occupational -- tennis players, golfers, versus people who
6 had worked inside, used sunscreens and so on. So the more
7 important correlation is towards the degree of photodamage
8 and the replicas do correlate very nicely with that. But
9 also there is a very good correlation with age, but it is the
10 photodamage that is the better correlation.

11 DR. SCHROETER: You are from R.W. Johnson?

12 DR. THORNE: No, this is Dr. Gary Grove.

13 DR. SCHROETER: Please identify yourself. Anybody
14 using the microphone, please identify yourself.

15 DR. GROVE: Dr. Gary Grove.

16 DR. SCHROETER: In addition to that question,
17 before I let my other colleagues ask questions, Dr. Thorne, I
18 realize that you have some age-defined parameters here. I
19 see no one in the seventh decade here. The group was not
20 randomly selected?

21 DR. THORNE: No. We really set out to look at one
22 age group, the people with mild to moderate photodamage,
23 because they really have photodamage with a lot of chronologi-
24 cal encumbrances, such as skin cancers and actinic keratoses,
25 which I think should be treated in a different manner. That

1 is why we did not choose this particular population for these
2 studies.

3 DR. SCHROETER: Yes, but therein lies a significant
4 factor. If you are going to eliminate those in the seventh
5 decade of life or even the sixth decade of life, you are
6 eliminating a number of people who are going to be using this
7 and are they more difficult to treat? Are you implying that
8 by your choice of a less severe photodamaged patient?

9 DR. THORNE: No, we set out to specifically look at
10 this patient group because of the other attributes that they
11 lack. We have other studies, for other reasons, with higher
12 concentrations of tretinoin which we think will be more
13 appropriate for patients with actinic keratoses and other
14 sorts of things. But this particular age group that we are
15 looking at in the studies that we defined was for mild to
16 moderate photodamage.

17 DR. EVANS: Dr. Thorne, you are aware that we are
18 particularly concerned about the prospect of percutaneous
19 absorption of this product and what will happen as a result.
20 This product will be by prescription but, at the same time,
21 it will be perceived as a cosmetic. There is the potential
22 for its use over extensive areas, much wider than would be
23 used for Retin-A in acne. You have given us some data which
24 indicate that there are minimal levels of absorption, even
25 though those minimal levels went up to 8 percent in one case.

1 You characterized this as an unlikely source of toxicity.

2 My question to you is, even if it is unlikely, is
3 it possible that such a product, used over a period of time,
4 has the potential for causing embryo toxicity? Is it
5 possible?

6 DR. THORNE: To my way of thinking, from all the
7 work that I have done, that the Company has done and the
8 people we have talked to, the answer is no.

9 DR. SCHROETER: The answer is no but in your in
10 vivo studies did you show a cumulative effect of the drug or
11 its metabolites that would indicate a cumulative effect in
12 terms of building up of concentration that would cause a
13 teratogenic effect over a period of time? Because we are not
14 talking about just a short period of time as in your absorp-
15 tion studies; we are talking about long-term use.

16 DR. THORNE: Well, we had the one study where we
17 actually took patients who had been using it for over a year
18 on photodamaged skin and they really had less absorption than
19 the patients who just used it for 28 days. As you know,
20 tretinoin does not really accumulate in the body. You can
21 take it any way you want to and it does not accumulate. So I
22 think the data are that you do not get above endogenous
23 levels.

24 DR. SCHROETER: That is very true but we are
25 talking about a cumulative effect on placental products or

1 embryo.

2 DR. THORNE: You do not absorb enough of the drug
3 to get above baseline levels -- endogenous levels.

4 DR. SCHROETER: Dr. Evans, sorry to interrupt you.

5 DR. EVANS: You did not interrupt; that was my
6 question.

7 DR. ABEL: As there is no sunscreen in this
8 preparation, if women who use it did not use the sunscreen,
9 how would that affect the absorption and what are the data on
10 how it is absorbed if they were not to use a sunscreen and
11 they had a photosensitivity reaction?

12 DR. THORNE: Well, since we did not have any
13 experience with patients in any of our percutaneous absorption
14 studies of having sunburns or having any problems with that,
15 which is really a very rare sort of phenomenon, I really
16 cannot answer that, except that I doubt that we would have a
17 problem with absorption. The package insert specifically
18 says that if people do have sunburned skin, they should not
19 really apply it until the skin completely heals. So we do
20 recommend that as part of our labeling.

21 DR. WILLS: Rob Wills, PRI. Maybe I can lend some
22 additional information on that. Actually, all of our well-
23 controlled studies for percutaneous absorption mimicked what
24 we did in the clinic. So we applied sunscreens and we looked
25 for absorption in that scenario.

1 The slide I showed with all the literature, the six
2 studies from the literature -- looking through those, none of
3 those studies, to my knowledge, used sunscreen and, yet, our
4 percent absorption was consistent with what was being
5 reported in the literature.

6 So my guess would be that the sunscreen probably
7 does not make a difference in terms of the absorption of this
8 product from any of the formulations that have been tested to
9 date. I hope that answers the question.

10 DR. THORNE: The application of sunscreens are, as
11 you know, in the morning. We recommend this be placed on at
12 night and in the morning patients should use a sunscreen and
13 moisturizer. So it is washed off anyway.

14 DR. WOODLEY: I might have missed this in your
15 protocol book but I know that you had a large number of women
16 in the study that went on for a while. So I am wondering if
17 there have been women who have had children while on the
18 study or were pregnant while on the study, and if you have
19 followed any of the outcomes of those or have any information
20 about that.

21 DR. THORNE: Dr. Worobec is coming to the microphone
22 to help answer that question.

23 DR. WOROBEK: Yes, there were three main studies
24 presented and extensions of these studies. So the pregnancies
25 that did occur, occurred mostly during the extension phase,

1 as you brought out. There were seven pregnancies in these
2 three studies and their extensions. Of these seven preg-
3 nancies, three women went on to deliver healthy babies. One
4 woman had a miscarriage between four and a half and five
5 weeks and she had a prior history of a miscarriage at the
6 same time. For one patient we do not have follow up. Two
7 patients elected for personal reasons to terminate the
8 pregnancy.

9 DR. PECK: I have a number of queries about your
10 percutaneous absorption studies. First of all, these have
11 been done in males only. Is that correct?

12 DR. THORNE: Yes. Yes, Dr. Wills is coming to the
13 microphone now.

14 DR. WILLS: Yes, we only conducted these in males
15 because of the radioactivity that we used to administer
16 topically. Giving radioactivity to females is difficult.

17 DR. PECK: What can you say about the possible
18 differences in percutaneous absorption between males and
19 females?

20 DR. WILLS: We have no clinical evidence from
21 percutaneous absorption sites to know that, other than one
22 study where unlabeled drug was administered to women for 28
23 days or so and they looked at endogenous concentrations with
24 the best available methods and could not detect anything, as
a matter of fact, in plasma. So those are the best data that

1 are available.

2 DR. PECK: Have you considered undertaking in vitro
3 studies?

4 DR. WILLS: At this point we have not, no. For sex
5 difference? No.

6 DR. PECK: What was the age range in the males in
7 these studies?

8 DR. WILLS: It was 19-57.

9 DR. PECK: In the percutaneous absorption studies?

10 DR. WILLS: Yes.

11 DR. PECK: What can you tell us about an age effect
12 on percutaneous absorption?

13 DR. WILLS: I think the numbers in these studies
14 were fairly small as we were trying to limit the number of
15 people exposed to radioactivity. My summation from those
16 data is that you would not be able to get a yes or no
17 concerning age.

18 DR. PECK: Richard Guy, at the University of
19 California, has recently published some information indicating
20 a systematic decrease in percutaneous absorption of a number
21 of model compounds. You may want to take that into consi-
22 deration when you are interpreting your data.

23 How do you explain the rather significant difference
24 between your studies and that of Tom France? You report less
than 2 percent absorption, whereas, Dr. France reports 5-7

1 percent.

2 DR. WILLS: If you look at our combined absorption,
3 feces and plasma, it was somewhere over 2 or around 2
4 percent. I think sometimes when you are dealing with those
5 small percentages and those levels of radioactivity, that is
6 experimental error, in my opinion.

7 DR. PECK: That would not lead us to have much
8 confidence in any of your data if you think that you cannot
9 distinguish between 2 percent and 7 percent.

10 DR. WILLS: Well, some of the other studies in the
11 literature are down to less than 1 percent total recovery and
12 some went up as high as 8. So there is quite a bit of
13 variation across all the literature, including our work. But
14 they are consistently in the low percentage absorption range.

15 DR. POMERANZ: I was wondering if the fetuses that
16 were aborted, either spontaneously or electively, were
17 studied pathologically for any evidence of abnormalities.

18 DR. THORNE: Not that I am aware of.

19 DR. NIEBYL: How could you study it in five weeks?

20 DR. SCHROETER: Could you identify yourself, please?

21 DR. NIEBYL: I am Jennifer Niebyl. I am an
22 obstetrician. I am saying if someone has a miscarriage at
23 five weeks, you cannot find a fetus to study for pathological
24 abnormalities. You might be able to look at tissue levels in
some other country, as in that New England Journal article

1 but it is too early to look at the fetus for morphologic
2 abnormalities.

3 DR. POMERANZ: Did they say that they were all at
4 five weeks? What were the ages involved?

5 DR. WOROBEK: The spontaneous miscarriage was at
6 4.5-5 weeks. There was identify of embryonal tissue made.
7 In the 2 women who elected for personal reasons to have
8 termination, we do not have the follow up because these were
9 personal decisions of their own.

10 DR. STEIN: I am also concerned about the diffe-
11 rences in absorption reported by different investigators.
12 Obviously, there are some problems with the methodology and
13 there may be a statistical problem in detecting very small
14 differences. But have you looked at people with disorders of
15 keratinization that may have a disrupted epidermal barrier
16 without inflammation? That may potentially serve as a good
17 model for looking at absorption.

18 DR. THORNE: We have not really formally tested
19 that. We have gotten blood levels from patients who have had
20 lamellar ichthyosis and other things, who have actually used
21 Retin-A all over their bodies, and we were unable to detect
22 tretinoin in their blood. Of course, that is fairly sensi-
23 tive, at least down to 2 ng. Tretinoin is absorbed about 1-2
24 percent, no matter what you do. As long as you have stratum
corneum there, it seems to block it. We have in vitro data

1 which really correlate what we have in vivo.

2 Additionally, the study that Dr. Wills reported on
3 was actually on 42 patients, which is a very large study, and
4 everything in that study was consistent. So that was a large
5 patient population.

6 DR. STEIN: That is exactly the kind of thing that
7 I think needs to be done. Do you just have one or two
8 patients or --

9 DR. THORNE: With ichthyosis?

10 DR. STEIN: Yes.

11 DR. THORNE: I think we did it on two patients. We
12 do not have that large a population. We could certainly
13 think about doing that.

14 DR. STEIN: Yes, I think that would be ideal. It
15 would be methodologically difficult but it would be ideal to
16 try to account for as much of the drug as you could overall.

17 DR. SANDERS: John Sanders, medical officer at the
18 FDA. At the NIH symposium about a year ago, many in the
19 audience mentioned the word edema as a result with Retin-A.
20 Have you had any post-treatment analyses with these patients
21 to show that this therapy is not associated necessarily with
22 edema?

23 DR. THORNE: We have one slide that might summarize
24 the effects with people who stopped therapy, because that is
25 a question that was asked at one Advisory Panel previously --

1 what happens when you stop patients, which is very difficult
2 to do, I might add. It is very hard to stop patients using
3 Retin-A or Renuva, in this case.

4 (Slide)

5 This summarizes a group of patients who were
6 treated for 12 months with Renuva and then either stopped or
7 they applied it once weekly or they applied it 3 times
8 weekly. This is after 6 months. These are preliminary data.
9 Unfortunately, we do not have the complete time frame. But
10 essentially 49 percent of the patients maintained the
11 improvement they had after 1 year of topical Renuva therapy;
12 44 percent worsened; 7 percent improved a little bit. If
13 they applied it once a week, they could maintain this
14 improved state to a higher level. If they used it 3 times a
15 week, they got more improvement, better maintenance and
16 substantially lower degree of worsening. This is after 6
17 months after stopping the material.

18 So, again, this is good affirmation of the fact
19 that irritation probably does not play a major role in this.

20 DR. SCHROETER: Dr. Thorne, I have a particular
21 question that I think is important. It is assumed that your
22 labeling will be for therapy of photodamaged skin. Among the
23 parameters included in photodamaged skin is epidermal
24 dysplasia or keratinocyte dysplasia or atypia, as you have
25 given both terms, and melanocytic atypia. Your biopsies

1 showed thickening of the epidermis and normalization of the
2 cornified layer and thickening of the collagen and ground
3 substance in the dermis. But I saw no data whatsoever to
4 support the contention that you have eliminated atypica or
5 epidermal dysplasia that is associated with actinic keratosis.
6 If, indeed, there are no data, then I do not think you can
7 use this in your labeling.

8 DR. THORNE: The group of patients that we selected
9 really did not have actinic keratoses. They did not really
10 have much keratinocytic atypia, which were interested by. We
11 thought that if we biopsied patients they would have a fair
12 amount of microscopic atypia, even without visible actinic
13 keratoses. But that does not seem to be the case. So the
14 reason we did not change it very much is because there was
15 not really very much there to begin with.

16 DR. SCHROETER: Well, this is an extremely important
17 public health concern because we have the primordial lesion
18 of squamous cell carcinoma incurred in actinic keratoses and
19 what is implied by improving or therapeutically affecting
20 photodamage is to eliminate this progression. In fact, to
21 the contrary, although it is a different model system in
22 xeroderma pigmentosum, recent studies show that using Retin-A
23 topically or another retinoid internally, and we assume that
24 it will give some comparable effect, only suppressed possibly
the occurrence of squamous cell carcinoma, basal cell

1 carcinoma, as well as melanoma. There is another study that
2 was given at the AAD, a poster last September, that showed
3 that possibly we were only suppressing atypia in the epidermis
4 of patients who had significant dyskeratoses beforehand. So
5 I think that this has to be approached before you can make
6 that labeling. Dr. Peck?

7 DR. PECK: I am a little concerned about your
8 characterization of the last Committee meeting discussion of
9 this. As you know, this was a closed meeting. So at the
10 moment the transcript is not available for public scrutiny.

11 My recollection of the discussion of the safety
12 matter was very different from yours. It was a rushed
13 discussion. Significant safety concerns, particularly
14 regarding cutaneous metabolism, systemic absorption, accumu-
15 lation in the fetus, teratogenicity and so forth, were
16 expressed and I believe there was a consensus that there was
17 a very inadequate data base available to make that assessment.

18 I wonder if you want to stick by your fairly rosy
19 and optimistic characterization of that or if you would like
20 to give us permission to release the transcript for public
21 scrutiny. Just how would you like to interpret that now?

22 MR. OHYE: Ohye, R.W. Johnson Pharmaceutical
23 Research Institute. We believe in regulation in "sunshine".
24 So if the Agency would like to release the transcript, would
25 have no objection.

1 DR. FLEISS: We began discussing the biopsy
2 results. One of the questions posed to us is how do biopsy
3 results compare to other outcome measures in importance?
4 This morning is the first time that we were presented any
5 data at all concerning the biopsies.

6 DR. EVANS: Let me say, Dr. Fleiss, that one of the
7 reasons that you have been presented more data on the
8 efficacy aspect of it is because the FDA staff has not
9 finished the review of the efficacy analyses for this
10 product. We wanted to make sure that the parameters that we
11 were discussing were, indeed, the most important ones and how
12 to relate one with the other. We did not want to give you
13 the efficacy data from our perspective until they are in.

14 DR. SCHROETER: Dr. Hulka, do you have any questions
15 regarding the issues, or your Committee?

16 DR. HULKA: I do not.

17 DR. SCHROETER: Do any of the members of the
18 Fertility and Maternal Health Drugs Advisory Committee have
19 questions that they want to address to the R.W. Johnson
20 Company and their IND proposal?

21 DR. SCHLESSELMAN: Jim Schlesselman. I would like
22 to go back to the issue that Dr. Peck was raising earlier
23 about the absorption of radiolabeled topical tretinoin and
24 ask for a clarification of the dosing that was done in the
25 studies of absorption; for someone also to address the matter

1 of factors that are known or suspected to affect the absorp-
2 tion of such a drug and address the question of what was done
3 in the design of the studies to control for these factors;
4 finally, to talk about what are likely to be the extremes of
5 dosing to occur in practice and how extremes of dosing were
6 accounted for in the studies that we had a glimpse of today.

7 DR. WILLS: Rob Wills, PRI. You had quite a few
8 questions there, if I could ask you to repeat the first one,
9 I will start from there.

10 DR. SCHLESSELMAN: Why don't we start with factors
11 that are either known or suspected to affect the absorption
12 of this drug?

13 DR. WILLS: Percutaneous absorption?

14 DR. SCHLESSELMAN: Yes, that is right.

15 DR. WILLS: In our study that we ran for per-
16 cutaneous absorption, we basically controlled for photo-
17 exposure which we know can affect absorption since you can
18 have photodegradation. These people were classified as
19 having photodamaged skin. So we know that with abraded or
20 severely compromised skin you can affect absorption. In this
21 case, these people were typical of what we planned to treat
22 for the indications.

23 Other factors that might affect the percutaneous
24 absorption of products would largely involve the integrity of
the skin or concomitant ointments, creams or things you may

1 put on that would act as a second barrier. In our studies,
2 those were not available or we did not use those and we
3 controlled our skin. That is about what we can say concern-
4 ing our studies.

5 DR. SCHLESSELMAN: So the conditions under which
6 the tests were done would not be likely to represent the
7 extremes under which the drug might be used in actual
8 practice.

9 DR. WOROBEK: In a 28-day pretreatment group we
10 actually had patients come in every evening and we had nurses
11 apply the medication to the facial area, just to be assured
12 that it was being pretreated for 28 days because the issue
13 comes up of factors that may affect percutaneous absorption
14 and one is any irritation. So we wanted to see, in the face
15 of this, by controlling the application, what the absorption
16 would be. So we did the best we could to make sure it was
17 being applied; that we were seeing what would happen in the
18 face of this retinoid response as well.

19 DR. NIEBYL: It seems that a very similar product,
20 Retin-A, has been on the market for quite a long time. Could
21 you review the pregnancy experience with patients who used
22 Retin-A in early pregnancy? The last time we reviewed this,
23 we had no evidence of teratogenicity.

24 DR. THORNE: Yes, and the same is still true. Over
approximately 20 years that Retin-A has been used in the

1 treatment of acne, there have been approximately 8 instances
2 of pregnancies which had an outcome of fetal abnormalities,
3 with mothers who had been using topical tretinoin. That is
4 approximately a million pregnancies and about 9 (sic)
5 examples. So it is not --

6 DR. NIEBYL: So it reduces birth defects!

7 DR. THORNE: We do not go that far. None of the
8 fetal abnormalities resembled those seen with other retinoids.

9 DR. SCHROETER: We have time for maybe one last
10 question. Dr. Woodley?

11 DR. WOODLEY: I wanted to ask if you were able to
12 analyze the subjective data with the biopsy results and image
13 analysis results to see if there is a correlation. I know
14 you did correlation between studies but actually in a few
15 individuals, like in individual A, did the subjective
16 evaluation of individual A correlate with the objective
17 parameters of improvement? Or was there some discordance in
18 that? You had a lot of patients. So you would maybe need to
19 do only a handful of them to make sure that there was
20 concordance between the subjective and objective evaluations.

21 DR. THORNE: The way these studies were designed,
22 we really could not do that because the biopsies were taken
23 from a very, very small part of the skin while the clinical
24 signs came from the entire face. So it was very difficult to
correlate exactly what was happening in the biopsy with what

1 the clinicians were seeing. The studies were not designed to
2 answer that particular question.

3 DR. WOODLEY: But did you look? I know they were
4 not designed that way but, let's say, Betty Lou had subjective
5 evaluation by herself and by an investigator and showed
6 improvement, what happened with her biopsy and what happened
7 with her image analysis results?

8 DR. THORNE: The best association was with melanin
9 pigmentation. Those patients who had decreases in melanin
10 did, indeed, have a decrease of melanin in their biopsies.
11 So that was about the best we could really correlate using
12 the histologic techniques. But, again, the studies were not
13 really designed to pick that up.

14 DR. SCHROETER: At this point, I would like to
15 focus the Committee's attention to the questions that have
16 been constructed by the FDA. These include the questions
17 that are in the material that you received, dated May 3,
18 1990.

19 The first question: Efficacy parameters used in
20 the evaluation of this product by the sponsor are -- and
21 let's just go through these in a systematic fashion. I want
22 to remind you that this drug is not being considered in terms
23 of its labeling. Labeling has not been produced or submitted.
24 But the FDA is asking this Committee to evaluate the para-
meters that they have listed here and that the Company is

1 using to support their thesis of eventual labeling for
2 efficacy and safety. I think that is as succinct as it can
3 be at this particular time.

4 So let's start at the top:

5 - Changes in the signs of photodamaged skin
6 reported by the investigator. Are these appropriate? Are
7 these equally important? -- all of these, but I think we
8 should go down the list.

9 No comment? Then you feel that all these --
10 changes in skin characteristics reported by the patient;
11 biopsy results; skin surface replica analysis results -- all
12 of these are really important? Yes, Dr. Stein?

13 DR. STEIN: Do you just want to call each person
14 individually?

15 DR. SCHROETER: Sure, if you wish. There may be no
16 comment. I presume that if you are silent, that is consent.

17 DR. STEIN: No. No, I would like to comment.

18 DR. SCHROETER: Dr. Stein?

19 DR. STEIN: I am really concerned about the
20 subjectivity of (a) and (b) at least. I am wondering if
21 really in future more emphasis should not be placed on the
22 skin surface replica analysis. I think the methodology needs
23 further developing but, as I said, I think it has a lot of
24 potential.

I am wondering if there is not some way to use that

1 technique to actually measure the area; to somehow integrate
2 the curves that are being looked at; to measure the total
3 area and somehow develop a statistical test of that. I just
4 cannot answer that.

5 DR. FLEISS: It is the patient's subjective
6 complaint that brings the patient in for care. I would put
7 the patients' responses, subjective as they are, as number
8 one. If we need larger sample sizes to overcome the vari-
9 ation that produces, so be it. But I would put the patient's
10 report as number one; investigator's report as number two;
11 the skin surface replica results as number three. I do not
12 have the foggiest idea what the biopsy results are like. So
13 I cannot put that anywhere.

14 DR. ABEL: Well, I agree that (a) and (b), the
15 subjective evaluation by the investigator and the patient,
16 are very important. In regard to the biopsy results, I would
17 like to see some information on the patients for whom this is
18 going to be widely used, and those are the severely photo-
19 damaged elderly patients on whom these studies did not focus.
20 I would be interested in the skin surface replica analysis
21 results.

22 Getting back to the biopsies, the question of what
23 the effect is on the epidermal dysplasia and melanocytic
24 dysplasia is important.

DR. SCHROETER: Dr. Pomeranz, any questions or

1 comments?

2 DR. POMERANZ: No.

3 DR. SCHROETER: Dr. Tschen?

4 (No response)

5 Dr. Woodley, any additional comments?

6 (No response)

7 I think that all of these are equal. If they are
8 equal, then they all have to be answered. I feel that the
9 techniques are new but I think that they do represent a
10 significant evaluation, not only subjectively, which I think
11 you have obtained, but the new technique of modeling in terms
12 of electronically evaluating does give you some objective
13 numbers to hang on to and I think that is good and the skin
14 surface replica analysis should be pursued.

15 I am concerned about two things: One, the biopsy
16 results, as I have expressed my concern before and as Dr.
17 Abel has, your population group does not answer the question
18 of whether you are therapeutically and prophylactically going
19 to deter the continued occurrence of actinic keratoses or
20 keratinocyte dysplasia and melanocytic dysplasia or atypia by
21 the use of this compound. Until you answer that, I do not
22 think that you can label it as being therapeutic in those
23 parameters.

24 That leads me to the final item. You must have
more patients in the severely actinic damaged group, whether

1 they be aged or not. It would be presumable that you would
2 get more aged patients and, therefore, get more severe
3 photodamaged skin than what you have at the present time to
4 evaluate.

5 DR. BILSTAD: Jim Bilstad, FDA. I just wanted to
6 comment on the subjective parameters. Obviously, there are
7 many situations in which we use subjective parameters in
8 clinical studies, usually rated on some sort of scale. They
9 become a particular problem when blinding is a problem. I
10 guess I would raise the issue here. How convinced are we
11 that the blinding was complete here? Could erythema induced
12 by the drug have basically unblinded the study?

13 DR. STEIN: I want to echo that because, you know,
14 perhaps the subjective question is a more important question
15 but the reason I am raising it is also in relation to how
16 well it can actually be blinded.

17 I would like to ask a tangential question. Also I
18 assume or I suspect that you the 0.25 concentration and, if
19 you are not, do you intend to do that?

20 DR. SCHROETER: Does somebody from the Johnson
21 Company want to answer that question?

22 DR. THORNE: The NDA that we are discussing today
23 is Renuva at 0.5 percent tretinoin emollient cream.

24 DR. SCHROETER: Dr. Hulka, do you or your group
25 want to make any comments regarding the first question here?

1 Are these parameters equal in terms of their use and evalu-
2 ation?

3 DR. HULKA: Well, I would only echo the point that
4 if these were truly blinded trials and if, particularly, the
5 subjects were blinded and, of course, the investigators, then
6 I agree that the subjective reaction of the subject is the
7 most important outcome and, secondly, the investigator's
8 reaction. But the issue here is blinding.

9 DR. SCHROETER: Does anyone else on the Panel want
10 to make any comments? Dr. Woodley?

11 DR. WOODLEY: I think you have to say that at some
12 level, at least, this study could not possibly be totally
13 blinded. I think what you see in the results really reflects
14 that. You see that the investigators feel subjectively that
15 there is a little better improvement than the patients, who
16 feel that there is an improvement but not to the same degree
17 as the investigators. That is a little bit unlike most
18 studies that are blinded. Usually patients hope against hope
19 and their subjective evaluation is a little better than the
20 investigator's evaluation.

21 I think what happened in this study is that there
22 was some mild erythema and some of the typical topical
23 effects of tretinoin. That resulted in the investigator
24 being able to know who was and who was not on the drug. That
25 little bit of erythema was perceived by the patient a little

1 bit as a negative. That is why I think the patients did not
2 respond as positively as the investigators because they had a
3 little topical tretinoin effect on their face and that was
4 perceived a little bit as a negative. I suspect that is what
5 happened.

6 DR. SCHROETER: Would you identify yourself?

7 DR. NIGRA: Tom Nigra. I am one of the clinical
8 investigators who did this study. David, I can tell you in
9 practicality how we did it. We had 100 patients. We had
10 those early side effects and, yes, I think that some of the
11 erythema that occurred in some of those patients was a little
12 more than occurred on the vehicle. We had at least 2
13 concentrations of drug, high and low concentrations, and both
14 of them produced erythema.

15 Six months later -- I do not remember patients that
16 well, not 100 patients -- that patient came in. We had the
17 photographs. We had the patient. We did our global evalu-
18 ation at that time. I, personally, did not look back to find
19 out if they had erythema early on. I could have done that.
20 But from my point of view, as an investigator, that would
21 have put a bias in and so I did not do that.

22 DR. PECK: I would like to pose a question to our
23 two statisticians, Dr. Fleiss and Dr. Schlesselman. The use
24 of the sequential Bonferroni procedure -- this particular
procedure is new to me and, in fact, counter-intuitive,

1 although I do notice that there are two citations, one in the
2 Scandinavian Journal of Statistics, and one in a book on
3 multi-comparisons. So, conceivably, this is quite legi-
4 timate. Would you comment on your reaction or your judgment
5 as to the appropriateness of this particular procedure as
6 applied here?

7 DR. FLEISS: It is relatively new to me. I have
8 seen references to it in the literature. I have never used
9 it. This is the first time I have actually seen it applied
10 to real data.

11 What I did, frankly, was to apply the multiple
12 comparison procedures I am familiar with and experienced with
13 to these same data and I came up with exactly the same
14 results. I have to look more carefully at the procedure to
15 really comment on its validity but, on the face of it, it
16 seems all right.

17 DR. SCHROETER: Dr. Schlesselman?

18 DR. SCHLESSELMAN: Well, I place more stock in the
19 replication of the findings across the three centers than I
20 do in any test of significance that is done on the bases of
21 any one procedure, however cleverly devised. So to me what
22 is important is the consistency of the findings across
23 centers, rather than the significance or lack of significance
24 found within any one center. I really am not one to think
that there is much of a multiple comparisons problem here

1 with the data as they have been presented. So whether
2 adjustments are made to account for multiple comparisons or
3 not really would not affect me one way or the other.

4 DR. SCHROETER: Let's move on to the other portion
5 of the question: Should other endpoints be considered? We
6 have addressed that to a certain extent in the discussion.
7 Are there any comments regarding should other endpoints be
8 considered?

9 If not, I will move on to the second question: In
10 consideration of the demonstrated absorption of tretinoin
11 through the skin, its fetotoxic capability in animals and the
12 observation that human exposure to 13-cis-retinoic acid
13 (Accutane) results in high placental and embryonic concen-
14 trations of tretinoin, how should the safety concern of
15 prolonged use of this product be approached?

16 Is there anybody on the Panel who would like to
17 address this issue as related to the New England Journal
18 article, 1989?

19 No comment from the Fertility and Maternal Health
20 Drugs Advisory Committee? No comment? Dr. Thorne, would you
21 like to address this question?

22 DR. PECK: I am not sure you are giving the Panel
23 enough time to react. I see at least one possible response
24 over here.

DR. SCHROETER: Dr. Abel?

1 DR. ABEL: This is not a question as far as the
2 indication for photo-aged skin, but it is a question regarding
3 the possible use and total body use in, say, conditions such
4 as lamellar ichthyosis. I would be interested in further
5 data on percutaneous absorption in widespread use but I know
6 that is not the proposed indication for this new form of
7 Retin-A.

8 DR. EVANS: What we were getting at was patients
9 who might require, due to photo-aged skin, wide areas of
10 treatment with this product, with the potential for absorp-
11 tion. So the question was whether you foresee a possible
12 safety problem and how should this whole thing be approached?

13 DR. ABEL: Well, patients who have had long-term
14 PUVA have widespread aging effects due to the PUVA therapy.
15 So that could possibly be a potential for wider use over a
16 larger part of the body than just the face.

17 DR. STEIN: In addition to patients with ichthyosis
18 and other disorders of keratinization, you might want to look
19 at patients with very extensive acne -- I do not know if that
20 has been done -- who are applying it to much of the area of
21 the trunk and somehow getting absorption in that fashion.
22 This is an important question which, obviously, needs a lot
23 more data before we can get a better answer.

24 DR. SCHROETER: Yes, Dr. Thorne?

DR. THORNE: Some years back we did do a study

1 where we actually took volunteers and covered them almost
2 from head to foot with Retin-A, in this case 0.25 percent,
3 and using the best technology that was available at that
4 time, we could not really measure plasma levels in any of
5 these subjects. So, again, even with widespread application,
6 you cannot get above an endogenous sort of level and, again,
7 in our application we are stressing facial application. We
8 are not looking for total body use at all. I do not know of
9 any physicians who recommend Retin-A for total body use or
10 patients who would really want to undergo total body use with
11 Retin-A.

12 DR. STEIN: I understand that and that is a fair
13 point. But the methodology available today, I think you
14 would agree, is more sensitive than it was 15 years ago or 20
15 years ago, whenever those studies were done.

16 DR. THORNE: Ten years ago.

17 DR. STEIN: Ten years ago, sorry. And I think it
18 might be worth looking at higher concentrations also with
19 widespread application, the 0.1 percent lotion.

20 DR. THORNE: Those would be cold studies and we
21 would have to calculate how much we think we could find in
22 the blood over background. When background is 2-5 ng/mL, it
23 is hard. You would not be able to know which is coming from
24 the Retin-A and there is a lot of diurnal variation. So
there are a lot of technical questions to what you are

1 asking. That is why we used cold studies. Again, they are
2 very indicative of what is happening in the clinical con-
3 dition.

4 DR. WOODLEY: Maybe I am missing it here but I
5 really think that I am persuaded by our long use of topical
6 tretinoin for a variety of conditions, including keratosis
7 pilaris and widespread acne. It has been out there for a
8 number of years. I think the safety studies presented here
9 are very consistent with safety studies that have been done
10 in the past and I really think that although this is an
11 important issue, it has been resolved by many, many years of
12 clinical experience with a very similar medication. Now we
13 have their studies which just sort of mimic previous studies.

14 So I would say overall that, to me, the efficacy
15 issue in a way is almost more interesting than the safety
16 issue, although the last issue is really more important. But
17 I think that this is going to be shown to be relatively safe.

18 DR. PECK: I think we are somewhat compromised by
19 not having had an FDA presentation that presents some of the
20 toxicological and biopharmaceutical considerations that are
21 being brought to bear on this. Dr. Schlesselman's question
22 relating to factors affecting percutaneous absorption
23 actually was quite perspicacious. There is a long list of
24 factors that affect the absorption that I am sure the
dermatologists on this Committee are perfectly aware of.

1 They include sex, age, body site, photoexposure, vehicle
2 occlusion or lack of occlusion, barriers, enhances, etc.

3 What we have seen presented this morning is a very
4 minimal subset of the various conditions under which wide-
5 spread availability of this agent could be used. It is well-
6 known that absorption on the forehead, face and neck are very
7 high absorption areas. Yet, the studies have been restricted
8 to selected portions of the face in males. So we do not know
9 if there is a 15 percent absorption or 7 percent or 2 percent
10 in females.

11 We have heard nothing about the cutaneous metabolism
12 of this. We have heard the concept that the presence of a
13 sunscreen -- we have heard that called a barrier. Actually,
14 it could be an enhancer. We know that a number of mosquito
15 repellents produce a significant movement of a variety of
16 chemicals. So I think that our concerns are from satisfied
17 from widespread use of a different formulation. Remember,
18 formulation effects are very important.

19 I think I will leave it at that but we should have,
20 I think, for the purposes of this discussion presented more
21 of the concerns that have arisen about the biopharmaceutic,
22 toxicological concern on the part of the FDA staff.

23 DR. WOODLEY: They did address one of the major
24 permeability problems, that is, inflammation. We know that
25 inflammation will dramatically increase absorption. The

1 purpose of their study was to enhance inflammation with drug
2 before applying the labeled drug.

3 Going back to what this drug has been used for in
4 the past in other formulations, I think that is a valid
5 point, but it has been widespread inflammatory disease,
6 namely, acne, which is an inflammatory disease. So of all
7 the things that influence permeability barriers, I would
8 think inflammation and compromise of the skin barrier itself
9 is one of the greatest.

10 DR. SCHROETER: I think that that concludes our
11 discussion of the tretinoin emollient cream. We will adjourn
12 for a break. I think we are due to be back here from our
13 coffee break at about 10:45. Thank you.

14 (Brief recess)

15 DR. SCHROETER: We will reconvene. We will now
16 address the Accutane issue, NDA 18-662. We will have
17 introductory comments by Dr. Robert Nelson, from the Accutane
18 Monitoring Group at the FDA.

19 INTRODUCTORY COMMENTS BY ROBERT C. NELSON, Ph.D.

20 DR. NELSON: One thing I did place on the table
21 while you were away is the boxed warning section of the
22 current product labeling. I will be referring to that in a
23 few moments in my presentation. So you might pull that out
24 and keep it handy.

 This morning I have been asked to introduce one of

1 the most difficult regulatory issues that the FDA has ever
2 faced. Then I will attempt to set the stage for the more
3 detailed presentations which are scheduled to follow.

4 Those members who were on the respective Committees
5 for the May, 1989 and the June, 1989 meetings when Accutane
6 was on the agenda received full background presentations at
7 those sessions. In addition, you have all been supplied in
8 your mailings with a detailed Accutane chronology from 1982
9 through 1988.

10 My mission today is to briefly review for you the
11 public health situation that existed prior to the May, 1988
12 interventions; identify the goals and the intentions of those
13 interventions; outline in general what those interventions
14 were; update you on how and when they were implemented;
15 explain FDA's Accutane monitoring activities and list FDA's
16 current concerns.

17 I will speak in general terms, leaving the specific
18 details to speakers from the firm and from our Office of
19 Epidemiology who are to follow. Hopefully, when I am
20 finished we will have set the stage for the agenda topic on
21 Accutane.

22 In April of 1988, a landmark Dermatology Advisory
23 Committee meeting was held during which data on the extent of
24 Accutane use in females of childbearing potential were
provided. Data on the national estimates of the incidence of

1 severe cystic acne recalcitrant to other therapies were
2 provided. Data on the estimated pregnancy exposure to
3 Accutane since its 1982 marketing were provided. Data on
4 both the reported and the estimated Accutane-induced birth
5 defects since its 1982 marketing were provided.

6 Much of these data were discussed with great vigor,
7 controversy and emotion. Consensus on the magnitude of the
8 Accutane teratogenicity problem was not reached. However,
9 there was a consensus that a substantial and important
10 public health hazard was present and that strong, definitive
11 steps needed to be taken to minimize, if not totally elimi-
12 nate, the risks of pregnancy exposure.

13 Shortly after that Advisory Committee meeting, on
14 May 15, 1988, the FDA issued a regulatory letter to Roche
15 which specified an intervention with seven areas of required
16 action. These included:

17 - one, extensive revision of the physician package
18 insert;

19 - two, understandable patient labeling;

20 - three, radically revamped packaging;

21 - four, written informed consent form;

22 - five, educational programs for both physicians
23 and patients;

24 - six, a surveillance study to assess the impact of
25 the preceding interventions;

1 - seven, other epidemiological surveillance efforts
2 by Roche and by FDA's Epidemiology Division.

3 The two goals of these interventions were, one, to
4 reduce prescribing to levels consistent with the epidemiology
5 of severe cystic acne in the population at risk and, two, to
6 eliminate pregnancy exposures in women prescribed Accutane,
7 thereby, eliminating the associated adverse outcomes.

8 In response, Hoffmann-La Roche launched a pregnancy
9 prevention campaign that could easily be called the most
10 extensive educational and relabeling effort ever attempted
11 for any prescription drug. As part of their presentation,
12 the sponsor will, I am quite sure, provide you with a full
13 description of this intervention program. However, I will
14 highlight a few of the most important components and let me
15 now refer you to the boxed warning on the label:

16 The professional labeling for Accutane now states
17 that Accutane is contraindicated in women of childbearing
18 potential unless the patient meets all of the following
19 conditions:

- 20 - one, has severe disfiguring cystic acne that is
21 recalcitrant to standard therapies;
- 22 - two, the patient is reliable in understanding and
23 carrying out instructions;
- 24 - three, the patient is capable of complying with
25 the mandatory contraceptive measures;

1 - four, the patient has received both oral and
2 written warnings of the hazards of taking Accutane during
3 pregnancy and the risk of possible contraception failure and
4 has acknowledged her understanding of these warnings in
5 writing;

6 - five, the patient has had a negative serum
7 pregnancy test within two weeks prior to the initiation of
8 therapy and it is also recommended that pregnancy testing and
9 contractive counseling be repeated on a monthly basis;

10 - six, the patient will begin therapy only on the
11 second or third day of the next normal menstrual period.

12 Clearly, the intent of the first qualification was
13 to eliminate excessive use by limiting the use of Accutane
14 for those females with severe cystic disease. Then, through
15 the diligent use of Roche's pregnancy prevention kit,
16 qualifications two through six were to be attained and
17 pregnancy exposures prevented.

18 A second important component of the intervention
19 was a broad array of educational campaigns which were put
20 into effect to inform Accutane prescribers of these new data
21 and to discourage use outside the labeled indication. It
22 should be noted that Roche, to their credit, has not used
23 positive detailing since the start of the program.

24 Thirdly, in a revolutionary move, Accutane was made
25 available in packs of 10 in the unique blister-pack. An

1 example of that was in the package that Roche submitted to
2 you prior to this meeting.

3 Then in an attempt to assess the impact of these
4 interventions and to understand what was actually occurring
5 in the marketplace, Roche contracted with the Slone Epidemi-
6 ology Unit from Boston to conduct an intervention assessment
7 study. The value and the limitations of this effort will be
8 discussed thoroughly later this afternoon.

9 This complex set of interventions, as could be
10 expected, were not easy to implement. Some examples of the
11 difficulty were some early miscommunications between FDA and
12 Roche. A consequence of one of these miscues was the fact
13 that the enrollment form for the survey was not placed in the
14 initial pregnancy prevention package during its first detail
15 wave.

16 There is some lack of agreement over the methods
17 used in the Slone study and a delay, for a variety of
18 reasons, in introducing the blister-pack until May of 1989,
19 one full year after it was requested. It is hard to hold
20 Roche responsible or hold Roche at blame for this. It was a
21 difficult transition with many complicated factors.

22 Because closer interactions were felt to be vital,
23 added to the legitimate plea from the sponsor to receive only
24 one set of instructions from the FDA and a need for active
surveillance of this important issue, Dr. Bilstad created the

1 Accutane Monitoring Group, the AMG as I will refer to it, six
2 months later, in November of 1988. The AMG is composed of
3 three members, Drs. Carnot Evans, Phylis Huene and David
4 Bostwick from the Dermatology Division of Anti-Infective Drug
5 Products and three members, Dr. Chuck Anello, Bruce Stadel
6 and David Graham, from the Epidemiology Division. At that
7 time, holding the position as Dr. Bilstad's assistant
8 director, I was appointed as chairman.

9 The major functions of the Accutane Monitoring
10 Group were to facilitate internal communication and coordi-
11 nation on this issue and then, first, to define the contents
12 of the quarterly reports that we requested from Roche, and
13 then to review each report within two weeks of their receipt;
14 to hold quarterly meetings with Roche and their contractor to
15 discuss the progress of this multi-faceted intervention
16 program and, lastly, to provide quarterly updates and
17 briefings to FDA's policy level.

18 Each quarter Roche would submit a report with the
19 following contents: Adverse reaction reports on pregnancy
20 exposures and their outcomes; drug use estimates and manu-
21 facturing distribution data; current status, progress and
22 enrollment into the impact assessment study; all advertise-
23 ments and educational campaigns so that we know what com-
24 ponents of the intervention program were reaching whom, when
and how often.

1 At this point, I feel it is important to make note
2 of Roche's diligence in negotiations and fulfilling its
3 commitments and, to the extent possible, meeting the time
4 frames that we set. It was truly a massive undertaking.

5 I want to close my briefing by reviewing FDA's
6 current concerns. They are the continued high level of
7 Accutane use in the population at risk; prescriber non-
8 compliance with vital components of the program, both of
9 which increase the probability for pregnancy exposure and its
10 range of adverse outcomes. In addition, we are concerned
11 with relatively low enrollment in the impact assessment
12 survey and that fact leaves us with the inability to rigorous-
13 ly assess the occurrence of pregnancy exposures and the
14 inability to rigorously assess congenital defects.

15 A set of official questions has been prepared for
16 Committee discussion but I will paraphrase them very briefly
17 here: Has the pre-1988 adverse public health situation
18 changed in a meaningful way and to a meaningful extent? I
19 will stop there. Thank you.

20 DR. SCHROETER: Let's continue with a review of
21 data by a representative of Roche. Please identify yourself.

22 PRESENTATION BY ROBERT B. ARMSTRONG, M.D.

23 (Slide)

24 DR. ARMSTRONG: My name is Robert Armstrong. I am
25 the director of medical affairs for Roche dermatologics.

1 Joining me today is an associate, Dr. Wanju Dai, who is the
2 director of pharmacoepidemiology and the department of drug
3 safety.

4 (Slide)

5 I would like to stress at the outset that we in no
6 way minimize the seriousness of the birth defects that are
7 associated with Accutane exposure during pregnancy. As an
8 indication of the seriousness with which we hold these birth
9 defects, we have provided support in terms of information,
10 publications and even financial support to continue the
11 ongoing study of these malformations. In fact, this afternoon
12 you will hear presentations by Drs. Lammer and Adams which
13 were funded through a grant that is administered by the
14 Massachusetts General Hospital.

15 The situation of birth defects is doubly tragic
16 because there is the potential theoretically to completely
17 eliminate these birth defects through either of two mecha-
18 nisms: One mechanism would be to have the patient avoid the
19 use of Accutane. The second would be to avoid the use of
20 Accutane during pregnancy. It is this goal of avoiding
21 pregnancy that we have concentrated our efforts on to
22 achieve.

23 (Slide)

24 Before I proceed to discuss other aspects, I would
25 like to make a brief mention of the benefits of this drug.

1 Severe recalcitrant cystic acne is, in fact, a serious
2 disease. It produces painful lesions that run a very chronic
3 course. In the pretreatment era, as you heard this morning,
4 it was not unusual for patients to have this difficulty for
5 periods of five, ten and even more years.

6 Typically, these cases responded poorly, if at all,
7 to other forms of therapy, including oral antibiotics,
8 sometimes hormonal therapy and sulfones that might be
9 attempted, all with varying and, unfortunately, typically
10 limited benefit.

11 So I think it is fair to say that Accutane is the
12 first extremely effective drug for the treatment of severe
13 recalcitrant cystic acne. In fact, it is the only drug which
14 can be construed to control and cure most cases. Results are
15 typically produced in the recommended 4-5-month treatment
16 period and remissions are usually prolonged, apparently
17 indefinitely in some patients.

18 (Slide)

19 I would like to give you one example of a patient
20 to illustrate the degree of discomfort and also the potential
21 for social, emotional and occupational disability that could
22 be associated with this disease. This is a patient before
23 treatment, and you can appreciate the difficulty he would
24 have in going through life.

(Slide)

1 At the end of a course of Accutane treatment, the
2 same patient clearly looks substantially better, although you
3 can still detect traces of scarring from lesions that had
4 been destructive of facial tissue before his treatment
5 period.

6 I would now like to ask Dr. Wanju Dai to discuss
7 the reports of pregnancies.

8 PRESENTATION BY WANJU S. DAI, M.D.

9 (Slide)

10 DR. DAI: Good morning. I am going to present to
11 you an overview of the Accutane exposed pregnancy reports
12 that were voluntarily reported to Hoffmann-La Roche.

13 (Slide)

14 This slide is very similar to a slide that we
15 submitted to you for your review that is enclosed in your
16 package. The only difference is that this slide is more
17 current than the one contained in your package.

18 As of April 30, 1990, we have received a total of
19 483 pregnancy exposure reports. This table is done by
20 pregnancy outcome and by the year of commencement of Accutane
21 therapy by these women.

22 Based on Accutane use data that we have received
23 from PDS, I have calculated the reported pregnancy rate by
24 year of commencement of Accutane therapy. For example, in
1983 there was a total of 109 pregnancy exposure reports.

1 This resulted in 10 pregnancy exposures per 10,000 women who
2 were between 12-44 years old and were exposed to Accutane.
3 As you can see, the reported pregnancy rate was the highest
4 in the first 3 years of marketing and declined about 50
5 percent from 1984-1985.

6 The current reported pregnancy rate is approximately
7 6 pregnancy exposures per 10,000 women of childbearing age.
8 There is a total of 86 congenital malformation reports.
9 These include 8 congenital malformation cases that were
10 detected from abortuses and 4 cases from stillborn infants.

11 The number of congenital malformation reports
12 peaked in 1983. Even though there was a high level of public
13 awareness of Accutane's teratogenicity, we have received a
14 total of 6 birth defect reports from the voluntary reporting
15 system in 1988 and 1989. Based on PDS data, it was estimated
16 that approximately 130,000 women of childbearing age were
17 exposed to Accutane therapy in 1988 and 1989 combined. There
18 were 4 infants with congenital malformations who were
19 reported to be born in 1989 and 1 infant with malformations
20 was born in 1990.

21 (Slide)

22 As a physician and as an epidemiologist, I think
23 it is imperative to find out the causes of these pregnancy
24 exposures. Therefore, I evaluated those cases that were
25 voluntarily reported to us where maternal exposure to

1 Accutane was commenced after January 1, 1989. I chose this
2 time because this is the time when our pregnancy prevention
3 program was partially implemented. There was a total of 43
4 cases. The information on the timing of conception in
5 relationship to Accutane therapy was available on 37 of these
6 43 cases. More than 90 percent of these women were at least
7 verbally counseled by their physicians to avoid pregnancy
8 during Accutane therapy when Accutane was prescribed.

9 The causes of the pregnancy exposures are listed on
10 this slide. There were 5 patients who were self-treated.
11 This means that these women used leftover Accutane capsules
12 to treat themselves without awareness of a physician. To our
13 knowledge, all these women had used Accutane capsules that
14 were purchased prior to the implementation of Accutane's
15 blister-pack. There was a total of 8 patients who conceived
16 prior to Accutane therapy.

17 As you can see, the majority of these pregnancy
18 exposures occurred during Accutane therapy and 18 patients
19 conceived during Accutane therapy while practicing contra-
20 ception. These pregnancy exposures occurred either because
21 of contraceptive failure or due to patient unreliability. In
22 addition, there were 3 patients who claimed to be abstinent.

23 In summary, we continue to receive pregnancy
24 exposure reports. The magnitude of the reporting indicates
25 that physicians and other health care professionals are still

1 very conscientious in reporting pregnancy exposure cases to
2 us although this medication has been on the market for more
3 than 7 years.

4 As you are aware, Accutane has been a highly
5 visible product in the past two years. Even with this being
6 the case, we have received a total of 6 spontaneously
7 reported birth defect reports where maternal exposure to
8 Accutane was commenced in 1988 and 1989 combined and it was
9 estimated that approximately 130,000 women of childbearing
10 age commenced this therapy in these 2 years. The majority of
11 patients having pregnancy exposure to Accutane was due either
12 to contraceptive method failure or due to irregular use of
13 the contraceptive method. In addition, 13 percent of the
14 patients were self-treated with leftover capsules without the
15 awareness of the physician.

16 I believe the best way to prevent this pregnancy
17 exposure is through education, both on the part of the
18 physician and the patient. Thank you. Now I will turn the
19 podium back to Dr. Armstrong.

20 PRESENTATION BY ROBERT B. ARMSTRONG, M.D.

21 (Slide)

22 DR. ARMSTRONG: I would now like to discuss usage.
23 We do not have absolute numbers on how many women between the
24 ages of 12-44 use Accutane but we are able to use commercially
25 available data bases that project the use of this drug.

1 These numbers are presented to you for 1983, the first full
2 year of Accutane's availability, through 1989.

3 The blue bars represent the projected number from
4 this system and the red triangles and yellow squares represent
5 confidence intervals above and below that projection. The
6 width and overlap of these confidence intervals makes it
7 impossible to judge the statistical validity of differences
8 from one year to the next but the downward trend in the
9 confidence limits is statistically significant over the
10 period from 1983-1989.

11 Frankly, we were surprised to see that the usage of
12 Accutane in 1989 was not noticeably different, certainly not
13 dramatically different from what it was in 1988. This was in
14 contrast to the reports -- although anecdotal reports, they
15 were numerous -- from professional representatives as they
16 made calls to physicians. We consistently heard that
17 physicians were using less of the drug. So we have attempted
18 to find out if there might be some changes occurring that
19 were not being reflected within the wide confidence intervals
20 of these projections.

21 (Slide)

22 At this point I would like to introduce Dr. Brian
23 Strom, Associate Professor of Medicine and Pharmacology and
24 Co-Director of the Clinical Epidemiology Unit at the Uni-
25 versity of Pennsylvania, to discuss what he has done on the

1 usage of this drug.

2 PRESENTATION BY BRIAN STROM, M.D., M.P.H.

3 (Slide)

4 DR. STROM: What I will be presenting to you is a
5 summary of work in progress, summarizing the current status
6 of the results of two different studies that we are perfor-
7 ming.

8 (Slide)

9 The first is looking at trends in Accutane utili-
10 zation. The second is looking at rates of exposure in
11 pregnancy and outcomes following Accutane exposure in
12 pregnancy.

13 (Slide)

14 Both of these studies are based on data from
15 COMPASS, the computerized on-line Medicaid pharmaceutical
16 analysis and surveillance system, a commercial data base
17 developed by Health Information Designs, Inc., based on
18 Medicaid MMIS billing data and including information on
19 demographics, outpatient drugs, inpatient and outpatient
20 diagnoses, procedures and deaths.

21 (Slide)

22 Our first study was done to evaluate trends in the
23 utilization of Accutane.

24 (Slide)

25 We looked at all COMPASS data sets which included

1 Accutane. This included 4 of the Medicaid data sets,
2 Arkansas, Florida, Michigan and Minnesota, and 2 of their
3 non-Medicaid data sets which we refer to as client N and
4 client Z for the purposes of confidentiality. One is a major
5 state employee group which has all of the employees of this
6 major state, and the other is a major non-governmental
7 employer and all of their employees.

8 (Slide)

9 It is important to note the Accutane prescribing
10 restrictions which were present in some of these states. In
11 Arkansas, in fact, Accutane was never formally covered
12 despite the fact that we are seeing Accutane prescriptions
13 within the data base. The numbers were small and in more
14 recent years they dropped down to zero and for those reasons
15 I will not be showing you those data.

16 In Florida there were no restrictions. In Michigan
17 there were no restrictions until July 1, 1988 and then prior
18 approval was required. In Minnesota there were no restric-
19 tions and in the two clients there were no restrictions.

20 (Slide)

21 We looked at three general groups of patients,
22 males of all ages, females of all ages and females of
23 reproductive age. What I will be showing you the results of
24 is the third group, females of reproductive age.

(Slide)

1 The statistical analysis we performed is to look at
2 the absolute rates of new users in each year, new users
3 meaning people who had drug dispensed for the first time in
4 one year and had not received the drug ever before that. We
5 calculated 95 percent confidence intervals along with that.
6 We then compared utilization rates in each year to the rates
7 in the year before that, using 2 different methods, paired
8 analyses and unpaired analyses.

9 Paired analyses are matched analyses. They
10 basically assume total dependence. They assume that the same
11 individuals were in the system one year as in the following
12 year. In a Medicaid system that is not totally true. There
13 is obviously a substantial turnover. A substantial minority
14 of the patients in the system turn over from year to year.

15 The unpaired analyses assume total independence.
16 They assume there is no commonality; none of the same people
17 are present year to year. Obviously, in these data systems
18 neither of the analysis is strictly correct. The reality is
19 somewhere in between there. We did both of them in order to
20 be able to get a span of what the results might be.

21 Due to pressures of time, I will not be able to
22 actually show you the results of the analyses, unless there
23 are questions, but I will mention them verbally.

24 (Slide)

This graph shows 1982-1989 new users of Accutane,

1 females, ages 12-45 in the 3 Medicaid states, Minnesota,
2 Florida and Michigan. The horizontal axis is time. The
3 vertical axis is use/10,000 women in that age range.

4 As you can see, in Florida the rates decreased in
5 1988. We do not have 1989 data yet. In Minnesota the rates
6 peaked here and then decreased thereafter. They particularly
7 went down in 1988, with little to no change in 1989. In
8 Michigan we censored the data as of 1987 because of the
9 prescribing restrictions that were put into place in 1988.
10 The rates here virtually disappeared in 1989 after those
11 prescribing restrictions but, obviously, that is artifactual.

12 (Slide)

13 Those same 3 curves are reproduced here on a
14 different scale, along with the data from the 2 clients. As
15 you can see, those 2 commercial clients have dramatically
16 larger rates of use of Accutane than the Medicaid data sets,
17 with very notable changes in usage. So in 1988 there was a
18 small change. In 1989 there was a dramatic decrease in
19 utilization. Some of the 1987-1988 changes and all of the
20 1988-1989 changes were statistically significant whichever
21 way we did the analysis. We saw somewhat the same results in
22 men as well, although a much less marked change in 1988 and
23 1989.

24 (Slide)

25 So our conclusions are that utilization of Accutane

1 by women of childbearing age decreased in 1988 and decreased
2 dramatically more in 1989.

3 (Slide)

4 I will only briefly review the second study we did
5 due to pressures of time. We were looking at outcomes
6 following Accutane's exposures in pregnancy. The study
7 subjects were Medicaid females of reproductive age who had a
8 diagnosis of acne in the Medicaid files. We did not use the
9 non-Medicaid plans because they did not have diagnosis
10 information available.

11 The exposure groups were people exposed to Accutane,
12 tetracycline, Retin-A, topical antibiotics or combinations of
13 the above -- five different exposure groups. We compared the
14 Accutane group to each of the other groups and to the
15 combination of the other groups.

16 The outcomes we looked at were legal abortions,
17 spontaneous abortions, unspecified abortions, live births and
18 birth defects. Our conclusions are based on small numbers.
19 There were not that many Accutane exposures in all of the
20 three Medicaid data sets put together but, basically compared
21 to the other patients who had been treated for acne, we did
22 not see any obvious difference in the outcome of those
23 exposed in utero to Accutane. I should add that we did get
24 medical records to validate these outcomes. Thank you.

1 (Slide)

2 DR. ARMSTRONG: You have already heard a discussion
3 of the controversial memo from 1988. When we were presented
4 with this memorandum we divided our response into two parts.
5 One part was an analysis of the factual data. The second
6 part was a set of actions.

7 The quick summary of our analysis of the factual
8 data was that there were not very much data presented,
9 rather, there were models that projected estimates. On this
10 basis, we did not believe that there was substantial objective
11 evidence to support the claims that were being proposed.
12 Indeed, at the time this memorandum was presented to the
13 Advisory Committee, the authors were revising their estimates
14 downward substantially, although, again, they did not provide
15 objective data to indicate the basis for the numbers that
16 they had generated.

17 So whereas we did not accept the mathematical
18 analysis, we did institute a number of actions which were
19 designed to promote the goal that I have already enunciated
20 for you of preventing pregnancy.

21 (Slide)

22 You have the revised package insert in front of you
23 and it has already been discussed so I will not dwell on it.

24 We then launched what I believe is the most
25 extensive educational campaign ever produced for a prescrip-

1 tion drug. There are two main components of this that I would
2 like to focus our attention on this morning.

3 The first is the pregnancy prevention program.
4 There are a number of different elements to this but I would
5 like to highlight two as being especially significant.

6 First, we were concerned that there would be
7 patients who needed referral to another physician for
8 contraceptive counseling and that these patients might not
9 have the financial ability to obtain this consultation.
10 Consequently, we made an unrestricted offer to assume the
11 cost of this patient being referred for contraceptive
12 counseling and a serum pregnancy test.

13 The second component of this that I would like to
14 highlight is the detailed consent form. This form went
15 through a great deal of detail. They are available to you if
16 you wish to refer to them but there is an important part of
17 this consent that I would like to highlight, and that is that
18 the patient retained a copy of the consent form. This served
19 two purposes. The first purpose was to remind the patient of
20 her commitment to take the measures necessary to avoid
21 pregnancy. The second was as a source of information that
22 she could use for future reference. I will speak a little
23 bit about the packaging that was changed.

24 (Slide)

Two months after the introduction of the pregnancy

1 prevention program, we instituted a series of surveys at two-
2 monthly intervals looking at dermatologists and primary care
3 physicians who had prescribed Accutane. We went to these
4 physicians and asked them how many of their patients they had
5 evaluated using different components from the pregnancy
6 prevention kit.

7 As you can see from the most recent of these
8 surveys, the one that was completed in March of 1990, the use
9 of this program has remained high, even though it is 18
10 months after it was first introduced. In fact, the only
11 component of this program that was not being used by the
12 majority of patients is the referral for contraceptive
13 counseling.

14 The reason that physicians most commonly gave for
15 not taking advantage of the program was that they believed
16 they were personally able to provide the appropriate counsel-
17 ing to the patient. The second most common reason was that
18 the patient was already on an effective form of contraception
19 and, therefore, a consultation would be redundant.

20 You will note that in this particular period 89
21 percent of the individuals were evaluated using a consent
22 form that was provided by Roche. We do know that some
23 physicians preferred to provide their own consent form.

24 (Slide)

What are the results of using this program? One

1 indication of its success is that as we did the survey we
2 found that a substantial proportion of patients (22 percent)
3 at the time this advertisement was prepared were not being
4 given prescriptions because of the evaluation with the
5 program.

6 (Slide)

7 This is the program that we have been running as
8 advertising but I can tell you that the most recent number
9 over this 18-month period is that 19 percent of patients were
10 not prescribed the drug because of evaluation with the kit.

11 The second main point of this program had to do
12 with the blister-pack. This incorporated extensive product
13 information, including warnings and line drawings of the
14 types of malformations that might be incurred, as well as
15 verbal text that described as simply as possible the kinds of
16 defects that could be seen and some that could not be seen.
17 The purpose, clearly, was to reinforce the importance of
18 avoiding pregnancy.

19 (Slide)

20 But there is another way in which this packaging
21 was different from others. That is, each package includes an
22 enrollment form that invites women of childbearing potential
23 -- actually, women of any type -- to enroll in a study being
24 coordinated by the Slone Epidemiology Unit.

(Slide)

1 I would now like to introduce Dr. Allen Mitchell,
2 who is the associate director of the Slone Epidemiology Unit,
3 to make a presentation on the results of that study to date.

4 PRESENTATION BY ALLEN A. MITCHELL, M.D.

5 DR. MITCHELL: Thank you, Dr. Armstrong. Good
6 morning. In the little time that we have this morning I
7 would like to present to you both an overview and some
8 preliminary results and findings from the survey that we have
9 been conducting at the request of Roche. I want to emphasize
10 that our focus is exclusively on the time period beginning
11 with January 1, 1989, which includes the beginning, essential-
12 ly, of the pregnancy prevention program and what we are
13 looking at this morning is physician and patient behavior
14 subsequent to the introduction of the pregnancy prevention
15 program.

16 (Slide)

17 The objectives of the survey were to determine
18 primarily the rate of pregnancy among women who use Accutane
19 and to also determine the important issues, such as the
20 awareness of the teratogenic risks, their history of prior
21 acne therapy, the pregnancy outcome among women who do become
22 pregnant, the risk factors for the occurrence of pregnancy in
23 that setting and the impact of the intensive survey that we
24 designed on compliance with prescribing guidelines.

(Slide)

1 The survey design and conduct has been reviewed
2 quite rigorously by an independent advisory committee to our
3 unit, which is chaired by Paul Stoli, who is here today as an
4 observer, and also includes Drs. Catz, Decker, McCoy, Melski,
5 Pochi, Stern, Cordero, who is an observer, and technical
6 advisers from Hoffmann-La Roche (names phonetic).

7 (Slide)

8 A consideration that should be borne in mind is
9 that the survey monitors physician and patient compliance
10 with the Roche pregnancy prevention program.

11 (Slide)

12 Because physician and patient compliance with the
13 pregnancy prevention program is voluntary, survey partici-
14 pation is necessarily voluntary as well.

15 (Slide)

16 Because survey participation is voluntary, the
17 population surveyed may not be representative of all women
18 who use Accutane. In order to resolve some of that issue, we
19 are trying to maximize enrollment and, at the same time, to
20 assess the representativeness of the survey population.

21 (Slide)

22 It is important to recognize that because of the
23 urgency of implementing the pregnancy prevention program,
24 and, therefore the survey, we did not have the usual luxury,
I suppose, of a pilot study which is something that any

1 epidemiologic study would like to have. What you are then
2 going to see is 15 months of experience that is somewhat on
3 the job.

4 The outline of the survey components includes
5 voluntary enrollment, as I have mentioned, and enrollment may
6 occur by the physician or the medication package. We follow
7 each patient for 6 months after discontinuation of Accutane
8 for a typical course, which is, therefore, 11 months. The
9 purpose is to identify any pregnancies that occur within the
10 6 months following Accutane. We follow up women in the
11 survey either by telephone or by mail. We will be assessing
12 the completeness and the representativeness of the survey
13 population.

14 (Slide)

15 The physician-generated approach involves a
16 physician asking a patient to complete the enrollment form at
17 the time of the Accutane prescription. The medication
18 package itself contains an enrollment form which the patient
19 can complete. This form is designed as much to look like a
20 consumer rebate certificate as an enrollment for a medical
21 survey in the hopes that it would attract women who might not
22 be attracted for other reasons.

23 (Slide)

24 The survey design looks something like this. We
25 have, as I mentioned, the 11-month focus (5-month Accutane

1 but not package-generated approach. The totals that you see
2 in the slide following may vary slightly due to some missing
3 responses and the introduction of new questions.

4 (Slide)

5 To consider the years of education among the
6 interviewed women according to the enrollment method, overall
7 we see that roughly 53 percent have 12-15 years; 31 percent,
8 16-plus years; and the distributions by the 2 methods are
9 quite similar.

10 I would like to summarize in anticipation some of
11 the other slides with respect to the physician-generated
12 versus medication package-generated breakdowns. In fact, the
13 two groups of women are quite similar, as you will see, in
14 their characteristics with respect to age, education,
15 pregnancy risk and birth control methods. They do differ in
16 the way their acne has been treated and in their compliance
17 with the pregnancy prevention program -- something we think
18 is quite an asset to the survey.

19 (Slide)

20 Prescriber specialty -- overall, 93 percent are
21 from the dermatologists in the survey; 98 percent from the
22 doctor-generated and 90 percent from the medication package-
23 generated approach.

24 (Slide)

And the number of years of acne among interviewed

1 logistic disadvantage if we are talking about tens of
2 thousands of women to be followed in a year but, more
3 importantly, we recognize that the telephone calls at the
4 early part of therapy are in themselves an intervention and
5 it is, therefore, important to try to get some understanding
6 of what the experience would be in the survey population that
7 is not contacted during the period of therapy.

8 For that reason, the mail arm, which consists of
9 the remaining women, has one contact after the termination of
10 therapy, which is a location mechanism, and then a final mail
11 follow up equivalent to the telephone follow up at 11 months.

12 What I would like to point out is that we do not
13 yet have information from the mail follow up. Thousands of
14 those are being sent out over these few weeks and we hope to
15 have that information in the next few months.

16 What I will be reviewing now is our experience with
17 enrollments; the characteristics of the enrolled women and
18 the experience to date, meaning the last quarter through
19 March 31, with the telephone arm enrollment.

20 (Slide)

21 First let's look at the enrollment. This figure
22 presents a quarter by quarter display of the enrollments,
23 remembering that January, 1989 is when the survey began. The
24 cross-hatch bars represent doctor-generated enrollments; the
solid bars represent medication package enrollments.

1 Needless to say, in the first quarter they were all doctor
2 generated. With introduction in May of 1989 of the medication
3 package approach, we began to see the medication package
4 forms having an impact. In the last three quarters, repre-
5 senting solid nine months, we have seen the full impact of
6 the medication package option.

7 This allows us to reasonably project that we are
8 likely to have approximately 40,000 enrollments a year. We
9 suspect, and we certainly hope, that this will increase in the
10 following quarters as a result of the pharmacy project. We
11 find it hard to imagine that it would decrease for that
12 reason.

13 (Slide)

14 Based on what we consider to be an unstable
15 estimate of Accutane use, the PDS data, we project that our
16 current enrollment rate, and I think it is important to
17 stress that our current enrollment rate is 53 percent with
18 the 90 percent confidence intervals derived from the PDS
19 data, is 43-69 percent. It is also noteworthy that this
20 enrollment rate appears to be the same whether we look at
21 women of all ages or restrict our enrollment considerations
22 to women in the childbearing 15-44 years.

23 Now let's briefly consider some of the characteris-
24 tics of the enrolled women. If we look at enrollment by age,
25 we find that the age categories overall are consistent with

1 what has been described for the use of Accutane and it is
2 also noteworthy that the proportions of women by age are
3 quite similar whether they are enrolled by the physician or
4 the package mechanism. I think it is worth pointing out that
5 the state by state breakdown is also quite similar to the
6 sales figures provided to us by Roche.

7 (Slide)

8 What is the fate of the enrolled women? There were
9 32,000-plus people who attempted to enroll, of whom 760 were
10 excluded. As of March 31, the current enrollment was roughly
11 31,500. They distribute into 24,000-plus into the mail arm
12 and 7300 who had been assigned to the telephone arm.

13 (Slide)

14 Now let's consider the first telephone follow up
15 which we call T-1. This is the information that we obtained
16 from women immediately following their encounter with the
17 physician who prescribed Accutane. Remember, this is a
18 random sample of the survey population. It is conducted
19 within 1 month of enrollment.

20 (Slide)

21 And let's consider some of the characteristics in
22 compliance, bearing in mind that the first telephone inter-
23 views here involve 5361 women, completed and gone through
24 quality control as of March 31. I think the distributions
25 clearly reflect the early availability of the doctor-generated

1 women -- again it is worth noting that roughly two-thirds of
2 the women report having had acne for six or more years.

3 (Slide)

4 Although this has been a difficult question, we
5 asked women to describe the presence of cysts and we modified
6 this question to try to get a better handle on this, working
7 with our advisory committee. This refers to information
8 collected over the last 4 months. What we find here is that
9 40 percent of women report having no cysts and 60 percent
10 report having 1 or more cysts at any one time.

11 (Slide)

12 We also asked about antibiotic treatments for acne
13 and what we found is that 78 percent report having received
14 tetracycline; 57 percent erythro; 51 percent Minocin and
15 other oral antibiotics.

16 (Slide)

17 We also derived the number of antibiotics used for
18 acne prior to Accutane. What you see here is that roughly 70
19 percent of women report having received 2 or more antibiotics
20 prior to their receipt of Accutane.

21 What I would like to do now is consider what women
22 were told by their physician and what behaviors occurred at
23 the onset of Accutane treatment.

24 (Slide)

We asked, "Did your doctor discuss the importance

1 of any of the following before prescribing Accutane?" What we
2 found is that for most issues the majority of women received
3 instruction but it was, of course, variable. Again you can
4 see that the pattern persists that the doctor-generated forms
5 tend to be more compliant than the medication package-
6 generated forms.

7 (Slide)

8 One of the least discussed guidelines appears to be
9 monthly pregnancy tests. Over 50 percent of women reported
10 that their physicians did not discuss with them the need for
11 monthly pregnancy testing.

12 (Slide)

13 On the other hand, one of the most important
14 instructions that underlies the pregnancy prevention plan is
15 the instruction to avoid pregnancy. Here we found that,
16 irrespective of medication package- or physician-generated
17 approach, the overwhelming majority, close to 100 percent,
18 did report that they had been instructed to avoid pregnancy.

19 (Slide)

20 We also considered the number of women who reported
21 having a pregnancy test before starting Accutane, according
22 to a variety of options -- serum pregnancy, urine pregnancy
23 tests and so forth. This was apparently a disturbing
24 finding, 40 percent of women interviewed reported having no
pregnancy test. One of the questions that came to mind was

1 could it be related to a woman's risk of becoming pregnant.
2 We looked at pregnancy risk categories but we did not find
3 that there was any real meaningful increase among women who
4 were particularly at risk.

5 Then we wondered if, in fact, it was a flaw in the
6 way the survey was asking the question. We were asking women
7 if they had a serum pregnancy test. Is it possible that
8 doctors were doing serum pregnancy testing by not communi-
9 cating that fact to the women?

10 (Slide)

11 In the last 10 days, therefore, we conducted a
12 survey on top of the survey in which we called the offices of
13 doctors whose patients reported to us not having had a
14 pregnancy test prior to starting Accutane. We indicated who
15 we were and we told the physicians that we were doing a
16 survey, as part of the survey, to identify the blood tests
17 that were performed in female patients who were prescribed
18 Accutane. Our intended survey was 100. There were 10
19 refusals and 4 calls are still pending as of last week. So
20 we had 86 calls that were completed. Of interest, the
21 majority of the information came from the nurse or the office
22 manager.

23 (Slide)

24 The offices volunteering their pregnancy testing is
25 obtained routinely amounted to roughly half of those that

1 were contacted. Roughly another 20 percent indicated that
2 they did pregnancy testing selectively. We did not identify
3 that we were interested in pregnancy testing in these
4 questions. That selective category represents a broad range,
5 as you might guess. Some physicians' nurses said they do it
6 on all women of childbearing age. Others said they do it if
7 they think a woman is at risk. So it appears that roughly
8 three-quarters of women reporting no pregnancy test were
9 likely, indeed, to have had one.

10 (Slide)

11 We then wanted to examine contraceptive practices.
12 In order to do that, we ought to first look at who was at
13 risk for pregnancy in the survey population. Overall, there
14 were 36 percent of the surveyed women (similar in both
15 groups) who reported not being sexually active and 60 percent
16 who reported that they were sexually active. It is, of
17 course, important then to consider what the birth control
18 practices are among this group of women who report being
19 sexually active.

20 (Slide)

21 This slide refers to the use of contraception among
22 sexually active women according to their enrollment method.
23 What we find is that 99 percent of the women who report being
24 sexually active report using contraception. Incidentally,
25 this is a typo. This should be 1.2 and I apologize for that.

1 It is also important to note that these 34 women in this
2 category are identified as program failures. These are women
3 to whom we gave warning --we discussed this last year as
4 well, I believe -- and if they give us permission we call
5 their physician as well.

6 (Slide)

7 We examined the birth control methods among women
8 currently using birth control. What we found is that overall
9 53 percent of the women in the survey report using oral
10 contraception. This is much higher oral contraceptive use
11 than the general population of the same age would suggest.
12 As you might expect, when we stratify this by age the younger
13 women are much more likely -- in fact, it turns out to be
14 about 83 percent -- to use oral contraceptives. The older
15 women are much more likely to use methods of sterility --
16 tubal ligation and vasectomy, as well as about 37 percent of
17 those older women reporting use of OCs.

18 (Slide)

19 What I have provided is the information we obtained
20 at the first telephone follow up. That identifies what women
21 were told and what they were doing at the outset of therapy.
22 But what is the carryover?

23 The second telephone interview provides us an
24 opportunity to consider the retention of information by the
25 women and also the persistence or lack of persistence of

1 their compliance.

2 (Slide)

3 Briefly, one of the questions we asked was, "What
4 do you remember as the most important instruction to follow
5 while taking Accutane?" And 85 percent of women continue to
6 report that avoiding pregnancy is the most important instruc-
7 tion to follow.

8 (Slide)

9 Importantly, of course, as well is sexual activity
10 and what protections are being taken. When we looked at the
11 sexual activity reported among women interviewed both at the
12 first and the second telephone interview, we found that of
13 1043 women reporting to be sexually active at T-1, 94 percent
14 continued to be sexually active at T-2 and 6 percent had
15 ceased. On the other hand, there were 18 percent of the
16 women who initially were not sexually active who had become
17 sexually active. Clearly, one is concerned about their risk
18 and their use of contraception.

19 (Slide)

20 We, therefore, looked at use of contraception among
21 women who were sexually active at the T-2 interview. What we
22 found was that 99 percent of the women who were persistently
23 sexually active (almost 100 percent), continued to use some
24 form of contraception. Interestingly, among the women who
had since become sexually active, 98.4 percent report as well

1 that they are using some form of contraception.

2 (Slide)

3 Finally, let us consider the pregnancy rate, as
4 distinct from the term pregnancy exposure, of the women in
5 the telephone arm of the survey. This pregnancy rate
6 estimate uses the risk period as that period of time involving
7 Accutane exposure only, in other words, while the women were
8 on drug. We interviewed 5275 women who formed the basis of
9 this analysis. We identified a total exposure of over
10 388,000 person days or 1065 person years. We have identified
11 3 pregnancies based on that denominator of exposure, for a
12 pregnancy rate of 0.3/100 person years, 2.8/1000 person
13 years.

14 I also erred in the information I provided most
15 recently to the Committee. These are not data as of May 10,
16 which was the date of preparation. These data, like all
17 other data, are through March 31 of 1990.

18 But we also should consider a different pregnancy
19 estimate. This is a rate estimate that includes the 30 days
20 following discontinuation of Accutane because, strictly
21 speaking, that is one of the prohibitions on the package
22 insert.

23 (Slide)

24 When we do it that way, we increase the number of
25 person days, of course, and increase the number of patient

1 years. We now pick up an additional 2 pregnancies in our
2 numerator. Two of the 5 pregnancies we have identified
3 through the telephone arm were women who became pregnant
4 following discontinuation of Accutane. That translates to a
5 rate of 0.5/100 person years or 4.5/1000 person years. This
6 is the rate which we feel is the appropriate one to serve as
7 comparisons.

8 (Slide)

9 Where do we stand? Clearly, we do not feel the
10 survey has provided the information yet that we promised to
11 provide, although we are on schedule. In May of 1990, we
12 feel that the survey is working from a logistics standpoint.
13 We have accomplished the objectives that we could not
14 guarantee accomplishing up front.

15 The information provided to date, we believe, is
16 informative, although at this moment it refers only to the
17 telephone survey. We have yet to accomplish the task of
18 assessing the representativeness, which is work that is under
19 way at the present time.

20 (Slide)

21 In fact, our work in progress includes assessing
22 the impact of the pharmacy project on enrollment; in the
23 telephone survey, obtaining more stable estimates as the
24 numbers increase of the pregnancy rate. In the mail survey
we, of course, want to estimate the pregnancy rate. We want

1 to evaluate the impact of the telephone intervention relative
2 to the mail follow up because, as you may remember from
3 previous hearings, if it were to prove to be the case that
4 the telephone survey was a more effective intervention, then
5 that would be a mechanism applied to the entire survey
6 population in the future. If the mail survey were to provide
7 equivalent pregnancy rates, that could be provided more cost
8 effectively.

9 Finally, we wish to assess the representativeness
10 of the survey population. That work, using some prepaid data
11 bases, will take place over the next few months.

12 I thank you for your time and I will be happy to
13 answer questions at the appropriate time.

14 PRESENTATION BY ROBERT B. ARMSTRONG, M.D.

15 DR. ARMSTRONG: Dr. Mitchell has brought us up to
16 the last few weeks. So we are contemporaneous now. Within
17 the last couple of weeks you, as well as we, have received a
18 new memorandum from the epidemiology group at the FDA.

19 (Slide)

20 We would like to respond to that report by saying
21 that our analysis of it, of which you have a detailed copy,
22 albeit not very long ago -- we did not accept the contention
23 or the claim that unreported birth defects continues to be a
24 substantial problem.

(Slide)

1 I will divide the comments on that into several
2 sections, beginning with the importance of having accurate
3 data on which to make one's assessment. Since we are
4 discussing now the denominator, the number of women at risk
5 that have not had a birth defect reported, we need to have
6 confidence in the numbers that are being projected.

7 I am going to select a few examples. Time does not
8 permit me to go through all the examples that are specified
9 in the analysis that has been sent to you. But there are a
10 few examples that lead us not to have confidence in the
11 methodology being applied.

12 First, in discussion of the usage of drug, the
13 memorandum states that the NPA data base has 2000 computerized
14 pharmacies. In fact, NPA tells us that they have 2500
15 computerized pharmacies and an additional 600 manual phar-
16 macies. The memorandum maintains that the usage projections
17 from NPA are under-reported because it does not include chain
18 or discount pharmacies. In fact, this is also incorrect.
19 NPA has a total of 53 percent of their sample in chain or
20 discount pharmacies relative to 37 percent of the total
21 pharmacy universe. So again the basis for making the claim
22 that usage is underestimated is based on false premises.

23 The second point has to do with the under-reporting
24 in women below the age of 23. The memo contends that in the
PDS data system is under-reported because the age and sex of

1 the patient is not specified or recorded frequently and,
2 therefore, the usage is probably under-estimated.

3 So we contacted PDS and found out how often the age
4 and sex were not recorded to justify the term that it is non-
5 quantitative but connotes a feeling of quantity. It turns
6 out that it is actually 3 percent of their prescriptions that
7 did not specify the age and sex of a patient, hardly enough,
8 it seems to me, to justify the conclusion of probability.

9 (Slide)

10 The next part of the memorandum deals with Medicaid
11 studies. Here we would start off by saying, as this group is
12 quite well aware, that a number of those population charac-
13 teristics that determine the success of contraceptive
14 measures are not representative in the Medicaid population
15 compared to the total population. For this reason, any
16 results that are found in the Medicaid study would be
17 difficult to project to the general population.

18 Of greater concern to us, however, is the apparent
19 lack of documentation in this report. It is not indicated
20 whether the patients who are identified as suspected pregnancy
21 exposures, based on having filled a prescription and having a
22 subsequent pregnancy-related code, actually took the drug.
23 Or, if they did take the drug, took it at a time that would
24 involve exposure of the fetus. So without this kind of
information, we are not in a position to be able to meanir

1 fully interpret the data that are being presented to us.

2 Finally, the memorandum we were presented two years
3 ago discussed five adverse birth outcomes. This study, two
4 years later, indicates three adverse birth outcomes, one of
5 which was a stillborn infant with a cord around the neck,
6 without any indication that there was any relationship to
7 Accutane. It did describe one case of a birth defect but,
8 again, it is not clear whether this was an Accutane-exposed
9 pregnancy and also it is not indicated whether the birth
10 defect was of the type that is attributable to Accutane. So
11 for these reasons, we find it impossible to make an adequate
12 assessment of the claims that are being presented.

13 Finally, in terms of calculating relative risks,
14 the control group that was used for comparing Accutane was
15 the entire female population within Medicaid. Since that
16 population would include women who had had hysterectomies,
17 tubal ligations or who were postmenopausal, it clearly would
18 have an influence on their relative risk for pregnancy-
19 related outcomes. Dr. Strom presented earlier a case where
20 the control group was acne patients treated with Accutane or
21 other antibiotics, which we believe is an appropriate control
22 group.

23 (Slide)

24 Finally, the bulk of the conclusions on estimates
25 of exposure and under-reporting are not based on data but are

1 based on mathematical models. These models are done using
2 assumptions from general populations in the literature and
3 they make no allowance for the possibility that there would
4 be greater motivation of Accutane patients when they were
5 educated to understand that there was a very high risk of
6 birth defects resulting from pregnancy exposure. As you well
7 know, motivation is a key component of successful contra-
8 ceptive practice and we think it is unrealistic to think that
9 there is absolutely no effect of the educational campaign,
10 not only on the patients but also on the physician selection
11 of patients as being appropriate for therapy.

12 Finally, we would like to have some idea of how the
13 selection of different numbers for the different variables
14 might alter the outcome. By doing a sensitivity analysis, we
15 would be able to judge the best case/worst case scenario as
16 an indicator of how good the model was. Unfortunately, there
17 is no sensitivity analysis presented in the memorandum and
18 the equation is also not provided. So we are not able to do
19 an independent assessment to find out how much reliance one
20 should place on these calculations.

21 (Slide)

22 Finally, the memorandum concludes that the pregnancy
23 prevention program is a failure. I would like to ask what
24 are the data for making that conclusion.

1 usage and I have already given you some reasons why we do not
2 have confidence in their argument. But I would also like to
3 maintain that the important issue here is not how many women
4 use Accutane but how many women use Accutane while they are
5 pregnant, which is really the crux of the prevention of birth
6 defects issue.

7 In fact, the only quantitative data that are
8 presented in the memorandum come from the Harvard Community
9 Health Plan. These data are obtained over a two-year period
10 and are divided to compare pre- and post-intervention
11 outcomes.

12 Here I would like to point out that the time period
13 that was selected to divide these 2 periods was May, 1988,
14 whereas, in fact, the first element of this program was not
15 introduced until 4 months later and the final element was not
16 introduced until 12 months later. It seems only fair to make
17 one's judgment based on the time that the program had at
18 least been implemented.

19 Secondly, this Community Health Plan data involves
20 less than 200 patients over a 2-year period and, therefore,
21 are less in sample size than the 31,000 patients who have
22 already been enrolled under the Slone Epidemiology Unit.

23 For these reasons, we have reservations about how
24 much confidence we can have and how to generalize the results
25 from this study.

1 (Slide)

2 Finally, we think that the conclusion that women of
3 childbearing potential should be excluded from the possibility
4 of fetal exposure, presumably by denying them access to
5 Accutane, is an unwarranted and drastic action. It seems to
6 me that physicians and patients need to be informed of all of
7 the issues that we have discussed and that we have been at
8 great pains to educate them about, and those kinds of
9 activities have been reflected as successful in the results
10 that we have so far of the Slone epidemiology survey.
11 Clearly, we need to have questions about the quality of the
12 data in the Slone epidemiology survey answered but the
13 mechanism of testing the quality of those data is already
14 being tested.

15 (Slide)

16 Having rejected the conclusions of this memorandum,
17 I do not mean to suggest that we are not prepared to take
18 additional measures to the ones that we have already taken.
19 Indeed, through the Slone survey we have identified that
20 there is a need for a new educational campaign to reinforce
21 the importance of doing a pretreatment serum pregnancy test
22 and also to make sure that the test report is negative before
23 treatment is begun.

24 In connection with that, we will have a new
25 educational campaign to reinforce the importance of starting

1 Accutane on the second or third day of the next menstrual
2 period to avoid the possibility of a false-negative test in
3 the outcome that we presented earlier.

4 Finally, there is so much information on the
5 packaging material that we thought it would be useful to
6 provide a bulleted format that would highlight the particular
7 importance of a pretreatment pregnancy test, waiting until
8 the second or third day of the menstrual cycle and use of an
9 effective contraceptive. We thought that the easiest way to
10 achieve that would be to provide a sleeve that would wrap
11 around the current packaging and highlight these warnings so
12 that they would be brought specifically to the patient's
13 attention and have detailed information available for further
14 study. We will propose this to the Agency and attempt to
15 implement it as soon as it can be arranged.

16 We talked earlier about patient self-treatment. So
17 we wish to establish a means of encouraging patients to
18 return unused Accutane that they have not used in the course
19 of their treatment. The details of this have to be worked
20 out. Presumably, they will present some logistical challenges
21 but we think that the ability to, in a sense, recall the
22 unused medication will reduce the possibility of patients
23 taking the drug subsequently without supervision of their
24 physicians.

We also propose to meet with representatives of

1 organized medicine and organized pharmacy to explore the
2 possibility that prescription forms might be developed that
3 would reinforce the importance of the negative pregnancy
4 test, avoiding pregnancy and some of the other warnings that
5 have been provided in other formats. Clearly, there will be
6 about state laws in trying to implement any of these pro-
7 posals.

8 (Slide)

9 Now I would like to ask for help from the Committee,
10 if I could. One of the ways that we think might increase the
11 number of pre-therapy pregnancy tests that would be done
12 would be to consider the possibility of using a urine test
13 done as an office procedure. We would like to have your
14 advice as to whether this would be a desirable recommendation
15 for implementation or whether the serum pregnancy test should
16 be continued.

17 Second, we would like to ask the Committee to
18 suggest ways that might maximize the effectiveness of
19 contraception for women while taking Accutane.

20 (Slide)

21 I do not mean to suggest that this is the last of
22 the things that we would be prepared to do. Indeed, our
23 history with this drug has been that we have sought to learn
24 from our experience ways in which we could reinforce the
messages in a way that would be productive. We intend to

1 continue, not only to implement with full vigor the program
2 that has been implemented so far, but we are also prepared to
3 take additional steps as experience would indicate to be
4 appropriate.

5 (Slide)

6 So in conclusion, we have unprecedented programs
7 that we have introduced to support the proper use of this
8 drug and we continue to support those fully. We now have the
9 prospect of getting meaningful data from the Slone survey
10 which will be helpful to us as we consider what additional
11 steps might be important to take in the future.

12 Finally, I would like to conclude by welcoming any
13 contributions that the Committee members would care to offer
14 that would help us to promote the goal of avoiding pregnancies
15 and, thereby, preventing birth defects. Thank you.

16 DR. SCHROETER: Thank you. We have finally a
17 review of data by a representative of the FDA's Office of
18 Epidemiology and Biostatistics.

19 PRESENTATION BY DAVID GRAHAM, M.D.

20 DR. GRAHAM: Good morning. My name is David Graham
21 and I am a medical officer with the FDA and section chief in
22 epidemiology. I hope that members of both Committees have
23 had time to read the report I prepared for the Division of
24 Anti-Infective Drug Products.

Today I will highlight some of the important

1 features from that report but time will not permit an in-
2 depth presentation of many areas covered by it. I have
3 passed out to members of the Committee copies of several
4 overheads which were made up late last week and which were
5 not included in our original report. These will be used
6 later to address several questions raised by the sponsor.

7 (Slide)

8 In April, 1988 I presented data, developed by FDA's
9 Office of Epidemiology, on maternal exposure to Accutane. We
10 described the epidemiology of cystic acne and used existing
11 national survey data, as well as the published literature, to
12 estimate the incidence of severe cystic acne unresponsive to
13 other therapies in women.

14 We showed that Accutane was used extensively in
15 women of childbearing age, with over 40 percent of all
16 Accutane use in women 15-44. We concluded that Accutane was
17 used outside of its labeled indication over 90 percent of the
18 time in women and further showed that pregnancy exposure to
19 Accutane during the first trimester of pregnancy might be a
20 frequent occurrence.

21 Following this meeting, FDA and the sponsor
22 embarked on an unprecedented intervention program. The goals
23 of this program were publicly stated before both Committees
24 present here today, and are summarized on this slide.

The goals are the elimination of pregnancy exposure

1 to Accutane and reduction in level of use of Accutane in
2 women to a level consistent with the labeled indication and
3 the incidence of disease. My presentation today will focus
4 on evaluating the status of Accutane with respect to these
5 goals covering the two-year period since I last spoke before
6 this Committee.

7 (Slide)

8 Before proceeding, I want to re-describe for the
9 Committee how our Office arrived at its estimate for the
10 incidence of severe cystic acne in women aged 15-44. We used
11 the age 15-44 as a surrogate for the reproductive age range.
12 We began with the prevalence of cystic acne found in the
13 National Health and Nutrition Examination Survey (NHANES).
14 This study was designed and carried out by the National
15 Center for Health Statistics.

16 In that study, 20,000 people from across the United
17 States were selected by a carefully designed random sampling
18 technique. They were examined by 200 specially trained
19 dermatologists who followed a tested protocol.

20 The study found that cystic acne was 5.5 times more
21 common in men than in women. The prevalence of disease of
22 all degrees of severity, not just the severe disease but all
23 degrees of severity, active as well as inactive, was 0.6/1000
24 or, as shown on this slide, 6/10,000. That is 6/10,000 women
aged 1-74 years of age.

1 This prevalence was multiplied by the population
2 size of females in that age range which, in 1988, was 116
3 million. This gives you sort of a sense of what the prevalent
4 pool might be for cystic acne if we did not have Accutane
5 present today.

6 From the published literature, a mean duration of
7 cystic acne of 10 years, more or less, was obtained. This is
8 substantiated by the data from the Slone group where 2/3 of
9 patients have durations of acne greater than 6 years.

10 Also from the literature we estimated conservatively
11 that 50 percent of all cystic acne would qualify as severe
12 according to the definition of severe disease used in the
13 premarketing studies. From the Slone study data that we have
14 seen, we noted that 16 percent of the patients enrolled in
15 the study had 5 or more cysts. The definition of severe upon
16 which Accutane was approved and on which the premarketing
17 trials were done required that patients have 10 active cystic
18 lesions. So requiring that severe was only 50 percent is a
19 very conservative estimate in favor of the sponsor's view.

20 We then used the epidemiologic relationship of
21 prevalence equalling incidence times duration to solve the
22 equation for incidence. We arrived at a number of fewer than
23 4000 cases per year in women 15-44.

24 (Slide)

25 With this information as background, I will now

1 present data on Accutane usage in women. This slide shows
2 the number of prescriptions for Accutane as estimated by the
3 National Prescription Audit for years 1982-1989.

4 In 1989 there were 726,000 prescriptions for
5 Accutane, representing a 19 percent decrease from the
6 previous year. When analyzed by year for trends in pres-
7 cribing, a no downward trend was noted.

8 (Slide)

9 This slide shows Accutane prescription data from
10 the National Prescription Audit by quarter of marketing from
11 1982-1989. The drug came out in September, 1982 and that is
12 just about the start of the fourth quarter. So it goes from
13 there up until the end of the first quarter, the end of
14 March, 1990.

15 Generally, over this period of time there were more
16 or less 200,000 prescriptions for Accutane per quarter.
17 Apparent from these data is the seasonality of Accutane
18 prescribing, with nadirs occurring generally during each
19 summer quarter, which is the third quarter.

20 The number of prescriptions fell in the summer
21 quarter following the April, 1988 advisory meeting according
22 to what one might expect to be the typical summer nadir based
23 on previous experience. However, the typical increase in
24 prescriptions which is seen in the fall and winter quarters
25 did not occur following the advisory meeting.

1 The following summer, the third quarter of 1989,
2 saw a further drop in prescribing, with a return back to
3 previous levels in the fourth quarter of 1989 and the first
4 quarter of 1990.

5 The prescribing of Accutane in the past year and a
6 half has demonstrated the same cyclic form and shape as
7 prescribing of Accutane since it came on the market. The
8 only difference is that it is at a somewhat lower level.
9 Because the levels of prescribing for Accutane during quarter
10 4 of 1988 and 1 of 1989 are about the same as 4 and 1 of
11 1989-1990, we believe that a new equilibrium in prescribing
12 for Accutane has been established. In every single year of
13 marketing, proportion of Accutane used by women of child-
14 bearing age has ranged from about 40-45 percent of all use.
15 The nearly 1:1 ratio of use for men and women stands in sharp
16 contrast to the sex ratio of disease where men outnumber
17 women by a ratio of 5.5:1.

18 (Slide)

19 This slide shows data obtained by the sponsor from
20 Prescription Data Services, representing estimates of the
21 number of women age 12-44 newly treated with Accutane by
22 year. Between 1982-1989, the system estimates that 605,000
23 women in this age range were treated with Accutane. This is
24 about 1 percent of all women in this age group. The level of
new starts in 1989 was virtually identical to that in 1988.

1 The sponsor has applied a regression line from data
2 in 1983 down through the data in 1989 and found a "statisti-
3 cally significant" downward slope. This observation is
4 driven by the high number of prescriptions in 1983. Such a
5 trend line diverts our attention away from what happened in
6 1988 and 1989, which is really what we are interested in.

7 Even if such a regression line did apply to these
8 data and did correctly predict future prescribing, it would
9 take until about year 2020 for prescribing of Accutane in
10 women to come anywhere near what the estimated incidence of
11 the disease is. However, these data, we believe, suggest
12 that a linear model is not appropriate, especially because of
13 the flattening of prescriptions for 2 consecutive years at
14 the end.

15 (Slide)

16 This slide compares prescribing of Accutane on a
17 quarter by quarter basis for the years 1988 and 1989.
18 Prescribing was virtually identical quarter by quarter for
19 both years. In this section we have shown that Accutane use
20 by women of childbearing age has not changed in 1989 compared
21 to 1988. The level of use in women exceeds the estimated
22 incidence of disease and the labeled indication for the drug
23 by more than 15-fold. This means that over 90 percent of
24 women treated with Accutane last year did not have severe
recalcitrant cystic acne. Nonetheless, these patients did

1 receive Accutane and were subjected to the risks of pregnancy
2 exposure, birth defects and abortion which are associated
3 with such exposures.

4 I now want to shift to another topic and update the
5 Committee on our work using the Michigan Medicaid to study
6 Accutane use and pregnancy exposure. These data shed light
7 on the nature of Accutane pregnancy exposure and its ramifi-
8 cations. Our previous data on this were requested by both
9 California and Michigan and were used by both in arriving to
10 their decision to remove Accutane from the general formularies
11 of their Medicaid programs.

12 From 1982-1988, 1122 women, aged 15-44, were
13 treated with Accutane in Michigan Medicaid. For future
14 reference, this group formed our Accutane treatment cohort.
15 We compared this group to the population of 278,000 women
16 aged 15-44 in Michigan Medicaid who did not receive Accutane.
17 This group was the untreated cohort.

18 Each patient in Michigan Medicaid has a separate
19 record of billable Medicaid transactions. This covers
20 physician visits, prescription services, hospitalizations and
21 outpatient procedures. This record, referred to as a medical
22 profile, lists in sequential calendar order all reimbursable
23 medical transactions. The computerized profiles of the 1122
24 women in our Accutane treatment cohort were reviewed to
25 identify women with suspected pregnancy exposure.

1 (Slide)

2 This slide demonstrates the approach we used. It
3 has been described in several previous studies of other drugs
4 published in the obstetrical literature. If an Accutane
5 prescription extended to within 270-180 days prior to a
6 delivery or to within 120 days of an abortion outcome, this
7 woman was classified as having a suspected early pregnancy
8 exposure to Accutane. In our cohort of 1122 women, 65 women
9 (5.8 percent of the total) were found to have suspected early
10 pregnancy exposure to Accutane.

11 The next two slides present in annotated fashion
12 the information from profiles on two women from our study.
13 They are intended to give the Committee a sense of the data
14 available and how our classification algorithm works.

15 (Slide)

16 This woman entered the Medicaid system about 5
17 years before she received her first Accutane prescription.
18 Her first diagnosis and therapy for a skin condition was 3
19 years before receiving Accutane. She received some topical
20 therapies but no systemic antibiotics. At 281 days prior to
21 delivery she began Accutane, receiving 3 consecutive monthly
22 prescriptions throughout the entire first trimester of
23 pregnancy. There was no billing for a pregnancy test and no
24 evidence of prescription contraceptives.

At 198 days prior to delivery there is a code for

1 spontaneous abortion in this woman's profile. This was
2 apparently a rule out diagnosis because at 120 days before
3 delivery there is a diagnosis of fetal abnormality in the
4 woman's record. The woman delivered and at that time the
5 medical records show that the child was normal, except for a
6 caput hematoma, which is a routine finding with vaginal
7 delivery.

8 (Slide)

9 The second slide shows the profile of another woman
10 from our study. She entered the Medicaid system about 3
11 years prior to the beginning of this slide. I have not shown
12 that because there was not enough space. Her first diagnosis
13 and treatment for acne occurred about 1.5 years before her
14 first Accutane prescription. All told, she received about 3
15 months of systemic antibiotics. However, there were large
16 gaps between these prescriptions. They were not administered
17 consecutively as the literature recommends. Also the dosages
18 of antibiotics were not sufficiently high for cystic acne if,
19 indeed, that is what this woman had.

20 She began Accutane therapy 276 days before delivery.
21 She received several different prescriptions at regular
22 intervals throughout the first trimester. The woman began
23 prenatal care at 93 days before delivery. She had poly-
24 hydramnios at delivery. The baby was born with hydrocephalus
and CNS abnormalities and died at 8 days of age.

1 These two slides emphasize that Accutane exposure
2 in the first trimester does not cause birth defects in 100
3 percent of cases, as the current labeling implies. Current
4 data suggest that the risks of birth defects may be as high
5 as 25 percent but may be lower.

6 In a critique of our work, the sponsor stated that
7 data from Medicaid should not be believed because we have not
8 yet documented that the women prescribed Accutane actually
9 ingested it. For reasons of protecting patient identity and
10 confidentiality, Michigan Medicaid as declined to permit us
11 to interview these women. We believe the data are useful and
12 reliable, however, because over 70 percent of women in the
13 Accutane exposure cohort received more than 1 prescription.
14 This suggests to us that the women not only filled their
15 prescriptions for Accutane but also took the drug. The
16 prescription sizes and the time interval between prescriptions
17 as seen in this profile and the profile before it seem to
18 bear this out as well.

19 (Slide)

20 This busy slide summarizes the distribution of
21 suspected first trimester pregnancy exposures to Accutane in
22 65 women. Of note, the level of exposure was fairly consis-
23 tent from year to year. Also abortion was the outcome in 76
24 percent of cases. In 60 percent the outcome was induced
abortion. This compares with the background rate in the

1 Medicaid population for induced abortion of about 30 percent.
2 For spontaneous abortions were recorded in these women. This
3 is lower than would be expected based on the data by Dr.
4 Lammer, which was presented before this Committee last year.

5 Dr. Franz Rosa, from our Office, has studied this
6 particular diagnosis in detail. He has shown that data from
7 Medicaid cannot be used to reliably study spontaneous
8 abortion because the system fails to capture the majority of
9 events. This occurs because women in Medicaid typically do
10 not seek prenatal care during the first trimester when most
11 spontaneous abortions occur.

12 Twenty-four percent of women with suspected
13 Accutane exposure in the first trimester deliver. There were
14 deliveries in every year except 1983. Of interest and
15 concern, we found that 21 of these 65 women (32 percent of
16 the total) were probably already pregnant when they received
17 their first prescription for Accutane.

18 (Slide)

19 We reviewed the maternal profiles of all 16
20 deliveries and have, thus far, obtained infant profiles on 5
21 cases and some medical records on 10 cases. Based on these
22 preliminary data, 12 births were normal; 1 child was stillborn
23 with the umbilical cord wrapped around its neck; 1 child,
24 whose mother's profile you have just seen, had a severe birth
defect and died 8 days later; 2 are uncertain. One died

1 perinatally but this child was premature and we have not yet
2 learned if the death was due to prematurity or some other
3 cause. The other child has an ICD9 code in the mother's
4 profile for fetal damage due to drug. We are awaiting this
5 child's profile and medical records.

6 We still have much work to do in trying to collect
7 as much information as possible on the deliveries. We hope
8 to eventually obtain medical records for all deliveries and
9 the offspring.

10 To validate the deliveries, several things have
11 been done. First, a previous study for another drug,
12 conducted by our Office, found that 100 percent of patients
13 with inpatient delivery codes in their profiles had an
14 actually delivery. This was done for 63 women with inpatient
15 delivery codes. In our own group of 16 patients, all 16 have
16 inpatient delivery codes and all but 1 have multiple recorded
17 prenatal or postpartum outpatient visits, as well as pres-
18 criptions for prenatal vitamins and Parlodel for postpartum
19 lactation suppression in many.

20 This provides internal verification that the
21 pregnancy and the delivery were real. We are also cross-
22 referencing our cases with a procedure code file, which should
23 give us added certainty and security in this conclusion. The
24 abortion accounts are also believed to be valid because
ongoing auditing by Medicaid to detect and punish fraudulent

1 billing or procedures occurs. Half of our abortion cases
2 also show prescriptions for oral antibiotics and ergot
3 derivatives on the same day as the abortion code, suggesting
4 that the procedures were, in fact, carried out. We are in
5 the process of running our case material across a separate
6 file of reimbursable procedure codes as a means of validating
7 the cases without ergot or antibiotic prescriptions.

8 (Slide)

9 We were interested in the pregnancy outcomes of
10 women with suspected first trimester exposure to Accutane.
11 To study this question in more detail, we calculated the
12 incidence densities for pregnancy, abortion and delivery
13 among the Accutane-treated cohort and the population cohort
14 not treated with Accutane.

15 These crude rates per 1000 women years were derived
16 and are shown on this slide. Relative risks were calculated
17 as incidence density ratios according the method of Guess et
18 al. and Rothman and Boyce. The pregnancy rates in the 2
19 groups were similar. The relative risk was 1.1. This
20 suggests little difference in contraceptive practice or
21 efficacy for the women in the Medicaid system.

22 Medicaid uses a number of different ICD9 codes to
23 describe abortion, including legal induced, spontaneous and
24 not otherwise specified. We calculated relative risks for
each of these codes and for all codes together. Abortion

1 events, especially induced abortion, showed elevated relative
2 risks. We found a 2-fold increase in abortion among women
3 treated with Accutane when compared to Medicaid women not
4 treated with Accutane. The 95 percent confidence intervals
5 are narrow and these results are highly statistically
6 significant.

7 Delivery in the Accutane-treated population was
8 half that of the general Medicaid population. These data
9 were adjusted for age and race. Marital status and socio-
10 economic status, which are also known to be potential
11 confounders in abortion, are internally self-adjusted. Low
12 income level and single parent status are pre-conditions for
13 Medicaid eligibility.

14 To search for possible risk factors of pregnancy
15 exposure to Accutane, we performed a nested case control
16 study comparing the 65 women with suspected Accutane pregnancy
17 exposure, our cases, to 99 women treated with Accutane but
18 not experiencing pregnancy exposure, our controls. This
19 control group was obtained by taking a 10 percent sample of
20 the entire Accutane treated cohort of 1122 women.

21 We then excluded those women over the age of 44 or
22 under the age of 15, as well as any women with an Accutane
23 pregnancy exposure. Out of 112 women selected by a random
24 sample, 99 were age 15-44 and had not had pregnancy exposure
25 to Accutane.

1 (Slide)

2 Age and daily dose did not differ between the 2
3 groups, in our case group or our control group. The number
4 of prescriptions were lower and the duration of therapy was
5 shorter among women with pregnancy exposure. You can see
6 that here. Both of these were statistically significant.
7 This difference was explained entirely by the presence of the
8 21 women who, based on the timing of Accutane use with
9 respect to pregnancy outcome, were probably already pregnant
10 when they received their first prescription for Accutane.
11 When these 21 women were removed from the group of 65, the
12 differences between cases and controls disappeared.

13 (Slide)

14 We were unable to fully ascertain contraceptive
15 practice because over-the-counter methods are not included in
16 patient profiles. However, there appears to be less com-
17 pliance with prescription forms of contraception among women
18 who experienced pregnancy exposure compared to those who did
19 not.

20 Pregnancy testing was rarely performed and few
21 patients received adequate prior antibiotics. Among patients
22 receiving any antibiotics, it was generally of a short
23 duration and a low dose.

24 (Slide)

A number of criticisms were raised about the

1 unrepresentativeness of Medicaid populations. Medicaid
2 populations are poorer, have lower education levels and
3 higher proportions of women of minority groups than the
4 general population. Patterns of health care utilization may
5 also differ. Some have suggested that the quality of health
6 care these patients received and the physicians who provide
7 such care may be inferior to that available outside of
8 Medicaid.

9 However, certain aspects of Accutane usage bear
10 emphasizing. The annual prevalence of Accutane use in women
11 nationally is about 1.2/1000 women. In Michigan Medicaid the
12 use level was about 1/1000 women per year.

13 The age distribution of women treated with Accutane
14 in Michigan Medicaid is virtually superimposable on that from
15 the Slone Accutane study, the results of which we just saw
16 previously. It is also virtually identical to the distri-
17 bution of ages for the group health cooperative HMO, data
18 which we presented to this Committee last year.

19 The mean duration of Accutane prescriptions among
20 women in Michigan Medicaid was 22.5 days. This was exactly
21 the same as that for the nation as a whole, as estimated by
22 IMS America and reported by the sponsor in their critique of
23 our original report.

24 The proportion of women receiving only 1 pre-
25 scription of Accutane in Medicaid was 28 percent. In the

1 Province of Saskatchewan, in Canada, with universal health
2 insurance in a non-impooverished environment, 30 percent of
3 patients had only 1 prescription. These data have been
4 published in the Canadian Medical Association journal. In
5 both Michigan Medicaid and Saskatchewan only about 19 percent
6 of patients received 4 or 5 prescriptions for Accutane, which
7 would correspond to the recommended treatment duration.
8 These features suggest to us that Michigan Medicaid may not
9 be as unrepresentative as alleged by some.

10 Two years ago we proposed a system that would have
11 permitted an accurate counting of pregnancy exposure and
12 birth defects with Accutane. The system was not adopted and
13 today we still have only spontaneous reports of birth defects
14 and pregnancy exposures to rely upon.

15 The sponsor says that the only pregnancy exposures
16 and birth defects which have occurred are those reported to
17 it. Our Office maintains that most cases go unreported. In
18 the absence of a system permitting full enumeration and
19 verification of pregnancy exposure and birth defects, we
20 developed a model based on national data to estimate the
21 magnitude of pregnancy exposure to Accutane.

22 This model was developed for heuristic purposes
23 only, to give us an impression of what is most likely
24 occurring. The actual numbers are far less important than is
25 the insight which the model provides.

1 (Slide)

2 As we have conceptualized it, the total number of
3 pregnancy exposures to Accutane is the result of the contri-
4 bution of 3 separate components. Exposures can result from
5 initiation of Accutane therapy in women who are already
6 pregnant. Exposures can also occur among women who are
7 sexually active but not practicing any contraception.
8 Finally, exposures may be related to contraceptive failure
9 itself.

10 (Slide)

11 We designed a model which incorporated data on the
12 distribution of various pregnancy risks and contraceptive
13 categories, as well as pregnancy rates associated with each
14 of these categories. This was used to estimate the number of
15 pregnancy exposures to Accutane which we believe probably
16 occurred. The Committee has hard copies of the overheads I
17 will be using.

18 (Transparency)

19 This overhead shows the formula we used to arrive
20 at the estimate of pregnancy exposures. We summed overall
21 pregnancy risk and contraceptive categories. The number of
22 women treated with Accutane, 65,000 in 1989, was multiplied
23 by 5/12 because the typical course of therapy is supposed to
24 be 5 months and that is 5/12 of a year. This converts the
number of patients into person years of exposure to Accutane.

1 This quantity was multiplied by the proportion of women in
2 given contraceptive risk categories and by the associated
3 pregnancy rates for those categories.

4 I have given an example for oral contraceptives.
5 We have 65,000 women; 5/12 of a year; a 0.243 proportional
6 prevalence in the population for OC as a method of contra-
7 ception and a failure rate of 2.5 percent. That result
8 leaves us with 165 pregnancy exposures due to pill failure
9 alone.

10 For all methods, including no method, we summed
11 this formula and then added a term to adjust for that
12 proportion of women who were already pregnant when they
13 started Accutane. We know that for the years 1982 up through
14 1988 that that proportion is about 1/3 of the pregnancy
15 exposures, which meant that the total sum that we would get
16 over all methods here would then be increased by an additional
17 1/3 due to exposure of women who were pregnant when they came
18 to the doctor and got their first prescription for Accutane.

19 In our original report we used data provided in an
20 overview article on contraception, by Dr. Mitchell, which
21 appeared in the New England Journal of Medicine. The data
22 which he used was the derived from the National Survey of
23 Family Growth, which is sponsored by the National Center for
24 Health Statistics. These data are shown in the next slide.

(Slide)

1 These rates were those which apply to U.S. women
2 aged 15-44, obtained from the most recent previous National
3 Survey. Given the sampling design of the survey, these data
4 are the most representative data available to describe the
5 situation in the United States.

6 (Slide)

7 Using our model, we estimate that between 1982-1988
8 there were about 16,000 pregnancy exposures to Accutane,
9 affecting about 3 percent of women treated with the drug.
10 The majority of these were aborted. There were perhaps 900
11 birth defects.

12 The sponsor claims that we intentionally picked the
13 highest contraceptive failure rate in our model, resulting in
14 an unfairly skewed picture. They have asked today for a
15 sensitivity analysis.

16 The examples of the lower contraceptive failure
17 rates cited by the sponsor in their critique of our report
18 refer primarily to foreign studies, done as clinical trials
19 within specific subpopulations, for example, married women
20 aged 30-39. These data were not referenced to the total
21 population of women 15-44. The data which we used was
22 referenced to the entire U.S. population.

23 To explore the sponsor's concern further, we
24 consulted with contraception experts at the Centers for
Disease Control. They referred us to the work of Trussel and

1 Kost on contraceptive failure, published in 1987. Trussel is
2 one of the most respected contraceptive demographers in the
3 world and the work presented in his paper has come to be
4 accepted as the most accurate and definitive data in the
5 United States. His rates are those which are used in the
6 reference work, Contraceptive Technology, which is the final
7 authority in the field of family planning.

8 (Transparency)

9 Trussel and Kost performed a clinical review and
10 analysis of the existing published literature on pregnancy
11 with various methods of contraception. This overhead
12 describes their findings. The first column shows the numbers
13 that we used in our model. The next two columns are from
14 Trussel and Kost's review. The column labeled "typical
15 observed" refers to the real world, actual pregnancy rates
16 observed in large population-based studies. The column
17 labeled "lowest expected" represents the theoretical lowest
18 pregnancy rate which would be observed if only perfect users
19 of each method were studied.

20 As Trussel and Kost point out, this is never seen
21 and the rates for "typical observed" are what are almost
22 universally experienced. Please note that in each instance
23 of our model the rate which we used was lower than the
24 "typical observed" rate which Trussel and Kost say is what
25 the most likely experience is in the population.

1 In their review, Trussel and Kost discuss the
2 studies which produced the low rates for vasectomy, tubal
3 ligation and no method, which we have in our model. If you
4 compare tubal ligation, we have a rate that is much lower
5 than the theoretical minimum; for vasectomy, much lower than
6 the theoretical minimum; and for no method, much lower than
7 the theoretical observed.

8 In their review discussing the sources for the data
9 which Mitchell included in his paper and which we included in
10 our review, they point out that each of these studies had
11 serious design flaws and biased methods of accounting for
12 person time which resulted in substantial under-calculation
13 of the pregnancy rates with these methods.

14 To represent a more balanced and realistic view, we
15 used the data from Trussel and Kost, as well as additional
16 data provided by the Slone study on the distribution of
17 contraceptive methods, to estimate pregnancy exposure to
18 Accutane.

19 (Transparency)

20 This overhead shows the proportional distribution
21 of pregnancy risk categories of women treated with Accutane,
22 based on national data from the Survey of Family Growth which
23 we used in our model, and based on the Slone study of
24 Accutane, some of the results of which were presented before
25 the Committee this morning.

1 The main differences between the national data and
2 the Slone data are in the estimates of how many women are
3 abstinent and how many are sexually active but practicing no
4 method of birth control.

5 There are important reasons to be skeptical of the
6 Slone data and these will be discussed later. However, we
7 concluded it here in this analysis so as to be as conservative
8 as possible in the estimation of pregnancy exposure which
9 results.

10 (Transparency)

11 This overhead shows the number of birth defects and
12 pregnancy exposures estimated to have occurred in 1989, based
13 on data from Trussel and Kost, as well as the Slone Epidemi-
14 ology Unit data on distribution of pregnancy risk categories,
15 and data from the National Survey of Family Growth. So we
16 have the "typical observed", we have our model and we have
17 the "lowest expected".

18 The estimates provided by our original model are
19 very close to the theoretical minimum which would be observed
20 if we were dealing with perfect users. This is never seen in
21 real world situations. So the actual numbers of pregnancy
22 exposure and birth defects from Accutane experienced in 1989
23 is probably closer to that of the "typical observed".

24 Realistically speaking, it is quite likely that there were
between 76-227 birth defects with Accutane last year.

1 In our original report, we demonstrated that under-
2 reporting of pregnancy exposure and birth defects was great.
3 This conclusion is not altered by these latest data.

4 (Transparency)

5 Regardless of whose data you choose to go with,
6 under-reporting of pregnancy exposure and birth defects was
7 extremely high. At best, only 3-8 percent of pregnancy
8 exposure cases and only 4-10 percent of birth defect cases
9 for 1989 were reported to the sponsor. This is an inescapable
10 reality.

11 For some, this conclusion is very difficult to
12 believe. People say that physicians would certainly report
13 something as terrible as a birth defect. This a priori
14 expectation is not supported by data or experience.

15 (Slide)

16 This slide summarizes the literature in which
17 under-reporting was quantified. All dealt with serious or
18 fatal adverse reactions to drugs in otherwise healthy
19 individuals who were not expected to die. At a time of great
20 interest and public discussion about the risks of oral
21 contraceptives in the United Kingdom, only 15 percent of
22 women with thromboembolic deaths who were taking the pill
23 were reported to the Committee on Safety of Medicines, which
24 is the British equivalent of the FDA.

In another study from the CDC, only 10-20 percent

1 of sudden deaths in infants, the deaths occurring within 24-
2 48 hours of receiving vaccination with DTP, were reported.
3 Another study from the State of Maryland found that fewer
4 than 10 percent of adverse drug reactions causing death or
5 hospitalization were reported to governmental agencies. A
6 similar level was observed in a study from Sweden.

7 Dr. Franz Rosa, in our Office, has estimated birth
8 defect reporting with other drugs to be only about 4 percent
9 of actual occurrence.

10 Finally, we have two anecdotes to relate. About
11 two years ago a child with Accutane-related defects was
12 treated at Bethesda Naval Hospital, which is three miles from
13 where we sit today. This child had been seen and treated by
14 two other physicians before coming to the Naval Hospital.
15 Dr. Peck, our Center Director, saw this child at the hospital
16 and encouraged the physicians there to report this case to
17 FDA. Neither they nor the two separate physicians involved
18 before in this child's case or care reported it to FDA.

19 The second anecdote relates to the case of birth
20 defect in our Michigan Medicaid study. This case was not
21 spontaneously reported to FDA, nor were any other of the
22 cases of Accutane-exposed deliveries.

23 Under-reporting is a fact of life which we deal
24 with every day in our work at the Agency. Our observations
with Accutane are entirely consistent with the literature

1 experience.

2 But why does under-reporting occur? I will begin by
3 asking each member of the Committee to reflect back on their
4 own clinical experience and recall how many patients of yours
5 have experienced adverse drug reactions. Did you report all
6 of these to the FDA? Did you report any of them to the FDA?
7 These are rhetorical questions. In my own clinical ex-
8 perience, I can recall three cases quite clearly of which I
9 reported none.

10 (Slide)

11 This slide lists some of the factors known to
12 contribute to under-reporting. Failure to ascertain the drug
13 exposure might be important with Accutane. It is prescribed
14 by one physician specialist and the birth defect is seen by
15 another physician specialist. The physician may not recognize
16 the adverse reaction. In the case of Accutane, if the birth
17 defect were mild or the treating physician was not a trained
18 teratologist, it might not be as readily observed.

19 From their study of under-reporting in Maryland,
20 Rogers et al. conducted a survey of physician attitudes
21 towards reporting. They found that most physicians felt that
22 they were too busy to report an adverse reaction. Many were
23 afraid of governmental interaction and involvement. The
24 majority did not know where to report or how to report or who
25 to report to. Others were concerned about liability and

1 others saw no point in reporting known adverse reactions.

2 (Transparency)

3 Before concluding, I want to spend a few moments
4 discussing the Slone Epidemiology Unit's Accutane survey. We
5 heard earlier today that they estimate that their projected
6 enrollment in the future will be about 53 percent of women
7 who take the drug. The actual enrollment in 1989 was 28
8 percent, far too low to provide any assurance of representa-
9 tiveness. Only 19 percent of the patients in the study were
10 enrolled by their physicians, yet, the results which we were
11 shown today are based on data in which 36 percent of the
12 patients were physician enrolled.

13 As Dr. Mitchell showed the Committee earlier, there
14 are differences in compliance between patients who are
15 physician enrolled and package enrolled. So these biases
16 permeate the entire data which were presented by the Slone
17 group. This has led to biased study results.

18 For multiple variables reported on, results among
19 the self-enrolled are far less encouraging than those of the
20 physician-enrolled patients. If the package-enrolled
21 patients show poor adherence to aspects of the intervention
22 program, how much worse might be compliance among the 72
23 percent of women treated with Accutane but not included in
24 the survey?

There are also other problems relating to the way

1 questions are asked and the way the data are collected, which
2 might yield misleading and incorrect conclusions.

3 In determining the degree and severity of cystic
4 acne, the survey does not give a clear indication of the
5 actual number, location, severity and size of the cystic
6 lesions. The data which we were shown previously were
7 trichotomized between none, 1-2 and 3+ cysts. Today we saw
8 the data in a slightly expanded form for a more recent
9 interval of time since they have changed the way in which
10 they ask their questions, which showed us that 16 percent of
11 patients had or more cysts.

12 The design, which applies to the majority of the
13 data where they have trichotomized variables for the number
14 of cysts, will provide which is not of the most utility to
15 the Agency in assessing the severity of disease because it
16 sort of forces one to assume that the category 3+ cysts is
17 severe but that was not the definition of severe used in the
18 premarketing trials. In those trials the definition for
19 severe was 10 or more cysts.

20 Also the method of questioning in the survey does
21 not permit us to know if the cysts were facial or truncal.
22 This might be important in whether or not one thinks that a
23 risk-benefit analysis on a particular woman is worthwhile.

24 Another feature is that the Slone study is inter-
25 ventional. There were 32 program failures which were not

1 discussed very much this morning by the Slone group. These
2 32 represent patients who were found at T-1, the first
3 interview, to not be practicing contraception and then
4 extensive efforts were made to contact that woman's physician
5 to get her off Accutane or get her on contraceptives.

6 What we have seen from the data by Trussel and Kost
7 is that in the course of a year 90 percent of those women can
8 be expected to have pregnancy exposure. They have been
9 censored out of the study by the Slone methodology. So the
10 estimate they get for pregnancy exposure will be substantially
11 artificially reduced below that which would occur in 72
12 percent of women not subject to the same interventional
13 strategy.

14 Finally, the question about prior antibiotics does
15 not indicate whether dosage and duration were adequate to
16 establish disease recalcitrance. As I pointed out in the
17 Michigan data, we see that prescriptions are frequently of
18 short duration and they are not administered consecutively
19 over time.

20 In the area of contraception, the survey results
21 are based on women's answers about their contraceptive status
22 on the day of the telephone interview. Dr. Mitchell tells us
23 that T-1, the first interview, occurs at about 1 month after
24 enrollment, which is about 1 additional week after they start
Accutane. So 5 weeks after the woman starts Accutane, she is

1 asked, "On this day, are you using contraception?" And we
2 get results. It does not tell us what they were doing for
3 the previous 5 weeks and that could be very important.

4 The Slone data have shown that women do change what
5 they are doing. Abstinent women become sexually active;
6 sexually active women become abstinent. They change methods;
7 they drop methods. So there is lots of ambiguity in the way
8 they ask the question and the results, therefore, cannot be
9 entirely trusted.

10 The same problem also applies to classifying a
11 patient as being sexually abstinent. Abstinence is often
12 intermittently broken. The survey may very well overestimate
13 the size of this group.

14 Of concern in the Slone data was that 6 percent of
15 women using contraception at the first interview were no
16 longer using it at the second interview. We were not told
17 these data -- excuse me, we were told that today.

18 (Transparency)

19 At the beginning of this meeting on Accutane Dr.
20 Nelson went over the current label for Accutane. As you saw
21 then and as I have shown here, that label states that the
22 drug is contraindicated in women unless all of the following
23 criteria are met: That she has severe cystic acne that is
24 recalcitrant to other therapies; that she has had pregnancy
testing done by a serum method; that monthly pregnancy

1 testing is strongly recommended; that she start Accutane on
2 the second or third day of her period.

3 From the Slone data, these are the percentages
4 observed among the self-enrolled respondents in the study.
5 Compliance with each of the features is poor, at best. If we
6 multiply each of these percentages together, we arrive at the
7 conclusion that Accutane may be contraindicated in over 97
8 percent of the women entered in the Slone study.

9 (Slide)

10 To summarize, Accutane use substantially exceeds
11 its label. Our estimate of disease incidence is under 5000
12 per year. Yet, last year 65,000 women were newly started on
13 the drug. This level is unchanged from 1989. Since coming
14 on the market, about 1 percent of all women of childbearing
15 age have been treated with a potent teratogen. This rep-
16 resents an extremely high exposure from a public health
17 perspective. Of these women, modeling of pregnancy exposure
18 by multiple methods, conservative as well as more liberal,
19 suggests with a fair degree of certainty that about 3 percent
20 of these women experience first trimester exposure to the
21 drug. This 3 percent should not be so unbelievable.

22 The sponsor observed a 5 percent rate of pregnancy
23 exposure during its controlled premarketing studies. This
24 was in a setting of informed consent; contraceptive coun-
25 seling; contraceptive practice and pregnancy testing.

1 In the study by Dr. Richard Platt, cited in my
2 report, medical record review of all women treated with
3 Accutane in the past 2 years found a pregnancy exposure rate
4 of 2.5 percent. In Michigan Medicaid we found a rate of
5 suspected exposure of 5.8 percent. Later today, Dr. Edward
6 Lammer will present data from California with a rate of 3.1
7 percent.

8 From our modeling, we estimate that there have been
9 over 16,000 cases of first trimester pregnancy exposure to
10 Accutane. About 75 percent of this number ended with
11 abortions. We have estimated the relative risk for abortion
12 and found it to be increased 2-fold amount women who use
13 Accutane. Of first trimester pregnancies reaching delivery,
14 up to 25 percent may have a birth defect. In the U.S.,
15 modeling suggests that there are over 900 cases of Accutane-
16 induced birth defects. Perhaps half of these children died
17 in infancy. Even using the most conservative estimate
18 available, fewer than 10 percent of these cases were reported
19 last year.

20 It follows from this that spontaneous reports
21 cannot be relied upon to monitor the extent of pregnancy
22 exposure or birth defects with Accutane. Furthermore,
23 because of problems with program compliance and contraceptive
24 failure, pregnancy exposure to Accutane will occur as long as
25 Accutane is given to women of childbearing potential. The

1 only way to eliminate pregnancy exposure is to eliminate the
2 use of this teratogen in women of childbearing potential. In
3 a very real sense, pregnancy exposure is totally preventable.

4 (Slide)

5 The goals of the intervention program were, one, to
6 eliminate pregnancy exposure and, two, reduce the level of
7 use to that consistent with the disease incidence. Our data
8 and the firm's show that the intervention program has failed
9 to achieve these objectives. It will not achieve them in the
10 future.

11 The Slone study shows that more than half the women
12 who got the drug did not have severe cystic acne. The data
13 shown this morning showed that at least 40 percent of the
14 women recently enrolled in the study had no cysts. When we
15 multiply all the other factors out accounting for the low
16 compliance with the different features, especially pregnancy
17 testing, we come to the startling conclusion that for most
18 women who got Accutane it was contraindicated for them.

19 In their presentation, the sponsor tried to discuss
20 in greater depth what they are doing to evaluate the low rate
21 of pregnancy testing reported in the Slone study. They have
22 relied on sort of a mini-survey of physician offices where
23 patients had previously been reported to not have pregnancy
24 tests done. The information obtained was surrogate means.

They asked the office manager, who is well aware of what the

1 liability concerns are and what the requirements are for the
2 appropriate use of the drug. Without medical record verifi-
3 cation, including documentation of the serum pregnancy test
4 in the chart, I do not think that these data can be believed
5 with any reliability.

6 The firm's suggestion that there be a switch to
7 office-based urine pregnancy testing will permit the fudging,
8 if you will, of medical record charts. When you send a blood
9 specimen out and you get a slip back that has the result on
10 it from an independent laboratory, there can be no doubt that
11 the test was done. If the goal is to eliminate pregnancy
12 exposure, then one component of that has to be that the
13 pregnancy test has been done.

14 From the study done by Richard Platt which we cited
15 in our report, the sponsor said in their critique of our
16 study before this Committee this morning that the data
17 covered a period before the real time. Last week Dr. Platt
18 faxed to us updated data from his plan that covers the time
19 through the end of February, 1990.

20 In the 10 subsequent months to the data covered in
21 my report, the rate of serum pregnancy testing, validated and
22 verified by medical chart review of patients in that health
23 plan was 60 percent and 40 percent of women did not have a
24 serum pregnancy test. So the data from the Slone study
25 suggesting that there is a high proportion of non-compliance

1 with that feature are probably accurate.

2 Accutane cannot safely be given to women of
3 childbearing potential without pregnancy exposure occurring
4 and it cannot be rendered safe. The only way to eliminate
5 such exposure is to eliminate the use of Accutane in women.
6 In the U.S., over 1 percent of all women of childbearing age
7 have received this drug and about 3 percent probably had
8 pregnancy exposure to it. The great majority of these women
9 treated with Accutane and experiencing pregnancy exposure did
10 not have the disease for which the drug is approved. Thank
11 you.

12 DR. SCHROETER: Thank you, Dr. Graham. We have
13 several items of housekeeping. First, when we reconvene at
14 two o'clock, Dr. Barbara Hulka, who is Chairman of the
15 Fertility and Maternal Health Drugs Advisory Committee, will
16 take over the chair and we will co-chair with her leadership.

17 (Further housekeeping announcements)

18 We will see you at two o'clock.

19 (Whereupon, at 1:00 p.m., the Committee adjourned
20 for lunch, to reconvene at 2:00 p.m.)

1

AFTERNOON SESSION

2

3

4

5

6

7

DR. HULKA: Good afternoon. We would like to get started; it is going to be a long afternoon. We would like to start the afternoon agenda with Dr. Carl Peck, Director of the Center for Drug Evaluation and Research, and he would like to make a brief comment before we go ahead with the agenda.

8

9

10

11

12

13

DR. PECK: Thank you, Dr. Hulka. We have been queried as to whether the conclusions of the last presentation represent an Agency view in terms of conclusions. I want to state that they do not necessarily represent an Agency view. We actually could not hold that, else, we would not be asking you the questions that we have asked you to discuss.

14

15

16

17

18

19

20

They represent a very careful, penetrating analysis that Dr. Graham and his staff have undertaken with continuing review within the Office of Epidemiology and Biostatistics. I think I will leave it at that. We are very interested this afternoon in hearing your discussion and points of view on many of the same issues that Dr. Graham brought up in his discussion.

21

22

23

DR. HULKA: We will go ahead then with Sidney Wolfe, from the Health Research Group, who will make a presentation.

24

PRESENTATION BY SIDNEY WOLFE, M.D.

25

DR. WOLFE: I would like to thank FDA for asking me

1 to appear before this Committee. It is either the fifth or
2 sixth time I have appeared before either the Dermatologic
3 Drugs Advisory Committee or the Fertility and Maternal Health
4 Committee separately last year; together now, which probably
5 should have happened a long time ago.

6 I will just start out by reflecting my own frus-
7 tration about this whole process. Almost seven years ago we
8 petitioned FDA to stop what was clearly an inordinate amount
9 of over-prescribing of this drug by issuing box warnings,
10 warnings about the Accutane syndrome birth defects; also
11 warning about pseudotumor cerebri, which happens in men and
12 women.

13 We also urged that a foolish thing which both FDA
14 and the Company had done upon initial marketing of the drug be
15 reversed, which was to delete the requirement that a woman
16 get a pregnancy test before taking the drug. During the
17 clinical trials prior to approval of the drug, pregnancy
18 tests were required. For some reason that does not make any
19 sense at all that was deleted from the package information
20 and from the labeling, and only a couple of years after
21 marketing was it put back in as it had been prior to approval.

22 Two years ago, feeling at that time that there was
23 no possibility that educational methods, labeling and so
24 forth were going to work, we petitioned FDA to seriously
restrict the use of this drug by requiring all doctors

1 prescribing it -- who, we argued, should be dermatologists --
2 to sign on a one-time basis a form essentially saying that
3 they agree to prescribe only for the labeled indication for
4 women of childbearing age for severe, recalcitrant cystic
5 acne and, secondly, to do initial and follow-up pregnancy
6 tests. Failure to comply with that would have been criminal
7 penalties as our petition went.

8 One year after the petition was filed, and after the
9 FDA refused to decide publicly whether they had the authority
10 to implement the restrictions that we recommended, the
11 petition was denied but, interestingly, missing from the
12 response by Dr. Young a year ago was any statement that FDA
13 did not have the authority. We now know that former legal
14 counsel of the FDA, Thomas Scarlett, thought and probably
15 still thinks that the FDA does have the authority to do this
16 but the FDA has failed to get off the fence and say they do
17 have the authority to do it or that they do not have the
18 authority, in which case it would not be difficult to get
19 Congress to give them the authority.

20 What is the international situation? That has not
21 been mentioned today. I would just like to go over it
22 briefly. In one sense, Accutane represents the drug lag in
23 reverse. It was approved in the United States prior to
24 approval anywhere else in the world after fewer than 200
people in this country had been given drug in clinical trials

1 for severe recalcitrant acne. Larger numbers have been given
2 for other purposes but for the purpose of discussion today,
3 these were, by and large, small clinical trials.

4 As I mentioned before, it was approved without any
5 mandatory pregnancy test. They have a warning in here and
6 that was not instituted until afterwards.

7 In the past two full calendar years, 1988 and 1989,
8 as seen in the first chart, there have been no reported birth
9 defects anywhere in the world other than from the United
10 States. These are the year of birth of the child. The
11 numbers reported in the United States in 1988 and in 1989 are
12 a total of 10 birth defects. But the 1989 data are not
13 complete yet. If last year and the year before is any
14 indication, we can imagine that another several cases will be
15 reported.

16 Again to emphasize what Dr. Graham mentioned, these
17 are reported cases. They are only a fraction of the actual
18 cases that are occurring. The rest of the world has no
19 cases; the United States has 10 in the last 2 years.

20 On the second chart -- and all these data are
21 obtained from the FDA -- can be seen the reported rate of
22 serious Accutane birth defects reported in the United States
23 and five other countries. These are 1987 population rates in
24 each of these countries.

What you can see is that the rate of reported

1 Accutane birth defects in the United States is about twice
2 that of Canada and Switzerland. Canada has the same lack of
3 restrictions, by and large, that we have in the United
4 States. Switzerland is the home of Roche and they treat the
5 Swiss as badly as they do anyone anywhere else in the world,
6 with large amounts of Valium and Accutane floating around the
7 population.

8 One can see that in the United Kingdom, West
9 Germany and France there is a much lower rate. There is just
10 1 case in each of these 3 countries of birth defects. The
11 United States rate is 17 times higher than the rate in the
12 United Kingdom and France and 19 times higher than the rate
13 in West Germany, a country which, like the United Kingdom,
14 bitterly remembers the thalidomide tragedy and is determined
15 not to repeat it. Although, and possibly because, thalidomide
16 was never marketed in the United States and we do not have a
17 similar bitter memory of the tragedy of drug-induced birth
18 defects, there seems to have been, and to continues to be,
19 less caution to prevent the Accutane disaster which continues
20 to unfold in this country.

21 The third chart is 16-39-year old women because
22 this is the way the Company reported the data. These are
23 Accutane users per million total population in those countries
24 per year marketed. So if it is marketed for a longer period
25 of time, that is taken into account.

1 What you can see here is that the rates in United
2 States, Canada, Switzerland and France, which also has no
3 restrictions, are quite high, much higher than the rates in
4 West Germany and the United Kingdom. The United States rate
5 of 252 16-38-year old women per year of marketing is 9 times
6 higher than the rate in West Germany and 6.7 times higher
7 than the rate in the United Kingdom.

8 What is the situation now? Well, you have heard
9 several different versions of it this morning. Our version
10 is, I think, very conservative. It probably understates how
11 bad the problem is. As of now, there are a total of 79
12 serious Accutane birth defects reported in the United States
13 through 1989. As I mentioned before, not all the 1989 data
14 are in yet. It is likely that at least 3 times more have
15 actually occurred, thus, about 237 serious birth defects. It
16 may well be that this is too low an estimate.

17 As mentioned on one of Dr. Graham's slides this
18 morning, generally for adverse drug reaction reports there is
19 about a 1 in 10 deficiency. We are only getting 1 report for
20 every 10 that actually occur. It might be a little higher
21 for this but it certainly is not to the point where we are
22 getting anywhere near complete reporting; it is a fraction.
23 This is clearly the worst epidemic of preventable, serious
24 birth defects we have ever seen in the United States.

Equally tragic are the several thousand women who

1 have had induced abortions because they later found that they
2 had been pregnant before they had started Accutane or became
3 pregnant afterwards and were understandably frightened, as
4 the labeling would lead them to be, of the 25 percent risk of
5 a seriously deformed child if they carried the pregnancy to
6 term.

7 Gross over-prescribing continues despite of, or to
8 phrase it another way, because of the inadequacy of the
9 various measures taken short of severely restricting the
10 distribution of the drug.

11 These are data that were presented this morning,
12 although they were updated and they are slightly worse than
13 what I am presenting here, in a Roche-funded study, being
14 done on contract to the Slone Epidemiology Unit, in Boston,
15 even with its severe selection bias of who participates -- in
16 other words, the doctors, in our view, who are most likely to
17 participate are the least likely, and it is difficult to
18 prove it, but they are the least likely to be the ones who
19 are violating the conditions of the labeling. Yet, a large
20 number of them were. In the data presented, there is
21 evidence of a large amount of reckless and dangerous pres-
22 cribing: 32 percent of women of childbearing age who were
23 given the drug and who were surveyed did not have any acne
24 cysts (Roche appendix 2, table 8), with an additional 16
25 percent having only 1 or 2 cysts. That figure was 40 percent

1 when presented by Dr. Mitchell this morning. In the same
2 survey, 40 percent of these women did not have any scarring.

3 Also in the Roche study, it was alarming that 37
4 percent of the women surveyed did not have a pregnancy test
5 before starting Accutane. There has, if anything, been a
6 slight decrease -- not significant but it is certainly not an
7 increase -- in the percentage of women who had pregnancy
8 tests from early 1989 to early 1990.

9 Stealing only one number out of Dr. Lammer's
10 presentation out of dozens, if not hundreds, that he will
11 give, even worse misprescribing was found in that of women
12 who unintentionally used the drug, in California, after
13 conception, a majority (60 percent) did not have cystic acne.

14 In summary, about 50,000 -- this is the lower bound
15 and the other figure of 65,000 is probably closer to it --
16 women of childbearing age continue to get Accutane each year
17 in the United States. A large proportion of them do not even
18 have cystic acne. Of those who do, it is not clear what
19 fraction have been first tried on other less dangerous
20 treatments and I would add, based on Dr. Graham's presen-
21 tation, on adequate doses and durations of therapy of the
22 less dangerous treatments, such as antibiotics.

23 The drug has already caused at least 237 serious
24 birth defects in U.S. children, which continue to occur here
but not in the rest of the world. In addition, several

1 thousand women, 50-70 percent, or according to Dr. Graham's
2 estimate 100 percent more than would have abortions without
3 the threat of a severely deformed child, have had induced
4 abortions.

5 The current U.S. policy on Accutane which guarantees
6 continuation of preventable serious birth defects and tragic
7 abortions by women who would otherwise deliver a child must
8 be stopped. If the FDA continues its recalcitrant policy of
9 refusing to seriously restrict the availability of Accutane,
10 we will have to consider going to the United States Congress
11 to force the issue, and that is not an idle threat.

12 I will be glad to try to answer any questions that
13 you have.

14 DR. HULKA: I think we will have to go on because
15 of our time frame today. What I expect is that, depending on
16 how the time goes, if each speaker uses less than his 15
17 minutes, then we will have an opportunity for questions.
18 Otherwise, the bulk of our questions and our discussion will
19 have to be at four o'clock.

20 DR. WOLFE: Did I meet my quota?

21 DR. HULKA: You met your quota. Thank you. Dr. Ed
22 Lammer, California Birth Defects Registry, will speak next.

23 PRESENTATION BY ED LAMMER, M.D.

24 DR. LAMMER: Thank you. I work for the California
25 Birth Defects Monitoring Program. What I would like to

1 present for the Committee today are some preliminary results
2 of two studies that we have been working on over the last
3 couple of years.

4 First, a study concerning Accutane use among women
5 in MediCal, in California, which was designed along the same
6 methods as the FDA Michigan Medicaid study, which was
7 initially presented to this Committee two years ago.
8 Largely, this study has been designed to deal with a few
9 problems with that study and also to try and replicate the
10 results in a different population of a public assistance
11 program.

12 The second study I want to present are some data
13 concerning circumstances surrounding the prescribing of
14 Accutane among 61 women who have actually used it during
15 pregnancy. This group is a subset of the population parti-
16 cipating in the longitudinal study of infants exposed to
17 isotretinoin in utero, a study funded by Hoffmann-La Roche
18 through the Massachusetts General Hospital, in which I have
19 been participating since 1985, and from which I presented
20 earlier results to this Committee at its meetings in the
21 previous 2 years.

22 I should add that the principal investigator on
23 this is actually Dr. Kirsten Waller, who is an EIS officer
24 for CDC assigned to the California Health Department, who is
doing this project with me and the MediCal people.

1 Two years ago Dr. Graham, from the FDA, presented
2 the results of a retrospective analysis of Accutane pre-
3 scribing among women in a Michigan Medicaid data set, linking
4 temporally the data of Accutane subscriptions with subsequent
5 diagnostic codes for pregnancy terminations, spontaneous
6 abortions and deliveries.

7 We have attempted to replicate that study in the
8 MediCal population using a similar type of financial data
9 base, however, with what we think are several improvements in
10 study design. First, we have the ability to interview all of
11 the potentially exposed women to confirm whether or not they
12 used the drug after conception, although I must say that that
13 work is still in progress and I only have a small bit of it
14 to present today.

15 Second, through our population-based registry of
16 all births in California, we have the personnel to send out
17 to evaluate the medical records of these women and children
18 all over the state.

19 (Slide)

20 This study concerns all women from age 15-44 who
21 were participating in MediCal in 1987 and 1988. For some of
22 the information we only have 1987 data because we started the
23 project in 1989 and women who were prescribed the drug in
24 1988 could have used the drug during a pregnancy in 1989. So
those data are still incomplete at this time but eventually

1 we will have them.

2 In 1987 there were 640,000-some women in this age
3 group in the MediCal system. The prescribing rate in 1987
4 was that about 2/1000 women in this age group in the MediCal
5 system received a prescription for Accutane.

6 (Slide)

7 Dr. Graham has previously gone over the method of
8 assigning potential exposure during pregnancy. I have tried
9 to make it visually a little easier to see. Basically, you
10 take the records showing the date on which the Accutane
11 prescription was filled and a claim was filed. Then for this
12 study we assume a q.d. dosing regimen because these records
13 actually do not indicate whether the prescribed number of
14 pills were given on a b.i.d. or once a day dosage. On that
15 basis, assuming the woman used all of the pills, we can
16 determine an interval when she potentially used the drug.
17 Then using the linked file to the MediCal pregnancy follow-up
18 system, we determined for the same woman diagnostic codes for
19 abortions or deliveries of infants or stillbirths. From the
20 date of diagnosis for abortions, we took the preceding
21 interval of 120 days and for deliveries the preceding
22 interval of 270 days. If this overlapped with the putative
23 interval of Accutane use, this was considered a potential
24 exposure.

1 woman used all of the pills. Also this interval presumes a
2 full-term delivery.

3 (Slide)

4 Using the data only from 1987 again because people
5 who were recipients of the drug in 1988 could have gotten
6 pregnant in 1989 and been exposed to a prescription from
7 1988. So I am only presenting the 1987 data for this piece
8 of information. Using that algorithm, we found 41 women
9 potentially exposed in the first trimester and 7 potentially
10 in the second trimester out of the 3100 users, which gives us
11 a figure of 3.6 percent of these women as potentially having
12 used the drug during pregnancy. This compares with about a 6
13 percent figure from the Michigan Medicaid study.

14 (Slide)

15 For the 1987 data which are complete and the 1988
16 data which are incomplete, we identified a total of 82
17 potentially exposed women. Quite surprisingly to us, 60
18 percent of these women are of Southeast Asian ethnicity.
19 This is a mixed group of women who are Vietnamese, Cambodian,
20 Mong and Laotian. This is highly, highly unusual both for the
21 California population and for women participating in the
22 MediCal program.

23 We have attempted to verify these pregnancy
24 exposures by interviewing the women. We initially mailed a
form through the MediCal program asking them to participate

1 in the study and to be interviewed to document the exposure.
2 We got back 59 no responses; 15 women refused to participate;
3 and 8 agreed to be interviewed.

4 Of the 8 we have interviewed, 4 confirmed exposure;
5 3 had elective abortions because of the exposure and 1 ended
6 in a live birth. We have 4 unconfirmed exposures out of the
7 first 8. One of the women stopped using the drug before
8 conception; 1 was a merging error, an unusual situation where
9 both a mother and a daughter, living in the same household,
10 had the same first, middle and last name. One of them had
11 the prescription for Accutane and the other had the pregnancy.
12 This caused a merging problem within the system. Two of the
13 women, curiously, who had elective abortions denied having
14 them. We are still investigating this to see if this is a
15 situation of billing fraud within the MediCal system or if
16 there is some other explanation, for instance, that they may
17 not have been willing to admit that they had a termination.

18 Anyway, we did not have very good luck in contacting
19 these people by mail and we now have permission from the
20 Human Studies Committee and MediCal to contact these people
21 to try and interview them in person. This is taking a lot of
22 time because if anybody has ever tried to do a study where 60
23 percent of your participants do not speak very much English
24 and you have to translate questionnaires into Mong, Cambodian,
Vietnamese and Laotian, it is a lot of work. But, clearly,

1 you do not have to be Yogi Berra to figure out that this is
2 potentially an explanation for why these women may be using
3 Accutane after conception. A lot of them, we suspect, do not
4 speak or understand English very well.

5 (Slide)

6 Among the 41 women from 1987 whom we linked to
7 their outcomes of pregnancy -- these are the 41 women
8 potentially exposed in comparison to the outcomes of pregnancy
9 in the rest of the MediCal system -- this is the maternal age-
10 adjusted relative risk for delivery, abortion and spontaneous
11 abortion. Basically, what we see here is that among the
12 potentially exposed women there is a statistically significant
13 excess of elective abortions and an excess which did not
14 reach statistical significance for the women having spon-
15 taneous abortions. So, overall, among the women we identified
16 as potentially exposed, they have different outcomes of
17 pregnancy from the rest of the MediCal population.

18 I should note that in comparison to the Michigan
19 Medicaid study, they had similar findings with an excess of
20 elective abortions and spontaneous abortions but their
21 relative risks were a little bit higher, I think, here. They
22 found a relative risk of around 2 for therapeutic abortions
23 and around 2-3 for spontaneous abortions.

24 (Slide)

For the live births that we have identified from

1 1987 and 1988, we have sent people out to review the maternal
2 records and the records for these children. From the finding
3 of 13 live births from the first trimester exposures, we have
4 identified 1 child with malformations. In the second
5 trimester exposures, we have a second child with a malfor-
6 mation that actually is an unusual one but one we have seen
7 among autopsied infants with other major birth defects
8 associated with Accutane exposure.

9 So in conclusion, 3.6 percent of women potentially
10 using Accutane in the MediCal system may have been exposed to
11 Accutane and 60 percent of these women are of Southeast Asian
12 ethnicity, which is highly unusual. Our data currently
13 verifying these exposures are very incomplete. We have found
14 an increased relative risk for abortions.

15 (Slide)

16 I want to quickly present the second study. This
17 is a group of 61 women. Unlike the data you heard this
18 morning, these are actually data from interviews with women
19 who have gotten pregnant on Accutane, comparing women who got
20 pregnant in 1982-1987 compared to women who got pregnant in
21 the last 3 years. Basically, the prescribing in both groups
22 is by dermatologists. That is no great surprise.

23 (Slide)

24 There are even lower numbers compared to the data
Allen Mitchell presented, we find that in both periods only

1 about 40 percent of the women whom we have interviewed who
2 have gotten pregnant on this medication were either told by
3 their physician that they had cystic acne or, else, by their
4 description had ever had cysts, nodules or boils.

5 (Slide)

6 We asked the women whether the information regarding
7 the risks for birth defects was adequately presented by the
8 prescribing doctor and they said yes. You can see that the
9 numbers have improved over time. Still, in the last 3 years
10 only 60-some percent of the women feel that they got the
11 information presented to them adequately in an oral fashion.
12 This does not include management issues, which we will get to.

13 (Slide)

14 Did the prescriber ask about sexual activity? That
15 is improving also. Did the prescriber recommend contraceptive
16 use? You can see that there has been a significant improve-
17 ment among this group of women during the last 3 years and 88
18 percent of them now who have gotten pregnant were recommended
19 to use contraception. If they were recommended, did the
20 physician specifically talk to them about the types of
21 contraception? That is still in a significant minority.

22 (Slide)

23 Were women actually using contraception? In the
24 last three years, of the women who got pregnant while using
the drug, only two-thirds of them were actually using contra-

1 ception but that is better from the previous time interval.

2 (Slide)

3 Did the prescriber arrange pregnancy testing before
4 Accutane therapy began? In the last 3 years only about 40
5 percent of these women had pregnancy tests before they were
6 put on medication.

7 (Slide)

8 To summarize this, for the group of women whom we
9 have identified and interviewed in 1988, 1989 and 1990, how
10 could these exposure pregnancies potentially have been
11 prevented? First of all, 60 percent of these women did not
12 have cystic acne and probably should not have been placed on
13 the medication in the first place. Of the women who had
14 cystic acne, this percentage, which I believe is 10 percent
15 of the whole population, had no pregnancy test performed.
16 They were actually pregnant before they began their pre-
17 scription. Had a pregnancy test been done, they would have
18 avoided the exposure. One woman was not using contraception.
19 Another was one of these situations that has been discussed
20 earlier of a woman who was properly managed and then went off
21 the drug for a long time and started taking it again without
22 her doctor's management and was not using contraception.
23 Then we have another group, about 20 percent of this whole
24 population, who had contraceptive failures that ran the whole
25 gamut from condoms to oral contraceptives to spermicides.

1 That is all I wanted to present today. I will be
2 happy to answer any questions later on.

3 DR. HULKA: Thank you. We will go on to Dr. Jane
4 Adams, from the University of Massachusetts, in Boston.

5 DR. LAMMER: I am going to present a little
6 introduction to Jane's talk since we are collaborating on
7 this project.

8 We presented some of our data previously from a
9 prospective cohort of children whom we followed since before
10 birth. That is, we identified a cohort of about 60 of 70
11 women who had used Accutane during pregnancy and where we had
12 identified those pregnancies before the outcomes were known.
13 That is, they were identified to us before any ultrasound
14 procedures in the pregnancy was conducted. They were
15 followed through the pregnancies. The kids were examined at
16 an earlier age and now we are going back to follow them up at
17 age 5 with a battery of developmental tests to assess
18 outcomes of behavior, socialization and intellectual function.

19 This group represents the unbiased spectrum of
20 effects of the drug because they were ascertained prenatally.
21 We have evaluated all of them in an extensive protocol of
22 hearing evaluations, eye exams, several hormone assays
23 involving calcium metabolism, and all of them have been
24 examined at least twice during their first five years of
life.

1 The data Jane is going to present are some of the
2 findings from our neurodevelopmental follow up at age five.
3 In particular, we are interested in seeing whether children
4 who were exposed to the drug in utero, who do not have
5 obvious major anomalies, have central nervous system deficits
6 that would predict that they will have problems later in
7 life. The biologic plausibility behind this is that the most
8 common major anomaly induced by the drug is on the central
9 nervous system. So it only makes sense that this drug is
10 likely to cause effects on brain development that are
11 manifested by subtle effects in learning disabilities,
12 lowered IQ and behavioral problems. Jane will now present
13 some of those data.

14 PRESENTATION BY JANE ADAMS, Ph.D.

15 DR. ADAMS: As Dr. Lammer indicated, given that one
16 of the manifestations of Accutane teratogenicity is effects
17 upon the central nervous system, it is essential then to look
18 at the cognitive status of the children who have been
19 prenatally exposed. So we have looked at the full sample of
20 the individuals who are now 5 years, plus/minus 3 months of
21 age. So between 4 years, 9 months and 5 years, 3 months.
22 These children have been given a cognitive assessment to make
23 this determination.

24 I should also indicate that there is a wealth of
25 animal data and other human data that suggest that functional

1 problems do occur with increased incidence even among
2 children who do not have major malformations. So that was
3 one of our questions in this group.

4 (Slide)

5 So far, we have seen 32 isotretinoin-exposed
6 children and 24 matched controls, all from a prospective
7 sample. The children were between 5 years, plus/minus 3
8 months, when tested and a battery of neuropsychological tests
9 is used. This battery includes the Stanford Binet IV, which
10 is the most recently standardized measure of general intel-
11 ligence which is available. It also includes a variety of
12 measures which are being used to look at things such as
13 attention, memory, linguistic ability, visual perceptual
14 processing and motor function.

15 Before presenting the results, which are going to
16 be limited today to the general mental index, the Stanford
17 Binet IV, I would like to give you a little bit of information
18 about the construction of this test and what a score means.

19 (Slide)

20 The Stanford Binet IV, like all of the major IQ
21 tests in use, has been standardized and normalized, such that
22 the average score is 100 and a standard deviation is 15
23 points. If an individual scores less than 70 on this test,
24 meaning more than 2 standard deviations below the mean, that
25 individual falls into the mentally retarded category. If an

1 individual scores between 71-85, that is one of the ranges of
2 subnormal intelligence, designated borderline intelligence.
3 A score between 86-115 is average; 116-130 is designated as
4 superior intellectual functioning and above 130 is the gifted
5 category.

6 (Slide)

7 So restricting ourselves now to the subnormal range
8 of intelligence, which is individuals scoring in the border-
9 line to mentally retarded range, 52 percent of this sample of
10 isotretinoin-exposed children are falling in that range of
11 general mental functioning. So 52 percent of the children
12 have borderline to mentally retarded intellectual functioning
13 versus 8.4 percent of the controls -- clearly a significant
14 increase in this category.

15 (Slide)

16 To break this down further and to look then at
17 borderline and mentally retarded categories separately, the
18 data are provided in this slide. In the category that is the
19 mentally retarded range, 19 percent of the isotretinoin-
20 exposed children are falling into this category. In the
21 borderline intelligence range, 32 percent of the isotretinoin-
22 exposed children are functioning at this level.

23 As you can see, there is a general increase in the
24 number functioning below normal and a general decrease in the
25 number functioning in the average to above average ranges.

1 (Slide)

2 It has also been of interest to us to determine the
3 relationship between major malformation and functional status
4 because one question is to what extent you can predict
5 outcome based upon status at birth and those things that can
6 be detected at birth.

7 This slide indicates the proportion of individuals
8 in each of the functional intelligence categories that have
9 major malformations. All of the mentally retarded children
10 were also found to have one or more major malformations. In
11 the borderline intelligence category 40 percent of the
12 children have major malformations. You can see that the
13 percentage declines as functional status improves. In the
14 average range of functioning, 8 percent of the children had
15 major malformations.

16 The important point about this slide is that while
17 major malformations are associated with increased risk for
18 poor mental functioning, they are not the full explanation.
19 There are children in all categories of functioning that have
20 major malformations and, indeed, many more children are
21 cognitively impaired than the number who have major malfor-
22 mations.

23 So the point is then to hope that you will include
24 in your judgment of adverse outcome not just major malfor-
25 mation status but also functional status. Children who are

1 functioning in the subnormal range of intelligence are not
2 capable of going to regular public schools. They need a
3 great deal of support in order to function. The children in
4 the sample that are functioning in the mentally retarded
5 range are severely mentally retarded, most of them being
6 institutionalized and, at the age of 5, not yet having the
7 motor development to support holding up their head or their
8 upper trunk; not having developed any language yet -- at the
9 severe end of the spectrum.

10 So in closing then, 52 percent of the children are
11 functioning in the subnormal range, whereas, a smaller
12 percentage have been shown to have major malformations. I
13 will be happy to answer any questions later on if that is
14 appropriate.

15 DR. HULKA: We will change the order of presen-
16 tations here slightly. Dr. William Scott, of the Teratology
17 Society, will present at this time.

18 PRESENTATION BY WILLIAM SCOTT, D.V.M., Ph.D.

19 DR. SCOTT: Thank you. Ladies and gentlemen, I am
20 here today as a representative of the Teratology Society.
21 The Society is an amalgam of clinicians, basic researchers,
22 industrial scientists and government scientists who have, as
23 a common goal, the prevention of congenital malformations.
24 Thus, the exposure of pregnant women to isotretinoin is of
concern to the members of the Society since removal of this

1 exposure would prevent the birth of some malformed infants.

2 Prevention of birth defects known to be induced by
3 chemical agents has proceeded at a pace less than hoped for.
4 There is consensus that ethanol exposure during pregnancy is
5 damaging to the embryo or fetus. There is similar consensus
6 that certain anticonvulsant agents induce congenital malfor-
7 mations.

8 In each of these examples there are obvious
9 significant hurdles in removing the agent so that the women
10 are spared exposure to such agents during pregnancy. This
11 same type of dilemma presents itself regarding exposure to
12 isotretinoin. Here we have the case of a drug which has
13 unique therapeutic efficacy against a significant human
14 disease. Yet, exposure to the developing human embryo will
15 usually lead to an undesirable outcome, be it abortion,
16 congenital malformation, retarded growth or decreased
17 functional performance, as you have just heard.

18 The public affairs committee of the Teratology
19 Society is attempting to tackle this dilemma and provide
20 official Society position papers regarding isotretinoin.
21 Toward this end, a document has been prepared by the committee
22 recommending that isotretinoin distribution be limited to
23 designated centers, staffed by physicians trained specifically
24 in the criteria for prescribing isotretinoin.

In addition, it is recommending that postmarketing

1 surveillance be implemented to determine the circumstances
2 responsible for inadvertent pregnancies during treatment with
3 isotretinoin.

4 These recommendations were submitted to the council
5 of the Teratology Society, who agreed in concept with these
6 recommendations, but asked for some revisions which have not
7 yet been completed and which strike at the heart of some of
8 the difficulties of managing the risk associated with
9 isotretinoin exposure.

10 For example, they agreed with the limitation of
11 distribution, assuming that this does not unduly restrict
12 access of the populations in need. There should be special
13 consideration given to treatment of males and of females
14 beyond their reproductive years. Could a limited distribution
15 scheme disenfranchise certain populations, such as the poor,
16 those in inner city areas and teenagers?

17 It is obvious that there must be a continuing
18 search by Hoffmann-La Roche, the FDA and other interested
19 parties to find a means to effectively prevent exposure of
20 pregnant women to isotretinoin. The manufacturer has already
21 mounted a large program toward this end which relies on
22 voluntary compliance of physician and patient. If the
23 concept of voluntary compliance is unsuccessful, there is the
24 alternative of mandatory restrictions instituted by the
25 manufacturer, as has recently been done by Sandoz in the

1 marketing of their product, Clozaril, used to treat schizo-
2 phrenia.

3 Questions such as these regarding management of
4 risk lead directly back to assessing the magnitude of the
5 risk. There can be no doubt that isotretinoin exposure, at
6 usual therapeutic dosage during the early stages of human
7 pregnancy, can result in abortion or congenital malformation.
8 Lammer and his associates have estimated that 25 percent of
9 children exposed to isotretinoin are born with congenital
10 malformations and now there is emerging evidence that
11 prenatal isotretinoin exposure can lead to intellectual
12 deficits in children without structural malformations.

13 Thus, it seems only prudent to assume that iso-
14 tretinoin exposure during early gestation will adversely
15 affect most pregnancies.

16 The question then becomes how frequently exposure
17 during pregnancy occurs. Here the quantification of risk
18 becomes less clear as we have only estimates of varying or
19 unknown reliability regarding, one, how many women of
20 childbearing potential actually take isotretinoin and, two,
21 how many of these women are or become pregnant while taking
22 isotretinoin. Precise information on these parameters would
23 help identify the magnitude of the problem that must be
24 managed.

25 The purpose of my visit is twofold. First, I am

1 here to keep abreast of the programs designed to minimize
2 exposure to isotretinoin during pregnancy and of the attempts
3 to elucidate the bases for non-compliance with the physician
4 and package instructions. It will be my responsibility to
5 report these findings to the public affairs committee and the
6 Teratology Society at the annual meeting next month.

7 Certainly, this report will influence the position
8 taken by the Society in a public stand they will adopt
9 regarding isotretinoin availability, presumably in the near
10 future.

11 Secondly, and equally important, I am here to
12 remind the Committee that the Teratology Society is deeply
13 concerned with the problem of isotretinoin exposure during
14 pregnancy and renew our offer to provide expertise in
15 developmental toxicology. Thank you.

16 DR. HULKA: Since you have been so prompt in your
17 presentation, let me ask any members here of both Committees
18 if they have questions of Dr. Scott, Dr. Lammer and Dr. Adams
19 because there was some inter-relationship among these
20 presentations. Yes, Dr. Niebyl?

21 DR. NIEBYL: I just have one question. When you
22 talked about populations not using contraceptives, has anyone
23 looked at whether these patients have been sterilized or
24 their partners have been sterilized; if they have had a
hysterectomy -- things like that? It seems to me fairly

1 striking to see such a large percentage not using contra-
2 ceptives when there are all these warnings all over the
3 packaging now to advise against that. There must be other
4 factors explaining some of that at least, I would think.

5 DR. SCOTT: I would defer to Ed. He might have a
6 better reply than I could make.

7 DR. LAMMER: Yes, I think it is a very complicated
8 situation. Some of the women we have identified fall into
9 the situation that has been discussed here earlier today of
10 people who were put on medication and may or may not have
11 been managed appropriately through that. Then they go off
12 the medication and then they have some pills leftover at home
13 and they take those without supervision. That is a common
14 situation we have seen where people have not been using any
15 contraception at all.

16 A second woman, whom I interviewed only two weeks
17 ago, said that when she was prescribed the medication she
18 told her physician she could not take oral contraceptives. I
19 do not remember the reason; it might have been hypertension
20 or a previous problem with them. The physician who was
21 prescribing the drug made no other suggestions about what
22 kind of contraception she might use. So she tried rhythm and
23 was not very successful.

24 DR. NIEBYL: So that points to lack of counseling.

DR. LAMMER: Yes.

1 DR. NIEBYL: But there is a free trip to a gyne-
2 cologist.

3 DR. LAMMER: Of all the women I have interviewed, I
4 have never talked to a single one with whom the physician
5 even discussed that program. I think Allen Mitchell's data
6 shows that 25 percent of women are aware of it. Those are
7 preliminary data as well. But the population I am talking
8 about is a select group. These are not all the women who are
9 being prescribed the drug. These are the women who have
10 gotten pregnant on the drug. But that is the group that
11 clearly we need to focus on because it is important to know
12 exactly how they got pregnant and what the circumstances
13 were. Their circumstances may not be applicable to the whole
14 universe of people in the United States.

15 DR. DAVIDSON: I have a technical question. In the
16 next to the last presentation discussing the developmental
17 effects, I thought that was emphasizing developmental
18 abnormalities without structural abnormalities. Could I see
19 that last slide again? It seems that the slide showed
20 something different from what I heard in the presentation and
21 I just want to get that straight.

22 (Slide)

23 Is the grey part the malformations?

24 DR. ADAMS: Yes, the part that is hatched is the
number of children in the category that were identified to

1 have one or more major malformations. The sample was the
2 whole sample, all prenatally exposed children, regardless of
3 outcome.

4 DR. DAVIDSON: And your interpretation was that
5 most of the severe developmental disabilities were without
6 malformations?

7 DR. ADAMS: No, the conclusion is that knowing that
8 a child has a major malformation does allow you to predict
9 that that child is at increased risk but it does not do it
10 100 percent of the time. There are individuals with major
11 malformations who are functioning in the average to above
12 average range and there are individuals without major
13 malformations who are cognitively impaired.

14 DR. DAVIDSON: Thank you.

15 DR. HULKA: We have another question over here.
16 Dr. Woodley, did you have a question?

17 DR. WOODLEY: I did but Dr. Lammer went on and
18 emphasized that his data were derived from people who were
19 failures on the drug.

20 DR. SCHLESSELMAN: I have a question for Dr. Adams
21 relating to the nature of the control series in her study.
22 If she could just speak to the matter of how the controls
23 were selected and then, secondly, whether the evaluation of
24 IQ was done in a blinded fashion?

DR. LAMMER: I could probably better answer that.

1 First, these children reside in more than 25 states and
2 Puerto Rico. We do not actually have any Canadian kids in
3 this study in the data that were presented. So selection of
4 controls is a problem. The controls are age matched. Both
5 the exposed kids and the controls are within three months of
6 their fifth birthday at the time we do the evaluations. The
7 way we identify control children, since every child we see is
8 in a different city in the United States, the selection
9 method we chose is to contact the primary care physician for
10 the exposed child, in most cases a pediatrician. We assign
11 them a letter of the alphabet and ask them to go to that
12 letter of the alphabet and sequentially identify children who
13 would have a birth date that would fall in the age range in
14 which we want to do the evaluation. Then we ask them to
15 sequentially contact those parents and ask them if they would
16 be willing to participate in the study as one of the com-
17 parison children.

18 That is the method we chose. It is not as perfect
19 as we would like but it is very difficult to do this kind of
20 a study when you have children in 25 different states and you
21 only have 1 person to examine in each city. That is basically
22 how we selected the control group. So they are age matched
23 and they are physician matched, which probably carries along
24 with it unintentionally some socioeconomic matching as well.

1 though.

2 DR. LAMMER: Yes, Dr. Adams does her evaluations
3 blind to exposure status. For kids who are severely affected,
4 clearly that does not work and I do not know any way to get
5 around that, although in one case one of our control children
6 ended up having cerebral palsy and is in a wheelchair. I do
7 the best I can to keep her blind during her evaluations. I am
8 not blind to exposure status since I have to go through the
9 permit process with families, etc., before Dr. Adams does her
10 evaluations. So she is blind to exposure status throughout
11 her evaluations.

12 DR. TSCHEN: I have another question. There is
13 anecdotal evidence that Accutane might increase the fertility
14 of the patients using the drug. In the patients for whom you
15 had the opportunity to review the contraceptive methods, is
16 there any such instance?

17 DR. LAMMER: I would say the answer is absolutely.
18 I am convinced this drug has fertility-enhancing properties.
19 That is another circumstance that goes to the question
20 previously about why some of these women are not using any
21 contraceptives. I think we have identified close to ten
22 women now who have gotten pregnant on the drug who were not
23 using contraception because they had long-standing histories
24 of infertility. The causes of infertility ranged from DES
effects to endometriosis to undiagnosed causes of infertility.

1 We have gotten the story so many times now -- it is anecdotal
2 but I think there is something to it, that the drug does
3 enhance fertility.

4 There is evidence in experimental animals that
5 vitamin A is necessary to promote reproduction in animals
6 that are maintained on a vitamin A-deficient diet and are
7 infertile. But we have not found a consistent pattern of
8 causes of infertility that are associated with these exposed
9 pregnancies.

10 DR. DAVIDSON: I have a question of Dr. Wolfe but I
11 would like to respond to that comment. Although I think it
12 is kind of dangerous to start speculating on the effects of
13 infertility and sexual behavior, I would not ignore the fact
14 that if a woman had pustular acne and it started getting
15 better and she started feeling better about herself and how
16 she looks -- that may have a lot to do with the kind of
17 relationships she forms. And that is as much science as what
18 was offered before.

19 (Laughter)

20 We have a large number of congenital abnormalities
21 in this country, probably a background rate of 2 or 3 percent
22 and maybe somewhere in the neighborhood of 100,000 to 125,000
23 out of the 3.8 million births. There was a comment made, I
24 think by Dr. Wolfe, that this was the most dramatic demon-
stration of congenital anomalies that has been known. That

1 may or may not be true but I just wonder, understanding the
2 amount of abnormalities as a perinatologist, that we poten-
3 tially engage in, in terms of anticonvulsant therapy, the
4 number that may be associated with anticoagulants, the number
5 that may be associated with oral antihyperglycemic therapy of
6 diabetics, some of the antibiotic concerns, the issue of
7 methotrexate which is often used in pregnancy, and other
8 antimetabolites that are used in leukemia and other chemo-
9 therapy -- I just wonder, just for purposes of accuracy,
10 should we consider this the most potent teratogen that is
11 used in prescription medicine?

12 DR. WOLFE: What I said was that it was the most
13 preventable. I did not say it was the worst. For exactly
14 the reasons that you have stated, I think that the odds of
15 someone getting methotrexate or anticonvulsant medicine who
16 should not be getting it is nowhere near the odds of the
17 large number of women of childbearing age who should not be
18 getting Accutane because they do not even have cystic acne.

19 So I was really focusing on comparison with all of
20 the other known major causes of birth defects and this one is
21 very much more preventable and, hopefully, that is what is
22 going to be done this afternoon.

23 DR. HULKA: We will go on at this time. Dr. Peter
24 Pochi, from the American Academy of Dermatology, please.

1 DR. POCHI: Madam Chairwoman and members of the
2 Committee, I am Peter Pochi. I am an M.D., dermatologist,
3 Professor of Dermatology at Boston University School of
4 Medicine, in Boston. I am chairman of the task force on acne
5 and Accutane under the committee on guidelines of care of the
6 American Academy of Dermatology. I am pleased to represent
7 the more than 8000 dermatologists of the Academy today.

8 I appreciate the opportunity to once again talk
9 about isotretinoin or Accutane. First, I would like to
10 discuss the importance of the drug and, second, to review
11 with you the actions that the Academy has taken and is still
12 taking and, third, to offer some recommendations for changes
13 in the current prescribing practices for Accutane that could
14 serve to lower the incidence of pregnancy in women treated
15 with this drug.

16 I do not intend to detail in length the utility of
17 Accutane for acne as this would be, for the most part, an
18 unnecessary exercise. You are familiar with the outstanding
19 therapeutic effect that is achievable with this unique drug.
20 I must, however, emphasize two distinctive and telling
21 therapeutic features of Accutane. The first is that it can
22 be extraordinarily effective, no matter how severe the acne
23 or how treatment recalcitrant it is.

24 (Slide)

Let me show you a series of a very few cases

1 quickly. This is a patient with cystic disease before
2 treatment --

3 (Slide)

4 -- and after a course of Accutane therapy. The
5 patient is left with a few scars but it is essentially an
6 excellent response.

7 (Slide)

8 This is an individual with acne combined with
9 rosacea, not an infrequent combination. You see the large
10 lesions here.

11 (Slide)

12 And after 16 weeks there is a remarkable change.

13 (Slide)

14 This is probably the worst case that we studied
15 when the drug was in the NDA. This individual had severe
16 nodulocystic disease.

17 (Slide)

18 After 20 weeks of therapy there is complete
19 eradication of the disease but, again, leaving scars.

20 The second point is that after a course of Accutane
21 is completed -- and you have heard this before -- which is
22 usually 16-20 weeks in duration, the clearing of the acne,
23 which is almost invariably the outcome, is maintained with no
24 further treatment of any sort for prolonged periods of time
and occasionally with no recurrence whatever. All other

1 treatments for acne, even when they are effective, do not
2 alter the natural course of the disease which can persist for
3 years.

4 (Slide)

5 It should be further stressed that since most cases
6 of severe acne, and many cases of less severe acne, can
7 result in permanent damage to the skin, either as atrophic,
8 shown to a moderate degree here and here -- and this indivi-
9 dual does not have cystic acne but certainly has severe
10 disease --

11 (Slide)

12 -- and this patient with scars that should never
13 have occurred and would not have but this picture was taken in
14 the pre-Accutane era.

15 (Slide)

16 Occasionally hypertrophic scars develop. These are
17 even worse in a sense, although less frequent. These cause a
18 severe psychological impact upon the patient because these
19 can last for decades after the disease process itself has
20 become inactive.

21 Unquestionably, the best treatment for such scars,
22 mostly of the atrophic variety, as shown in the previous two
23 slides, is the prevention of their occurrence which, of
24 course, is what happens with Accutane.

The problem, as we all know, of course, is that

1 Accutane is a teratogenic drug. The Academy has consistently
2 stressed this danger with the drug's manufacturer and will
3 continue to do so. Nonetheless, the members of the American
4 Academy of Dermatology, experts who know this disease, its
5 natural history and the too frequent ineffectiveness of
6 alternative therapies for severe acne, conclude that the
7 benefit-risk ratio justifies the present and continued
8 availability of Accutane with appropriate warnings and
9 precautions against pregnancy during therapy.

10 Although the Academy's earlier educational efforts
11 were described before both Committees, permit me to briefly
12 reiterate just two of them:

13 In May, 1988, a "dear colleague" letter was
14 addressed from the Academy's president to the entire Academy
15 membership discussing FDA's concerns and announcing the
16 appointment of a committee, which I chaired, to develop
17 guidelines on the use of Accutane. These guidelines were
18 formulated, approved by the board of directors of the
19 Academy, mailed to the entire Academy membership in August of
20 1988, and were published formally in the November, 1988 issue
21 of the Journal of the American Academy of Dermatology, which
22 has a worldwide circulation of 12,000 physicians and medical
23 libraries.

24 In March of 1989, the Academy again wrote to the
entire membership asking for its cooperation in the Slone

1 Epidemiology Unit study of female Accutane users, which
2 enrolls such patients, tracks their progress and evaluates
3 the effectiveness of the FDA-Hoffmann-La Roche pregnancy
4 prevention program.

5 Other Academy efforts since the last hearing
6 include the following four: Firstly, the American College of
7 Obstetricians and Gynecologists, in cooperation with the
8 Academy, is revising a patient information brochure on
9 contraception. The brochure presents birth control infor-
10 mation in a concise and non-judgmental manner. The importance
11 of not being pregnant while on Accutane will be incorporated
12 into this patient information vehicle.

13 Secondly, the Academy, again in cooperation with
14 the American College of Obstetricians and Gynecologists, is
15 developing a physician counseling video, entitled, "Counseling
16 Dermatologic Patients on the Use of Contraceptives." This
17 video is scheduled for release this fall. The complete
18 educational package will include the video, the Accutane
19 guidelines, a video summary and a quiz for category 1 CME
20 credit.

21 An educational grant will allow for an all-member
22 mailing of this educational package. This mailing will also
23 be provided to more than 100 dermatology residency training
24 programs, with a letter urging that the video be incorporated
25 into the training program.

1 Thirdly, in March of this year, the Academy
2 convened a consensus conference for the defined purpose of
3 delineating severity levels of acne. Noted experts in the
4 field of acne from the United States and abroad attended this
5 conference. A consensus statement is currently being
6 drafted. When completed, the outcome of this conference will
7 be published and distributed to the Academy membership.

8 Lastly, in the April, 1990 issue of the Journal of
9 the American Academy of Dermatology the Academy republished
10 the guidelines for prescribing Accutane in the treatment of
11 female acne patients of childbearing potential.

12 These activities are part of the Academy's con-
13 tinuing efforts to ensure that Accutane is not administered
14 during pregnancy. Yet, on the basis of available evidence to
15 date, it appears that the incidence of pregnancies in
16 patients undergoing treatment with acne has not been reduced
17 to a near-vanishing level.

18 Despite this, the Academy remains unalterably
19 opposed that Accutane be withdrawn from the market. To do so
20 would deprive all patients, not just women of childbearing
21 potential, of the enormous benefit of the drug's alleviating
22 a socially stigmatizing disorder. Moreover, such a draconian
23 measure would, undoubtedly, lead to patients obtaining the
24 drug by illicit means and, worse still, using it without
25 medical supervision.

1 A second course of action could be the restricted
2 use of Accutane to designated medical centers, a position
3 that the Academy also cannot support. Apart from the
4 unwieldy logistics involved, wherein many patients would need
5 to travel great distances on at least half a dozen occasions,
6 the patient is put at additional risk when side effects from
7 Accutane occur that require hands-on management. The
8 patient's dermatologist, or any other physician experienced in
9 the treatment of acne and the use of Accutane, should be the
10 individual responsible from beginning to end for the decision
11 to prescribe the drug and for monitoring closely the patient's
12 progress throughout the course of therapy.

13 However, we would favor consideration of the
14 following: Point number one, that the drug manufacturer's
15 prescribing information in the drug package insert reinforce
16 the monthly test for pregnancy during Accutane treatment, as
17 already stated in the Academy's guidelines.

18 Point number two, that the patient information
19 consent form that is in the drug manufacturer's pregnancy
20 prevention program kit be changed to require not only that
21 the patient and physician complete the form, but that the
22 patient also be required to enroll in the surveillance
23 program.

24 Point number three, that a special Accutane
25 prescription form be devised and used for dispensing the drug

1 to females of reproductive capacity, in which the physician
2 checks three boxes, indicating that a consent form has been
3 executed, that a blood pregnancy test has been performed at
4 the appropriate time and with a negative result, and that
5 contraception has been discussed with the patient.

6 Only when the prescribing physician has attested on
7 the Accutane prescription that all three conditions have been
8 met will the pharmacist be able to fill one or more pre-
9 scriptions of the drug to women of childbearing potential.

10 These last two points are severe and precedent-
11 setting measures and it could be argued that they are not
12 necessary. But Accutane must remain available to acne
13 patients who, as I mentioned earlier, can be physically and
14 emotionally affected and devastated and possibly scarred for
15 life by the disease. Dermatologists are sensitive to the
16 issues being raised at the present time concerning birth
17 defects. The American Academy of Dermatology continues to
18 work closely with Hoffmann-La Roche, pediatricians, obstetri-
19 cians and other health care professionals to prevent birth
20 defects from occurring.

21 We are convinced that the actions already taken, our
22 continuing educational programs and the recommendations we
23 are now making address emphatically the critical issue of
24 preventing birth defects while still allowing patients with
25 severe treatment-resistant disease to have ready access to

1 this highly valuable drug.

2 On behalf of the members of the American Academy of
3 Dermatology, I wish to express my sincere thanks for being
4 allowed to address you today.

5 DR. HULKA: We will continue with Dr. Mary Spraker,
6 from Emory University.

7 PRESENTATION BY MARY K. SPRAKER, M.D.

8 DR. SPRAKER: I speak today as a concerned der-
9 matologist, pediatrician, practicing academic pediatric
10 dermatologist and a mother as well. Because I have trained
11 in both pediatrics and dermatology, I feel I understand the
12 issues as they pertain to both fetus and the woman with acne.
13 I am also the current chairman of the American Academy of
14 Dermatology's task force on pediatric dermatology, although
15 in this forum I am speaking as an individual and do not
16 represent the AAD.

17 To severely restrict distribution of Accutane or to
18 withdraw it would belittle the suffering caused by patients
19 with severe acne. Severe acne is not a lethal disease but it
20 does profoundly affect lives. All of us remember friends and
21 acquaintances with severe acne and what it has done and
22 continues to do to them as individuals.

23 Fortunately, it is now fairly uncommon for most of
24 us in our everyday worlds to run across patients and people
with severe acne. Why? Because Accutane's effect has been

1 truly miraculous. The patient who does not respond to
2 antibiotics will usually respond to Accutane after one
3 relatively brief, 16-20-week, course of treatment, after which
4 the drug is discontinued. Most patients remain in permanent
5 remission.

6 There is no drug more satisfying to prescribe and
7 no patient more grateful for treatment. I can quite literally
8 change a patient's life. Unfortunately, the drug is a
9 teratogen and the age group afflicted with the acne is also
10 at higher risk for pregnancy.

11 It was known at the time the drug was introduced
12 that it was a teratogen in animals and that it was not to be
13 used during pregnancy. This was emphasized by Roche. When,
14 unfortunately, pregnancies did occur, confirming the human
15 teratogenicity of the drug, we, in dermatology, were certain-
16 ly made aware of this development. For example, at our
17 annual national meetings, which are attended by 80 percent of
18 practicing dermatologists, there was great discussion
19 regarding what could be done to prevent future pregnancy
20 exposures.

21 Our goal is to decrease the number of pregnancy
22 exposures to as close as zero as is humanly possible. But
23 how? One obvious answer is to only treat patients who need
24 the drug, namely, patients with severe acne who have not
25 responded to more conservative therapy. It has been suggested

1 previously today and in other years that the drug is overused.
2 Approximately 63,000 female patients are treated annually.
3 Since there are approximately 6200 dermatologists in the
4 United States, that means that each dermatologist treats
5 approximately 10 patients a year or slightly under 1 patient
6 per month.

7 We could argue that about half the dermatologists
8 may be working part time; they may be in academic centers and
9 are not treating acne patients. So possibly we could project
10 that the average practitioner might treat 20 female patients
11 per year. Since most practicing dermatologists see a fairly
12 large number of acne patients, it does not seem unreasonable
13 that this proportion of patients would need Accutane.

14 More accurate epidemiologic data regarding incidence
15 of severe acne unresponsive to antibiotics would certainly be
16 helpful. The past suggestion that Accutane usage be decreased
17 by 20 percent is arbitrary. The drug has never been approved
18 for mild acne so which of my severe patients do I not treat
19 and what do I say to that individual patient?

20 I have an ethical and potential legal dilemma when
21 I face the female patient who has severe acne and who needs
22 Accutane. What can I do to make sure my patient does not
23 become pregnant? I certainly warn her and the pregnancy
24 prevention program has made this easier. After the patient
25 proves she understands the pregnancy problem by passing the

1 quiz that she is required to take and sees the pregnancy
2 contraindicated symbols stamped all over her package of
3 pills, I feel it is not possible for her not to have gotten
4 the message. This makes me feel better.

5 I emphasize the need for excellent contraception.
6 I mention the known failure rates of contraceptions, even when
7 properly used, and advise a visit to the gynecologist, which
8 Roche pays for, to reinforce my instructions. FDA approval
9 of more effective contraceptives would be helpful.

10 But is it ethical for me to insist that every
11 patient take an oral contraceptive, even if she insists her
12 current contraceptive is adequate or that she is not sexually
13 active? Occasional patients develop serious complications
14 from oral contraceptives. Shouldn't the patient have a right
15 to participate in this decision?

16 I then send the patient to the lab for baseline
17 liver function tests, triglycerides and a serum pregnancy
18 test and I instruct her not to take the Accutane until the
19 second or third day of her next menstrual period. But now
20 there are some logistical problems that I think are worth
21 mentioning. Do I give her a prescription for one-month
22 supply of drug at that time? Or do I call in the prescription
23 after her labs are back or normal? Or do I call in the
24 prescription in only after she calls me to tell me that her
25 menstrual period has started? Or do I have her come back to

1 the office to document that, yes, she is menstruating and
2 repeat the pregnancy test to rule out mid-cycle spotting?

3 None of us wants to demand unnecessary office
4 visits, labs and the expense they entail. These questions
5 are important because at least some of this year's pregnancy
6 exposures were when patients were already pregnant when the
7 patients began treatment with the drug.

8 Education of physicians regarding their proper use
9 of Accutane is very important. We certainly will continue to
10 work on that. But no matter how carefully we instruct, we
11 are dependent upon our patient following our instructions.
12 As physicians, we can guide our patients but we do not have
13 and do not want power to control them. We should respect the
14 fact that patients must take some responsibility for the
15 treatment of their disease.

16 This leads me to voice concern regarding proposals
17 to limit treatment to regional centers which, I feel, would
18 be counterproductive, as well as logistically difficult. An
19 important aspect of pregnancy prevention is not only assessing
20 the patient's disease but also establishing a good patient-
21 doctor relationship. By personally seeing a patient multiple
22 times in the past as traditional antibiotic therapy fails,
23 the local physician does have the opportunity to get to know
24 the patient, establish a good relationship which, hopefully,
25 will help the patient follow through with the pregnancy

1 prevention program.

2 Another drawback to the center approach is that
3 there have been documented pregnancies in the past when the
4 drug was limited to center use. During the clinical trials
5 of the drug when it was on IND status, there were a number of
6 pregnancies.

7 One could argue that eight or so years ago, when
8 this occurred, the severity of the teratogenicity was not
9 recognized so pregnancy prevention was not emphasized, as it
10 is now. But in talking with one of my colleagues at another
11 university who participated in these trials, he, personally,
12 was very concerned about the teratogenicity of the drug at
13 the time since it is a vitamin A relative. He included a
14 statement in the consent form that his patients signed and
15 emphasized carefully to each of his patients the pregnancy
16 problem. He also is a person who establishes good rapport
17 with his patients. Despite this, two of his patients became
18 pregnant and these were college-educated patients who, he
19 felt, had understood.

20 Never in the history of drug prescribing has more
21 been done to educate physicians and patients regarding the
22 teratogenicity of a medication. This is good and may be
23 helpful as we look at other substances with serious side
24 effects. Teratogens, such as Dilantin, alcohol and over-the-
25 counter vitamin A, more commonly have serious side effects,

1 such as anaphylaxis from penicillin. The patient information
2 methods we created together are truly innovative. Yet, if we
3 proceed, we need to take great care because the decisions we
4 make regarding Accutane will have important ramifications for
5 many other medications, both old and new. Decisions must be
6 made on data and not speculation. Thank you.

7 DR. HULKA: Thank you. We will go ahead. Dr. Jose
8 Cordero, Centers for Disease Control, will speak at this
9 time.

10 PRESENTATION BY GODFREY P. OAKLEY, Jr., M.D., M.S.P.M.

11 DR. OAKLEY: Jose Cordero is not speaking because
12 the airplane did not get here in time. My name is Godfrey
13 Oakley, Jr., Director of the Division of Birth Defects and
14 Developmental Disabilities, Center for Environmental Health
15 and Injury Control, at the Centers for Disease Control, in
16 Atlanta. I am a pediatrician, an epidemiologist and a
17 geneticist.

18 The mission of our program is to search for causes
19 of birth defects and developmental disabilities and to prevent
20 unnecessary morbidity and mortality due to these conditions.

21 Birth defects and developmental disabilities caused
22 by in utero exposure to Accutane are at least as severe as,
23 and occur as often after exposure as those caused by thalido-
24 mide and rubella infection. Twenty-five percent of those
25 exposed have obvious birth defects that include fatal and

1 severely disabling cardiac and central nervous system birth
2 defects. Recent evidence suggests that at least 20 percent
3 of those born without birth defects have a developmental
4 disability. Unlike most birth defects, defects and dis-
5 abilities caused by exposure to Accutane are totally prevent-
6 able, yet, they continue to occur.

7 None of us wants a single baby to be born wit a
8 birth defect or a disability caused by Accutane. At the same
9 time, we want anyone who has an approved indication to be
10 able to get Accutane. Because contraceptive measures fail,
11 babies damaged by exposure to Accutane will continue to be
12 born as long as Accutane is available. I am here, however,
13 because I believe that we can reduce significantly the number
14 of babies born with Accutane damage.

15 (Slide)

16 The number of babies damaged by Accutane can be
17 very substantially reduced by two primary prevention stra-
18 tegies that have not been effectively implemented. One is to
19 reduce to a minimum the number of fertile women who take the
20 drug. The other is to minimize contraceptive failures. This
21 slide shows the impact of two variables on the number of
22 babies born with birth defects and developmental disabilities
23 caused by in utero exposure to Accutane. These two variables
24 are, one, the number of exposed women and, two, the type of
25 contraceptive selected.

1 This graph shows our estimates of the numbers of
2 live-born babies that would be affected by Accutane for
3 varying numbers of drug users. Along the horizontal axis we
4 show different levels of use per year from 4000 to 70,000
5 women of reproductive age. We also show estimates of the
6 number of Accutane-damaged babies born for 3 different yearly
7 rates of contraceptive failure. This would be 20 percent,
8 which is the usual rate for spermicides. The blue is 3
9 percent which is often associated with oral contraceptives.
10 The yellow is 0.3 percent that is the approximate failure
11 rate for injectable or implantable contraceptives like
12 Depoprovera or Norplant.

13 The assumptions for this slide are detailed in an
14 attachment to my written statement. We believe these
15 assumptions are reasonable. For example, we used the
16 manufacturer's observation that approximately 50 percent of
17 exposed women that were reported to them elected to continue
18 the pregnancy. We also used Dr. Lammer's observation that 25
19 percent of exposed fetuses are born with birth defects.

20 As you can see, one way to lower the number of
21 Accutane-damaged babies born is to reduce the number of women
22 of reproductive age who take the drug. The best evidence
23 suggests that only 4000 women a year have an approved
24 indication for taking Accutane. About 70,000 women of
25 reproductive age take the drug. If only 4000 women of

1 reproductive age took the drug annually and if they were not
2 pregnant when they started the drug, and if they used a
3 contraceptive with a failure rate between 3-20 percent, we
4 would expect, on the average, the birth of 2-13 Accutane-
5 damaged babies each year.

6 On the other hand, if 70,000 women of reproductive
7 age continue to be treated and their rate of contraceptive
8 failure is between 3-10 percent, then each year we would
9 expect the birth of between 33-221 Accutane-damaged babies.

10 This slide also shows that using a contraceptive
11 with the lowest failure rate would also reduce the number of
12 Accutane-damaged babies being born. The most effective
13 contraceptives are injectables and implantables.

14 Those who are interested in reducing to a minimum
15 the number of Accutane-damaged babies being born would want a
16 reliable surveillance system. A reliable surveillance system
17 would identify accurately all exposed pregnancies and follow
18 and report in a timely fashion the outcome of those preg-
19 nancies. None of the current efforts has provided a reliable,
20 timely surveillance system.

21 Clearly, we have the opportunity to reduce the
22 number of babies being born with damage caused by Accutane.
23 If we immediately reduce the use of the drug among fertile
24 women to the 4000 a year who have an approved indication, and
if we immediately provide them the best contraceptives, we

1 could, 9 months from now, have a substantial reduction in the
2 rate at which Accutane-damaged babies are being born. If we
3 provide a surveillance system for exposed pregnancies that is
4 active, timely and highly reliable, we will be able to
5 document this reduction.

6 Those of you who can take the actions that would
7 result in a greatly reduced number of Accutane-damaged babies
8 being born may be interested, as I was, in a report that
9 appeared in the May 14th, 1990 issue of The Wall Street
10 Journal. The report, which appeared just last Monday,
11 describes the actions that Sandoz took voluntarily because
12 the Company had a drug that was useful in the treatment of
13 patients with schizophrenia but which, like Accutane, caused
14 some of their patients treated with it to die. A copy of the
15 article is attached to my written statement.

16 To quote from the article, the heart of this system
17 consists of "exclusive contracts with Baxter International
18 Inc's Caremark home-care division to take weekly blood
19 samples from each patient and to dispense the drug, and with
20 Roche Biomedical laboratories Inc., a subsidiary of Hoffmann-
21 La Roche Inc., to analyze the blood for white cells. No
22 other company, agency or hospital can deliver the medicine,
23 and each patient has to submit to a blood test each week."

24 The article also states that Sandoz estimates the
25 market to be 70,000 patients. I do not know whether all the

1 statements in the article can be supported by evidence.
2 Those who have the responsibility for taking the actions
3 necessary to prevent the birth of Accutane-damaged babies
4 might find it helpful to talk with Sandoz officials.

5 I thank you for your attention and the opportunity
6 to be here. My colleagues and I are willing and eager to
7 help you in any way that we can with the primary prevention of
8 the birth of Accutane-damaged babies. I would be happy to
9 answer any questions.

10 DR. HULKA: Thank you. We have one additional
11 person who has requested to make a very brief statement, Dr.
12 Harold Kaminesky, of the American College of Obstetrics and
13 Gynecology.

14 PRESENTATION BY HAROLD KAMINESKY, M.D.

15 DR. KAMINESKY: Thank you very much, Madam Chairman.
16 I will stay here because my remarks are brief. I am the
17 director of practice at the American College of Obstetricians
18 and Gynecologists and I would simply like to make a statement
19 that it is the position of ACOG that drugs that have important
20 therapeutic indications should not be restricted categori-
21 cally because they may be teratogenic.

22 To restrict them categorically immediately limits
23 their use for those patients for whom they are indicated. I
24 need not express to this audience the fact that generally
25 means the socioeconomically disadvantaged by income, by

1 geography and so on.

2 We have heard excellent presentations of the
3 problems with Accutane and also the importance of the drug in
4 treating young women, a small number of whom require the drug
5 because of a very disfiguring condition.

6 Dr. Pochi has pointed out that we have joined with
7 the American Academy of Dermatology to produce a videotape
8 that will be made widely available to dermatologists and, I
9 take it, to other physicians who may be treating patients
10 with Accutane and who, for one reason or another, are also
11 going to be in a position of having to describe and prescribe
12 the method of contraception. This will, doubtless, be of
13 some help.

14 I would point out again that one would not expect
15 that in a single year one could see the result of an edu-
16 cational campaign of the kind that has been mounted by the
17 American Academy of Dermatology and Roche. I would expect
18 that it will take a lot more time before we will have
19 achieved a reduction in the use of this drug, one, for women
20 who do not require it because they really do not have cystic
21 acne and, two, for contraceptive methods to be applied
22 carefully to all those women who require it.

23 Nonetheless, it is the position of our committee,
24 and it certainly does not have an executive board decision
25 because it would be inappropriate for us to do that, that it

1 would be inappropriate at this time to either remove the drug
2 from the market or restrict it categorically.

3 DR. HULKA: We have a few minutes remaining until
4 the designated break time. Do we have questions? Yes, Dr.
5 Davidson?

6 DR. DAVIDSON: I would like to ask a question of
7 any of the dermatologists. If there were not -- and I know
8 how artificial this question is, but if there were not the
9 concern about the fetal abnormalities, what then would be a
10 reasonable range of indications for this drug? I am concerned
11 about the assumptions that are made as to how well one could
12 expect the restrictive nature of the indications to be
13 complied to in a disease that seemingly has such potential
14 prospective psychological effect and people do not want it to
15 progress, certainly, to the stages of cystic severe disease.

16 DR. SCHROETER: Dr. Pochi, you represent the AAD's
17 position on this drug and I think you might be the one,
18 representing that constituency, to give an answer to Dr.
19 Davidson's comment and question.

20 DR. POCHI: Well, first of all, although terato-
21 genicity is the central issue and the most important in terms
22 of side effects of the drug, there are other side effects as
23 well. These should be carefully monitored. Dr. Spraker
24 referred to doing blood tests, measuring blood lipids and
liver enzymes. There are lots of other side effects that are

1 in the arena of high dose retinol therapy -- pseudotumor
2 cerebri. These are rare and can be followed. None of the
3 side effects are cataclysmic.

4 So even if this drug were a non-teratogen, it
5 simply would not be used like water. It would, however, be
6 used the same way that it is used now. In males the drug is
7 used in patients who fail traditional forms of therapy.
8 Therefore, this drug still is a last resort drug for acne in
9 any patient who has disease that is severe or less severe but
10 is unresponsive to traditional therapies and even some non-
11 traditional therapies.

12 DR. WOODLEY: First of all, I think that is a very
13 good question, and also what about the woman who does not
14 have cystic acne by the criteria of the original indications
15 for Accutane when it was originally presented to the FDA?
16 Let's say they do not have ten bad, horrible cysts but let's
17 say they have severe recalcitrant, debilitating acne which is
18 not necessarily cystic but has been proved to be unresponsive
19 to enormous measures, and they have had it for many years and
20 have been on systemic antibiotics and have failed to respond?
21 That might be what you are really focusing on.

22 DR. DAVIDSON: Yes.

23 DR. SCHROETER: There is another response to that
24 question. In 1982, when this drug was labeled, although
25 teratogenicity was paramount in FDA's and Hoffmann-La Roche's

1 writing of the label, it was not as of considerable importance
2 as it is now. If you go back to that labeling, the indi-
3 cations for use are restricted to those patients who have
4 severe recalcitrant cystic acne or acne that is not responsive
5 to other conventional use of drugs. I think that will tell
6 you the perspective that it has been used in and I doubt that
7 that will change.

8 DR. POCHI: May I comment on your comment? I think
9 the reason originally that the drug did not receive as much
10 concern about teratogenicity was the fact that, while it was
11 a teratogen, the doses that were required to produce terato-
12 genicity in animals far exceeded those in milligrams/kilogram
13 for man. I know that many of us who experimented with the
14 drug were rather convinced that the drug was not going to be
15 teratogenic. The manufacturer, to their credit, very
16 carefully warned about the possibility that the drug was
17 teratogenic. We were more concerned really about the other
18 side effects.

19 Dr. Woodley's question about the patient who does
20 not have severe cystic acne but has, as he mentioned, ten
21 horrible cysts, which was the minimum criterion that we used
22 when the drug was under an IND and NDA testing, in actuality,
23 they did not have to be horrible cysts. They had to have a
24 minimum of ten "cysts" -- a bad term, by the way, because the
term nodule is much more appropriate and this will ultimately

1 be expunged from the literature because they are not cysts.
2 The lesions had to be only 4 mm in diameter or larger and did
3 not have to be at one site. They could be spread on the
4 face, back and chest. So when these patients were studied,
5 in actuality, they did not have to have severe cystic acne;
6 just ten lesions anywhere. They could have three on the
7 face, three on the chest or on the back.

8 However, as it turned out, because there were so
9 many patients who had severe disease, and I think still do,
10 the vast majority of patients had very severe disease, with
11 the average number of "cystic" lesions exceeding 25.

12 I would guess, although I have no proof for this,
13 that a substantial number of patients today do not have
14 severe cystic acne as you view it in your mind -- "acne
15 horrible". Many of the patients who are treated have acne
16 that is moderately severe but has scars associated with it or
17 who have resisted all forms of therapy.

18 The only indication that really still holds is the
19 recalcitrance. The severe cystic acne part certainly applies
20 to many patients but there are also patients who have
21 moderately severe acne and it goes on and on and on. It
22 resists all therapy and I am sure that this is the reason
23 that these numbers are higher than many people would like
24 them to be when they quote figures of 4000 and 5000 patients
with cystic acne. This would be a partial explanation for

1 why more patients are receiving the drug than some would like.

2 Let me point out, however, that there are no data
3 that are reliable on the incidence of cystic acne in women.
4 The NHANES data have been repeatedly referred to. I do not
5 want to take the time to explain the foibles and fallacies of
6 that study but I would be happy to do it privately or at a
7 later time.

8 DR. HULKA: Thank you. I suggest we have our break
9 now and come back here so that we can start at four o'clock.
10 That will be the discussion for the two Committees.

11 (Brief recess)

12 DR. HULKA: Thank you. We will reconvene now for
13 the last hour. I would point out the two basic questions
14 that we are asked to address. One is really a discussion
15 item on our reaction to how effective the sponsor's program
16 has been to date in informing physicians and patients about
17 the risk to the fetus if a woman takes Accutane while
18 pregnant. So that is how effective has the program been to
19 date?

20 Then, depending on how we respond to that, do we
21 recommend additional measures be undertaken? There is a
22 listing of possible kinds of additional measures but certainly
23 ly there may be others. That is not an exhaustive list.

24 Those are the two areas that we are to consider.

25 By the way, is restricted to Committee members and other

1 folks here at the table.

2 Does anybody have a burning question, point, or
3 whatever that he or she would like to bring up on the
4 information we have heard? Yes?

5 DR. WOODLEY: I would just like to ask who has
6 written the questions before us and who is specifically
7 asking those questions in the FDA?

8 DR. HULKA: Well, they do come from the FDA.

9 DR. WOODLEY: It is a big place.

10 (Laughter)

11 Whose hot little hand had the pen when they wrote
12 those questions?

13 DR. BILSTAD: The questions represent a number of
14 meetings that we had prior to this meeting, input from a
15 number of different sources. As a matter of fact, we went
16 through a number of different versions of the questions. I
17 was certainly involved in the final wording of the questions
18 but there were other people who were involved as well.

19 DR. DAVIDSON: Since ultimately numbers and how
20 they change will determine, to some extent, the effectiveness
21 of any program since reduction in the numbers is what the
22 goal is, after listening to the discussions, I really raise
23 the question of whether or not it is reasonable to shoot for
24 a goal of 4300 new patients per year, as I understand this
25 disease and the interest both on the part of the patient and

1 the physician who treats it. So I first raise the question
2 whether or not that basic assumption should not be challenged.

3 DR. HULKA: Would the dermatologists want to speak
4 to that? Yes?

5 DR. ABEL: I have some difficulty with that figure
6 of 4300 from the HANES study. I participated in that HANES
7 study in a small way as a resident. As a practicing derma-
8 tologist, however, and one who is not in an active practice
9 seeing patients every single day, I know in any one year I
10 see a number of patients with nodulocystic acne, a number of
11 patients who are candidates for Accutane treatment. If there
12 are 6500 dermatologists in the country, it seems to me that
13 figure is on the very low side and perhaps some of these
14 patients may not have 10 or 15 cysts but may have a very
15 scarring, disfiguring form of acne that may interfere with
16 their lives socially, economically and physically and they
17 deserve to be candidates. So I do question that figure.

18 There seems to be an issue of perhaps documentation
19 as far as prior therapy; the duration of prior antibiotic
20 therapy; the number of different antibiotics tried. Certain-
21 ly, the documentation could be there -- should be there.

22 Also I raise the question of photographic documen-
23 tation. Certainly, that is something that could be done.
24 Patients could be photographed prior to treatment and after
25 treatment to document that they have severe cystic or

1 scarring, disabling type of acne. Thank you.

2 DR. HULKA: Since there is quite a bit of question
3 about actually how many patients would be eligible for
4 treatment and a suggestion that maybe the numbers that have
5 been thrown around here on the basis of NHANES being too low,
6 would anyone have a suggestion as to how a truer number might
7 be obtained?

8 DR. WOODLEY: I heard at lunch today that there is
9 another HANES-type study being planned by the government.
10 But I understand that dermatology is not represented and that
11 there will not be a skin exam in that, and that is too bad.

12 DR. NIEBYL: I have just a couple of comments about
13 the recommendations that we have heard about with regard
14 contraception and pregnancy tests. In fact, we have heard
15 quite often that patients given Accutane had not had pregnancy
16 tests or had not been using contraception. I guess I
17 wondered if that was ever put in clinical perspective. If a
18 patient came to my office and told me that she had been on
19 birth control pills for three years and she continued on her
20 birth control, I am not sure that it would be cost effective
21 to have monthly pregnancy tests on that woman.

22 For that matter, if the dermatologist prescribing
23 the drug talks to the patient and she has recently seen a
24 gynecologist for contraceptive counseling, that may be why
25 some patients did not go to the referral program or did not

1 ask about contraception.

2 But, yet, there are other groups of patients that
3 really need very detailed contraception counseling. For
4 example, it seemed to me that the group of women abstinent on
5 this drug was fairly high. The patient who says that she has
6 never had intercourse or has not for years and she does not
7 need contraception -- that is the very one that may very well
8 need it. Her acne gets better and three months or four
9 months later she really feels better about herself -- we know
10 that many of these pregnancies are from unplanned intercourse.
11 That patient needs contraception more than any and she should
12 be sent to the gynecologist for education or birth control
13 pills as a treatment for acne, or however you want to get her
14 on the pill.

15 DR. HULKA: Well, the current program is that
16 Hoffmann-La Roche will pay for referral to the gynecologist.
17 Are you suggesting in any way that this should be beefed up
18 or altered?

19 DR. NIEBYL: I am just saying that if the problem
20 is unplanned pregnancies, if patients are not using contra-
21 ception because they are abstinent, that is the group that
22 still needs to see the gynecologist and have contraception
23 available to it, whether it is education about having a
24 diaphragm or whatever. They ought to know that many women
who get pregnant by accident have completely unplanned

1 intercourse. It is particularly in this abstinent group. It
2 is teenagers who have been previously abstinent or have
3 infrequent partners who, while they are on Accutane, need the
4 contraception. Whereas, the woman who is effectively using
5 contraception already is not at very much risk.

6 I guess I question even the need for pregnancy
7 testing in that group. But somebody has to discuss with her
8 that visit to the gynecologist; the reasons for it and how
9 important it is. As someone pointed out already, doing the
10 monthly pregnancy tests only picks up after the fact. She is
11 already at risk if she has a positive test, with the possible
12 exception of the baseline test. I will not argue with that
13 because you can have some patients that you might pick up
14 unexpectedly that way. But to do monthly tests really seems
15 hardly worth it when you are just going to detect an already
16 exposed pregnancy.

17 DR. STEIN: I would sort of like to pick up on that
18 comment and I would like to propose that the second course of
19 counseling by a second physician is reinforced, is strength-
20 ened and emphasized, particularly perhaps in a younger
21 patient who might tend to be slightly more non-compliant. To
22 reinforce that would be valuable.

23 DR. NIEBYL: The other issue is counseling in
24 foreign languages. If a patient is Cambodian or Laotian and
25 you are giving her an English blister-pack with English

1 warnings, that is not going to be very effective. I noticed
2 that they do have Spanish in their pregnancy prevention
3 program. Maybe something could be beefed up for some of
4 these other languages in California for these patients at
5 risk.

6 DR. HULKA: I think that is a good point. I think
7 something else we should keep in mind is that most, by far
8 the most of the data we have seen today are data that were
9 collected prior to May of 1989 when the information for the
10 prevention program really went into effect. So we have had a
11 relatively short time, less than a year because I believe the
12 most recent data we saw were through March of 1990. So it
13 has been a relatively short time to see the effectiveness of
14 that patient kit. Anne Wentz, did you have a comment?

15 DR. WENTZ: No. I wanted to bring up the 60
16 percent of Southeast Asian babies and thought that that might
17 be a hot spot for identification, not only of a patient
18 population at risk but perhaps a group of physicians who have
19 perhaps inadequate prescribing habits.

20 DR. POMERANZ: I was on the Committee when Accutane
21 was initially approved, about seven or eight years ago. I
22 think that the present program that was initiated about a
23 year ago probably will be helpful but it is not effective
24 enough. I think more has to be done. I would strongly
endorse the recommendations from Dr. Pochi via the American

1 Academy of Dermatology. You ask how can we get real numbers
2 of the patients who require this drug. The only way you are
3 going to get them is by mandatory registration into the
4 surveillance system.

5 The second thing, of course, is that after it has
6 been determined that the patient does need the drug, then I
7 think the prescription blank that has been suggested, with
8 the patient saying that she consents, that she has had a
9 blood test for pregnancy and that contraception has been
10 discussed -- I think those things will really have an impact.
11 I think that it is important that the FDA do that.

12 DR. HULKA: So just to make sure I understand you,
13 you are recommending a mandatory surveillance program?

14 DR. POMERANZ: The same thing that Dr. Pochi
15 suggested, if I understood him correctly.

16 DR. SCHROETER: The American Academy of Dermatology
17 task force, as represented by Dr. Pochi, has made a clear and
18 distinct change of posture even since May 10, the document
19 that was sent in your packet. He gave that pronouncement and
20 I think that maybe that should be reiterated at this parti-
21 cular time. I am going to read from that statement. I am
22 going to read all three of these recommendations:

23 One, that the drug manufacturer's prescribing infor-
24 mation in the drug packet insert reinforce a monthly test for
25 pregnancy during the Accutane treatment.

1 Two, that the patient information consent form that
2 is in the drug manufacturer's pregnancy prevention program
3 kit be changed to require not only that the patient and
4 physician complete the form, but that the patient also be
5 required to enroll in a surveillance program.

6 Three, that a special Accutane prescription form be
7 devised and used for dispensing the drug in which the
8 physician checks three boxes, indicating, (a) that a consent
9 form has been executed, (b) that a blood pregnancy test has
10 been performed and is negative and, (c) that contraception has
11 been discussed with the patient.

12 The Committee may not want to endorse all three of
13 these but I think the second is a very common position we
14 have gravitated to, as I have talked to members of the
15 Committee and to those constituencies that have presented
16 today.

17 DR. HULKA: I see a lot of comments in response to
18 this. Why don't we start on this side of the table and go
19 around?

20 DR. FLEISS: I am not sure that those recom-
21 mendations go far enough. I think the success of education
22 is what we have seen, which is some step forward but there is
23 more to be done and I do not think further instruction,
24 further education or reinforcement will do it.

I have heard arguments that the 4500 new cases per

1 year is all wrong and that prescribing is not being done too
2 frequently. But all the numbers that I have seen suggest the
3 opposite, that there is over-prescribing. One of the
4 recommendations that we make I think has to be what do we do
5 to make a dent in -- what to me is a fact -- the over-
6 prescribing of Accutane.

7 DR. HULKA: But, Dr. Fleiss, let me ask you if
8 physicians, along with their patients whom they put on the
9 drug, in essence, who are now going to submit a form as part
10 of a registry mechanism -- do you think that might have an
11 effect on prescribing?

12 DR. FLEISS: Who will look at that form and what
13 auditing mechanism will be put in place to make sure that
14 what is on the form is accurate? I can fill out forms from
15 now until doomsday.

16 DR. SCHROETER: Well, there is a response to that.
17 The patient is consenting to submitting data and those data
18 will be open vista. Indeed, it will be documentation that
19 may lead to medical-legal defense or to counsel.

20 Number two, it is a post facto peer review system.
21 In other words, after the fact the physician will be reviewed
22 by his peers in the surveillance. So it does make an impact.

23 One of the issues that I think was very well put is
24 that the physicians and patients who enrolled in the Slone
Epidemiologic study were the ones whom we probably do not

1 need to reach, whereas, the number two measure requires all
2 patients and, therefore, we may reach those physicians who
3 are more or less procrastinating in this type of registration
4 and not participating on a voluntary basis.

5 DR. WOODLEY: I, like many reasonable folks, do not
6 believe the HANES study very much and do not really think
7 that is a good basis for the incidence or prevalence of
8 cystic acne. Therefore, I think we really do not know how
9 much over-prescribing is really being done. The jury is
10 still out.

11 I think as a Committee, or maybe the FDA, we could
12 ask in the next HANES-type study that skin be examined and
13 maybe concentrate on this particular issue; maybe pay less
14 attention to eczema and psoriasis this time around and really
15 focus on what the true incidence of cystic acne is. I saw a
16 lot of heads nodding and so I think everyone would think that
17 would be a useful thing for us to do as a Committee.

18 DR. NELSON: I would be interested in hearing from
19 the representative from the Academy of Dermatology. The
20 Slone study showed that approximately 15 percent of all
21 patients enrolled in the study were enrolled by the physi-
22 cians. That translates to a much lower percent as you
23 consider all the persons being prescribed Accutane. How are
24 we going to get from something less than 10 percent to 100
percent? I am missing how we are going to do that. How are

1 we going to get the dermatologists to comply with this new
2 requirement?

3 DR. POMERANZ: It seems to me that he is going to
4 have to comply. It is going to take a very reckless physician
5 to have a special prescription form for Accutane and just
6 fill it out in a casual sort of a way because the patient
7 will not get the drug unless he has it filled out correctly.
8 That will automatically, in addition, register the patient
9 with Hoffmann-La Roche. So I think it will have an impact on
10 the physician. I doubt that you will ever get 100 percent of
11 anything but I think you will, in that manner, get their
12 attention more, and more of them will register the patients
13 directly themselves.

14 DR. WENTZ: The guidelines that Dr. Pochi presented,
15 I believe, are valid ones to discuss but only if we make the
16 stipulation that consent has to be given and received in the
17 patient's own language.

18 DR. HULKA: Jim, did you want to say something?

19 DR. SCHLESSELMAN: I would just ask a question of
20 those who recommend patient enrollment in surveillance as to
21 what would be the consequence of that with regard to providing
22 something other than a name. What other additional infor-
23 mation will be required? Simply to provide a patient's name,
24 in and of itself, does not do very much for a surveillance
25 system. Is the physician or patient, by enrolling in such a

1 surveillance program, giving consent to have her records
2 eligible for scrutiny by the Slone Epidemiology Unit and
3 become part of the study? What are the consequences of that?

4 DR. NIEBYL: If I could just comment on that, it
5 seems as if part of the consent form the patient ought to
6 agree to is to be contacted and give the appropriate data,
7 when it is decided what you want to find out, as part one of
8 that consent form. That would be just like signing a consent
9 form for any follow-up research study.

10 DR. DAVIDSON: I have a couple of questions about
11 the recommendations from the task force in dermatology. I
12 agree with the objections about the monthly test. I think it
13 would be much more of value to be more specific and lay more
14 stringent conditions about the first pregnancy test because,
15 seemingly, a number of patients are getting the drug and they
16 are already pregnant. I think something should be done to
17 avoid that. Once patients are counseled etc., I would be
18 less concerned about the monthly test.

19 With regard to the prescription check-off, I would
20 suggest that if there were a check-off, everything that
21 needed to be checked off, including whether or not a pregnancy
22 test or contraception had been done, would be included in the
23 check for consent.

24 There are some serious issues of confidentiality
being raised by these, not only in terms of the patient and

1 the physician relationship, but I would submit to you that
2 there are some issues about confidentiality about sexual
3 behavior that seem to be important to Americans that are not
4 being discussed by this. This is a serious, fundamental
5 encroachment, both in terms of the relationship between the
6 physician and the patient, and almost constitutional rights.

7 Nobody is more concerned about birth defects and
8 infant deaths than I am. But this is the kind of regulation
9 with which the West would never have been won.

10 (Laughter)

11 DR. BARBO: If women of childbearing age are not
12 the ones with this problem, who are they? So how can we
13 restrict women of childbearing age from having this drug? I
14 do not see who else, of women, would really be appropriate to
15 treat.

16 My concern is where is the failure. Is it physician
17 failure or is it patient failure in following through? I
18 suspect we have some of both and we have to tighten up the
19 regulations and the way we prescribe it and what the patient
20 understands. I think a lot of things we have talked about
21 are good. I am against having information on a prescription
22 form as well, which breaks patient confidentiality. But I
23 think that we probably do not have adequate consent forms.

24 Every day when I do informed consents, many patients
do not want to hear all those things and a lot of patients

1 cannot read the consent. They do not read at the level at
2 which many of them are written. I wonder if we should not
3 add a film which might be more graphic and might be in the
4 spoken word and, therefore, more understood by patients than
5 just the written consent form.

6 I do not know if it is legal to require them all to
7 agree to the study or not or to enter into it. I think that
8 is another real question which I have. But it does take time
9 to do an informed consent and I think that is where we need
10 to put most of our emphasis to patients.

11 The last thing that I think we need to do is
12 collect the unused medicines. Patients still continue to
13 save some for the next episode, be it urinary track infections
14 or whatever they think is going to come down the road and
15 happen to them in the future. As we heard today, I am
16 concerned about the patients who save some or give it to
17 their friends or relatives. It is in those patients that we
18 have no information given and no informed consent that we
19 have catastrophes.

20 DR. STEIN: As far as the language barrier, perhaps
21 as part of this, if Roche does go ahead and puts a jacket
22 around the preexisting package there could be some symbolic
23 way of conveying the message that if there are questions, it
24 would be easier for the patient to get answers to those
25 questions if perhaps an 800 number with a question mark on it

1 could be printed in large type on the outside of the package,
2 and some mechanism set up for patients who do speak different
3 languages to have access to that number.

4 It is not going to be easy. None of this is easy.
5 Dr. Davidson raises some important concerns. This is all
6 logistically perhaps a real nightmare but I think, at the
7 very least, we need to track things more closely to get more
8 information as to what is going on and to not have a lot of
9 drug being given out to people's friends and relatives which,
10 as we all know, happens with prescription drugs.

11 DR. HULKA: Could I just make a comment here? I
12 gather there is desire for a more complete surveillance
13 system. The problem that we have seen with the existing
14 prevention program is that information appears to come back,
15 or is likely to come back on about 50 percent of actual women
16 using the drug. Is this one of the problems that you are
17 expressing? No? That does not seem to be it, okay.

18 DR. WOODLEY: There are two different issues here.
19 One is trying to make sure everyone crosses their t's and
20 dots their i's. The other one is a research question. If
21 you get a study where you have a 55 percent response rate,
22 that is a pretty darned good sample if you have 31,000
23 people. I do not have trouble with that. I think we have to
24 really be sure what we are talking about, whether it is
25 oranges or apples.

1 DR. HULKA: We disagree on that point. There are
2 problems with a 55 percent response rate and what it means in
3 terms of selection. That is always a problem.

4 DR. WOODLEY: Yes.

5 DR. SCHROETER: Well, you are not only talking
6 about research surveillance, you are talking about trying to
7 limit the drug to those people who need it through a surveil-
8 lance program that is required. We know that if something is
9 required by physicians and is put in the labeling by Hoffmann-
10 La Roche, more than likely the physician will pay heed to it
11 since that is a guidance that will be medically-legally
12 referred to. It does not restrict the use of the drug though.

13 DR. HANEY: I would be very surprised if anything
14 on a prescription would fly through the legal department.
15 But I think what will probably fly is a consent document,
16 signed by the physician who prescribes the drug, as well as
17 the patient. The issues that you want about not being
18 pregnant and maintaining contraception -- even if I am not
19 sexually active now but will become, or did become, etc. --
20 all these issues can easily be put in a consent document that
21 both the patient and her doctor sign. She has a copy. And
22 if there is a more powerful thing in American medicine than
23 an attorney, I do not know what it is.

24 I am impressed that motivation to do it well and be
25 sure she is not pregnant when she gets the drug, which

1 actually was one of the largest groups, would certainly go a
2 long way to eliminating that, and I do not think that is
3 going to violate anybody's rights.

4 DR. POMERANZ: I think that that consent form would
5 then have to be given to the pharmacist before he gives out
6 the drug.

7 DR. NIEBYL: Well, he can be another check.

8 DR. POMERANZ: The consent form indicating that all
9 these things have been done, before the pharmacist can
10 dispense the drug, he has to have that form.

11 DR. HANEY: I do not believe that is possible.

12 DR. POMERANZ: Why not?

13 DR. HANEY: Because patient confidentiality would
14 not allow that identification. I just do not believe that is
15 possible. No consent form that I ever give a patient to sign
16 goes outside the hospital or my office record, which is a far
17 different level of confidentiality than drug records.

18 DR. POMERANZ: Well, we are at a level that I do
19 not know anything about.

20 DR. HANEY: That is a legal issue.

21 DR. POMERANZ: Maybe we cannot solve that but it
22 seems to me that that would cut down over-prescription of the
23 drug. Physicians would think twice before turning that in to
24 somebody else. Maybe it would have to be sent to Hoffmann-La
25 Roche and they would send out a thing that they have reviewed

1 this; that they have recorded it. I mean these people have
2 generally had the disease for a long period of time before
3 they are put on the drug. It is not a decision that is made
4 lightly and if you wait for a month or two more, that is not
5 the end of the world. Maybe sending it to Hoffmann-La Roche
6 is the way to increase the surveillance. That would get the
7 physician's attention too. Then Hoffmann-La Roche would send
8 something back to the physician or to the patient saying that
9 they can now order the pharmacy to now dispense the drug.

10 It is intrusive and nobody is very happy about
11 that, admittedly, but, on the other hand, if you quadruple or
12 even increase by seven times the number of patients with
13 cystic acne, seven times five is still 35 and there are
14 65,000 women of childbearing age getting the drug. I cannot
15 believe that all 65,000 of those have recalcitrant acne. I
16 just have difficulty with that at this point.

17 DR. NIEBYL: I am still concerned about the ones
18 that we saw who were contraceptive failures. The part three
19 recommendation stated that contraception has been discussed.
20 I do not know whether that means by a gynecologist or by
21 somebody who does that every day. But I am not really
22 expected to make the decision on whether the indication for
23 Accutane is there or not in terms of the type of acne and I
24 think it is hard even for someone experienced to discuss
contraception with a teenager who has never been sexually

1 active, or contraception with somebody who has been using,
2 say, condoms and you try to explain to them that that has a
3 higher failure rate than birth control pill. I think to
4 encourage referral to somebody who can sit down and make the
5 whole purpose of that visit the contraception issue -- I am
6 not sure it should be mandatory but to ask a dermatologist to
7 do it is, I think, really difficult. It takes a lot of time
8 and a lot of background preparation to properly say to a
9 woman, "Look, if you've been using rhythm backed up by
10 condoms and you haven't gotten pregnant for the last two
11 years, that isn't good enough. If you're going to take this
12 drug you have to take pills or use two types of methods."
13 You have to have some discussion to really drive home the
14 point that it is unacceptable to get pregnant on this drug.

15 I would encourage a much higher percentage of
16 referral, not necessarily even to a gynecologist but maybe a
17 family practitioner who does family planning all the time,
18 but not just to somebody who is not comfortable talking about
19 sexuality with patients. I see this all the time with
20 internists who have not felt comfortable in discussing this
21 because they do not do it every day as we do.

22 DR. DAVIDSON: I agree with Jennifer about most
23 things. I am surprised that she is saying something right
24 here that I do not 100 percent agree with. But this business
about requiring a particular form of contraception is another

1 ticklish area. There are large populations of people that
2 you have to respect about what kind of contraceptive program
3 they are going to participate in. Maybe abstinence and
4 rhythm, or whatever, is the only thing that is reasonable for
5 them to accept. I think they have to be informed --

6 DR. NIEBYL: That is all I am saying, just inform
7 them that it has a higher failure rate than some of the other
8 methods.

9 DR. DAVIDSON: Well, I am just saying that there
10 are some other considerations here of people's religious and
11 other beliefs. That is the reason that this across-the-board
12 kind of regulatory approach is difficult.

13 I would concentrate on the informed consent and
14 certainly patients who are already pregnant. There is a big
15 burden on unwanted pregnancy and the inadequacy of contra-
16 ception in this country that is unfair to be placed on any
17 single drug because it happens all over the place.

18 DR. WOODLEY: I tend to agree with Dr. Davidson
19 because if we accept the model of the OEB people that we
20 would cut out in one fell swoop by one-third people if we
21 really concentrated and focused on the initial pregnancy test
22 before being put on the drug -- as I remember, their model had
23 one-third of the persons being pregnant at the time of the
24 medication. Another one was actually a problem with informing
the patient by the doctor. The third one was the contra-

1 ceptive failure. I think it is the third one that we are not
2 going to get around, no matter what we do. But the other two
3 we can have a shot at.

4 DR. STEIN: Just one more point about confiden-
5 tiality, again, I agree that it is difficult but I would like
6 to point out that it is done with certain blood tests, as
7 with HIV testing, at least in the State of New York. It is a
8 cumbersome system; it is not easy. Of course, we are talking
9 about one state and we are talking about things that cross
10 state lines here so legal and other issues may come into
11 play. But at least in the State of New York confidentiality
12 is protected and, I assume, in other states also. But I am
13 not familiar with other states.

14 DR. DAVIDSON: But it is not mandatory to have the
15 test.

16 DR. STEIN: That is a valid point, yes.

17 DR. POMERANZ: It is not mandatory to get the drug
18 either. I do not think someone has a constitutional right to
19 a drug that produces birth defects and that they do not have
20 to give up a little something for it.

21 DR. HANEY: They have the same right that anybody
22 else has.

23 DR. POMERANZ: They can turn down the drug if they
24 do not want to participate in --

DR. HANEY: You cannot do that. We have to get

1 into reality here, and the reality is what we can do to lower
2 birth defects, and that is not going to be reality legally.

3 DR. POMERANZ: The reality is that you are still
4 getting a fair number of birth defects --

5 DR. HANEY: No argument --

6 DR. POMERANZ: -- and who is going to take care of
7 those kids?

8 DR. HANEY: But the most enlightening information
9 we have heard today was where they came from. They came from
10 women who were already pregnant, number one. I think
11 everyone at this table agrees to make an effort educationally
12 and get a pregnancy test ahead of time. The second largest
13 group was women who were contraceptive failures and we are
14 not going to change that. That is not going to be altered by
15 what we do today. So that is the number two group. Then
16 there is illicit use and a few other odds and ends that you
17 can focus on. But, clearly, if you have a document that the
18 doctor signs and the patient signs, wherever it resides in
19 her record, that verifies that he talked to her about
20 contraception; got a negative pregnancy test and she under-
21 stands the hazard, that will go a long way to forcing the
22 physician, from a variety of perspectives such as medically-
23 legally and the FDA, trying to make sure it happens.

24 DR. POMERANZ: But the point was made that derma-
tologists probably are not terribly comfortable discussing the

1 ins and outs of contraception.

2 DR. HANEY: Those who are not can refer them to a
3 doctor --

4 (Laughter)

5 DR. POMERANZ: I think you will find the average
6 anesthesiologist would also be equally uncomfortable discus-
7 sing contraception with a patient, or the average surgeon.

8 DR. WOODLEY: You know, I really liked you before
9 you said that.

10 (Laughter)

11 DR. HULKA: Anne Wentz, did you have a comment?

12 DR. WENTZ: It is just a little point. Even your
13 pregnancy test within two weeks of starting the drug is not
14 going to get you off the hook because a number of patients
15 will still start the drug when they are early pregnant. So
16 it gets to be more and more difficult.

17 DR. POMERANZ: I see nothing wrong -- maybe I will
18 raise your hackles again but I see nothing wrong with a
19 mandatory visit to a gynecologist. In other words, before a
20 patient can get the drug, they have to have a discussion with
21 a gynecologist as a backup.

22 DR. HULKA: We have heard this discussion quite a
23 bit. Subir Roy wants to make a comment and then I think we
24 need to change our direction.

DR. ROY: I think we can perhaps utilize the video

1 that is being formulated by the American College to address
2 the specific issue. Then we will not have to consider
3 whether dermatologists are comfortable speaking about
4 contraception or not because it should be available. It
5 should be brief and to the point and everybody can use that
6 as part of the inherent check list on the informed consent as
7 well.

8 DR. NIEBYL: Maybe the dermatologists can at least
9 say to the patient that Roche is going to pay for that visit.
10 Maybe that is part of the information that should be on the
11 consent form, "I'm aware that I can get a contraceptive visit
12 for nothing", or something like that.

13 DR. HULKA: Let me try to summarize what I think I
14 heard that most people have agreed with. By the way, we are
15 talking about question two. We can come back to question two
16 after I make this statement. I want us to go back to
17 question one but these are the things that I think most
18 people have agreed with. So please tell me if you do not
19 agree with these points.

20 The first point was that we want to emphasize that
21 first, initial pregnancy test. Do whatever needs to be done
22 to ensure that that pregnancy test is done.

23 Then we want to minimize use of residual drugs,
24 leftover drugs that people pick up later on.

We want to emphasize informed consent, particularly

1 for people who are not very literate or not very good at
2 English words. We want video information. We want other
3 kinds of information, other than just written English words.

4 Then we want to encourage and maximize the use of a
5 referral physician, be it a gynecologist, family planning
6 clinic, family physician, whoever.

7 Those are areas that I heard various people mention
8 and I did not hear many arguments about those points. Does
9 anybody argue about those points?

10 DR. MCKAY: I think you should add development of
11 other language. A video in English for Laotian women might
12 not be very helpful.

13 DR. HULKA: Right. So the video might be in
14 different languages. Those were general kind of points that
15 do not have a regulatory vein. But we will come back to
16 question two.

17 We pushed ahead without addressing question one. I
18 think, in fairness to Hoffmann-La Roche, they have implemented
19 a pregnancy prevention program. As I say, the last phase of
20 that, the patient portion of that went into effect in May of
21 1989. We have heard about 10 months maybe of data, 9 months
22 of data, in other words, what has happened since then.

23 So we are asked, and I would like to get some input
24 from you, to evaluate the success to date of the special
25 efforts, initiated in 1988 but actually the second part in

1 1989, by the sponsor to inform physicians and patients of the
2 serious risks to the fetus if a woman takes Accutane while
3 pregnant. Does anybody have comments on that?

4 DR. SCHLESSELMAN: I would like to say that, for
5 myself, I do not believe that we can evaluate the success of
6 the intervention efforts. What we can evaluate is to look at
7 what they have done. I would make a personal statement that
8 what the Company has done, to me, seems extraordinary by way
9 of producing information that ought to inform physicians
10 about the importance of pregnancy prevention when they
11 prescribe the drug.

12 But whether it has been successful or not -- I
13 think the jury is still out. Given the problems with the
14 enrollment to date and the Slone Epidemiology Unit study, I
15 think it is very difficult to know exactly what is occurring
16 among all women being prescribed the drug with regard to
17 their pregnancy exposures and, certainly, with regard to the
18 impact that this program has had on eliminating or moving
19 towards eliminating pregnancy exposures and, finally, towards
20 eliminating birth defects induced by Accutane.

21 DR. HULKA: Okay, that was a good statement. We
22 again get back to this response rate. Whether it is 28
23 percent, 50 percent or 53 percent, it is low. We do not know
24 what is happening to all the other women.

I would like to ask representatives of the Company

1 a question. That is, I would like to know what they consider
2 their best indicators of success of the prevention program
3 and by what time do they think they will have this infor-
4 mation? How long is it going to take before you will be able
5 to say, according to your indicators of success, that the
6 program is or is not successful? I wondered if anybody from
7 the Company would respond to that.

8 DR. ARMSTRONG: I think there are two aspects that
9 would address that. The first is the survey that we do of
10 physicians, both dermatologists and primary care physicians,
11 that assess how often they use the elements in evaluating
12 patients and then how many patients they decide are not
13 appropriate candidates for use. So that would be the first
14 thing. There the indication that we have is that the kit
15 directly contributes to 19 percent of patients that are
16 evaluated with it and not being treated with the drug.

17 The second aspect of it has to do with the infor-
18 mation that will develop out of the Slone epidemiology
19 survey. I think there the questions that are important
20 will need to be addressed about the quality of the data.
21 This is a matter of assessing the representativeness of the
22 data. We do not have those answers yet but the effort is
23 being made to get those answers.

24 If I could defer to Dr. Mitchell, I might suggest
that he would be able to give you a better idea of when to

1 expect those kind of data to be available.

2 DR. MITCHELL: In just the last couple of weeks we
3 sent out about 2000 of the postal follow ups. That rate of
4 send-out is increasing and that is the first wave. So we
5 hope in the next few months to have the initial information
6 from the postal questionnaire. The assessment of representa-
7 tiveness we hope to complete, I would say, over the next
8 three to six months.

9 DR. HULKA: Would January 1, 1991 be a date?

10 DR. MITCHELL: Yes, I think that would be reason-
11 able.

12 DR. HANEY: We have had a lot of focus here on
13 contraception and the people who need the drug, etc. I do
14 believe 93 percent for dermatology prescriptions ought to be
15 100 percent. I mean I ought not to be using this and I am
16 not sure internists or family physicians, by and large, ought
17 to be doing this. I am curious whether the marketing
18 approach ought to be pretty much restricted to dermatologists.
19 Is that unreasonable?

20 DR. HULKA: Are you making a proposal?

21 DR. HANEY: No, I am just curious about it.

22 DR. SCHROETER: Traditionally, the posture of
23 restricting a drug to a certain specialty group has been
24 frowned upon by that specialty group, especially the derma-
25 tologists, and other specialty groups. I do not think that

1 that would ever fly. For the last 20 years that I have been
2 associated with FDA, that has been tried and, except in very,
3 very special cases, it would never work, especially in this
4 particular case where a number of people are using it.
5 I agree with your position but I do not think that it can be
6 legislated into labeling or a proposal.

7 DR. HANEY: I was really asking the Company for
8 their focus in marketing etc.

9 DR. POMERANZ: Well, I would guess that the focus
10 is changing because when I was on the Committee before, seven
11 or eight years ago, we heard that about a third of the
12 patients were getting prescriptions from family physicians
13 and primary care physicians. Now it is down to seven
14 percent. So that is an improvement.

15 DR. HULKA: Unless I hear something to the contrary,
16 I am going to make a brief statement for the record that we
17 believe that the Company has made a very strong, an unusually
18 strong effort to develop information for pregnancy prevention
19 for patients and physicians. I am thinking about our
20 reaction to part one, and that is to inform physicians and
21 patients of the risks.

22 Is there any argument with that kind of a statement?

23 (No response)

24 The Joint Committees of the Dermatology and the
25 Fertility and Maternal Health Drugs Advisory Committee want

1 to commend the Company for the very strong program that they
2 have developed to inform physicians and patients of the
3 serious risks to the fetus if a woman takes Accutane while
4 pregnant. It is unusual for such a strong program to be
5 developed and presented by a pharmaceutical company.

6 Information on success, to date, is very limited
7 since the program only went into full effect in May of 1989.
8 But we have been told that by January 1, 1991 the Company
9 will have data on subjects who have not participated. So
10 they will have some information on the generalizability of
11 the results that they have obtained. They will also have
12 indicators of efficacy of the program.

13 DR. SCHROETER: I would like to add to that
14 comment. I feel very strongly that Hoffmann-La Roche has
15 made a great effort but if the trends of the data that are
16 now being presented from the Slone group and others continue,
17 and they seem to be going in that direction, this effort is
18 not substantial enough to correct behavioral prescribing
19 problems of the dermatologists, nor the outcome of that,
20 which is fetal wastage. I think that that should be added to
21 that document.

22 DR. HULKA: Do you agree with adding that? I have
23 to admit that I hesitate on that statement because I do not
24 believe I have seen the data that would substantiate that
25 statement. What we actually saw on reported birth defects

1 was something like three in 1988, or three in 1987, the two
2 most recent years. I cannot find the data to really substan-
3 tiate what you are saying. We have seen a reduction in
4 prescribing, not as much as we would like for reproductive
5 age women, but I am hesitant to say something that I cannot
6 document with data.

7 DR. SCHROETER: I have not asked you to substantiate
8 data. I have said that if the current trends, and whatever
9 the data may be, continue, it is not adequate to curb fetal
10 wastage or to change the pattern of prescribing on the part
11 of dermatologists. Whatever data have been presented today,
12 I am saying that trend cannot continue. The trends are
13 there. You see that there is continued high prescribing.
14 That may change, and that will be fine and I will salute it
15 if it occurs. But if the trends continue, I cannot say that
16 the program is adequate and I think that that caveat must be
17 there.

18 DR. SCHLESSELMAN: To play the role of the devil's
19 advocate here, perhaps one might take the lack of decline in
20 prescriptions for the drug simply to reflect the fact that
21 the drug has been properly prescribed in the past.

22 DR. DAVIDSON: That is my problem about this
23 denominator that we are dealing with and these assumptions.
24 I am concerned with over-prescribing but I would be much more
concerned about preventing defects. If the numbers stayed

1 the same and we reduced the defects, then that is what I
2 think we primarily ought to be concerned with.

3 DR. SCHROETER: That is exactly what I was saying.
4 It is a warning that if we continue to have congenital
5 defects and fetal wastage, then we have a problem.

6 DR. WOODLEY: One problem I have is what is the
7 number of acceptable but always unfortunate birth defects?
8 It seems to me that as long as we have some contraceptive
9 failure, there are always going to be some. I worried a
10 little bit about that when I heard David Graham, of the OEB
11 Office, in his presentation give what the goals were for this
12 Committee.

13 I worry about this because much of the activity,
14 the reason we are all here and most of this questioning has
15 been derived from this particular Office, the OEB, and the
16 whole question of Accutane. So I am wondering if we are
17 really talking in the same language. Do they have the goal
18 to eliminate by 100 percent birth defects? Is that the goal?
19 Or is the goal 100 percent of fetal exposure? Those are two
20 different things.

21 I think we would have a possibility of eliminating
22 birth defects if women who took the drug were ready and
23 prepared to have an abortion if they needed it. But I do not
24 think that we are going to have 100 percent fetal exposure
25 eliminated as long as our birth controls are imperfect, no

1 matter what we use.

2 I think this raises the issue of abortion, which
3 might be a maneuver by many women who would choose this when
4 they learn that they have fetal exposure while they are on
5 Accutane. I think one question I have, when trying to weight
6 the testimony of various experts, is what are the background
7 biases of that expert in giving that testimony? The question
8 I would have is does the leadership of the OEB -- David
9 Graham and his colleagues -- do they have a publicly stated
10 position on the right of women to choose whether or not to
11 have an abortion? Because I think if they have extreme views
12 on this issue, then maybe they should not be involved in
13 evaluating a drug like Accutane where the likelihood of
14 abortion in patients is higher than the likelihood on other
15 medications by similar patients.

16 So would the OEB consider a certain number of fetal
17 exposures to Accutane as an unfortunate but an acceptable
18 level or are they truly trying to eliminate all fetal
19 exposure? We need to answer that question and we need to
20 know their public opinion about abortion.

21 DR. HULKA: I think Dr. Peck will respond to this.

22 DR. PECK: As I said earlier this afternoon, the
23 presentation that Dr. Graham made represents the Division of
24 Epidemiology and the Office of Epidemiology and Biometrics,
and does not necessarily, in all aspects, represent an Agency

1 point of view.

2 Nevertheless, many good points raised by Dr. Graham
3 in his presentation were echoed or mentioned by members of
4 the Committee, as well as other presenters. So, as with
5 discussions internally, we expect these same kinds of issues
6 to be discussed in an open advisory committee.

7 The Agency is concerned about adverse effects of
8 drugs and the malformed children that we are all concerned
9 about is a common focus. That represents, I think, the
10 commonality of our concern around the table and I think it
11 should remain the focus of the discussion relating to these
12 two questions.

13 DR. DAVIDSON: Madam Chairman, one thing about the
14 defects, I think as a matter of science, and we have not
15 emphasized it, is that to the extent possible the defects
16 should be more specifically characterized in an effort to
17 make sure they are likely due to this drug because there are
18 going to be some background defects in any population of
19 women getting pregnant that may be just fortuitous.

20 DR. WOODLEY: Did Dr. Peck answer my question
21 because I do not feel as if I totally got the answer to that
22 question?

23 DR. HULKA: I think he did, Dr. Woodley.

24 DR. WOODLEY: He did? Could you restate that for
25 me what his answers were?

1 DR. HULKA: That our interest and our concern and
2 our efforts should be focused on the issue of birth defects.

3 DR. WOODLEY: Yes, but we are evaluating testimony
4 by people who are doing studies. We need to know the
5 background bias of those people. I do not think it is unfair
6 to ask for that.

7 DR. HULKA: Dr. Stein?

8 DR. STEIN: It may be that not all of these
9 additional measures need to be instituted but one of the
10 points that I want to convey at the end is that we need to
11 begin now to explore them, just in case people come back in
12 January, 1991 to report and there still is a problem. I
13 think because there is such a long lag time in instituting
14 some of these additional measures and because there are so
15 many potential pitfalls, legal, logistical, patient confiden-
16 tiality, moral, ethical, etc., we should at least begin to
17 look at some of these other measures and perhaps institute
18 some of the potentially easier ones, like recall of unused
19 medication, so that no more time is lost, if that is possible.

20 DR. SCHLESSELMAN: I would like to comment on the
21 matter of whether there are any latent biases in the investi-
22 gators reporting results. I think what we ought to con-
23 centrate on is the evidence that we have before us. That is
24 out for everyone to evaluate. Quite frankly, I do not know
that we need to know any personal position held by an

1 investigator on such a matter if we have the data presented
2 before us and that is open to public challenge. We might
3 disagree with the conclusions and the interpretation that is
4 placed on those data. But, as long as we have the data
5 before us, we have the opportunity to question their validity
6 and to establish their validity and work on that basis.

7 DR. WOODLEY: I agree with you. It is the con-
8 clusions and extrapolations that I think are the question. I
9 think it is interesting that in the Medicaid studies, for
10 example, in this entire time of the two years that I have
11 been on this Committee, we have never found out whether those
12 women were on other potential teratogens in addition to
13 Accutane. That whole question has not really been explored,
14 or going from interview data to chart records in a physician's
15 office. I think all of those kinds of objective data where
16 we follow up on things are so much more important and I am
17 sort of shocked that that has not been done. Then the
18 conclusions are extrapolated from very small observations.

19 DR. HULKA: I believe we have worked with the first
20 question and we made the point about the effort that the
21 Company has made but we have also heard the point that if
22 trends continue, they really are not satisfactory. So more
23 effort is going to have to be made.

24 In part two, question two is really the issue of
the additional measures. We started out with the additional

1 measures and I mentioned several previously, which were in
2 the area of emphasizing things, like the initial pregnancy
3 test; getting rid of residual drug; and a variety of other
4 things.

5 Are there other points, are there other areas of
6 recommendations that you would like to make? We have heard
7 of this idea of trying to not allow actual prescribing at the
8 pharmacy until certain prerequisites have been met, and have
9 that information either on a consent form or on the pre-
10 scription form. I have sensed that there was not too much
11 enthusiasm, at least by part of the group, for that. There
12 is concern over the legal aspects, perhaps the coercive
13 aspects and the invasion of privacy aspect. But we can have
14 further recommendations of what you would want the Company to
15 do.

16 (No response)

17 It is a very quiet room. Are you satisfied then?
18 The areas where the Committee felt that additional measures
19 must be undertaken are:

20 - to emphasize an initial pregnancy test and to
21 make sure that the pregnancy test is negative before starting
22 Accutane;

23 - to develop mechanisms to get rid of the residual
24 drugs and, therefore, the use of Accutane later on when the
woman may not be so careful about contraception;

1 - to emphasize the informed consent and particularly
2 improve non-verbal or non-written forms of information about
3 the need for contraception. This might include video
4 information and the potential for different languages, other
5 than English;

6 - then to further encourage referral to gyne-
7 cologists, family planning clinics, family physicians, others
8 who work regularly in providing advice about contraception to
9 women.

10 DR. SCHROETER: There is an additional one that I
11 think we discussed and there was some consensus. Maybe there
12 is less consensus than I think but I think the new position
13 of the American Academy of Dermatology on the patient
14 information consent form to include consent of the physician
15 and the patient and for the patient to be required to be
16 involved in a surveillance study is very, very important. I
17 think that this should be included in the recommendations of
18 this Committee. If that is not the consensus, then I think
19 people should speak up about it.

20 DR. HULKA: As I understand it, the change that you
21 are recommending from what already exists is that the consent
22 form would be signed by the patient and the physician and
23 then a copy is sent to Hoffmann-La Roche. State it for the
24 record, please.

DR. SCHROETER: A statement was made, and I will

1 read it as given by the American Academy of Dermatology task
2 force on Accutane that was presented by Dr. Pochi: That the
3 patient information consent form that is in the drug manu-
4 facturer's pregnancy prevention program kit be changed to
5 require, not only that the patient and physician complete the
6 form, but that the patient also be required to enroll in
7 their surveillance program.

8 Obviously, there are many details that this
9 requires between the lines of the physician, the patient and
10 the sponsor of the drug. But I think that the general
11 consensus and the thrust of this is a positive one to give
12 more responsibility on the part of the physician to involve
13 the patient in surveillance.

14 DR. WOODLEY: Does that mean that you would not
15 give the drug and you would withhold the drug if the patient
16 did not want to be in the surveillance? I would question
17 whether that is ethical to do.

18 DR. ROY: That is coercive. I would not agree with
19 that at all.

20 DR. POMERANZ: I would like to say that I strongly
21 agree with it. Maybe some coercion is needed here.

22 DR. HULKA: This is the issue, that the physician
23 and patient sign. If the patient does not sign indicating
24 her willingness to be in this surveillance program, the
implication would be then that she would not receive the

1 drug. All those who are in favor of that recommendation,
2 will you raise your hand?

3 DR. SCHROETER: I do not think we should vote on
4 this. The FDA asked for a consensus of opinion and for a
5 general discussion, and that is what should be done.

6 DR. HULKA: All right, let's go around the table --
7 (Laughter)

8 -- we will not have a general vote on it but would
9 each person state whether you would want such a form signed.
10 What it really means is obligatory surveillance and that each
11 woman really, in order to get her drug now, has to agree to
12 be in this surveillance program. Do you agree or disagree?
13 Let's just be quick; let's not have discussion, just yes or
14 no.

15 DR. WENTZ: If this were a drug company study, yes.
16 Since it is patient care, impossible.

17 DR. HULKA: So is that a no?

18 DR. WENTZ: That is a no.

19 DR. SCHLESSELMAN: No.

20 DR. ROY: No.

21 DR. NIEBYL: No.

22 DR. MCKAY: No.

23 DR. HANEY: No.

24 DR. BARBO: No.

DR. SCHROETER: You have my opinion already.

1 DR. HULKA: We have a yes.

2 DR. ABEL: No.

3 DR. FLEISS: Yes, mandatory on the physician; no,
4 for the patient.

5 DR. POMERANZ: Yes.

6 DR. STEIN: I will agree with Dr. Fleiss' position
7 on that.

8 DR. TSCHEN: No.

9 DR. WOODLEY: I am glad I am being polled and am
10 not voting. I will say no.

11 (Laughter)

12 DR. SCHROETER: I think to try to do a straw vote
13 without considerable discussion of the document or the
14 question at hand, without formal parliamentary procedure, is
15 ridiculous. I do like Dr. Fleiss' amendment to this and that
16 is the sort of discussion that needs to go into something
17 that is as serious as this particular decision or recom-
18 mendation, I should say, to the FDA and to Hoffmann-La Roche.

19 I think the consideration of requiring a patient to
20 sign the consent form before they receive the drug is an
21 incursion on their right and I did not comment on that. I
22 like the comment and the suggestion that it is obligatory on
23 the part of the physician. There may be an entanglement of
24 lines of the rights of the patient but I can tell you that
25 third-party payers now require a patient to give data. I see

1 no reason why we cannot do it for something as serious as
2 this particular type of situation. I think that that needs
3 to be discussed.

4 DR. TSCHEN: Well, I think we all have the right to
5 make mistakes but that does not make them right. We would
6 like to keep that right.

7 DR. HULKA: Since we really are running out of time
8 and, admittedly, with as much as has gone on in one day, we
9 are not going to be able to resolve all these issues fully
10 but just really bring ideas to the attention of the FDA and
11 to the Company, are there other serious recommendations that
12 you want to make now as a final statement, beyond what has
13 already been made?

14 (No response)

15 It seems then that we do not have any other
16 recommendations that we feel we can make or that are ap-
17 propriate to make at this time of the day. So we will close
18 this meeting.

19 (Whereupon, at 5:10 p.m., the Joint Committees
20 adjourned.)

C-E-R-T-I-F-I-C-A-T-E

I, Darinka Gavrisheff , the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

Darinka Gavrisheff