

sgg

1

SGG _

DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
FOOD AND DRUG ADMINISTRATION

89 JUN 22 PM 10:59
ENCKETS MANAGEMENT

FERTILITY AND MATERNAL HEALTH DRUGS

ADVISORY COMMITTEE

Volume I

8:00 a.m.

Thursday, June 1, 1989

Holiday Inn
Bethesda, Maryland

P A R T I C I P A N T S

Committee Members:

Barbara S. **Hulka**, M.D., Chairman

Paul D. Manganiello, M.D.

Paul G. **McDonough**, M.D.

Dorothy M. Barbo, M.D.

Arthur F. Haney, M.D.

Elizabeth R. **McAnarney**, M.D.

Susan A.R. McKay, Ph.D.

James J. Schlesselman, Ph.D.

Anne C. Wentz, M.D.

Jennifer R. Niebyl, M.D.

Subir Roy, M.D.

EDA Staff:

Philip A. Corfman, M.D., Executive Secretary

Gloria Troendle, M.D.

Ridgely Bennett, M.D., M.P.H.

C. **Carnot** Evans, Jr., M.D.

Bruce C. Stadel, M.D., M.P.H.

Dianne Kennedy, R.Ph., M.P.H.

Lisa Rarick, M.D.

Diane Wysowski, RN, Ph.D.

C O N T E N T S

USE OF ACCUTANE BY WOMEN OF REPRODUCTIVE AGE:Open Public Hearing:

| | |
|---|----|
| Ms. Laura Feldman, ACOG | 6 |
| r. David Erickson, CDC | 7 |
| Dr. Andrew Holmes, Public Citizen | 18 |
| r. William Scott, Jr., Teratology Society | 25 |

Briefing on Use of Accutane by Women of Reproductive Age:

| | |
|--|----|
| Ridgely C. Bennett, Jr., M.D., FDA | 52 |
| r. Carnot Bennett, Jr., M.D., FDA | 58 |
| r. William Cunningham, Hoffmann-La Roche | 64 |

se of Accutane by Women of Reproductive Age
n 1988, Bruce C. Stadel, M.D., FDA 101

ommittee Discussion 112

REVENTION OF POSTPARTUM BREAST ENGORGEMENT WITH
EX HORMONES AND BROMOCRIPTINE:Open Public Hearing:

| | |
|----------------------------------|-----|
| r. Douglas Teich, Public Citizen | 130 |
| Ms. Cynthia Pearson, NWHN | 141 |

Non-Pharmacological Suppression of Postpartum
Breast Engorgement, Dr. R. Lawrence 144

Extent of Use of Sex Hormones and Bromocriptine,
Ms. Dianne Kennedy, FDA 157

ommittee Recommendations and FDA Actions,
Dr. Lisa Rarick, FDA 165

afety of Sex Hormones, Ms. Diane Wysowski, FDA 177

otential Risk of Treatment, Dr. Bruce Stadel, FDA 190

resentation by Sponsors:

| | |
|--|-----|
| r. Clyde N. Rolf, Merrell Dow | 196 |
| r. Bennett S. Walstatter, University of Missouri for Sandoz | 198 |

ommittee Response to Questions 213

P R O C E E D I N G S

1
2 DR. HULKA: This morning we are meeting for the
3 Fertility and Maternal Health Drugs Advisory Committee to the
4 FDA this morning. Our discussion on Accutane will last until
5 about 10:45. I will say specifically that the reasons for
6 our meeting here to discuss this is to bring our Committee up
7 to date on what has been called "the Accutane campaign",
8 intended to reduce the use of Accutane and, thus, reduce
9 exposure of any fetuses to the drug. Then, after getting the
10 information, the Committee will provide comments concerning
11 this campaign, specifically for the use of this drug by women
12 of reproductive age. We have a series of speakers on the
13 program who will be speaking on the various aspects of
14 Accutane.

15 Before we go ahead with that, Dr. Corfman has a few
16 comments that he would like to make to you.

17 DR. CORFMAN: I would like to note that we have made
18 a change in the dates for the coming meeting. They are
19 tentative dates and they are in the back of the agenda which
20 you received. So the next meeting is scheduled for October
21 26th and 27th. Then the date for February has been put
22 forward one week to accommodate one members who could not
23 make the 15-16 date. Then we are scheduling a meeting for a
24 year from now, for June 21 and 22. So if anyone has problems
with that, I would like you to let me know so that we can

1 take care of it during the meeting.

2 I would like to acknowledge that Dr. Carl Peck is
3 here. He is the Director of the Center for Drug Evaluation
4 and Research; and Dr. James Bilstad, who is the Director of
5 the Office of Drug Evaluation II. They are here for the
6 Accutane discussion. The Committee has actually been asked
7 to address this issue at their request and perhaps they would
8 wish to participate in the discussion. I hope that is the
9 case.

10 As far as the meeting goes this morning, so far we
11 only have four agencies who have said they wish to speak.
12 The American Academy of Dermatology did call me and make
13 arrangements but there is no speaker here. So it seems to me
14 that we have plenty of time to have all four agencies give
15 their presentations during the one-hour time period that has
16 been assigned to this. Does anyone else wish to speak
17 besides **ACOG**, CDC, Public Citizen and Teratology Society?

18 (No response)

19 At the beginning of the coffee break, I would wish
20 those who wish to speak during the open hearing on lactation
21 suppression to come up and tell me so, so we can apportion
22 that time and then later in the day also for tomorrow
23 morning's session.

24 That is all I have to say, Dr. **Hulka**.

DR. **HULKA**: Going by alphabetical order, the

1 speaker for **ACOG** will come first, Miss Laura Feldman.

2 PRESENTATION BY LIRE FELDMAN

3 MS. FELDMAN: The American College of Obstetricians
4 and Gynecologists, an organization representing more than
5 28,000 physicians specializing in the delivery of health care
6 to women, is concerned about the serious effects of Accutane
7 therapy on the developing fetus and strongly believes that
8 pregnant women and their offspring must be protected from the
9 hazards of such exposure.

10 As **ACOG** indicated in an April **26th**, 1988 letter to
11 the Food and Drug Administration, we believe for such
12 protection to exist the following process must be used: A
13 pregnancy test be performed; the patient be counseled about
14 the potential effects of the drug on the fetus; and family
15 planning options be discussed with the patient, including
16 contraceptive information and prescriptions as indicated.
17 When prescriptions are refilled for Accutane, particularly
18 for teenagers, the physician should again undertake the
19 process outlined above.

20 **ACOG** does not believe that the availability of
21 Accutane should be restricted by either removing it from the
22 market or classifying it as an IND drug. Moreover, **ACOG**
23 would be concerned with the precedent of removing or restrict-
24 ing women's access to useful and valuable drugs that are
potential teratogens. We believe the effect of the recent

1 changes in labeling should be evaluated prior to any changes
2 in Accutane's status. Thank you.

3 DR. HULKA: Any questions of Miss Feldman? If not,
4 we will go on with David Erickson, CDC.

5 PRESENTATION BY DAVID ERICKSON

6 DR. ERICKSON: Good morning. I am happy to be here
7 to discuss the important issue of Accutane embryopathy with
8 you. About a year ago I spoke before the Dermatologic Drugs
9 Advisory Committee on this matter and I appeared at another
10 hearing just last month. What I will say to you today is
11 very similar to what I said to that committee on those two
12 occasions.

13 I am the Chief of the Centers for Disease Control's
14 Birth Defects and Genetic Diseases Branch. The mission of
15 our program is to search for causes of birth defects and to
16 prevent unnecessary morbidity and mortality due to these
17 diseases.

18 I am here today because I believe that the birth of
19 babies with defects caused by fetal exposure to Accutane is
20 unnecessary. Obviously, if this drug was not available, these
21 defects would not occur. I believe that babies are still
22 being born with Accutane embryopathy. Therefore, I believe
23 that it is time for a new and effective approach to preventing
24 fetal exposures.

The approach to prevention that was taken in 1982,

1 when FDA decided to allow the marketing of Accutane, was that
2 of strong product labeling and of physician and patient
3 education. The approach, unfortunately, has failed to
4 prevent the birth of babies with major handicapping defects.
5 In fact, it seems to us that there is evidence to suggest
6 that the rate of fetal exposure did not decline to any marked
7 degree after renewed warnings were made in 1985. We have no
8 information available today to suggest that this pattern has
9 changed over the past year despite the very strong new
10 warnings.

11 As I said before, we think it is time for a new,
12 much more aggressive approach to preventing babies being born
13 with defects due to Accutane exposure. We feel that a
14 successful approach will involve much more than further
15 warnings and more education.

16 Because the problem could be markedly reduced by
17 having better contraceptives available, we are quite pleased
18 with the recent recommendation of your Committee to approve
19 Norplant. If the Commissioner should act favorably on the
20 recommendation, and we hope that he does, it will provide the
21 potential to reduce the number of in utero exposures sub-
22 stantially.

23 But we do not feel the problem will be fully solved
24 by the availability of better contraceptives. Not all women
25 treated with Accutane will use them, and even though they

1 could be very effective, they do fail occasionally. We think
2 that an approach to a more nearly complete solution will
3 require a restricted distribution to substantially reduce the
4 number of fertile-age women who use Accutane.

5 We feel pretty strongly about this issue simply
6 because it is a matter of our perception of the balance
7 between the risks and the benefits. I think that **policy-**
8 makers need to address explicitly the very difficult issue of
9 equity, to make an accounting of the risks and the benefits
10 of Accutane use, to balance the interests of babies with the
11 problems of persons with skin disorders. These policy makers
12 need to decide how many persons cured of cystic acne by
13 Accutane is a fair and equitable balance for each baby born
14 with a serious physical and/or mental deficit.

15 I want to share with you some estimates that we
16 have made that will help to put this issue of equity into
17 concrete terms.

18 (Transparency)

19 This graph shows our estimates of the numbers of
20 live born babies that would be affected by Accutane **embryo-**
21 **pathy** for varying numbers of drug users. Each of you on the
22 Committee has a copy of this graph in the back of the handout
23 that I provided.

24 We present estimated affected numbers for 3
different yearly rates of contraceptive failure, 20 percent,

1 3 percent and 0.3 percent, the latter being the approximate
2 failure rate of preparations like Depoprovera and Norplant.

3 (Transparency)

4 The assumptions used in arriving at these estimates
5 are the following: That about 33.5 percent of women aged 15-
6 44 are not fecund and that 14 percent had never had inter-
7 course;

8 that no treatment would be started during pregnancy;

9 that all courses of Accutane treatment would be 5
10 months long;

11 that the pregnancy rates would be the various
12 contraceptive failure rates;

13 that a little more than half of women who have had
14 an inadvertent exposure during pregnancy would elect to have
15 the pregnancy terminated;

16 that the fetal death rate (early and late) would be
17 on the order of 46 percent. A rate of 10-15 percent spon-
18 taneous abortion is considered usual but Ed Lammer's data
19 suggests a spontaneous abortion rate of 40 percent. To that,
20 we have arbitrarily added a stillbirth fetal death rate of 6
21 percent;

22 finally, that 25 percent of exposed fetuses that
23 survive to live birth will have serious malformations.

24 (Transparency)

25 This slide shows estimated numbers of babies who

1 will be live born with serious malformations. It is obvious
2 that a marked reduction in the number could be achieved by
3 reducing the number of users. If the drug were used by only
4 4000 women per year, we would expect somewhere between 0-13
5 affected babies to be live born in the U.S., the lower number
6 (0), if all women were using a very low failure rate contra-
7 ceptive, and the higher number (13), if all were using a high
8 failure rate contraceptive method. The number 4000 is
9 presented because that was the estimate of the number of
10 fertile-age female severe cystic acne cases made last year by
11 Dr. Graham, of the FDA.

12 Data are also presented in the graph for larger
13 numbers, up to 70,000, which is the approximate number of
14 current users. At this level of use, we would expect the
15 birth of somewhere between 3 and around 220 malformed babies,
16 depending on the mix of contraceptive methods used by
17 Accutane users.

18 It is obvious that having more effective contra-
19 ceptive techniques available would go a long way towards
20 achieving the objective of reducing Accutane embryopathy.
21 But we believe that a restricted distribution system to
22 reduce the number of users is also needed.

23 I think a decision to depend on better contraception
24 alone, without active intervention to reduce the number of
users, is a decision to leave the number of affected babies

1 at an unacceptably high level.

2 (Transparency)

3 I will take the last few minutes of my presentation
4 to describe to you CDC's ideas of what would be an acceptable
5 limited distribution plan that would make Accutane available
6 to all persons in need of the drug, including potentially
7 fertile women. We believe that this could be done as a result
8 of FDA action or as the result of a voluntary effort by the
9 manufacturer. The plan, as a minimum, should include the
10 following features:

11 First, the distribution of Accutane would take
12 place through a very limited number of institutionally-based
13 centers. These centers would be responsible for seeing that
14 protocol is followed by prescribing physicians.

15 A center review committee would require certifica-
16 tion by the physician who wishes to use the drug that the
17 patient has severe acne that is resistant to other forms of
18 treatment before releasing the dug.

19 There would be innovative approaches to education
20 about the dangers of the drug to the fetus and about the
21 facts of contraception.

22 There would be a center oversight procedure that
23 would require certification that women who are treated are at
24 minimal risk of becoming pregnant during and shortly after
25 treatment.

1 Prescriptions would be limited to one-month
2 supplies of the drug. To receive continuing treatment, the
3 patient would need to return to her physician to have a
4 reliable pregnancy test performed. The system would also be
5 designed so that women would return at an appropriate time
6 after completion of treatment for a final pregnancy test.

7 Our goal is to prevent fetal exposures but failures
8 will occur and fetuses will be exposed. So we believe that
9 each center should have a system for adequate counseling of
10 women who do become pregnant while using the drug. Some
11 women will elect to continue their pregnancies, while some
12 will elect to have their pregnancy terminated. Induced
13 abortion is an intervention that has been used in Accutane-
14 exposed pregnancies and probably will continue to be used so
15 long as Accutane is available for use by fertile women.

16 Finally, there should be an evaluation of the
17 prevention strategy, including a national registry of patients
18 who have exposures during pregnancy, with a follow-up of
19 pregnancy outcomes. The restricted marketing approach that
20 we recommend would make follow up and evaluation feasible.
21 Without such an environment, we think it would be very
22 difficult, if not impossible, to devise an adequate and
23 unbiased evaluation system.

24 That concludes my presentation. Thank you again
25 for the opportunity to be here. I will be glad to answer any

1 questions that you might have.

2 DR. HULKA: Are there questions? Dr. Erickson, I
3 would like to ask a question on one of your figures, the one
4 on the different contraceptive methods and their failure
5 rates and the resulting number of malformed children.

6 (Transparency)

7 Yes. Are these failure rates failure rates that
8 apply to the 5-month treatment period?

9 DR. ERICKSON: Yes.

10 DR. HULKA: Then would you specify which contra-
11 ceptive methodologies each of these apply to?

12 DR. ERICKSON: Yes, the 20 percent failure rate is,
13 by our understanding, the typical in-use failure rate for
14 something like spermicides; 3 percent for the pill; and 0.3
15 percent for failure rates. Those failure rates are yearly
16 failure rates but the numbers that are in the graph have been
17 adjusted by 5/12.

18 DR. HULKA: So the 3 percent failure rate applies
19 to the annual failure rate for the pill?

20 DR. ERICKSON: Yes.

21 DR. HULKA: For oral contraceptives. The 0.3?

22 DR. ERICKSON: That is our understanding for a
23 preparation like Depoprovera or Norplant. These data come
24 from Trussell's review of contraceptive failure rates.

DR. HULKA: The reason I highlight this point is

1 because you have a great variability in terms of the results
2 of malformed children. If 60,000 women are using the drug,
3 you are talking about anywhere from 3 possible malformed
4 children for a very successful contraceptive up to 189 for a
5 basically inadequate contraceptive and I think that point
6 ought to be highlighted.

7 DR. ERICKSON: Yes.

8 DR. HULKA: Other questions? Jim?

9 DR. SCHLESSELMAN: Dr. Erickson, does the CDC have
10 an estimate of the number of malformed births that were
11 Accutane-induced over time?

12 DR. ERICKSON: No, we do not. I believe Dr. Stadel
13 will probably touch on what has been reported to the FDA. As
14 a rough ball park estimate, I think what we know in the way
15 of reported cases is something on the order of 80. But we
16 believe that to be only the tip of the iceberg. There is no
17 active search for babies born with these problems.

18 DR. HULKA: If there are questions from the floor,
19 would you please stand up at the microphone and introduce
20 yourself?

21 DR. ROSA: Dr. Rosa, FDA. One slight adjustment on
22 that figure, that is the figure for women who become pregnant
23 while they are using Accutane. Actually, we have another
24 third of these pregnancies of women who start Accutane when
25 they are already pregnant.

1 DR. HANEY: There are some other drugs that are
2 teratogenic in humans that are used frequently and I would
3 like to get some feeling for the relative magnitude here.
4 Danazol is used in infertile women who are trying to get
5 pregnant, given to women who are pregnant and given to women
6 who inadvertently become pregnant. It is a contraceptive by
7 itself. So it is probably going to be a greater proportion
8 who are inadvertently given it during pregnancy. But do you
9 have some feeling for the number of serious birth defects
10 that that drug generates in a year?

11 DR. ERICKSON: No, I am sorry, I do not.

12 DR. HANEY: How about any of the other human
13 teratogens -- diphenylhydantoin, DES, etc?

14 DR. ERICKSON: I am sorry, I do not have estimates.
15 I guess the major drugs of concern would be the anti-epilep-
16 tics. In the past there have been concerns that uncontrolled
17 epilepsy might in itself be dangerous to the fetus.

18 DR. NIEBYL: But I think it is fair to say that the
19 order of magnitude of the risk of Accutane exposures is much
20 higher. If a patient is exposed to Accutane in the critical
21 period, what is your estimate of the risk of malformations?
22 What number would you give?

23 DR. ERICKSON: We would say 25 percent for serious
24 major malformations, which is on the same order as the risk
with thalidomide.

1 DR. NIEBYL: Whereas with Dilantin it is probably 2
2 percent at most. So you are talking about a much more likely
3 event with Accutane. With Danazol they are few and far
4 between that have even been reported. It is my understanding
5 that it is a completely different type of problem, with
6 masculinization of genitalia which is surgically correctable,
7 whereas these are major defects of both mental and physical
8 handicaps. So I think we are talking about a much more potent
9 teratogen with Accutane than any of the other drugs that are
10 currently widely used.

11 DR. HANEY: I think you are exactly right about its
12 attack rate. I would not, however, characterize what happens
13 with Danazol as a mild, inconsequential, easily surgically
14 correctable --

15 DR. NIEBYL: Right, but these are far worse.

16 DR. HANEY: Well, you know, eight operations and a
17 child in a psychiatrist's office for the remainder of their
18 lifetime who does not understand their gender identity, does
19 not seem to be mild either.

20 DR. NIEBYL: No. No.

21 DR. GRAHAM: David Graham, from FDA. Regarding
22 anticonvulsants versus Accutane and the risk of birth
23 defects, you should also bear in mind that the pregnancy
24 categorization that FDA assigns to these drugs is different.
25 Accutane bears a category X, which states that the benefit to

1 the patient never outweighs the risk to the fetus, whereas,
2 anticonvulsants carry a category D classification which
3 specifies that in certain situations the benefit to the
4 patient may outweigh the risk to the fetus.

5 This is important to recognize, especially when
6 talking about anticonvulsants because with anticonvulsants in
7 a pregnant women we are really talking in a sense about
8 treating two patients. If a woman has serious seizures which
9 could be life-threatening she not only jeopardizes her own
10 life but her unborn child. It is a very different comparison
11 that I think the Committee should be aware of. Thank you.

12 DR. HULKA: We will go on to the representative
13 from the Public Citizen, Andrew Holmes.

14 PRESENTATION BY ANDREW HOLMES

15 DR. HOLMES: I am Andrew Holmes. I am with Public
16 Citizen. I am a pediatrician with a subspecialty in prevent-
17 ive medicine and that is why I am here today.

18 Accutane is a more potent teratogen than thalido-
19 mide, yet it can be prescribed as readily as penicillin.
20 This has resulted in a tragic epidemic of birth defects and
21 abortions through fear of birth defects. Birth defect
22 reports have plateau'd after an initial peak in 1984. There
23 is no evidence that this epidemic is abating.

24 We are heartened that Accutane is now on the agenda
of the this Committee, the advisory committee that is best

1 qualified to evaluate practical issues of Accutane's terato-
2 genicity. It is unfortunate that it took seven years from
3 the time Accutane was approved.

4 In May of last year., Public Citizen petitioned the
5 FDA to take measures to stop the epidemic. It is with regret
6 that we report that the FDA, last month, rejected those parts
7 of our petition which would have limited prescribing to
8 suitably qualified physicians who would sign a statement that
9 they would only prescribe Accutane to women with severe
10 cystic acne unresponsive to more benign therapies and would
11 agree to do initial and monthly pregnancy tests.

12 The FDA conceded that it may have the legal
13 authority to adopt the recommendation but it refused to use
14 this discretion, stating, in the words of Commissioner Young,
15 that this "would constitute an unprecedented intrusion onto
16 the doctor-patient relationship."

17 We ask members of the Committee to consider just
18 what sort of calamity it should take to intrude onto the
19 doctor-patient relationship in order to protect patients.

20 I want to go through the status quo. There has
21 been a singular lack of progress on the part of Roche over
22 the last few years. The new blister packs, announced over a
23 year ago, have only just reached the market. There is not
24 yet any adequate postmarketing surveillance. By proceeding
with the rejected protocol for postmarketing surveillance,

1 Roche has conspicuously flouted an FDA directive. The
2 promulgation of biased data gathering is tantamount to a
3 disinformation campaign.

4 The FDA has, in a partial denial of the Public
5 Citizen petition, bought into Roche's obstructive strategy by
6 referring to the study without mention of the flawed protocol,
7 in a justification of its decision. Dr. Young, in his letter
8 to Public Citizen, stated: "A survey has been conducted by
9 Hoffmann-La Roche to identify the rate of pregnancy exposure
10 among women prescribed Accutane and to help the Agency
11 determine the effectiveness of the total intervention program
12 undertaken to date."

13 This is in spite of a protocol being rejected by
14 its own scientists, after review by its own epidemiology and
15 two independent reviewers. One of the reviewers was Dr.
16 Barbara Hulka, current Chairman of this Committee. The other
17 was Dr. James Schlesselman, also on this Committee. The
18 basis for rejecting the protocol was that because of its
19 voluntary nature, enrollment is likely to be low and biased
20 toward physicians who were adhering to proper prescribing and
21 pregnancy-prevention practices. Thus, it is likely that the
22 pregnancy exposure would be underestimated. This is, in
23 fact, acknowledged as a potential problem in the survey
24 protocol, written by the Slone Epidemiology Unit, and a part
of the Roche briefing package to members of this Advisory

1 **Committee.**

2 Prescribing patterns for Accutane are essentially
3 unchanged. It continues to be grossly over-prescribed for
4 all groups but, most importantly, it continues to be grossly
5 over-prescribed for fertile women. As we heard from Dr.
6 Stadel, at the Dermatologic Advisory Committee meeting last
7 month, the data sources are too imprecise to determine minor
8 trends.

9 There is no evidence that the incidence of Accutane-
10 induced embryopathy has decreased. This can be seen in the
11 voluntarily reported birth defects during 1985-1988 which,
12 though fewer than in 1983 and 1984, are steady at 10, 9, 11
13 and 8. I need not remind you that the voluntarily reported
14 birth defects are only a small fraction of those actually
15 occurring.

16 There are no hard data on the number of spontaneous
17 and induced abortions consequent to Accutane exposure. The
18 scant information that is available indicates the rates are
19 high. The Michigan Medicaid study suggests that the rate of
20 spontaneous abortion after first trimester Accutane exposure
21 is 40 percent (twice the background rate). In that study, 60
22 percent of the first trimester exposures that did not abort
23 spontaneously resulted in induced abortions.

24 The Company's advice to physicians and the label on
the blister packs, while suitably strongly stated, says that

1 potentially all exposed fetuses can be affected, without
2 stating the actual published observed risk, which is 25
3 percent for major physical malformations. This obstructs the
4 process of informed consent, with the likely effect of
5 coercing decisions to induce abortion.

6 Conclusions -- the rate of Accutane prescribing
7 does not appear to have changed significantly in the last few
8 years. Most importantly, first-time Accutane use by women of
9 childbearing age has not declined from the levels of three
10 years ago.

11 A year from now, we are not going to know if
12 pregnancy exposures have been reduced because we do not have
13 an adequate data collection system. As it stands, we will
14 have no way of knowing whether the blister packs, just
15 introduced, actually work to reduce pregnancy exposure. Our
16 only reasonable information is prescription numbers, and
17 blister packs are a post-prescribing intervention.

18 Focusing on the number of birth defects evades the
19 issue of the number of spontaneous and induced abortions
20 consequent to Accutane exposure. Abortion should not be
21 regarded as a satisfactory outcome for pregnancies exposed to
22 Accutane.

23 We should not lose sight of the fact that there are
24 other major morbidities associated with Accutane use. Our
group has received calls from members of the public who have

1 suffered major side effects after prescription for relatively
2 mild acne or before other therapies have been given an
3 adequate trial.

4 Responsibility for adverse outcome for Accutane use
5 has been shifted from Roche, the manufacturer and marketer,
6 to the prescriber and patient. This is in the face of Roche
7 being obstructive to the process of gathering postmarketing
8 data and misleading the public in its product warnings.

9 Recommendations -- there must be immediate res-
10 trictions to reduce prescribing to only severe acne that has
11 not responded to more benign therapy. Our petition outlines
12 a workable set of such restrictions.

13 Although the FDA has rejected the part of our May
14 17 petition which would impose such restrictions, the Agency
15 does not deny that it has the legal authority to implement
16 such tighter restrictions.

17 Postmarketing surveillance with rigorous follow-up
18 should be an immediate requirement. The protocol should be
19 submitted to and approved by the FDA in consultation with
20 independent reviewers.

21 If the FDA finds that it does not have the legal
22 authority to impose rigorous 100 percent follow-up in
23 postmarketing surveillance, and the Company does not agree to
24 do this voluntarily, then the FDA should immediately call for
25 legislation which would allow for this.

1 Upon future review of Accutane use, its continued
2 availability should be contingent on hard evidence that it is
3 being used appropriately and with a clear, major reduction in
4 drug-related morbidity.

5 Finally, product warnings to physicians regarding
6 the outcome of pregnancy following Accutane exposure should
7 include the actual measured relative risk of Accutane-induced
8 birth defects. Information should also be provided about the
9 effects of dose, gestation and duration of exposure on
10 pregnancy outcome.

11 In summary, the failure of **Roche** and the FDA to
12 more severely restrict the use of Accutane, the failure to
13 conduct acceptable surveillance to determine the extent of
14 pregnancy exposure and the failure to accurately inform women
15 who become pregnant while using Accutane of the actual risk
16 of major birth defect must be challenged by this Advisory
17 Committee.

18 Accutane has an important role in the treatment of
19 severe acne but it is imperative that it be used responsibly.
20 There is substantial irresponsible use of Accutane at
21 present. It is our position that unless there are immediate
22 prescribing restrictions, such as those we outlined in our
23 petition one year ago, and an effective monitoring system is
24 implemented, Accutane should be removed from the market.

25 Thank you.

1 DR. HULKA: Are there any brief questions?

2 (No response)

3 Thank you. We will move on to the Teratology
4 Society, William Scott, Jr.

5 PRESENTATION BY WILLIAM SCOTT, Jr.

6 DR. SCOTT: Good morning. I am William J. Scott,
7 Jr. D.V.M., Ph.D., Professor of Pediatrics at the Children's
8 Hospital Foundation, University of Cincinnati College of
9 Medicine.

10 This morning I am representing the Teratology
11 Society. We have presented testimony to the Dermatologic
12 Drugs Advisory Committee regarding Accutane on two previous
13 occasions, and appreciate the opportunity to speak with this
14 Committee this morning.

15 The Teratology Society is a professional organi-
16 zation of basic scientists, pediatricians, obstetricians,
17 toxicologists and other health sciences concerned with the
18 etiology and prevention of birth defects and other aspects of
19 abnormal development. Members of the Teratology Society are
20 from academia, government and private industry.

21 As a professional society, we have been concerned
22 with the teratogenicity and other developmental effects of
23 retinoids. Many, if not most, of the studies demonstrating
24 such effects of retinoids have been conducted by members of
the Teratology Society. Our public affairs committee is

1 preparing statements on Accutane and Tegison for publication.
2 My remarks this morning summarize the recommendations in the
3 Accutane statement. The statements to be given have been
4 reviewed and approved by the council and the public affairs
5 committee of the Teratology Society.

6 The Teratology Society believes that malformations
7 caused by Accutane are preventable. Despite the national
8 publicity concerning the teratogenicity of Accutane following
9 last year's Committee hearing, pregnant women continue to be
10 exposed to Accutane.

11 Currently, we see three obstacles to the prevention
12 of birth defects caused by Accutane: One, a large number of
13 women in the age range of 12-44 years old are being treated
14 with Accutane. Two, oral contraceptives, the most efficacious
15 currently approved contraceptives in the United States, have
16 typical failure rates of about three percent. Three, the
17 lack of recommendations for the close monitoring of early
18 detection of pregnancy.

19 The manufacturer and the FDA have estimated that
20 women aged 12-44 have received 65,000 new Accutane pres-
21 criptions during 1988. This number of prescriptions seems to
22 be well above the published estimates of the incidence for
23 recalcitrant cystic acne. Your Committee and the Dermatologic
24 Drugs Advisory Committee may be in a position to assess if
25 there is over-prescription of Accutane.

1 This number of users, coupled with the limitations
2 of the currently available contraceptive methods in the
3 United States, creates a significant problem. A number of
4 scholarly papers have been published on contraceptive failure
5 in the United States. Trussell estimates, based on all
6 available studies, that the typical failure rate of oral
7 contraceptives is three percent. Other reviews have been
8 recently published by Mishell, in The New England Journal of
9 Medicine, and Grimes recently published another review in The
10 Journal of the American Academy of Dermatology, focused on
11 dermatology practice.

12 It is not difficult to estimate that several
13 hundred women could become pregnant during the treatment
14 period with Accutane even while using an oral contraceptive.
15 This estimate is based on the current number of new pres-
16 criptions of 65,000 women each year and a failure rate of
17 approximately 3 percent for oral contraceptives.

18 Injectable progesterone type implants are available
19 outside of the United States and have been shown to be very
20 efficacious in preventing pregnancy. The observed failure
21 rates of injectable progesterone and implants have been
22 estimated at 0.3 percent, about a 10-fold improvement from
23 oral contraceptives for typical failure rates. If all
24 fertile female patients using Accutane would also use an
injectable progesterone or an implant instead of oral

1 contraceptives, this could reduce pregnancy rates resulting
2 from contraceptive failure by about 90 percent.

3 The recent recommendation of this Committee to the
4 **FDA** to approve implants in the United States is a step in the
5 right direction. Until such products are available, the use
6 of multiple contraceptive methods should be considered.
7 Recommending the concurrent use of barrier methods with oral
8 contraceptives may be an important behavioral modification.

9 The possibility of contraceptive failure underscores
10 the need for monitoring for pregnancy. For a drug that
11 carries a category X labeling, it would seem logical that the
12 prescribing physician would like to discontinue therapy **as**
13 soon as the contraindication emerges.

14 Clinically available ultra sensitive pregnancy tests
15 would detect pregnancy at or shortly before the anticipated
16 missed period. We see two advantages for including repeated
17 early pregnancy detection. First, having to return for a
18 pregnancy test and a new prescription on a monthly basis may
19 provide another behavioral modification about the careful use
20 of contraceptives. Second, those patients that would
21 consider discontinuing an Accutane-exposed pregnancy, as
22 suggested in the current labeling, would face a simpler and
23 safer procedure than the ones available after 12 weeks of
24 gestation.

The Teratology Society supports and encourages the

1 educational programs developed by the manufacturer to make
2 women aware of the risk of Accutane use during pregnancy and
3 to assist prescribing physicians in the pregnancy prevention
4 program. The Society would like to encourage the FDA and the
5 manufacturer to continue to support efficient and unbiased
6 surveillance of pregnancy exposures among female Accutane
7 users. We believe that any pregnancy occurring to female
8 Accutane users should be considered a failure of the pregnancy
9 prevention program and should be carefully evaluated to
10 determine the reason or reasons for the failure and to
11 develop additional strategies to prevent such occurrences.

12 Therefore, the Teratology Society offers the
13 following recommendations to this Committee, the Food and
14 Drug Administration and the manufacturer:

15 One, efforts should be made to decrease the number
16 of Accutane prescriptions to fertile females.

17 Two, the extreme hazard associated with Accutane
18 exposure during pregnancy necessitates that female users be
19 provided with the most effective means of contraception, for
20 example, long-acting progesterone type injections or implants.

21 Three, monthly pregnancy testing should be performed
22 in fertile female patients and Accutane prescriptions should
23 only be continued if there is a negative ultra sensitive
24 pregnancy test.

Four, an active and unbiased surveillance of

1 Accutane use among female patients should be continued and
2 every occurrence of pregnancy among Accutane users should be
3 evaluated to determine the reasons for the failure in the
4 presence of the pregnancy prevention program and to develop
5 additional steps for preventing such occurrences.

6 These are interim recommendations, with the hope
7 that they will be effective in preventing pregnancies in
8 female patients being treated with Accutane. Your Committee
9 and the Dermatologic Drugs Advisory Committee should review
10 the surveillance data in a reasonable period of time to
11 determine if these measures have been effective, that is,
12 prevented pregnant women from being exposed to Accutane. If
13 such measures are not effective, this Committee and the
14 Dermatologic Drugs Advisory Committee will be faced with
15 implementing stronger measures to prevent exposure to
16 Accutane during pregnancy, such as restricted distribution.
17 Thank you.

18 DR. HULKA: Are there questions? Yes?

19 DR. MCDONOUGH: May I ask, Dr. Scott, do we have
20 any data on Tegison in psoriasis? Even though that is not
21 something on the agenda here, it seems to me that the analogy
22 is fairly good. That is, we have basically a disorder that
23 may vary from mild to very severe being treated basically
24 with a drug that is in the retinoid category.

DR. SCOTT: Yes, as I said, we are preparing a

1 position paper on Tegison as well. Dr. Rosa can certainly
2 speak more to the case reports than I can. It certainly is a
3 serious animal teratogen. Reports from Europe, I think, of
4 exposure to Tegison and subsequent birth defects present a
5 much larger problem in that Tegison has a very long half-life
6 in human beings and there have now been reports of malfor-
7 mations when exposure to Tegison was a year prior to con-
8 ception. So Tegison is a problem but, to my knowledge, in
9 this country there have been no children born with malfor-
10 mations attributable to Tegison. But I would submit to Dr.
11 Rosa to give an authoritative answer to the question.

12 DR. MCDONOUGH: And the half-life of Accutane?

13 DR. SCOTT: It is on the order of hours or days.

14 DR. HULKA: Thank you very much. We now go on to
15 two speakers from the American Academy of Dermatology. Each
16 will speak for five minutes. Dr. Maria Turner?

17 PRESENTATION BY MARIA TURNER

18 DR. TURNER: Good morning. I am Maria Turner,
19 Professor of Dermatology of George Washington and the
20 Children's National Medical Health Center. I am also
21 Chairman of the Task Force on Therapeutics of the Academy of
22 Dermatology and a member of the ad hoc committee that set up
23 guidelines for the use of Accutane for the Academy of
24 Dermatology.

I am pleased to represent more than 7000 physician

1 members of the Academy in my comments. We appreciate the
2 opportunity to once again discuss the importance of iso-
3 tretinoin or Accutane and to review with you actions the
4 Academy has taken to ensure that this important drug is made
5 available to the thousands of individuals who suffer from
6 severe cystic acne.

7 Severe cystic acne produces profound, permanent
8 scarring of the face, neck, back and cheek and until the
9 introduction of isotretinoin no predictably effective
10 treatment existed. It should be noted that cystic acne is
11 usually not a self-limited process. It does not disappear at
12 the conclusion of adolescence. Unfortunately, it can persist
13 for years during the course of adult life.

14 In spite of the fact that there were treatments for
15 this disease before the introduction of isotretinoin, the
16 responses to systemically administered antibiotics, sulfona-
17 mides, sulfones, anti-inflammatory agents, hormones and high
18 doses of vitamin A were unpredictable, incomplete and
19 temporary at best.

20 This was true even if these drugs were given in
21 sequence or in combination. These drugs also had many
22 allergic and unwanted side effects. A number of these older,
23 pre-isotretinoin treatments were contraindicated during
24 pregnancy and the question exists as to whether some may have
reduced effectiveness of birth control procedures.

1 Dermatologists know that there are no alternative
2 treatments for severe cystic acne that offer the same
3 improvement and cure as isotretinoin. In fact, we could
4 never speak in terms of a cure until the introduction of this
5 drug.

6 Please allow me to show you a few slides that will
7 actually show the spectrum of severe nodulous cystic acne.

8 (Slide)

9 This is the usual type of patient who comes with
10 severe nodulous cystic acne. This is a patient of mine who
11 has had acne for about five years, who underwent all types of
12 conventional therapy and really did not get better until
13 Accutane. Conventional therapy consisted of all the mentioned
14 treatments in the previous paragraph.

15 (Slide)

16 This is to show you the spectrum of nodulous cystic
17 acne. This is a 14-year old boy who had very severe, acute
18 onset acne, who had fever and bone pain. This particular
19 patient was one of the original patients who was put on
20 Accutane therapy because nothing that we did would help him.
21 He had to leave school and actually was pretty toxic from so-
22 called fulminant acne.

23 DR. CORFMAN: How old was he?

24 DR. TURNER: He was 14.

(Slide)

1 And to show you that we are not really talking of
2 just small pitted scars that can be covered with cosmetics, I
3 thought this was a very rare case but I can tell you that
4 just in the past month I had a similar patient, a 20-year old
5 young man who still had active acne, who had been on Accutane
6 and who had keloids such as these. He was so embarrassed, he
7 would not let me take a photograph.

8 (Slide)

9 This is to show you what Accutane can do.

10 (Slide)

11 After 16 weeks of Accutane therapy this gentleman,
12 who has very severe nodulous cystic acne looked, like this.
13 Again, it is not a perfect example. It is not perfect skin.
14 But I am sure anybody can see that Accutane is a really
15 important medication in the armamentarium of the practicing
16 dermatologist.

17 There are a great number of medications that must
18 not be given during pregnancy and isotretinoin is absolutely
19 among them. The Academy has consistently stressed this
20 issue, along with the manufacturer, and will continue to do
21 so.

22 In spite of these concerns, the members of the
23 American Academy of Dermatology, experts who know this
24 disease, its natural disease and the ineffectiveness of
25 alternate therapies, conclude that the benefit-risk ratio

1 justifies the present and continued use of Accutane with
2 appropriate warnings and protection against pregnancy during
3 therapy.

4 As a result of the April, 1988 hearing before the
5 FDA Dermatologic Drugs Advisory Committee and the subsequent
6 correspondence with the Food and Drug Administration, a
7 number of actions were taken by the Academy to underscore the
8 effects of this drug if administered during pregnancy.

9 In May, 1988, the president of the Academy sent a
10 "dear colleague" letter to the entire membership, reporting
11 on measures that need to be taken. Just prior to the
12 approval of the guidelines, the FDA formally requested the
13 participation of the Academy in a broad educational campaign
14 and this was undertaken at the annual Academy meeting, as
15 well as in the Journal of the American Academy of Dermatology.

16 In March of this year the Academy again wrote to
17 its entire membership asking for its cooperation in the Slone
18 Epidemiology Unit study of female Accutane users which would
19 enroll such patients, track their progress and evaluate the
20 effectiveness of the FDA-Hoffmann-La Roche pregnancy preven-
21 tion program.

22 DR. HULKA: Your time is up. Any questions?

23 DR. BARBO: How many times would a young woman, if
24 she has this severe problem, be given a course of the
treatment, which is five months. Is that right?

1 DR. TURNER: Yes.

2 DR. BARBO: How many times might she need that in
3 her lifetime?

4 DR. TURNER: Most of the time one course is
5 sufficient. Speaking from my own experience, and I work in a
6 tertiary care center, a referral center, probably of 100
7 patients I have used it on, I have only had to give it more
8 than once about four or five times. It is really that
9 effective. It is not perfect at the end of one course but it
10 is controllable by the usual means of topical medications.
11 They are still not perfect. They still have to have some
12 type of treatment. I do not aim for a perfect cure.

13 DR. ROY: Do you agree with the estimates that of
14 the 65,000 individuals or prescriptions administered only
15 1000 or 5000 truly are in the subcategory of intractable,
16 refractory cystic acne and, therefore, how can you account
17 for why the Academy is not successful in self-limiting its
18 use?

19 DR. TURNER: There are a couple of points that we
20 need to talk about. One is that it is very difficult to set
21 up criteria. In fact, we do not have strict criteria for
22 what is severe nodulous cystic acne. The pictures I showed,
23 definitely everyone would agree are nodulous cystic acne.
24 But there are others that are not quite so severe and I think
if you just consider the ones that are as severe as this,

1 maybe that is a correct estimate. But I can tell you there
2 are others who are not quite so severe that would increase
3 this number 10-fold, if not more, but who are also resistant
4 to conventional therapy and when I say conventional, that
5 includes high potency antibiotics for ever. So you are kind
6 of trading a for ever treatment with a four or five-month
7 course of treatment.

8 DR. MANGANIELLO: Just to follow up on Dr. Roy's
9 question, could you give us specifically what the incidence
10 of severe cystic acne is in the United States?

11 DR. TURNER: I do not know that anybody can do
12 that. Dr. John Strauss, who has devoted his entire profes-
13 sional life really to acne, has estimated that practicing
14 dermatologists see half to two patients a month who need
15 Accutane, who have severe enough acne to need that. If we
16 have about 6000-6500 practicing dermatologists, that brings
17 the number up to about 36,000-144,000 patients, which really
18 puts us in the ball park of the number of prescriptions that
19 are being written for Accutane.

20 DR. MANGANIELLO: In the information that was
21 supplied by Roche they had indicated that from 1982-1983,
22 there was a low of 90,000 prescriptions in 1982 to a high of
23 340,000 in 1983, averaging out over that year of time about a
24 quarter million prescriptions that have been filled for
Accutane. With the American population of about 250 million,

1 that would be 1/1000 people who would be candidates for
2 taking Accutane.

3 As a gynecologist, I obviously see a different
4 patient population but I would assume that I would be seeing
5 some individuals who had been taken care of by dermatologists
6 at some point in time and I do not think I really see that
7 number of individuals with that specific problem. I realize
8 that the patients whom you see are in need of treatment but I
9 just kind of want to have a better idea of exactly what the
10 scope of the problem is.

11 DR. TURNER: Well, the way I explain that very big
12 number of prescriptions is that, remember, Accutane was
13 recently introduced and there was this pent up demand for it.
14 You know, all of us had a stable of patients who were waiting
15 to get treated. Accutane had been in use in Europe for years
16 before and we knew about IND studies going on and you can see
17 that it levelled off after that.

18 Then, again, there was a lot of publicity, both in
19 the scientific press and in the local press, regarding the
20 availability of this cure for cystic acne which brought
21 patients in by droves. I will tell you, I had that experience
22 and it was not easy to take the time to explain everything
23 and make sure that they had had good, conventional therapy
24 before starting them on this medication.

DR. NIEBYL: That brings up an important point

1 because the dermatologist now finds himself in the role of
2 counseling about contraception in a busy schedule when people
3 are wanting information. Does the dermatologist do the
4 contraception counseling or do you usually insist that a
5 patient go to an obstetrician-gynecologist? For example, is
6 the pregnancy testing done in the dermatologist's office as
7 it is in the obstetrician's office? Patient compliance, if
8 they are sent elsewhere to get a pregnancy test, may not be
9 the same as if it is done on the spot.

10 DR. TURNER: What we discussed with Roche and what
11 we kind of practice now is that we do send patients for
12 contraceptive counseling to a gynecologist, in addition to
13 our own counseling. The initial pregnancy test is done by
14 the gynecologist and the subsequent pregnancy tests are done
15 by the dermatologist. Personally, I do not write another
16 prescription until I get that test back. I may have been
17 lucky but in all this time I have never had a problem.

18 DR. NIEBYL: Well, you have been lucky.

19 DR. MCDONOUGH: You are at a tertiary center. Do
20 you feel that there is any way that the use of this drug
21 could be limited? I mean, it has been limited in other
22 countries. Do you think that is feasible? Is that an option?

23 DR. TURNER: You know, the question is, is that an
24 option and you would have to say, yes, it is possible that
that could be an option. However, it was an IND for a few

1 years before it was even available to me and you could see
2 that there was this pent up demand because a lot of patients
3 would have had to travel very far and if we want to keep them
4 under such surveillance, seeing them every month, then I
5 think it would make it much more expensive and much more
6 'difficult.

7 I personally think that if doctors are not educable,
8 rho else would be? I think if we really made a point of
9 educating physicians, which we are doing right now and have
10 done in the past, but more so right now, then we can, I
11 think, make big inroads into decreasing exposure to this
12 drug.

13 DR. NIEBYL: But it has been on the market since
14 1982 as category X. Surely, the doctors would have been
15 educated by now. I guess my worry, if I were a dermatologist
16 and somebody put this huge box of things on my desk and I did
17 not really know that much about contraception, I am not sure
18 that that should be a dermatologist's responsibility.
19 Gynecologists spend a lot of time talking to patients about
20 contraception. They have spent years learning about it. I
21 would wonder if there is an issue in terms of what types of
22 contraceptive failures have occurred. Do you know if it has
23 been compliance in not taking the pill or not using the
24 contraception?

DR. TURNER: I know personally of one failure that

1 was a birth control pill failure. The patient had taken her
2 pills, or supposedly had, and still had a **failure**. That was
3 not my own patient.

4 I agree with you about the contraceptive advice. I
5 do sit down with my patients but I also do send them to
6 gynecologists for contraceptive advice and for the first
7 pregnancy test and then I reinforce that at every visit.

8 DR. MCDONOUGH: I just wanted to ask one question
9 about treatment. For example, will the oral contraceptives
10 make cystic acne worse or better? That is, would you give
11 Accutane and an oral contraceptive at the same time.

12 DR. TURNER: My own experience is that it probably
13 has a neutral effect. In the old days when birth control
14 pills had higher proportions of estrogens, they were really
15 terrific for controlling relatively mild to moderate acne.
16 But oral contraceptives that are now available, I find, have
17 a neutral effect on acne and I would not hesitate to give
18 them oral contraceptives at the same time that I have them on
19 Accutane.

20 DR. HULKA: Other questions? If not, we will go on
21 with Dr. Mary Spraker, also representing the American Academy
22 of Dermatology.

23 PRESENTATION BY MARY SPRAKER

24 DR. SPRAKER: Panel members, I speak today as a
concerned pediatrician, dermatologist, practicing pediatric

1 dermatologist, Board member of the Society for Pediatric
2 Dermatologists and chairman of the Academy of Dermatology's
3 task force on pediatric dermatology.

4 I feel, as a pediatric dermatologist, because of my
5 double areas of interest, that I understand the issues that
6 pertain both to the fetus and to the patient with acne. To
7 remove Accutane from the market or to severely restrict its
8 distribution, to me, would belittle the suffering that
9 patients have who have this disease.

10 Acne is not lethal and it is not life-threatening
11 but it does profoundly affect lives. All of us remember
12 friends and acquaintances and patients with severe acne and
13 what it has done to them and continues to do to them through-
14 out their lives.

15 We no longer see many young patients with severe
16 acne because of Accutane. **Accutane's** effect on severe acne
17 is truly miraculous. It is a wonder drug for these patients.
18 In one month the patient begins to look better. In a mere
19 16-20 weeks the patient is markedly improved and 90 percent
20 of patients clear with 1 course of Accutane. So a very small
21 percentage of patients receive an additional course of
22 therapy and this is not done for months later. There is a
23 washout period between courses.

24 Even more wonderful, most patients remain in
remission when the drug is discontinued. We have never had a

1 drug like this before. There is no patient more gratifying to
2 treat. I can so severely change their lives that patients
3 are always grateful.

4 Now, it was known at the time the drug was first
5 introduced in the United States that it was a potent teratogen
6 in animals and so it was not to be used in pregnancy. This
7 was emphasized by Roche. When, unfortunately, pregnancies
8 did occur, confirming the human teratogenicity of the drug,
9 we, in dermatology, were certainly made aware of this
10 development.

11 For example, at our annual meeting, which is
12 attended by 80 percent of all practicing dermatologists,
13 there was great discussion in multiple seminars, **fora** and
14 symposia about what could be done to prevent these preg-
15 nancies.

16 I have an ethical dilemma when I face a patient
17 with severe cystic acne. What can I do to make sure my
18 female does not become pregnant? I certainly warn her. With
19 the new pregnancy prevention program, Roche pays for a visit
20 to the gynecologist for contraceptive counseling. I repeat
21 the warning of pregnancy at follow-up visits. I emphasize
22 the need for adequate contraception. Is it ethical for me to
23 **insist** she take oral contraceptives if she insists her
24 current contraception is adequate? Occasional patients have
serious complications from contraceptives. Isn't it right

1 that the patient participate in this decision?

2 Ironically, Accutane is almost a fertility drug,
3 just the opposite of a contraceptive. Suddenly, a young
4 woman who is physically very unattractive is attractive for
5 the first time in her life, changing her social life drasti-
6 cally, often in a way she is not prepared for.

7 All drugs have side effects, including lethal side
8 effects. Penicillin and other antibiotics kill. Many other
9 drugs damage the fetus -- Dilantin, alcohol. Our neonatal
10 intensive care unit is currently filled with the infants born
11 to cocaine and crack addicted mothers. Vitamin A is as
12 damaging or more damaging than Accutane, yet, it can be
13 purchased over-the-counter.

14 The suggestion that Accutane usage should be
15 decreased by 20 percent, I feel is arbitrary and impractical.
16 The drug has never been approved for mild acne. So which of
17 my severely involved patients do I not treat and what do I
18 say to that person?

19 As Dr. Turner alluded to, Dr. Strauss, who is a
20 past president of the Academy of Dermatology and a noted
21 international authority on acne, has estimated that about 2-5
22 percent of all women with acne might warrant therapy with
23 Accutane at some time. We do not feel that the only epidemi-
24 ologic study cited, that estimates a very low incidence of
25 acne, is accurate. There are all kinds of problems with that

1 particular study that I do not want to take the time to go
2 into. Unfortunately, we do not have good epidemiologic data
3 regarding the true incidence of severe cystic acne.

4 I will play the devil's advocate and say that if we
5 agree that there are only 2100 cases that warrant therapy,
6 there are 7000 dermatologists in the country. That would
7 imply that each dermatologist sees less than half of a female
8 patient per year warranting therapy. This does not make
9 sense to me. I am at a university teaching center. I only
10 see patients part-time. I do not have a big acne practice
11 because I see a lot of pediatric dermatology patients. Most
12 of the Accutane I think is probably used in the community
13 rather than in a university because that is where the acne
14 patients are concentrated but even I use Accutane for at
15 least 5-8 female patients a year.

16 If we estimate that the average dermatologist in
17 the United States sees perhaps one-half to two patients per
18 month of childbearing potential who might require Accutane,
19 and this is probably a conservative figure, then between
20 36,000-144,000 such women might be candidates for Accutane
21 each year. This is not out of line with the 70,000 prescrip-
22 tions that are currently prescribed.

23 The regional center idea, to me, is impractical.
24 There are too many patients who would need to travel too far,
25 too often and this does not seem to solve the problem. Even

1 in the controlled IND setting there were 5 pregnancies in 100
2 women in one series. Never in the history of drug prescribing
3 has more been done to educate physicians and patients
4 regarding the teratogenicity of a medication.

5 Because the FDA, Roche and concerned physicians and
6 individuals worked together, we have developed what I think
7 is a wonderful new and creative approach, the pregnancy
8 prevention program. We should not overdo the good we have
9 done and create more problems.

10 What do I do if Accutane is removed from the market
11 or is severely limited and a patient with severe acne comes
12 to me? Do I say, well, go to Canada or Mexico for therapy?
13 Then when she comes back with the drug, is it ethical for me
14 to do follow-up studies?

15 As physicians, we can guide our patients but we are
16 not gods or have the power to completely to control them. We
17 should respect the fact that our patients must take some
18 responsibility for their own actions.

19 DR. HULKA: Are there questions?

20 DR. MCANARNEY: Dr. Spraker, what percentage of
21 physicians prescribing Accutane are dermatologist and what
22 percentage are primary care physicians?

23 DR. SPRAKER: I believe the figure is 70 percent.

24 I think Dr. Cunningham, from Roche, will present very accurate
25 data subsequently about that. Any other questions? Yes?

1 DR. MANGANIELLO: When you speak about severely
2 limiting the access of Accutane to patients to just tertiary
3 care centers, wouldn't it be possible not only to include
4 tertiary care centers but to designated centers with derma-
5 tologists or large group practices where there would be a kind
6 of team approach to monitor the use of Accutane?

7 DR. SPRAKER: With the new pregnancy prevention
8 program you have to sign on the line and check the boxes that
9 your patient qualifies for therapy. If my patient were sent
10 to a center, and I assume our university may very well be
11 such a center, I would be in the same situation but I would
12 have a drawback in that I would not have been the one that
13 had treated that patient all along. So seeing the patient
14 for the first time, I would not have a good feel for what
15 therapy they had been on before. I would not know the
16 patient because I had not followed her for a long time. so I
17 would not have as much rapport. I would not have a good feel
18 for which patient I think is reliable and which patient I do
19 not think would be reliable.

20 There was a suggestion that ten centers be involved.
21 So if we say that perhaps there are 70,000 patients treated,
22 that is 7000 patients per center.

23 DR. MANGANIELLO: That is pretty restrictive. You
24 know, I think there could be more than 10 centers in the
25 United States; there could be 50, 100, depending upon what

1 the needs are. As specialists, we are asked to do what you
2 said is impossible to do all the time. We have patients
3 referred to us. Information is given by referring physicians
4 and we have to more or less decide what treatment is ap-
5 propriate and what treatment is not, even though we may not
6 have seen the patient, except for one time, while she was
7 being cared for by her primary care physician.

8 It is not clear to me, if you had geographically
9 located distribution centers, that that would not be an
10 appropriate way of monitoring the use of the drug, not
11 restricting access to the patients. Yes, you would restrict
12 access to certain physicians but I would certainly not want
13 to take care of an oncologic patient; I would not want to do
14 open heart surgery. There are certain restrictions that I
15 think people should more or less abide by. We have our
16 privileges in hospitals restricted all the time.

17 **DR. SPRAKER:** Well, first of all, it is a little
18 bit unprecedented to limit a drug like this when you can get
19 vitamin A over the counter. I am more concerned about that.
20 Vitamin A was used instead of Accutane but Accutane is a
21 vitamin A-like drug and it is thought that vitamin A has many
22 side effects, more than Accutane. So I am more concerned
23 about restricting that.

24 I guess I am not sure I see much advantage in
25 restricting the drug to the centers because I am not sure

1 that the additional amount of hassle is going to provide much
2 benefit. Even in that controlled IND setting there were 5
3 pregnancies in 100 women. So there is hard data that that
4 approach did not work in the past.

5 DR. WENTZ: Vitamin A versus Accutane -- is not
6 Accutane somewhat more potent than vitamin A and would we
7 not, therefore, have seen a remarkable epidemic of birth
8 defects? And is that not something that is perhaps off the
9 subject here? We are talking about Accutane.

10 DR. SPRAKER: Dr. Cunningham may be able to answer
11 that question more accurately, but vitamin A has more potent
12 side effects, for example, of hepatic toxicity. It was not
13 uncommon years ago that when we used high doses of vitamin A
14 that the patients needed to be hospitalized for hepatitis.
15 Eskimos do not eat polar bear liver because of the vitamin A
16 in it.

17 So it had a lot of toxicity; it is thought to be a
18 teratogen. It does not work as well in acne. It helps if
19 you use very high doses but it is not nearly as effective.
20 The reason Accutane was developed was to try to discover a
21 drug that worked better, that did not have as much toxicity.

22 DR. WENTZ: Let's go back to Accutane and responsi-
23 bility. You made the statement that we are not gods. I
24 think we all agree but I do think that we take responsibility.
25 You have made a very poignant point that you have a close

1 relationship with your patients; that you have a feel for
2 which patients need Accutane and whose lives will be severely
3 changed -- I think were the words you used.

4 But I have a difficulty with responsibility when
5 the dermatologist who knows the patient is going to ship the
6 patient off to a gynecologist, who has never seen the patient
7 before, and ask that gynecologist to go through a number of
8 things there in order that the patient be protected from the
9 dermatologist's drug. Do you not think then that there
10 should not be some better arrangement, such that the derma-
11 tologist who knows the patient, who has a feel for the
12 patient's quality of life, if you will, should not also have
13 the responsibility of taking the time and, if you will,
14 getting the learning necessary to prevent pregnancy in these
15 patients?

16 DR. **SPRAKER**: I think that that is certainly
17 expected of the dermatologist. Indeed, a new layer was added
18 when it was strongly suggested, if we adhere to the pregnancy
19 prevention program, that, in addition to that, we enforce the
20 message by asking our patients to see the gynecologist too.
21 In fact, **Roche** feels that that is so important that they are
22 willing to reimburse the physician for that visit. I see it
23 more as reinforcement.

24 You know, we are at a tremendous risk for mal-
practice when we treat a fertile woman with this drug. All

1 of us are well aware of that and we are nervous about it. So
2 I do not think that there is a responsible physician who does
3 not take this very seriously when we talk to the patient
4 about the pregnancy issue. What more can we do?

5 DR. WENTZ: What is your explanation for the 5
6 pregnancies in the IND study of 100 women?

7 DR. SPRAKER: I cannot answer that question. I was
8 not involved with that.

9 DR. HULKA: I think we will have an opportunity to
10 hear from the sponsor on that.

11 I would like to close this open part of the meeting
12 today unless someone else wishes to speak. But before we go
13 on to our formal agenda, I would just like to ask if there is
14 anyone from the FDA, specifically Dr. Troendle, who is here
15 representing Dr. Sobel, or Dr. Bilstad or Dr. Peck, who would
16 like to make any comment before we go ahead with the formal
17 presentations.

18 (Drs. Troendle, Bilstad and Peck shake their heads)

19 There are no comments at this point in time. I
20 would also like to mention to the Committee that we do have a
21 discussion time set up at the end of the Accutane presen-
22 tations. We have no formal questions and answers but we will
23 go around and ask each of you to present what is your
24 thinking about the prescribing and use of Accutane, just so
that we have that for the record. Dr. Corfman has told me

1 that we may in the future have an opportunity for a longer
2 and more formal discussion of this whole topic. But for
3 today, we will each give our comments.

4 Now we will go ahead with Dr. Ridgely Bennett, from
5 the FDA, who will tell us about the use of Accutane by women
6 of reproductive age.

7 PRESENTATION BY RIDGELY C. BENNETT, Jr.

8 DR. BENNETT: This briefing on the use of Accutane,
9 an established teratogen, is provided in order to inform the
10 Fertility and Maternal Health Drugs Advisory Committee of
11 current FDA policies regarding this drug.

12 These policies are aimed at significantly reducing
13 the possibility of pregnancy occurring in women of repro-
14 ductive age while they must take the drug. Accutane is
15 indicated for the treatment of severe, recalcitrant cystic
16 acne and a single course of therapy for 15-20 weeks has been
17 shown to result in complete and prolonged remission of
18 disease in many patients.

19 Because of significant adverse effects associated
20 with its use, Accutane should be reserved for patients with
21 severe cystic acne who are unresponsive to conventional
22 therapy, including antibiotics.

23 The current physician's package insert for Accutane
24 contains a boxed contraindication and warning section at the
25 **very** beginning of the insert, in large, bold type, which

1 states that Accutane must not be used by females who are
2 pregnant or who may become pregnant while undergoing treat-
3 ment. There is an extremely high risk that a deformed infant
4 will result if pregnancy occurs while taking Accutane in any
5 amount, even for short periods. Potentially, all exposed
6 fetuses can be affected.

7 Accutane is contraindicated in women of childbearing
8 potential unless the patient meets all of the following
9 conditions:

10 One, she has severe, disfiguring cystic acne that
11 is recalcitrant to standard therapies.

12 **Two**, she is reliable in understanding and carrying
13 out instructions.

14 Three, she is capable of complying with the
15 mandatory contraceptive measures.

16 Four, she has received both oral and written
17 warnings of the hazards of taking Accutane during pregnancy
18 and the risk of possible contraception failure, and has
19 acknowledged her understanding of these warnings in writing.

20 Five, she has had a negative serum pregnancy test
21 within two weeks prior to beginning therapy. It is also
22 recommended that pregnancy testing and contraception counsel-
23 ing be repeated on a monthly basis.

24 Six, she will begin therapy only on the second or
third day of the next normal menstrual period.

1 Major human fetal abnormalities related to Accutane
2 administration have been documented, including hydrocephalus,
3 microcephalus, abnormalities of the external ear, such as
4 micropinna, and small or absent auditory canals, **microphthal-**
5 **mia**, cardiovascular abnormalities, facial dysmorphia, thymus
6 gland abnormalities, parathyroid hormone deficiency and
7 **cerebellar** malformation. There is also an increased risk of
8 spontaneous abortions.

9 Effective contraception must be used for at least
10 one month before beginning Accutane therapy, during therapy
11 and for one month following discontinuation of therapy. It
12 is recommended that two reliable forms of contraception be
13 used simultaneously unless abstinence is the chosen method.
14 If pregnancy does occur during treatment, the physician and
15 patient should discuss the desirability of continuing the
16 pregnancy.

17 Accutane should be prescribed only by physicians
18 who have special competence in the diagnosis and treatment of
19 severe, recalcitrant cystic acne or experience in the use of
20 systemic retinoids and understand the risk of teratogenicity
21 if Accutane is used during pregnancy.

22 Similar contraindication and warning statements
23 appear also in the current patient brochure for Accutane. I
24 will give you a brief chronology regarding Accutane from the
time of its initial approval, in 1982, until March of 1988.

1 Dr. Evans, who will follow me, will then bring you up to date
2 regarding more recent events.

3 In January of 1982, the Dermatologic Drugs Advisory
4 Committee recommended approval of the Accutane new drug
5 application. In April of 1982, the package insert was
6 reviewed by the Dermatologic Drugs Advisory Committee.
7 Teratogenic effects occurring in animals were known and
8 stated. A paragraph cautioning against use during pregnancy
9 was included.

10 In August of 1982, an FDA Drug Bulletin announced
11 FDA's approval of Accutane and discussed its contraindication
12 during pregnancy. In September of 1982, Hoffmann-La Roche
13 introduced Accutane into the marketplace with a warning in
14 the package insert that it had caused birth defects in
15 animals and that pregnant women should not use the drug.

16 In July of 1983, Roche sent letters to half a
17 million physicians and pharmacists informing them of the
18 first reported cases of human birth defects. In August of
19 1983, Roche sent letters to half a million physicians and
20 pharmacists regarding a revised package insert reflecting new
21 clinical information, and sent pregnancy warning stickers to
22 pharmacists for placement on patients' prescription bottles
23 that warned pregnant women not to take the drug.

24 In September of 1983, FDA provided background
information for use by the media to inform the public and

1 reinforce the birth defect potential of Accutane, with
2 appropriate warnings against its use by pregnant women. In
3 October of 1983, the Dermatologic Drugs Advisory Committee
4 heard a citizen's petition by Public Citizen Research Group,
5 a consumer activist group, advocating mandatory patient
6 package inserts. The Dermatologic Drugs Advisory Committee
7 recommended stronger warnings about teratogenicity in both
8 physician and patient package inserts.

9 In November of 1983, an FDA Drug Bulletin reported
10 the occurrence of major human birth defects with the use of
11 Accutane and again warned against use of Accutane in preg-
12 nancy. In January, 1984, pregnancy warnings in the package
13 insert were changed to boldface type and the occurrence of
14 human birth defects was added.

15 In 1984, Roche sent letters to physicians and
16 pharmacists about additional clinical and safety information
17 and included a revised patient brochure. Also in March of
18 1984, an FDA press release announced the additional birth
19 defect warnings and alerted blood banks not to accept blood
20 from Accutane users. In April of 1984, Roche sent letters to
21 physicians and pharmacists about a new trade package that
22 incorporates patient information literature and pregnancy
23 warning labels.

24 In May of 1984, Roche made a presentation to the
25 Dermatologic Drugs Advisory Committee reporting 20 cases of

1 birth defects associated with the use of Accutane and
2 revising the package insert appropriately. In August of
3 1984, another FDA Drug Bulletin updated the birth defect
4 reports and discussed the latest changes in the package
5 insert.

6 In October of 1984, Hoffmann-La Roche sent **physi-**
7 **cians** and pharmacists new clinical and safety information
8 that had been added to the package insert and patient
9 brochure. In November of 1984, the Dermatologic Drugs
10 Advisory Committee was brought up to date about adverse
11 events. Further revisions to the physician package insert
12 and patient brochure were discussed. In December of 1984,
13 Roche placed advertisements in **JAMA** and the Archives of
14 Dermatology providing guidelines for use of Accutane in
15 females.

16 In June of 1985, another mailing was sent by Roche
17 to physicians and pharmacists about the most recent revisions
18 of the package insert and patient brochure. The package
19 insert placed the use and pregnancy contraindication in a
20 prominent box and strongly recommended the use of contra-
21 ception and pregnancy testing.

22 In August of 1985, another FDA Drug Bulletin was
23 distributed to all health professionals regarding the package
24 insert revisions for Accutane. In October of 1985, two
articles were published in The New England Journal of

1 Medicine discussing the use of Accutane and the occurrence of
2 birth defects, based on reports to Hoffmann-La Roche and the
3 FDA. In June of 1986, Hoffmann-La Roche mailed physicians
4 and pharmacists the most recent revisions to the package
5 insert for Accutane. In June of 1987, the most current
6 package insert was distributed.

7 In February of 1988, FDA and CDC staff notified the
8 FDA Commissioner of their concern about the number of cases
9 of Accutane-induced birth defects. In March of 1988, FDA
10 issued a "Talk Paper" about four new cases of serious birth
11 defects associated with Accutane and announced an upcoming
12 Dermatologic Drugs Advisory Committee hearing on Accutane, to
13 be held in April of 1988.

14 Dr. **Carnot** Evans, group leader of the Dermatology
15 Section of our Division of Anti-Infective Drugs, will now
16 continue the briefing.

17 DR. CORFMAN: Before Dr. Evans begins, I would like
18 to point out to the Committee that Dr. Bennett attended the
19 Dermatologic Drugs Advisory Committee meeting for the
20 Committee. Some of you were asked if you could make it and
21 none of you could, including the Chair. So he is really
22 representing your interests and he and Dr. Evans are here to
23 update you on the status of the "Accutane campaign".

24 PRESENTATION BY C. **CARNOT** EVANS, Jr.

DR. EVANS: Dr. Bennett has given you a chronology

1 of some of the aspects of Accutane which, of course, is a
2 drug which has had considerable oversight and regulation over
3 the past ten years.

4 As you are aware, an IND was submitted ten years
5 ago and many of us in the Agency, as well as the **Roche**
6 Company, have lived with this drug for this period of time.
7 It has posed a serious and complicated problem for us.

8 In February of 1988, which is one year ago,
9 something happened which had a major impact on our oversight
10 in the Food and Drug Administration. A position paper was
11 prepared by the members of the Division of Epidemiology and
12 Biostatistics of the Food and Drug Administration, which was
13 a lengthy, involved document.

14 It concluded, number one, that Accutane is, indeed,
15 over-prescribed; number two, that pregnancies in Accutane-
16 treated females were continuing; three, that severe birth
17 defects (62 had been reported at that time) were continuing
18 to be reported; finally, that the regulatory interventions by
19 FDA at that point, and in their view, had not had a sub-
20 stantive effect.

21 This had a major impact on us at the Agency, as you
22 can imagine, and we had several in-house meetings to address
23 these points. It was decided, after a number of conferences,
24 that we would tighten up our interventions and that we would
present the problems to the Dermatologic Drugs Advisory

1 Committee, which was to convene three months hence.

2 When the advisory committee met we had several
3 questions to pose for them, the most important of which I
4 will give you: The first one was, should Accutane be removed
5 from the marketplace? The unanimous opinion was that, no, it
6 should not. It was felt that the benefits outweighed the
7 risks and, in addition to that, there was no reason that male
8 patients who would not be the subject of fetal toxicity should
9 be deprived of this product.

10 I might also say that there was also the consi-
11 deration of vitamin A, which is available over-the-counter
12 and which is a known fetal toxic agent in high amounts, and
13 which has also been reported and, in fact, is in the litera-
14 ture as being effective in very high doses in the treatment
15 of severe cystic acne.

16 The second question that was posed to the advisory
17 committee was is there some way that we can label this drug
18 so that we can get reasonable interventions? One of these
19 was that possibly we could label the drug that it should not
20 be used at all in women of childbearing age. This would have
21 the beneficial effect of leaving it on the market but it
22 would also make physicians who prescribe it very well
23 concerned about who they gave it to since it was "contra-
24 indicated in women of childbearing years." Of course, this
25 would mean that it would be available to postmenopausal

1 women, as well as male patients.

2 The Committee rejected this for several reasons.
3 They felt that this was not the appropriate way to address
4 the problem. Instead, they recommended several things: They
5 recommended that we should consider informed consent prior to
6 using Accutane. They recommended changes in the labeling and
7 there was a suggestion that maybe a picture of a malformed
8 child would have a severe enough impact so that the product
9 would not be used as often as it had been in the past. It
10 should be labeled to indicate that only physicians with
11 special competence in the use of the drug should have
12 available Accutane for use. It was indicated that we should
13 give consideration to some type of limited distribution.

14 What has the Food and Drug Administration done
15 since that time? The first thing that was done is that we
16 formed an Accutane monitoring group, which is very similar to
17 the AZT monitoring group that we have had in place for some
18 time. This is an inter-office coordinating body to look over
19 the details on a regular basis with the Roche Company, as
20 well as others, to make sure we have adequate oversight.

21 We are now requiring Roche to give quarterly
22 reports on adverse effects and pregnancy exposures; the
23 amounts of drug that are manufactured and drug use data; and
24 advertising and educational programs.

 We have also been active in assisting Roche in the

1 development of labeling, their pregnancy prevention kit,
2 blister pack and epidemiological surveys that we will hear
3 about later.

4 The physician labeling has been updated with a
5 number of things, as Dr. Bennett mentioned and which I will
6 not repeat.

7 You are aware that Accutane has a category X label,
8 meaning that the drug is known to cause birth defects. We
9 are also requiring that the non-pregnancy symbol appear
10 prominently on the beginning of the label, as well as in the
11 PDR. This, of course, is a silhouette of a pregnant patient
12 with the red circle and the cross, which means "do not use."

13 The goals of these activities are to, number one,
14 reduce prescribing; two, eliminate pregnancy exposure with
15 Accutane use; eliminate birth defects and eliminate the need
16 for abortion.

17 You heard from representatives of various groups
18 who were interested in giving you their views on what we
19 should do in the oversight of Accutane. One that gave views,
20 not represented today, was the American Academy of Pediatrics.
21 They recommended that Accutane prescribing not be limited to
22 any specialty or subspecialty groups. They recommended that
23 the American Academy of Pediatrics implement an effective
24 educational program on risks and benefits of Accutane. They
25 recommended that the American Academy of Pediatrics urge the

1 AAD and the AAP Section on Dermatology to conduct a study to
2 determine the incidence of severe cystic acne; they urged the
3 FDA to evaluate more effective means of contraception for
4 females using Accutane and they urged the FDA to strongly
5 establish a standing committee to closely monitor and advise
6 on drugs that are potential teratogens, and that the task
7 force reconvene in one year to reevaluate the changes in
8 patient tracking and results. If the new prescriptions to
9 females have not been reduced by 20 percent, the American
10 Academy of Pediatrics should entertain recommending that FDA
11 devise a more restrictive scheme for Accutane prescribing.

12 I think we all recognize that there has been
13 insufficient time to determine the effects of the inter-
14 ventions that we have imposed. While we have not asked
15 specific questions of you, we would appreciate constructive
16 comments and we hope that together we will be able to get a
17 reasonable solution to this vexing problem. Thank you.

18 DR. HULKA: Are there questions?

19 DR. MCKAY: You mentioned earlier in your presen-
20 tation that Accutane should be available for male patients
21 regardless. Is there any evidence whatsoever that Accutane
22 could affect spermatogenesis?

23 DR. EVANS: There is evidence that it does not.

24 DR. HULKA: Further questions?

DR. ROY: Do you think that since September of

1 1988, when the pregnancy prevention program was launched --
2 we have no data whatsoever to have any feel for whether it is
3 working or not? Do you think it is just too early?

4 DR. EVANS: The answer is that it is too early.
5 There are differences of opinion and you will hear later in
6 the program from some of those folks who have the hard data.

7 DR. HULKA: I suggest that we go on to the sponsor,
8 the representative from Hoffmann-La Roche. This is Dr.
9 William Cunningham.

10 PRESENTATION BY WILLIAM CUNNINGHAM

11 DR. CUNNINGHAM: Good morning. Madam Chairman,
12 members of the Advisory Committee, ladies and gentlemen, I am
13 William Cunningham and I am the director of medical affairs
14 at Roche Dermatologics, which is a subsidiary of Hoffmann-La
15 Roche. Thank you for the invitation to be here today. I
16 will limit my comments to 30 minutes as we earlier agreed.

17 I would also like to introduce in a few moments Dr.
18 Alan Shalita, who will give you some information about the
19 medical aspects of Accutane, further amplifying the comments
20 of Dr. Turner and Dr. Spraker.

21 (Slide)

22 This is about the fifth time that I have stood in
23 front of a committee such as this, either the Dermatologic
24 Advisory Committee or this one and, of course, we have had a
25 lot of interaction on the subject of Accutane, as Dr. Carnot

1 Evans mentioned, over the past several years. It is a
2 concerted effort that we would like to present today. We
3 have had interaction and taken comments from many of the
4 groups who have already spoken today.

5 Our goal at the Company is to reduce the risk of
6 malformations. We feel that human malformations is the
7 central issues. We do feel that we are seeing some progress,
8 however, in the other areas. We do see some downward trends
9 in usage. In fact, even in early 1989 we see some decrease
10 in the number of users. We have a lot of prescribers out
11 there who are just plain not interested or too afraid to
12 prescribe the drug, which raises some other questions. But,
13 in fact, I think we are seeing both anecdotally and in fact
14 some decrease in usage of the drug.

15 Of course, we share your concern about the serious-
16 ness of the malformations and we have made every attempt to
17 examine every strategy and every solution that has been
18 proposed, no matter where it came from, very carefully. So
19 we feel we have tried to keep as open an attitude as possible
20 about what can be done about this situation.

21 The pregnancy prevention program was initially
22 launched in September of last year. It has come out in parts
23 and the most recent addition has been the blister package,
24 which was only launched a few weeks ago. We discussed this,
25 of course, back in April of 1988 and it was implemented along

1 he way as we have had the approvals and ability to produce
2 blister packages, for example, and we do feel, as Dr. Evans
3 has mentioned, that it needs some time to make its full
4 impact.

5 (Slide)

6 Now I would like to go to today's agenda and I have
7 asked Dr. Shalita to address the medical role and we will be
8 giving you some status reports on the pregnancy prevention
9 program, its implementation and its effects; the epidemiology
10 of both the pregnancies and usage of the drug; the next steps
11 which we feel will be coming in the next few months in terms
12 of the impact of the follow-up survey and the impact of our
13 other activities.

14 But first I would like to ask Dr. Shalita to give
15 you a little bit of evidence on the medical utility of this
16 unique drug. Dr. Shalita is Professor and Chairman at the
17 State University of New York, the Department of Dermatology.

18 PRESENTATION BY ALAN SBALITA

19 DR. SHALITA: Thank you, Dr. Cunningham. Madam
20 Chairman, members of the Committee, although I have been asked
21 to speak to you on behalf of the sponsor, I could just as
22 easily be here on behalf of the American Academy of Derma-
23 tology, where I serve as the chairman of the retinoid
24 committee and the council on communications. I am also
secretary of Professors of Dermatology and have served on the

1 residency review committee and various other national
2 committees for organized dermatology. I am one of the
3 original investigators of this drug, as many other acne
4 drugs, because I have devoted my investigative career to the
5 study of the pathogenesis and treatment of acne.

6 I would like to give you a little bit of perspective
7 about cystic acne and perhaps correct some information about
8 the statistics of what this disease represents.

9 (Slide)

10 First of all, I think that it might be useful to
11 you to have some understanding of the factors that are
12 involved with the pathogenesis of the disease and how one can
13 influence that with the various categories of drugs that are
14 available to us.

15 I think the prime defect in acne is that at puberty
16 there is an androgen-modulated increase in the sebaceous
17 secretion, which gives you an increase in sebum production.
18 That could be inhibited by oral estrogens in the past which
19 counteract the effect of androgens and, in some cases, low
20 doses of steroids to inhibit adrenal androgen. But also this
21 is profoundly affected by isotretinoin.

22 There is a proliferation of follicular microflora,
23 principally an organism known as *Propionibacterium acnes*,
24 which can be affected by broad spectrum antibiotics, all of
25 which are bacteriostatic rather than bacteriocidal, and can

1 be affected by some topical drugs. The population of this
2 organism is dramatically decreased, if not totally wiped out,
3 by oral isotretinoin because this organism is lipophilic and
4 the lipid environment created by sebum is diminished so that
5 this organism can no longer survive.

6 There is an abnormality of keratinization of the
7 follicular wall which is only affected, among oral drugs, by
8 oral isotretinoin. It is also affected by topical tretinoin.
9 Finally, there is a marked inflammatory response in the more
10 severe forms of the disease, which could be modified by
11 relatively high doses of oral glucocorticoids, by sulfones
12 and by isotretinoin.

13 I think it is fairly evident from this chart that
14 the only orally administered drug that affects all four major
15 parts of the pathogenic pathway is oral isotretinoin.

16 (Slide)

17 I thought I would give you a quick picture of the
18 spectrum of disease that cystic acne represents. I think
19 some people think that cystic acne is only on the face. This
20 is cystic acne in a different form. These are all lesions
21 which more than meet the criteria of 4 mm or larger, combined
22 with other lesions, which are also quite disfiguring. These
23 numerous milia-like lesions are common in a mature, adult
24 woman.

(Slide)

1 This is a rosacea form of cystic acne. This woman
2 also had large nodules and cysts on her back and chest.

3 (Slide)

4 Here is a young woman whose cystic acne was induced
5 by external agents, the use of hair pomades.

6 (Slide)

7 Here is another form of cystic acne in a younger
8 adult woman. You can see this very large nodule here and one
9 adjacent to it, and a preponderance of lesions on the chin
10 and the long angle of the mandible.

11 (Slide)

12 This was one of the patients from our original
13 study with severe nodulous cystic acne of the face. The
14 economic and emotional impact is best illustrated by this
15 patient, who was an aspiring actress and was unable to even
16 obtain work as a waitress in a restaurant because of this
17 disfiguring disease. She cleared completely is now in the
18 road company of a major Broadway production.

19 (Slide)

20 This is just a side profile showing the kind of
21 scarring that results. This is the same patient.

22 (Slide)

23 Obviously, this is a disease that affects males as
24 well. I was emphasizing the female patient because of our
concern this morning.

1 (Slide)

2 The actual statistics that we have been able to
3 gather from a survey done among professors of dermatology in
4 the country is akin to what Dr. Bennett told you before. An
5 average of one new patient per month per dermatologist in
6 this country would give you somewhere in the neighborhood of
7 80,000 new cases of nodulous cystic acne in women per year.

8 This is cystic acne with sinus track formation.
9 What happens is that the two inflammatory lesions that you
10 see here merge beneath the surface of the skin by a sinus
11 track and these lesions keep filling up with purulent exudate
12 and are very difficult to treat.

13 (Slide)

14 This is an example of the kind of ice pick, pitted
15 scarring that can result from severe inflammatory forms of
16 the disease even when it is non-cystic.

17 (Slide)

18 And to demonstrate that this disease also affects
19 the trunk, as well as the face, here you have numerous cystic
20 lesions on the back and shoulders.

21 (Slide)

22 This is just a closeup of one side.

23 (Slide)

24 Here is a more extensive case in another patient
25 with severe scarring.

1 (Slide)

2 This is the kind of ulcerative necrotic debris that
3 can occur over these lesions, with secondary infection
4 frequently being common and resulting in very severe,
5 pronounced scars.

6 (Slide)

7 This is acne keloidis, limited primarily to our
8 black patients but fairly common, with severe hypotrophic
9 scarring.

10 (Slide)

11 Finally, not to belabor the point but I will show
12 you just a before and after photograph to demonstrate the
13 efficacy in the disease of severe nodulous acne.

14 I would like to conclude by a very brief anecdote.
15 Last year I was interviewed on Cable News Network about acne
16 in adult women in general and asked to review a whole series
17 of treatments that are available. We were talking mostly
18 about oral contraceptives and hormonal problems in adult
19 women. Dr. Sonia Freedman interviewed me, who is a very
20 astute interviewer and physician.

21 At the very end, after having gone through all of
22 the therapies, I mentioned that when all else fails one can
23 use Accutane but that it has severe side effects, including
24 birth defects. I was interrupted by the interviewer. She
25 said, yes, doctor, we have many drugs that have severe side

1 **ffects.** It is our responsibility to become more educated
2 onsumers. Thank you for your attention.

3 PRESENTATION BY WILLIAM CUNNINGHAM

4 (Slide)

5 DR. CUNNINGHAM: I will skip through some of the
6 lides in the interest of brevity, but I will give you what
7 **ur** objectives have been for the last year.

8 Our goals in 1988 were to limit the use of this drug
9 o the severest types of acne; to exclude pregnancy by **pre-**
10 reatment pregnancy testing and pregnancy testing throughout
11 herapy and through ensuring contraception. We feel this is
12 he major part of our pregnancy prevention program.

13 (Slide)

14 Since April of 1988, we have revised the package
15 nsert extensively. The pregnancy prevention program was
16 **egun** to be launched in September of last year. The blister
17 **ackaging** was launched early this month. Extensive **communi-**
18 **ations** have been had with the dermatologists and the rest of
19 **he** medical community and with multiple organizations
20 **hroughout** the year and we have had extensive interactions
21 rith other organizations nearly on a daily basis.

22 (Slide)

23 The program is essentially in three parts. One is
24 **he** package insert revision. The second is the pregnancy
revention kit that you see in front of you. I would

1 encourage you to look through that to see some of the
2 components of it because I am not going to dwell on any one
3 of the forms. The third part is the blister packaging, which
4 we feel is the final approach to the patient.

5 (Slide)

6 The "avoid pregnancy" symbol is throughout our
7 pregnancy prevention program and throughout the patient
8 brochure and throughout the kit. The package insert revision
9 was mentioned before by Dr. Bennett. The size is much
10 increased over the past one. It is essentially double size
11 print. Warning of fetal risk is reemphasized. You cannot
12 read this on the slide but you can if you look in the
13 pregnancy kit in front of you.

14 For example, six criteria must be met. The patient
15 must have the severe disease. They must be capable of
16 understanding the treatment and capable of signing a written
17 consent form. Many aspects of this, we feel, were ground-
18 breaking in terms of other drugs and we feel are rather
19 innovative and we continue to look to other possibilities.
20 So essentially the drug is contraindicated in females unless
21 each of these criteria are met.

22 (Slide)

23 Abstinence or two forms of contraception are
24 recommended. We talked about that earlier. I need not dwell
25 on it. We emphasize strict contraception with the use of

1 this drug.

2 (Slide)

3 Only experienced practitioners should use the drug.
4 We have felt that in some cases, family practitioners, in
5 areas where dermatologists are not available, could use this
6 drug. We do not promote to them specifically but we feel we
7 do have to inform them that they should be experienced in the
8 use of retinoids. This is a prerequisite for the use of this
9 drug.

10 (Slide)

11 The consent form is part of the package insert. It
12 is attached to it. It will appear in the PDR. If you do not
13 have one in the kit, you can take it out of the PDR and xerox
14 it and have the patient sign it. There are ten specific
15 places the patient must initial and then sign and the
16 physician must sign it, put a copy in the chart and the
17 patient takes one as well. So we have really tried to tie up
18 the legal aspects of this.

19 (Slide)

20 In summary, the "avoid pregnancy" symbol appears
21 throughout the patient and physician material. The contra-
22 indication and warning is very large. It is very clear. It
23 underscores the fetal risk aspects many times. It contra-
24 indicates the drug in fertile females unless all six specific
criteria are met, and recommends two forms of contraception

1 and use by experienced practitioners, and incorporates a
2 consent form. It is quite an extensive package insert.

3 The revision was communicated, shortly after its
4 approval in August, to all the dermatologists in the country
5 on September 2 and all of the physicians, over half a
6 million, on September 9. We have done this as a matter of
7 routine every time we have had new information on the
8 product. We routinely send that information at least to all
9 the dermatologists and, where applicable, to all the physi-
10 cians in the country.

11 (Slide)

12 The kit is in front of you. There are a couple of
13 copies on the table and we have another one in the back. It
14 is a rather extensive program.

15 (Slide)

16 It has multiple components, the first being the
17 patient qualification checklist. The patient must meet all
18 of these criteria and the physician should go down this list
19 with each patient or, otherwise, not prescribe the drug for
20 that particular patient.

21 (Slide)

22 The patient brochure has been updated and, again,
23 it is in the kit. It is rather extensive. It has been
24 revised multiple times in the past several years as we have
25 learned about the risk of fetal malformations and about other

1 side effects.

2 (Slide)

3 We have English and Spanish patient information in
4 the kit and, as well, we have an 800 number both in English
5 and in Spanish. So anybody who does not get the information
6 clearly enough from their physician could get it through the
7 800 number and we have other provisions, as necessary, for
8 the patient to get as much information as they need.

9 (Slide)

10 Contraceptive information is included in the
11 pregnancy prevention kit. We agree with the suggestion that
12 dermatologists should be familiar with this. I think it is
13 mandatory, in fact, that the dermatologists understand this.
14 We have an optional program, which I will mention in a
15 moment, where obstetrician or family practitioner consultation
16 could be obtained. That is another ancillary way the patient
17 could get adequate contraception information.

18 (Slide)

19 The contraception referral program I referred to
20 just now is a voluntary program. We have felt that this is a
21 very good option for patients who need additional information
22 or in situations where the dermatologist does not feel they
23 can adequately inform the patient on contraceptive use.

24 Roche will pay for the initial pregnancy test and the
25 contraceptive referral when performed by a family practitioner

1 on contraceptive counselor of the **OB/GYN** type. We feel that
2 this is an ancillary part of the program and we do feel, of
3 course, that the dermatologists should be very familiar with
4 contraceptive information.

5 (Slide)

6 A patient self-evaluation test is included. The
7 patient is supposed to take this test. If they fail even one
8 of the questions and the physician sees that, he is not
9 supposed to put the patient on the drug. We emphasize that
10 every single criterion must be met and every single exam
11 question answered correctly, otherwise the patient should not
12 get the drug.

13 (Slide)

14 The consent form, as I mentioned before, has about
15 10 or 11 places for the patient to initial. If they sign
16 this, they have, obviously, complete information about the
17 drug, about its side effects, about the risk of malformations
18 and about the appropriate use of contraception. Otherwise,
19 the physicians place themselves at great legal liability when
20 they use this product. I might add that they probably place
21 themselves at great legal liability if they do not use this
22 product under certain circumstances. That is how restrictive
23 our program has become. The consent form also includes a
24 third sheet which is a possible sign-up for the possible
survey, which I will mention in a moment.

1 (Slide)

2 So in summary, the kit includes all of those forms.
3 I will not go into them again, in the interest of time, but
4 they are in the kit. We feel that it is a rather innovative
5 program. We would like to keep our options open in that
6 regard. If you have some specific suggestions for improve-
7 ment, we would like very much to hear those.

8 (Slide)

9 The prevention kit was launched to all the derma-
10 tologists, starting around September. In February and March,
11 when we polled the dermatologic community, as we have been
12 doing at regular intervals, we found that 95 percent of the
13 dermatologists have received the kit. Of those who have it,
14 55 percent have used one or more components in the last
15 couple of months.

16 Why didn't everybody use it? Interestingly enough,
17 some evaluate the patients with the kit or without it and
18 they do not have occasion to use the product. So 36 percent
19 actually have not evaluated patients. I think we are seen
20 anecdotally as well a great hesitancy on the part of many
21 dermatologists to use the product.

22 (Slide)

23 When the patient is evaluated using the kit,
24 interestingly enough, 22 percent of the patients do not get
the drug. So we think that we have, in fact, cut down some

1 of the prescribing by the use of the kit at the very beginning
2 of the program.

3 (Slide)

4 The blister packaging was introduced in early May.
5 We have already had some positive feedback on that. I have
6 one in front of me and you have a couple at the table. It
7 opens with some difficulty. It is child-resistant and it has
8 taken us some time to get over that hurdle. I must say that
9 we have incorporated just about everything we can think of in
10 this, including all of the patient information that they
11 need; the highlighting in red and with pregnancy symbols
12 throughout. I think it is quite dramatic. Even from the
13 back of the room you can see the kind of kit we are talking
14 about here.

15 This is the only way the drug is available now when
16 the existing supplies run out. We have had some technical
17 difficulties getting this made because of the heat-sensitive
18 capsules. But it is out now and your patients are going to
19 start getting this. Eventually this will be the only way a
20 patient can get this drug.

21 It has the line drawing of the malformation. It
22 has extensive warnings in red about the risk of fetal
23 malformations. Every time you take one of the capsules you
24 have to press it through one of these "avoid pregnancy"
25 symbols. Again, if you have some suggestions on this for

1 something more to be done, we would like to hear them. But
2 I think we have just about covered all the bases.

3 Inside, folded up in it, is an enrollment form for
4 the follow-up survey. So if the physician does not enroll
5 the patient in the follow-up survey, we hope that the
6 patients will enroll themselves. In fact, we are finding out
7 that a substantial number are self-enrolling since the
8 blister pack has been available.

9 (Slide)

10 I have already shown you some of these features.
11 As I mentioned, the patient information is integral to the
12 packaging. There is no way you can get this drug without
13 this package. It tells you everything you need to know,
14 including all the avoid pregnancy information.

15 (Slide)

16 The pregnancy warnings in red are throughout.

17 (Slide)

18 The pregnancy symbols, as I mentioned, are **through-**
19 **out**, as well as on the back of the blisters so that you
20 cannot get a capsule without seeing that.

21 (Slide)

22 And the line drawing of the malformations can be
23 seen. I would be glad to pass this around to the audience
24 too if they would like to see it.

(Slide)

1 The enrollment form for the follow-up survey is
2 included. With that, I think we have come about full circle
3 in terms of what we think we can do as a final step to
4 intervene in the patient receiving the drug. Hopefully, they
5 do get complete information from the physician but if they do
6 not, for some reason, we think that when they receive this
7 package from the pharmacist, this will make a substantial
8 impression.

9 (Slide)

10 In terms of communication, I will not belabor it
11 except to say that we have followed up extensively with our
12 dermatologic and other colleagues on all the problems
13 associated with the malformations.

14 (Slide)

15 We have extensive professional representation in
16 the field. We have made a total of over 20,000 visits now to
17 all of the dermatologic prescribers and those prescribers of
18 Accutane whom we have identified as being substantial
19 prescribers. We have sent letters to every physician asking
20 them if they prescribe Accutane. If they do, we then visit
21 them. If they do not prescribe Accutane, we do not detail
22 them; we do not advertise to them. We do not want the
23 product used outside experienced practitioners. But we do
24 visit them if they do use the product. As you see, we have
had extensive representation. Most of these visits were in

1 September and October. For example, over 10,000 were to
2 detail the pregnancy prevention program kit. So the kit was
3 gone through in detail with the physician prescriber.

4 (Slide)

5 We have presented this issue many times. I,
6 myself, am asked to comment on this all around the world.
7 The American Academy of Dermatology has been very supportive
8 on this in inviting us and having their own members present
9 the issue of teratogenicity as the important aspect of
10 Accutane. I might emphasize that the conference on retinoids
11 and teratogenesis was a major conference sponsored by Roche,
12 held last month in Westchester, with representatives from all
13 around the world talking about the very basic aspects of
14 teratogenicity and its prevention. We are very interested in
15 the research aspect of this.

16 (Slide)

17 This is not the usual ad that one would see in a
18 medical journal but this is the way we advertise Accutane now
19 in terms of its problems. We do not emphasize efficacy; we
20 hit them with the problems that they are going to encounter.
21 Here again, this is for the dermatologists who see the
22 pregnancy prevention symbol and the problematic nature of
23 prescribing is emphasized.

24 (Slide)

25 This appeared in the dermatologic literature, as

1 cited here.

2 (Slide)

3 To the family practitioner and the general audience,
4 I do not think one can be more dramatic in terms of what this
5 drug is, that is, it is contraindicated in females.

6 (Slide)

7 This is the ad that is run in the following
8 journals, The American Medical News, the AMA, Family Practice
9 News, etc. This is the kind of advertising that we are
10 doing, solely this kind of advertising.

11 (Slide)

12 We have had, as I mentioned, extensive interactions
13 with the American Academy of Dermatology and the pediatric
14 **groups**, the obstetricians and gynecologists, the professional
15 pharmacy associations and, of course, we have had extensive
16 interaction with the Slone Epidemiology Unit to develop this
17 follow-up survey.

18 (Slide)

19 I realize there are some aspects to this that are
20 not completely agreed upon but we feel that we live in the
21 real world and that we have to face the situation as it is
22 now and deal with what we can right now. So we have intro-
23 duced this follow-up survey.

24 (Slide)

In terms of patients, we feel that the trend is

1 downward. Here is a graph of usage of the drug in new female
2 patients, starting in 1985 when the latest peak occurred.
3 There is a 29 percent decrease, based on PDS data sources,
4 until 1988. I would like to tell you what happened in 1988
5 and 1989, and the trends are downward as well. Our factory
6 units are down compared to previous years and, in fact,
7 recent PDS data analysis for the first quarter in 1989 showed
8 data that showed a decrease in usage compared to the first
9 quarter of 1988.

10 Interestingly enough, it changed in the male-female
11 ratio. In the past the ratio has been about 50-50 and in the
12 latest survey of PDS it was 59-41, something of that order.
13 So that is 60-40. That is admittedly soft data. I do not
14 pretend to stand here and defend this epidemiologically.
15 That is not our goal. Our goal is to look at the general
16 trend and we feel that that is downward.

17 (Slide)

18 In terms of the pregnancies, I think you have this
19 information in your submission, the total number of mal-
20 formations is 76. We feel that these numbers are closer to
21 reality than the other estimates. I have personally testified
22 in at least half a dozen lawsuits. We have over a dozen
23 lawsuits pending on this very issue in the Company. The
24 American Bar Association has made this the "hit" drug. I
think if we had 1000 malformations, the lawyers would let us

1 know about this and I do not agree with the estimates of 1000
2 such malformations. This is a drug where reporting is quite
3 substantial. We have an increase in reporting of adverse
4 reactions with this drug over 1985 data. So we have a
5 constant level of reports of adverse reactions and the
6 malformation rate, although it is not at zero, is substantial-
7 ly lower than it was 1983 when we had 25 malformations
8 reported; or 1984 when we had 14. In 1988 (sic), as of April
9 30th, we had 2. Admittedly, there is a lag period. We do
10 not pretend to be at our goal yet. We think we are going
11 toward that goal and we are not there yet.

12 The bottom line of the total number of pregnancies
13 I think is also possibly downward but, again, I agree with
14 the critics who say that this is not complete information.
15 This is a spontaneous reporting system. We do not pretend to
16 have absolutely complete data. But given the focus on this
17 drug, we do feel that these numbers are closer --

18 DR. CORFMAN: Can you elaborate on the elective
19 abortion rate?

20 DR. CUNNINGHAM: I think I would say again the same
21 on that, that the elective abortion rate, in fact, is not
22 different from the general population. It is not above the
23 general population. In fact, most of our data indicate that
24 we have less of a problem of pregnancy abortions, etc., with
25 this drug than in the general population. But I do not say

1 that this number is the absolute number. That is clearly an
2 estimate and elective abortions are not completely reported
3 to us, nor are all pregnancies. But we do feel malformations
4 are much more complete in their presentation to us.

5 (Slide)

6 I will briefly go through the survey, essentially
7 just to focus on the fact that we have a voluntary survey.
8 We recognize the possibility for bias in that but we feel it
9 is the best we can do for the present time in a pilot way to
10 see if we can start to get a handle on these numbers.

11 We are going to look at the pregnancy rate and
12 awareness of the teratogenic risk, etc., and pregnancy
13 outcomes in the survey.

14 (Slide)

15 I will show you schematically how the patient can
16 get enrolled. They can either become informed of the survey
17 through their physician and, hopefully, they will do that.
18 Of through this blister pack they can self-enroll.

19 Once they are enrolled, they receive a one time fee
20 of \$10 for doing that. They are then followed up either with
21 a telephone or a mail contact throughout the total follow-up
22 period, which is a total of 11 months. We have tacked on
23 those additional months so that we can see what happens after
24 the drug is discontinued but we realize it is a voluntary
25 survey.

1 (Slide)

2 This is our enrollment at the moment. We have
3 steadily crept upwards and the enrollment has substantially
4 changed in terms of its composition since the blister pack
5 introduction a month ago. So we do feel that that last part
6 of our program has started to have some effect and we are
7 finding that approximately 30-40 percent of the enrollment
8 now is through the blister package.

9 (Slide)

10 In terms of next steps, I will take about two more
11 minutes to summarize and give you what we feel the next steps
12 will be. The blister packaging has just been there a month.
13 So we think it is going to take some time to make its full
14 impact but it is starting to have an impact through the
15 parameters that we have been able to look at.

16 The Slone follow-up study -- remember, this is kind
17 of a unique study. We just started it a few months ago. It
18 got off to a somewhat rocky start with controversy. So it is
19 not exactly the way we would have liked to have gone but,
20 again, we are trying to deal with the real world situation
21 here and we do feel that the impact will come later.

22 We have an extensive CME program which we have
23 started to implement, which will be continuing with the
24 American Academy of Dermatology endorsement, as well as all
of the other communications which you see. We will continue

1 to mail to everyone and advertise very specifically on the
2 issue of contraindications. We have extensive pharmacy
3 interactions ongoing at the moment. We will be continuing to
4 present these aspects of Accutane to the practicing physicians
5 through our professional representatives.

6 I think you should also know that when the profes-
7 sional representative calls on a physician, they are in-
8 structed to emphasize the pregnancy prevention aspect of
9 Accutane, not the efficacy. We do not need to sell efficacy
10 with this drug. That is clearly not what we are doing at the
11 moment either.

12 I would say, in summary, that we intend to monitor
13 the situation and revise this program as necessary. I think
14 that we have implemented something that is doable, that we
15 can live with, that we can hopefully make a significant
16 impact with. But we would like to take your suggestions
17 seriously and implement them where practical.

18 We would also emphasize that we have what we feel
19 'is a model example of cooperation with the Food and Drug
20 'Administration. We have not always agreed on some specific
21 points. We have talked about those points and we have tried
22 to find a solution to the problem.

23 So in summary, I think that we have a situation
24 where we are approaching our goal of reducing the risk of
25 malformations to the minimum. We are showing a substantial

1 decrease in usage of the drug, which is a hopeful sign. We
2 feel we are approaching our goal with a very innovative and
3 creative and, where necessary, to be revised pregnancy
4 prevention program. Thank you.

5 DR. HULKA: Questions?

6 DR. MCKAY: I notice that you do not have any
7 communications, at least formally, with the American College
8 of Nurse Midwives. Would not low income women perhaps be
9 more likely to be seeking nurse midwifery care and family
10 planning clinics? What kind of information is disseminated
11 to them?

12 DR. CUNNINGHAM: I think that is a good suggestion.
13 At the present time, we are looking at the Dermatologic
14 Nurses Association, for example, as a start. We have learned
15 a lot as we have gone on with this. First of all, we have
16 learned that it is not always the physician who counsels the
17 patient; it is frequently a nurse. In this situation it is
18 perhaps a midwife. So we will be looking at all of those
19 options, yes.

20 DR. WENTZ: Would you provide us information about
21 the pregnancies? I would be particularly interested in the
22 age of the patient; whether this was method or user failure.
23 What types of things have you collected that you can tell us?

24 DR. CUNNINGHAM: I think I would summarize by
saying that the situation, as we see it here, parallels the

1 general situation in the United States population. That is,
2 pregnancies occur. They are unwanted. Sometimes they are
3 the result of not using adequate contraception. Sometimes
4 they are the result of not knowing what contraception means.
5 Sometimes they are the result of method failure and sometimes,
6 in this situation, they are the result of starting the drug
7 before the pregnancy test is obtained or about a third of the
8 patients historically with this have been pregnant at the
9 time of initiation of therapy. So we think that the mandatory
10 pregnancy testing at the beginning, with meticulous history
11 taking, etc., is very important.

12 I think the point that Dr. Spraker made on this is
13 important to emphasize in this regard. I have had a lot of
14 concern about this drug going into a regional distribution
15 system, for example, to regional centers because, in my
16 experience and I practice dermatology every week in New York,
17 when you do not have a patient's confidence you really cannot
18 guarantee -- well, you can never guarantee completely but you
19 really cannot have a good sense if they are going to go home
20 with your message. If they come across the State of Iowa, I
21 doubt if they are going to tell you about their sexual habits
22 and their use of contraception and their intent in terms of
23 this. We have had some intentional use of this drug to
24 precipitate situations where an abortion would be required.

So you see the whole spectrum of activity here

1 essentially. What we have tried to do is focus the responsi-
2 bility where it belongs -- on the physician and on the
3 patient. These two people are really in partnership on this
4 issue. We do not feel that the third parties and the
5 distribution schemes, and such, really get to the heart of
6 the problem, that is, the physician and patient.

7 So have I answered your question? A substantial
8 number are pregnant before they get the drug. Some people
9 use contraception rather poorly and they do not use it
10 properly and get pregnant. Others use it and they get
11 pregnant. The **Norplant** and the Depoprovera suggestions are
12 worth thinking about in this regard in this very special
13 circumstance. Yes?

14 DR. **HULKA:** I wonder if Dr. Shalita is here.

15 DR. CUNNINGHAM: Yes, he is.

16 DR. **HULKA:** I would like to ask you a question
17 about this indication, the serious cystic acne. By the time
18 you are prescribing Accutane for individuals with this
19 condition, they apparently already have serious, irreversible
20 skin damage. I wonder if there is any experience with the
21 use of Accutane for less serious acne where there would be
22 the potential to prevent this serious, irreversible skin
23 damage.

24 DR. SHALITA: What a few of us have done, some of
the original investigators, is that we have taken some of the

1 younger children and families where there is a very, very
2 strong family history of severe cystic disease, where the
3 father has a lot of scarring, where we have already treated
4 one of the older siblings -- we have not really had one
5 before they have developed acne and we are a little reluctant
6 to go very young with it but we have treated 14, 15 and 16-
7 year olds.

8 DR. HULKA: I am speaking about more modest forms
9 of the disease currently --

10 DR. SHALITA: Yes, we have treated them before they
11 have gotten severe cystic disease to see whether or not one
12 can modulate the course. The results have been good. That
13 is not the problem. The problem is that the less severe
14 disease to begin with, the more likely they are to get a
15 recurrence. That may have to do with the concentration of
16 drug that is actually delivered to the skin. It is like
17 penicillin getting to inflamed skin.

18 DR. HULKA: Thank you.

19 DR. HANEY: Dermatologists have been treating
20 psoriasis with methotrexate for a long time. That is a
21 potentially hazardous drug as well. Do you have much feeling
22 or experience why this same issue for methotrexate has not
23 blown up?

24 DR. SHALITA: Yes, I think that the problem with
25 methotrexate is a real one. It is also a teratogenic drug.

1 In general, I think that you are treating an older population.
2 You just have to do the comparison between the number --
3 Bill, how many birth defects have we seen with Tegison?

4 DR. CUNNINGHAM: We have not seen any in the United
5 States. We have had a few, especially in the premarketing
6 time.

7 DR. SHALITA: In general, you are treating an older
8 population of patients.

9 DR. CUNNINGHAM: In response to your previous
10 question in terms of what we know about pregnancy, interes-
11 tingly enough, with Accutane the pregnancy curve is bell
12 shaped, it is a few 13 and 14-year olds and a few 45-year
13 olds and the majority are in the 20-40 age range. It is just
14 bell shaped. Women at 40 are still getting pregnant.

15 DR. SCHLESSELMAN: Dr. Cunningham, we saw this
16 morning numerous very graphic portrayals of the benefits of
17 Accutane therapy and equally graphic portrayals of benefits
18 appear in one of the patient information brochures. Why is
19 there no equally graphic portrayal of an adverse outcome?

20 DR. CUNNINGHAM: I could show you some pictures
21 that I have been able to get from textbooks of fetal mal-
22 formations, which I use during my presentations. But we have
23 not had access as a Company to pictures of malformed infants.
24 Some of the cases are in litigation and we do not have access
to them. Others are held by one of the primary investigators

1 who has been reluctant to give us the pictures to use on any
2 of these forms.

3 DR. CORFMAN: There is a drawing on the blister
4 package.

5 DR. CUNNINGHAM: There is a drawing, yes.

6 DR. SCHLESSELMAN: There is a difference between a
7 drawing and a photograph.

8 DR. CORFMAN: Of course.

9 DR. EVANS: We have found that legally this was
10 impossible to do. There are legal implications with this and
11 this is the reason for the graphic representation.

12 I would like to respond to one of the questions as
13 to why we have not had problems with methotrexate and
14 etretinate the way we have with Accutane. Accutane is a one
15 of a kind drug. This is a drug which does something that no
16 other drug will do, which is untrue of methotrexate and is
17 untrue of etretinate. For severe psoriasis we have a number
18 of other treatments which are available. This is not true of
19 severe of cystic acne which has not responded to other types
20 of treatment.

21 DR. MCANARNEY: Dr. Cunningham, as a pediatrician,
22 I am concerned about the issue of understanding the materials
23 that are presented. In working with adolescents we make sure
24 that the materials are directed toward a fifth grade reading
level and I would think probably young people would be

1 reading these materials, as well as people whose educational
2 level may be truncated, for whom these materials would be
3 quite complex.

4 So my question to you is have you considered the
5 possibility of having somebody review your materials for
6 understanding? Are they too sophisticated for the population
7 to which they are directed? And is there any information on
8 how this is handled with adolescents? Are the parents
9 involved in terms of the informed consents or do the young-
10 sters sign the consents themselves? I have a number of
11 questions about the issues of the understanding of the
12 materials, the actual consent and who is doing the consenting,
13 and that may be a whole other topic we ought to be thinking
14 about.

15 DR. CUNNINGHAM: It is a major topic. I think in
16 terms of understanding, you probably realize that we are in a
17 unique legal, regulatory and practical situation where the
18 complexity of the scientific issue is one thing, where the
19 regulations about how things should be is another, where
20 legal constraints are a third. There are some practical
21 aspects to that. We have looked at it. Our indications are
22 that it is at about the highschool level, which is probably a
23 bit too much for some.

24 That is the reason we have some backup systems,
25 however. The 800 number, for example, gives some access to

1 other ways of hearing the message. Again, hopefully, the
2 message is getting across not just in writing to the patient
3 but verbally from the physician or the counselor, whoever
4 that may be.

5 In terms of the use of the consent form and minors,
6 I think that is more or less a case by case situation. We do
7 not, as a Company, have an official policy on that. I think
8 you will find there is a great disparity, probably even
9 around this table, about what you do for consents for minors,
10 whether you have the patient involved. I know there are a
11 lot of issues about everything from birth control information
12 to abortion and any surgical procedure on that. But I do not
13 think we are unique in that regard but we do not have a
14 specific policy on that. We think that here again the
15 physician, patient and in this case perhaps parent needs to
16 be involved. We do not state the specifics of that.

17 DR. ROY: I think this blister pack is wonderfully
18 put together but I wonder whether it is not too large. You
19 said something about the compound being heat sensitive. I
20 would suspect that some individuals would probably just pop
21 out all of the ten pills and put them in something a little
22 easier to carry. Would that impair the efficacy of the drug?

23 DR. CUNNINGHAM: No, probably not. We have been
24 marketing in bottles of 30 or 100 in the past. They are heat
sensitive and they will stick together a little bit but even

1 when they are stuck together after being in the sun, or
2 something, they are not impaired. They are not any more
3 toxic than they are to start with.

4 It is an interesting point about is this too
5 complicated. Again, where do you draw the line between full
6 disclosure -- you want as much information as is necessary to
7 be there, but then you do not want so much there that they do
8 not use it. We have had this criticism of the prevention
9 program. Some people say this is too much; this is unrealis-
10 tic; we are not going to do it. You know, it is a balance.
11 That is the case with this blister package. We have tried to
12 cram everything in there that we could to, again, be the
13 final interrupter, if you will, of therapy if it is ap-
14 propriate for the patient but I need new glasses to read some
15 of the fine print; it is pretty small.

16 DR. ROY: I think one of the central issues are the
17 numbers of cases of malformation. You have stated that you
18 believe that the reported numbers, the ones that we have been
19 provided, are accurate. Dr. Erickson earlier said that he
20 thought it was the tip of an iceberg -- we are at polar
21 differences here.

22 DR. CUNNINGHAM: We had this discussion a year ago
23 when I think that Dr. Lammer made the most salient point,
24 that is, he said that this is not a numbers game. So I do
not really think that I nor Dr. Erickson want to get into

1 that sort of discussion. The issue was not how many; the
2 issue is, you know, if it is your child that is affected,
3 that is one too many or if it is one that you know of or have
4 had experience with or prescribed the drug for. Every single
5 one is obviously a terrible tragedy.

6 So our emphasis has not been on the numbers but on
7 the problem of malformation. We want to get the number down
8 as low as practical. I think everyone realizes that this is
9 the real world and it may not reach zero immediately or next
10 year but, on the other hand, I would like to put in per-
11 spective that we do not believe, from many sources including
12 our legal side, if you will, which is relatively vulnerable
13 in this area -- we do not believe that there are a thousand,
14 as the original estimates were made from the Medicare data
15 base in **Michigan**. But, again, I do not think I would want to
16 make that the issue today. I think the issue is pregnancy
17 prevention, malformations and how do we get to the root of
18 that problem, not how many are there.

19 DR. MCKAY: What would a package of Accutane
20 capsules cost to the patient?

21 DR. CUNNINGHAM: I would put it in terms of the
22 total cost of about five months of therapy, saying it is
23 about \$400-500 for the total cost of the therapy. We have
24 had a lot of questions about that. I think the point that
25 was made earlier that this is a single course of therapy for

1 90 percent of the patients is worth emphasizing, rather than
2 a 2, 3, 5, 10-year course of therapy with **tetracyclines**, for
3 example, which are obviously much less expensive per unit.

4 DR. HOLMES: Dr. Cunningham, I wonder if you could
5 tell us how you plan to explain to us how there has been a
6 reduction in the number of pregnancy exposures this time next
7 year? I am asking this because, as you know, the protocol
8 for the postmarketing surveillance study that is being
9 conducted was rejected in draft form, in its first submission
10 and in its second submission and you have continued with that.

11 DR. CUNNINGHAM: I think I can answer that very
12 easily. In life, one always has the existing situation and
13 then the new situation. Here we have an existing situation
14 where we have a data base of some reliability, although not
15 completeness, since 1982, since the product was marketed.

16 I have mentioned that the adverse data reporting
17 has been relatively flat throughout the period, about
18 3.4/1000 patients treated, and we know the exact numbers of
19 that per patients treated. So that adverse reporting has
20 remained constant. If that remains constant, we do not see
21 why the pregnancy rate should decrease or change out of
22 proportion to the reporting of hangnails and earaches and
23 stomach pains. So we feel that we have a base there that we
24 can look at for the future.

On the other hand, the Slone study will be put in

1 place this year and we will have subsequent years to look at
2 something. I think that in the real world that is what we
3 are facing. We do not start out with knowing exactly how
4 many pregnancies we have today in any system, whether it is a
5 complete restriction of the drug or not, because one does not
6 know what the previous rate was.

7 So in response, I think we will be looking very
8 much toward what has been a reliable system, although
9 somewhat incomplete, for degree of change rather than a
10 completeness of change.

11 DR. HOLMES: What is the enrollment of women?

12 DR. CUNNINGHAM: The present enrollment rate varies
13 somewhat by month and week but the rate last year was about
14 130 women per week. The total usage of the drug last year
15 was about 65,000 new females.

16 DR. HULKA: Thank you.

17 DR. HOLMES: Would you express that as a percentage,
18 please?

19 DR. CUNNINGHAM: It is about ten percent I think.

20 DR. HOLMES: Do you think that is sufficient?

21 DR. CUNNINGHAM: I think we could debate this
22 probably until the Committee meeting is finished tomorrow
23 afternoon in terms of whether that is complete. Obviously,
24 it is not complete. We have addressed this with the Accutane
25 working group within the FDA extensively. So I probably need

1 not dwell on it here. It is a sample. It has the potential
2 for bias. It is the best we can do at the present time.

3 DR. HULKA: I would like to suggest that we stop
4 this part of the meeting now and take a break. Fifteen
5 minutes from now we will reconvene, at 11:00. Then we will
6 continue with the discussion of Accutane.

7 (Brief recess)

8 DR. HULKA: The next person to speak is Dr. Bruce
9 Stadel, with the FDA. He will be speaking on the use of
10 Accutane by women of reproductive age in 1988.

11 PRESENTATION BY BRUCE STADEL

12 DR. STADEL: Thank you very much. I am going to
13 move fairly rapidly through some of my early slides because
14 to understand the concerns about use in 1988, it really has
15 to be viewed in the context of use up to that time. So we do
16 have the 1988 initial slides in that context.

17 (Slide)

18 We are trying to track the drug for the Agency, and
19 it is a drug that is not supposed to be used in pregnancy.
20 There is not supposed to be pregnancy exposure because of the
21 risks associated with it.

22 (Slide)

23 This is our estimate of the male to female ratio for
24 cystic acne and the female incidence. This is, admittedly, a
rough estimate. I would offer, however, that it is based

1 upon population-based data, the National Health and Nutrition
2 Examination Survey; that the criteria for defining acne are
3 very well described and detailed in the report and that the
4 examinations were done by a cooperating group of 200 derma-
5 tologists.

6 We have had to estimate incidence by just taking
7 the prevalence with the available published estimates of a
8 duration of 8-9 years. So it is, admittedly, a rough
9 estimate but it is a data-derived estimate, whereas, the
10 figures that I have heard otherwise seem to be mainly
11 anecdotal.

12 (Slide)

13 This, very quickly, is the total use in mentions in
14 thousands; total use in new start rates from the National
15 Disease Therapeutic Index for Accutane over the years. So
16 despite the publicity and concern, the rate of use continues
17 and you can contrast this against the estimated annual
18 incidence I put up before. So even if that figure were
19 substantially underestimated, we are talking about orders in
20 magnitude of difference; we are talking here about 50,000-
21 60,000 starts per year.

22 (Slide)

23 Again, percent of total Accutane use in women is
24 close to 1:1 ratio, whereas, the best estimate is closer to
25 6:1 ratio for cystic acne in men to women. I might also add

1 that we have seen other data from other sources to support
2 that for more serious acne, from a recent publication of the
3 British Medical Journal.

4 (Slide)

5 So these have been our figures at the time this
6 slide was prepared, that 80-90 percent was being handled by
7 dermatologists and even though it was being handled in the
8 specialty, it appeared from our data at the time, based upon
9 the estimate of 5000, to be a very, very large excess if one
10 accepted that definition of indication, with the conclusion
11 that the majority were being treated for milder acne than
12 seemed to be the indication.

13 (Transparency)

14 Again, one other data base, the PDS data base, also
15 gives a ratio of nearly 1. That is really the only purpose
16 of showing this, just to show a second data base giving the
17 same ratio.

18 (Transparency)

19 I think this slide is important in placing things
20 in context. This population figure is millions. The
21 exposure incidence is number per thousand per year. This is
22 the experience in these countries, aggregating data from
23 1982-1987. What we have done then is to say how many fold
24 greater is the use in the U.S. compared to Sweden, U.K. and
Germany. You see that the excess is a multiple in the range

1 of 6-8-fold greater use in the age range 15-44 in the United
2 States than in other countries of comparable developmental
3 and medical care level.

4 (Transparency)

5 This transparency focuses down on this. In Sweden,
6 where we have recently had communication with the Department
7 of Drugs concerning a considerable study that they have done
8 to examine Accutane use in Sweden, where it is handled
9 through a licensure system, the columns show you the numbers
10 treated of women 15-44 and the totals; the number in the
11 U.S. ; and then the number of patients treated per thousand
12 per year. So you have in this slide a nearly 10-fold greater
13 use in women 15-44 in the U.S. than in Sweden. These are
14 data confirmed by the Swedish Department of Drugs.

15 I might comment in this context also that when they
16 examined geographical variation in Sweden, what they found
17 was that the differences in the rate of use in different
18 regions -- Stockholm versus others in particular -- was not
19 highly predicted or correlated with the differences in the
20 size of the population. It correlated best with the diffe-
21 rences in the numbers of dermatologists in the different
22 cities. So it seems that the difference in the rates of use
23 was more a function of prescribing than of the number of
24 people in the population who were potential recipients.

(Transparency)

1 This is just briefly to remind you that Accutane
2 syndrome reporting, if you look at the right-hand column, has
3 been pretty stable since 1985. Despite a great deal of
4 concern about the syndrome itself, it really has not changed
5 very much.

6 (Transparency)

7 I would emphasize skepticism about the idea that
8 these birth defects are reported with the kind of completeness
9 that Dr. Cunningham suggested. The whole experience with the
10 spontaneous reporting system is that under-reporting is
11 enormous. For example, in DVT-associated deaths, reporting
12 was estimated at less than 10 percent. Similar very low
13 reporting rates have been noted for highly publicized
14 occurrences such as deaths from deep venous thrombosis in
15 oral contraceptive users, in Britain, where reporting,
16 despite a great deal of publicity, was less than 10 percent
17 of what had been derived off population-based figures.

18 You also have to remember that there is an average
19 reporting lag of about five months, to which must be added
20 the gestational lag for the fetus involved and that you
21 really cannot track pregnancy exposure by watching birth
22 defects because it can be driven by abortion rates. So given
23 the pregnancy category of the drug, we have focused our
24 attention on the issue of pregnancy exposure.

1 This moves then right here to the pregnancy
2 exposures that were reported to Roche, which they provided to
3 us, which have again been pretty stable from about 1985 on.

4 I would comment here that there were nine pregnancy
5 exposures reported in the first quarter of this year. All
6 exposures occurred late in 1988. Of the nine, the records
7 indicate that all but one or two were either already pregnant
8 women exposed or became pregnant within one month of initi-
9 ating use. With regard to contraception, one was an apparent
10 OC failure; three reported they had been using barrier rhythm
11 methods; one thought she was infertile and was not using
12 anything; one thought her partner had a vasectomy. The
13 others were uncertain.

14 (Transparency)

15 So this gets us to where there was a great deal of
16 concern through these early years with the reports of birth
17 defects or pregnancy exposures, with very high levels of use
18 relative to orders of magnitude in relation to the indication
19 and experience in other countries.

20 This is difficult to read. I put it up simply to
21 illustrate that we are dealing with specific people as well
22 as numbers. This report of a birth defect came to us in May
23 of 1988. The child had no left ear; hydrocephalus; a
24 deformed right ear. The indication was described as mild
acne and the comments said that the dermatologist did not

1 know about the pregnancy and that the obstetrician did not
2 know about the acne.

3 We think that this anecdote does help to illustrate
4 some of our concern with fragmentation of information, the
5 feedback and the ability to track the situation.

6 (Slide)

7 As you know, the objectives have been to eliminate
8 the pregnancy exposures.

9 (Slide)

10 This slide shows you the use by quarter in 1988.
11 Towards the end of the year there is definitely a drop in
12 total use but, to the extent of the available data which are
13 based upon small numbers, there has been no pattern with new
14 starts. We have been concerned about this because most of
15 these pregnancy exposures occur early.

16 (Slide)

17 This is an estimate of possible pregnancy exposures
18 in 1988. If women on Accutane had been using contraception
19 the same way as population-based data in the article Mishell
20 had applied, if all of these women had been using oral
21 contraceptives, I estimate this figure would still have been
22 over 600 pregnancy exposures in 1988.

23 (Slide)

24 This pretty well wraps it up. If there were 1200
25 exposures, 40 percent would have been drug-induced spontaneous

1 abortions. Of the remainder, the induced abortion rate would
2 probably, from our estimates, have been about twice as high
3 as the general induced abortion rate, for obvious reasons.
4 Of those reaching delivery, about a quarter would have some
5 birth defects.

6 (Slide)

7 My last comment here pertains to the postmarketing
8 study efforts, as has been discussed. I will not go into
9 this. However, the enrollment rate is too low for me to
10 consider it seriously providing useful information on
11 analyzing pregnancy exposure and I submit that it could be
12 actively misleading because of the selective nature of the
13 enrollment.

14 (Slide)

15 So I would conclude with the last slide here that
16 says that use appears to continue to greatly exceed the
17 indication. When I look at the first quarter 1989 data, it
18 does not persuade me that there is a pattern of meaningful
19 decrease. Pregnancy exposure continues to be high and I do
20 not think this postmarketing study is adequate.

21 (Transparency)

22 This is a very close look, month by month, of NPA
23 data for new Accutane prescriptions by dose, month and year.

24 This is a valuable adjunct to NDTI and PDS data because,
especially in NDTI, the projections for new use are based

1 upon such small numbers that they have a very high variance.
2 This shows you that there is a seasonal pattern with new
3 starts. I look at this as not persuasive that the new start
4 rate is changing in the kind of orders of magnitude that have
5 been discussed here with regard to the use of the drug.
6 Thank you very much.

7 DR. HULKA: Questions for Dr. Stadel?

8 DR. HANEY: Dr. Stadel, do we know if the incidence
9 of cystic acne is the same in genetically homogeneous
10 populations, like Sweden, and the known heterogeneity in our
11 population? Do we know that they are the same?

12 DR. STADEL: No, we do not know that. I mean I
13 would have to have a fairly elaborate comparative study to
14 say that I knew that.

15 I showed the data simply because I am trying to
16 place our experience in some kind of perspective with other
17 countries with similar levels of development. I do not have
18 the ability to tell you whether a weighted average by
19 Hispanic, black and white ethnic derivation, for example,
20 would affect these. I would think it very unlikely that it
21 would be that order of magnitude.

22 DR. HANEY: Is it a genetic disease?

23 DR. STADEL: I do not know. You mean specific to
24 an ethnic group as defined by Europe versus the United
States? I do not know. I would be very surprised.

1 DR. GRAHAM: David Graham, FDA. I can add one
2 point to that. There has been one study that I am aware of
3 that is published looking at racial differences and cystic
4 acne in man. In that study they concluded that the prevalence
5 of cystic acne was 10 times greater in white men than in
6 black men. So that is one published piece of information
7 that suggests that prevalence is lower in blacks than it is
8 in whites. If you go back to your ethnically homogeneous
9 populations in Europe, where they do not have substantial
10 black populations, if race is a factor, that would tend to
11 give them higher prevalence than in the United States where
12 we have a substantial black population contributing a lower
13 prevalence.

14 DR. HULKA: Do we have additional questions from
15 the Committee?

16 DR. EVANS: I would like to reply to the question
17 about genetic predisposition. I think, undoubtedly, there
18 is. Dr. Shalita mentioned that earlier. With these patients
19 there is a strong genetic tendency.

20 DR. MCDONOUGH: Within families?

21 DR. EVANS: Yes.

22 DR. MCDONOUGH: I just wanted to ask does anyone
23 know what has been the experience in the United Kingdom in
24 the distribution of this drug, where they limit it to
certified dermatologists? What is happening in countries

1 like Sweden, where they have not allowed it to be dispensed?
2 Is it sort of black market?

3 DR. STADEL: It is used in Sweden. That is the
4 report I showed you from the Department of Drugs.

5 DR. MCDONOUGH: Oh, all right.

6 DR. STADEL: In fact, as I said, it is used at
7 about one-eighth of the rate that it is used here. It is
8 used through a licensure system. It is the only country from
9 which I have been able to obtain a detailed analysis of
10 usage, which was sent over to me recently. The figures that
11 I showed you for the U.K. and for Germany are based on less
12 detailed data; they are based on IMS data on sales compared
13 to the population size. But they correspond fairly well
14 between those different countries.

15 DR. ROY: Bruce, could you just reiterate how many
16 prescriptions you are saying are written annually in this
17 country?

18 DR. STADEL: Well, we said the new start rate in
19 women of reproductive age has run about 60,000-65,000 per
20 year. That is from the NDTI data. Those NDTI data are
21 estimates based upon small numbers and they have a high
22 sampling variation but the pattern has been constant in the
23 new starts from 1983-1988. Although the variance is large
24 for each individual year, when you look across the whole
pattern, it does seem to be fairly stable at that rate.

1 DR. GRAHAM: David Graham, FDA. I can provide a
2 little more information to that. The total number of women
3 treated in the United States, by NDTI from 1982 to the
4 present, is about 400,000, and with the PDS it is 450,000 or
5 so. If you just divide that by the time on, you can see that
6 it is about 70,000 a year.

7 DR. HULKA: Thank you, Bruce. What I would like us
8 to do now is what I mentioned earlier about going around the
9 table, starting with Anne Wentz who is looking so puzzled, to
10 make some comments in terms of our reaction now to prescribing
11 and the various issues that have been addressed here in any
12 relevant domain that you feel is appropriate.

13 DR. WENTZ: I think we have seen convincing
14 evidence that there is over-utilization, although the
15 indication for utilization apparently is in the eyes of the
16 beholder.

17 I think another area that distresses me is that we
18 are learning some lessons about communication. I think I
19 counted 26 communications and I would be very curious to know
20 how many of these communications are simply thrown away by
21 the busy physician. Perhaps a study showing how many of
22 these are actually read would be useful to all of us.

23 But the major thing I think I have learned from
24 this is a real concern -- it was known ten years ago that this
drug is teratogenic and it should have been known because

1 population statistics have been available for what the
2 pregnancy exposure would be and what the pregnancy rates
3 would be. What I am so puzzled about and one of the things
4 that concerns me is why ten years ago this meeting was not
5 being held, a meeting in which we planned for communication;
6 we planned for patient education; we planned for physician
7 education; we planned for responsibility and for account-
8 ability. So my look of puzzlement is really puzzlement of why
9 we are hearing about this today and why we did not hear about
10 it close to ten years ago.

11 DR. NIEBYL: Can I answer briefly that question or
12 at least make a suggestion that ten years ago the pregnancy
13 tests were not nearly as accurate in ruling out a pregnancy
14 as they are now? So some of that has evolved as a technology
15 that is more reassuring to the person who is about to
16 prescribe the drug. Now a negative serum-sensitive pregnancy
17 test really does make it extremely unlikely that a patient is
18 pregnant.

19 DR. WENTZ: Only if the patient begins the medi-
20 cation within three to four days --

21 DR. NIEBYL: Right. I am talking about the one-
22 third of patients who were already pregnant when they started
23 the drug. I would hope that the serum pregnancy test
24 requirement, for example, will eliminate that one-third.

DR. SCHLESSELMAN: Well, this is obviously a very

1 **effective** drug and it is obviously a potent teratogen. I
2 **think** that it is disturbing to know that despite the best
3 intentions of the Company and very strong and repeated
4 **efforts** to prevent pregnancy exposures to Accutane, we still
5 **have** pregnancy exposures to Accutane occurring and Accutane-
6 induced birth defects resulting.

7 I guess I, for one, am a little bit skeptical of
8 **what** more is likely to be accomplished by providing further
9 **paperwork**, if you will, to the prescribing physicians. I
10 think since all of us are caught up in reading and value
11 **education**, we tend to believe in that. But I think despite
12 **all** of the information that has been put out by the Company
13 and the best efforts that the Company uses to prevent
14 **pregnancy** exposures, as long as the drug is marketed there
15 **will** be pregnancy exposures and probably, consequently,
16 **Accutane-induced** birth defects.

17 So despite the claim that this is not a matter of
18 numbers or that we should not be considering numbers, I would
19 disagree with that. Whenever one weighs risks and benefits
20 one has to consider numbers. What puzzles me is the very
21 great disagreement that I have heard with regard to the
22 indications for use of this drug. It is startling to hear
23 the wide divergence in figures reported, say, by the FDA
24 versus the Company.

I think when you go back and hear the statement

1 that the Dermatology Advisory Committee weighed risks and
2 benefits in reaching the decision to allow continued use of
3 Accutane, I, for one, am skeptical about whether there was
4 really good evidence on which to weigh the risks, given the
5 divergence in views that we have heard thus far with regard
6 to risks. I, for one, cannot understand how one could have
7 really come to a rational view about benefits and risks --
8 benefits, certainly. I think that is very clear. But with
9 regard to risks, I think that, to my mind presently, is very
10 much in doubt.

11 DR. ROY: I think all of us have to sort of add to
12 what has gone on, or try to. The thing that disturbs me
13 about what I have heard is once again the disparity in terms
14 of numbers. I think you cannot get away from numbers. I am
15 very concerned that even with this effort to prevent preg-
16 nancy, these pregnancies will occur and will be under-
17 reported and the malformations associated with them, as has
18 been described.

19 I think that despite that, we still need to make
20 the best efforts we can to try to get better data, such as
21 are the malformations more likely to occur in those who start
22 the medication already pregnant versus those who get pregnant
23 while on the medication? I think some of the intervention
24 strategies may be better if we had more clear data on that
25 point.

1 **DR. NIEBYL:** I would reiterate the issue that has
2 been noted about the conflicting data. But I guess I would 1
3 like the opportunity to respond to specific questions. I do
4 not really know enough about this Committee to understand why
5 most of the time we get specific questions and today we have
6 just had some "information". Are we being asked for specific
7 advice as to what to do? In which case, perhaps this could
8 be reviewed at a later date as a series of specific questions
9 to the Committee and we could go back and read the data from
10 the different sources and try to make some sense of it.

11 In the meantime, perhaps we could get some more
12 information one year out in terms of the pregnancy prevention
13 program and whether it has had any impact. That would be a
14 prerequisite. Then maybe after one year of this new program,
15 if it has had an impact, we could review it as a specific
16 question.

17 **DR. CORFMAN:** I would say that is excellent. If
18 you want to recommend that, we might be able to bring it back
19 to the Committee at another time.

20 **DR. NIEBYL:** Maybe give one year or some fixed time
21 for the new program, new efforts to prevent pregnancy and see
22 if it has had any impact.

23 **DR. CORFMAN:** But the purpose of today's meeting is
24 simply to brief the Committee in a brief period of time on
what is going on with the Accutane campaign and to solicit

1 your individual comments. That is the purpose of today's
2 meeting.

3 DR. MCDONOUGH: I think this is a major, major
4 problem that we are going to have to deal with, not with
5 respect to just isotretinoin but with all the vitamin A
6 **congeners**, because there is no doubt that they are **terato-**
7 **genic**, not only on the basis of empirical data here but just
8 the known biology of retinoid and the retinoid receptors, and
9 so on, during early embryonic development. I think it is
10 unequivocal and it certainly even justifies concern for the
11 mega-doses of vitamin A that are sometimes deliberately
12 prescribed or taken during pregnancy.

13 Of course, the second issue is that there is no
14 doubt that the drug is unequivocally effective in severe
15 cystic acne and that the real problem is indiscriminate
16 prescribing. I have sort of even heard here today that one
17 can sort of extend the indications really sort of beyond
18 severe cystic acne into other various categories. So it is a
19 real challenge whether you can actually regulate through
20 various means, through education and finally even through
21 medical-legal constraints the distribution of this particular
22 drug.

23 I think the Company has done an exemplary sort of
24 stab at this to try to see whether or not you, in fact, can
do this. I think that this is perhaps an appropriate

1 occasion to plan to look at this a year later to see even
2 what is going on in terms of trends. Maybe it is not even
3 possible. The numbers of birth defects may, in fact,
4 continue and they may continue to increase and you may find
5 that all the constraints that one can put in, including the
6 medical-legal ones which I think are really considerable --
7 there is considerable pressure on the physician and, in a
8 way, on the Company to make this work. That may be one of
9 the things that may, in fact, make it work ultimately.

10 DR. MCKAY: In considering the pregnancy prevention
11 program, one of my major concerns in waiting a year is how
12 many congenital defects is that worth? Who is going to pay
13 for all the deformed babies while we wait to evaluate the
14 program? I am not talking about just economic costs but the
15 social costs, the parenting costs.

16 I am really concerned about the fragmentation of
17 care and dispensing these kits to dermatologists, for the
18 most part, who are not the ones who apparently primarily do
19 the contraceptive counseling. It seems as if there has to be
20 a great deal more restriction on the prescribing and also the
21 correlation of contraceptive assistance with prescribing of
22 Accutane.

23 DR. MCANARNEY: I would like to make comments in
24 two areas. The first will be objective, hopefully; the
second may be a bit more subjective. Certainly, we have

1 heard today that severe cystic acne is disfiguring. Those of
2 us who are clinicians see this in our practices. I primarily
3 take care of adolescents. We recognize that there are both
4 short-term and long-term physical and psychological sequelae.

5 We have also heard that the treatment of this
6 condition is absolutely critical in order to prevent the
7 physical and psychological sequelae that we have heard about.
8 We have heard that Accutane is effective for the treatment of
9 cystic acne but that there is a down-side with regard to
10 teratogenesis.

11 I would like to raise the question of whether there
12 are any other drugs on the horizon that are being developed
13 which might ultimately replace Accutane. We have heard
14 nothing about that this morning and I do not believe that in
15 our materials we hear about whether there are going to be any
16 other modalities potentially that will be equal.

17 If there are not, and for argument we might say
18 that, then I think we have to begin to address many of these
19 issues that we are considering today.

20 First, we heard about the issues of prescription
21 practices being a problem. That is a focus that I think
22 certainly could be attended to.

23 Secondly, we know that prevention of pregnancy in
24 any groups is a problem, particularly in the groups that have
this particular problem -- certainly the adolescents for whom

1 I care, trying to help them prevent pregnancy, as you well
2 know, is difficult at best. So we are talking not only about
3 the adolescent population but young adult population for whom
4 we recognize, in general, that prevention of pregnancy is a
5 problem.

6 We have heard about the issue of monthly pregnancy
7 tests. I would ask the manufacturer whether there is any
8 possibility of combining the packaging of Accutane and
9 contraceptive pills, for example -- bit the bullet and see if
10 that is possible. I think there are some serious implications
11 here. I would also say that improving of the surveillance
12 with regard to the teratogenesis has been addressed.

13 Those are the objective things. I would like to
14 hear somebody address if there are any other drugs on the
15 horizon. If not, then I think the issue of really focusing
16 on Accutane becomes even more pressing.

17 Now with regard to the more subjective issues, I
18 have raised the issue with regard to the adolescent because
19 that is really what I do most of the time. I am still
20 //concerned about the informed consent; about whether, indeed,
21 the young people who are using this drug are well informed
22 and whether the materials are effectively directed toward
23 them.

24 There are a number of concerns that I have with
25 regard to delivery of health care in this country. Perhaps

1 that is another political issue but we are hearing about
2 fragmentation of care. We are asking whether dermatologists
3 can give contraception; we are asking about whose responsi-
4 bility that is and, I guess, as somebody who originally came
5 out of a primary care background, I am saddened by this
6 because the complexity of what we are seeing is reflective
7 partially of the health care delivery system we live in.
8 That is part of the subjective issues.

9 But basically, can somebody please address the
10 issue of whether there is any other drug being developed on
11 the horizon? If so, what is the time frame with regard to
12 that particular modality?

13 DR. MANGANIELLO: This morning we realized that
14 cystic acne is a debilitating disease and I do not think
15 anyone is questioning the effectiveness of Accutane. I do
16 not think most people would recommend that it be taken off
17 the market. I guess the main concern of our Committee today
18 is basically the effects on the inadvertent exposure to the
19 fetus.

20 I think if the Committee is going to get this again
21 at some future time, rather than being reactive, there should
22 be proactive guidelines which are set down to try to get to
23 the answer of the problem.

24 I work in New Hampshire and there you "live free or
25 die" so I moved to Vermont, where it is a little bit less

1 black and white --

2 (Laughter)

3 -- and there is no system that really is ever
4 perfect to prevent or protect every single individual that
5 you are going to be treating.

6 This morning I was really in favor of having
7 limited access, basically limiting the number of centers that
8 would be dispensing the drug. I guess I could compromise
9 with that and live with the fact that if a physician were
10 actually licensed to administer the drug, if he were trained
11 specifically in counseling patients and administering the
12 drug, being willing to follow mandatory guidelines that have
13 been set forth today, that would be an acceptable guideline.
14 But I also feel that there should be included in that
15 mandatory surveillance of patients who are administered
16 Accutane.

17 Finally, we are going to be speaking about further
18 additions to the guidelines, such as monthly pregnancy
19 testing and monthly distribution of the drugs. Thank you.

20 DR. HANEY: Not to belabor the point, it is an
21 efficacious drug unequivocally, the only effective agent in
22 practice. I think contraceptives must always be viewed as
23 use effective, not just effective. This population, like all
24 populations, cannot count on that. This one in particular is
25 difficult.

1 I do not think I heard anything that the drug
2 should not be available. The question is how to make it as
3 safe as possible. I would like some information in general,
4 if I were the FDA, and I am certainly interested also, one,
5 what is the real incidence of this disease? I do not get a
6 good feeling for that anywhere. Two, I am amazed that there
7 is a 6:1 ratio for the disease and a 1:1 ration in prescrip-
8 tions. Some of that may be attributable for the need for
9 women to have a more improved cosmetic appearance than men.
10 But I would still maintain that there is a lot of uncertainty
11 about what that means.

12 I do not accept that methotrexate is not a problem.
13 There is a segment of that population that is young and there
14 ought to be some information -- maybe we are just not seeing
15 this; not paying attention or whatever, but there has to be
16 some data about methotrexate.

17 I think Dr. **McAnarney's** comment about birth control
18 pills is not so wild and far-fetched. Propione (phonetic)
19 acetate, used in Europe for hirsutism, is formulated with 50
20 micrograms of ethinyl estradiol. Effectively, it is a
21 contraceptive, controls bleeding and reduces androgen
22 exposure. It is the best combination product I can think of,
23 short of what should be available shortly, which is **estrogen-**
24 progesterone for postmenopausal women in a single pill. so I
do not think that is an unreasonable question at all.

1 The comments Anne made and other people made about
2 fragmentation of care, I am not so sure I agree with. Very
3 few dermatologists, internists or family physicians are going
4 to be willing to give Depoprovera or **Norplant** or put in IUDs,
5 particularly Depoprovera which is a non-approved indication
6 as a contraceptive. I would be willing to do that as a
7 gynecologist. I can defend myself. But I do not believe
8 there is a family physician, internist or dermatologist who
9 could possibly do that. So to demand a referral to a
10 gynecologist seems reasonable, (a) for reinforcement and, (b)
11 to make available to these patients options that might not be
12 so available in the standard clinical armamentarium of a
13 primary care physician.

14 I do have a wish list. My wish list is, number
15 one, negative pregnancy test before starting and start on day
16 two of the menstrual cycle. That gives you two days to make
17 sure the period is there. You have a negative pregnancy test
18 and your probability of starting a patient who is already
19 pregnant will be very low. That is true of a variety of
20 drugs we use in gynecology that are teratogenic.

21 Number two, I would recommend that the drug be
22 limited to a monthly prescription. That demands return of
23 the patient to the doctor every month. It is a good oppor-
24 tunity to get a pregnancy test. The physician certainly
25 would not argue with that. These are motivated patients who

1 would not argue with that. So it seems to me, to get a good
2 handle on monthly contraceptive effectiveness, that is a
3 reasonable enterprise.

4 I think every identified failure, every identified
5 pregnancy should be followed up to find out exactly why
6 because there are several levels of responsibility --
7 patient, physician, pharmaceutical company and FDA. If we
8 can identify where the failures in that responsibility occur,
9 I think we will have a much easier time focusing on how to
10 address those problems.

11 DR. BARBO: I agree that this drug should remain on
12 the market. I would hate to have us in a position where
13 patients would be going to black market sources. We would
14 have absolutely no control at all or surveillance of the
15 drug.

16 The responsibility is multiple. I believe the
17 Company has done a great deal in their responsibility. My
18 big concern for us is that physicians can give adequate
19 contraceptive information and health. I just do not know how
20 well dermatologists do that. If they do it, great. But I
21 would hate to think that patients did not get adequate
22 information and continuing information.

23 The patient does have responsibility. I do not
24 know how to mandate that. I have never learned it in 30
25 years. It is a big continuing problem. In this country

1 nobody wants to take responsibility, it seems.

2 I believe that there ought to be a pre-start
3 pregnancy test; a one-month prescription supply; a repeat
4 discussion or evaluation before a second-month supply is
5 given to women. I would like to add that I believe the
6 obstetricians in this country need to be asking much more
7 frequently if a patient is on Accutane or has taken it. I do
8 not think that is very routine in obstetrical histories. We
9 talk about DES, which is almost gone, but I do not think we
10 are asking patients about Accutane these days.

11 DR. HULKA: Well, I will not repeat many of the
12 things that have been said. But I would say that I believe
13 that the prescribing of this drug should be left in the hands
14 of the individual physician, and whether or not to use it or
15 not then should be between the physician and the patient.
16 These are the people who should be involved in the decision,
17 not other organizations, committees or other institutions.

18 A specific point has been made about monthly visits
19 to the doctor once the drug has been prescribed. That would
20 be presumably for all women who are of reproductive age. I
21 would think that might also be a matter of judgment because,
22 certainly, many women in reproductive age are very able to
23 control and manage their own fertility and their use of oral
24 contraceptives or other forms of contraception. So I think
25 that decision should also be an individual one between the

1 doctor and the patient.

2 I would like to very much commend the Company for
3 the way they have so responsive in developing these pregnancy
4 prevention materials and their entire program which has been
5 described to us; also to the FDA and their work in the
6 Accutane monitoring group; and I think most particularly to
7 prescribing physicians because it is really quite a lot that
8 is being asked of physicians which is out of the ordinary in
9 terms of paperwork and the amount of responsibility and the
10 time that that will require of physicians.

11 I think that one of the issues, as we look forward
12 to monitoring and data collection of a variety of sorts, as
13 has been requested -- it is going to be interesting to know
14 what the monitoring of physicians will show. Do some
15 physicians just stop prescribing the drug because it is too
16 much of a problem and too much of a legal responsibility also
17 to continue to do so? So I think there are still issues
18 about how acceptable this packet of materials is going to be
19 in practice.

20 I think there may be potential for other kinds of
21 studies in the future, other than the follow-up study that
22 has been proposed, which may have a greater level of potential
23 validity. There is no doubt that voluntary reporting is
24 rarely an accurate procedure.

The interesting thing about voluntary reports, as

1 it has been noted in many other circumstances, if you are
2 reporting pregnancies and certainly if you are reporting
3 congenital malformations, is that now that it is well-known
4 to the public and to prescribing physicians about the
5 teratogenic effects of Accutane, it is very likely that the
6 bias in reporting will be toward reporting cases of these
7 events that are associated with Accutane. In other words,
8 the bias would move in the direction of showing an increased
9 risk beyond that which may actually be there.

10 So I think there will be opportunities in the
11 future for doing other kinds of studies to evaluate what is
12 going on.

13 We are now going to reassemble the room for our
14 next topic, which is the lactation suppression issue. We
15 will continue on that before lunch but it will take us about
16 five minutes to reorganize.

17 (Brief recess)

18 DR. HULKA: Could we reconvene, please? This is
19 the section on prevention of postpartum breast engorgement
20 with sex hormones and bromocriptine. Dr. Corfman has an
21 announcement he wants to read before we start.

22 DR. CORFMAN: I am supposed to read this into the
23 record. Based on the agenda for the meeting and all reported
24 financial interests as of this date, it has been determined
25 that all interests in firms regulated by the Center for Drug

1 Evaluation and Research which have been reported by the
2 participating members present no potential for an appearance
3 of conflict of interest at this meeting. However, in the
4 event that the discussions involve these firms, all parti-
5 cipants are aware of the need to exclude themselves from such
6 participation and such exclusions will be noted in the
7 record.

8 The other note I wish to make is that the subsequent
9 meetings of the Committee will be February 27 and 28, as I
10 noted. Anne Wentz has told me that the June meeting that we
11 scheduled for next year conflicts with the Endocrine Society.
12 So we will make it June 15 and 16.

13 **DR. HULKA:** Again the dates for our meetings for
14 1990 are February 27-28 and June 15-16.

15 I would like to mention just briefly before we go
16 into the public open meeting, will you please pull out your
17 questions that we are to address on postpartum lactation
18 suppression. It was originally set in our agenda that we
19 would go through all these questions late tomorrow afternoon.
20 If you notice, there are 8 questions and several of them have
21 subsidiary questions. I am suggesting that we take the first
22 5, plus question 6.1, 6.2 and 6.3 today, at the end of the
23 day. These are the questions that deal more generally with
24 lactation suppression and then specifically with the sex
steroids, the hormones. That would mean we would be leaving

1 exclusively for tomorrow bromocriptine and the whole day
2 would be devoted to bromocriptine.

3 But I believe the way these questions, depending on
4 **hòw** we deal with question 1, which we actually answered at a
5 prior meeting, and then questions 2, 3 and 4 go together -- I
6 think these should be questions that we would be very
7 prepared or just as well prepared to address today as
8 tomorrow. So will you please keep those in mind, all the way
9 down through 6.3, while you are hearing today's presentation
10 and discussion? Then at the end of the day we will go
11 through them. Thank you.

12 DR. WENTZ: I want clarification on those dates.
13 We are meeting on a Tuesday and a Wednesday and a Saturday?

14 DR. **HULKA**: Let's hold on those dates for the
15 moment until we can firm those up. I am not even sure about
16 those dates and my availability. So hold on the dates.

17 Let's go ahead with our open public hearing. I
18 understand we have two individuals who have requested to
19 speak. First is Dr. Douglas Teich, representing the Public
20 Citizen.

21 PRESENTATION BY DOUGLAS L. TEICH

22 DR. TEICH: My name is Dr. Douglas Teich and I am
23 an internist and a research associate of Public Citizen
24 Health Research Group, a consumer health advocacy group.

I want to thank the FDA for this opportunity to

1 state our views on this important issue of pharmacologic
2 suppression of lactation and prevention of breast engorgement.

3 First some background -- a year ago our group
4 addressed this Committee with data bearing on the lack of
5 efficacy, minor and serious side effects and regulatory
6 history of bromocriptine (Parlodel). Since there was no
7 demonstrated need for this drug to suppress lactation in
8 postpartum females, it lacked efficacy and it was associated
9 with a wide range of side effects, we argued that this
10 indication be removed from the new drug application approval
11 for bromocriptine.

12 This Committee voted that there was no need to
13 routinely use hormonal drugs to suppress lactation but that
14 bromocriptine should be available to those with specific
15 indications, such as stillbirth. In part, its unwillingness
16 to remove the indication for bromocriptine related to fear
17 that obstetricians would revert to the use of estrogens,
18 widely regarded as more unsafe.

19 On November 29, 1988, we and the National Women's
20 Health Network petitioned the FDA to amend the new drug
21 application approvals for bromocriptine and estrogen and
22 testosterone compounds to delete the indication for the
23 indication for suppression of lactation and the prevention of
24 postpartum breast engorgement.

In addition, we asked that the new drug application

1 approvals be removed from the estrogens Deladumone and
2 Deladumone-OB, as well as TACE, for which this is the only
3 indication.

4 We reviewed the available literature and concluded
5 that there was no demonstrated need for any of these classes
6 of drugs for this indication and that, as a group, they had
7 proven only marginally effective and too unsafe to be used
8 for such an equivocal purpose.

9 On March 15, 1989, having received no response to
10 our petition, HRG wrote Commissioner Young, of the FDA, in
11 support of our contention that postpartum lactation could be
12 managed conservatively and that lactation suppressants should
13 be used rarely or not at all.

14 We had contacted a number of leading obstetricians
15 around the country and found that while bromocriptine was
16 never or only seldom used, TACE and Deladumone were never
17 used and, at some institutions, were felt to be contra-
18 indicated.

19 We also reviewed the regulatory history of estrogens
20 for lactation suppression, including this very Committee's
21 recommendations, both in May, 1978 and again in April, 1984,
22 to withdraw approval for estrogens for this purpose.

23 In fact, the FDA was poised to withdraw the drugs
24 TACE and Deladumone from the market, having proposed a notice
to withdraw the new drug application approvals for these

1 estrogen-containing drugs in October of 1978, when Parlodel
2 arrived. Ten years later, remarkably, these drugs are still
3 widely used for this highly questionable purpose.

4 On April 10, 1989, we received a response from Dr.
5 Young, deferring a formal response to our petition until this
6 Committee offered its advice. He posed the questions: "Are
7 there certain circumstances, albeit rare, under which drug
8 therapy is appropriate for lactation suppression? What drugs
9 should be used and under what specified conditions might their
10 effects on lactation outweigh their well-known risks?

11 We hope that with the information provided today
12 and tomorrow you will answer "no" and "none" to the Commis-
13 sioner's questions and, thus, remove this risk to the health
14 of more than 700,000 women each year.

15 I would like to very briefly review three aspects
16 of this issue only: (a) the question of need for drugs to
17 suppress lactation, (b) the use of estrogens and, (c) the use
18 of androgens for this purpose. At tomorrow's open public
19 hearing I will review our position on bromocriptine.

20 First the need for lactation suppressants: You
21 will be hearing more about this question of need for pro-
22 phylactic treatment for breast engorgement, in women electing
23 not to breastfeed, from Dr. Lawrence.

24 In our survey of leading obstetricians with large
university hospital-based practices, which formed the basis

1 of our March 15, 1989 letter to the FDA, we found that at
2 Johns Hopkins, the University of North Carolina Chapel Hill,
3 Yale, Iowa, UCLA and Brigham and Women's Hospital essentially
4 no lactation suppressants are used. The practice at USC-LA
5 County Hospital, where 16,000-18,000 babies are delivered
6 each year, is representative. TACE and Deladumone are
7 contraindicated and Parlodel is used only under the exception-
8 al circumstance that a woman has had extreme discomfort due
9 to breast engorgement during a previous pregnancy.

10 At other institutions, such as Michigan, University
11 of Texas Southwestern, Mount Sinai, University of Pittsburgh
12 and the UMDNJ New Jersey Medical School, Parlodel is oc-
13 casionally, but not routinely, used and TACE and Deladumone
14 are never used. Androgens are never used at any of these
15 institutions.

16 The one dozen department chairmen polled felt that
17 postpartum breast engorgement is a benign and self-limited
18 condition that could be managed, almost universally, with
19 breast support and analgesics. They felt that all of the
20 medications used to suppress lactation have only marginal
21 efficacy due to the high incidence of rebound lactation when
22 they are stopped. They pointed to the known risk of thrombo-
23 embolism with any high dose estrogen studied, the common side
24 effects of bromocriptine, such as blood pressure swings,
25 dizziness, nausea and vomiting, and the reports of life-

1 threatening or fatal events, such as seizure, stroke,
2 psychotic reaction or myocardial infarction associated with
3 bromocriptine. As Dr. Kenneth Ryan, Chairman of Obstetrics
4 and Gynecology at the Brigham and Women's Hospital, put it,
5 "who needs them?"

6 The use of estrogens: You will be hearing in
7 greater detail from Drs. Wysowski and Stadel, of the FDA, on
8 the risk of thromboembolism associated with estrogens, both
9 generally and when used for lactation suppression.

10 I wish to call your attention to some of the
11 information presented in our petition, in the context that,
12 according to at least one recent paper, puerperal thrombo-
13 embolism is the leading cause of maternal mortality in the
14 U.S. today.

15 In 1978, Dr. Niebyl, now sitting on this Committee,
16 reviewed evidence suggesting that only high doses of estrogen
17 suppressed lactation at all. Given that prolactin levels
18 continue to rise during estrogen treatment, it was not
19 surprising that there was a substantial incidence of rebound
20 lactation once the drug was stopped, if the patients were
21 followed up to several weeks postpartum.

22 At that time, the Committee heard the evidence
23 derived from three large retrospective studies from Great
24 Britain, done in the 1960s, bearing on the risk of venous
thromboembolism in postpartum women receiving estrogens for

1 lactation suppression.

2 The earliest study found an overall 4-fold increased
3 risk of blood clots for those women receiving estrogens,
4 which increased to 10-fold in women aged 25 and older. In
5 this study, all 8 cases of pulmonary thromboembolism were in
6 women who received estrogen to suppress lactation.

7 A second study showed a 3-fold increased risk
8 overall, which increased to 6-fold for women having assisted
9 delivery. A woman aged 35 or more, having had assisted
10 delivery, had 10 times the risk of thromboembolism if she
11 received estrogen to suppress lactation.

12 Finally, the third study again found an overall 3-
13 fold increased risk of puerperal thromboembolism with
14 estrogen inhibition of lactation. The relative risk increased
15 further with increasing age and with assisted delivery, which
16 are, themselves, known risk factors for venous thrombo-
17 embolism.

18 These studies were flawed by the absence of an
19 important placebo control arm of postpartum women not breast-
20 feeding and managed conservatively. All the studies compared
21 women receiving estrogens to women who breastfed. In
22 addition, the British studies involved the estrogens DES
23 (diethylstilbestrol) and ethinyl estradiol, rather than those
24 being considered today. However, the Committee still found
this data compelling in view of what is generally known about

1 the thrombotic risk of estrogens.

2 The 1978 Committee was persuaded by this data
3 because it is completely in keeping with the strong epidemi-
4 ologic evidence relating high dose estrogen use to increased
5 risk of venous thromboembolism. The recommended dose of TACE
6 is 48 mg a day for 7 days, for a total dose of 337 mg, or 200
7 mg over 1.5 days.

8 Compare this with the second generation of oral
9 contraceptive pills, containing 50 ug or 0.05 mg of ethinyl
10 estradiol every day, 3 weeks out of 4, which confers a
11 significantly increased attributable risk of venous thrombo-
12 embolism. According to the 7th edition of Goodman and
13 **Gilman**, ethinyl estradiol is about 20 times as potent as DES,
14 which itself is roughly 8 times as active as TACE. Thus, a
15 course of c'hlorotrianisene for lactation suppression amounts
16 to roughly 0.3 mg of ethinyl estradiol each day for a week,
17 or 6 times the amount contained in a standard oral contra-
18 ceptive, once standard, known to be associated with increased
19 risk of thromboembolism.

20 Epidemiologic studies have shown that the risk of
21 both clinical venous thromboembolism and subclinical throm-
22 bosis, detectable by plasma fibrinogen chromatography,
23 increases during the first month of oral contraceptive use,
24 remains constant regardless of duration of used, and declines
25 to background within one month of cessation. Further

1 analysis of risk during the first week of use is beyond the
2 resolving power of these studies.

3 However, laboratory studies of the impact of
4 estrogens on the coagulation system do shed some light on
5 this issue. Numerous clinical studies have suggested that
6 deficiency of anti-thrombin III, a naturally occurring
7 anticoagulant which inactivates thrombin, activated Factor X
8 and other enzymes involved in clot formation, is accompanied
9 by an increased risk of clinical venous thromboembolism. It
10 is known that women using oral contraceptive pills have
11 significantly decreased levels of functional anti-thrombin
12 III and that the course of this effect parallels the time
13 course of the risk of clinical events, mentioned above.

14 As Dr. Niebyl outlined in her remarks 11 years ago,
15 pregnancy has long been considered a hypercoagulable state,
16 though the mechanisms for this remain far from clear.
17 However, studies have shown that postpartum women have lower
18 anti-thrombin III levels than controls, which gradually
19 return to baseline values.

20 One study comparing women receiving DES or quines-
21 trol lactation suppression, with placebo controls, found lower
22 levels as late as six weeks postpartum.

23 Finally, TACE itself has been shown in a
24 randomized, prospective, placebo-controlled trial to prevent
25 the normal rise of anti-thrombin III levels in postpartum

1 women, though the values in this study remained in the low
2 normal range, not as low as those reported in patients with
3 congenital anti-thrombin III deficiency and venous thromboses.

4 Therefore, it is biologically plausible that the
5 use of high dose estrogens in the first week postpartum may
6 **increase** the risk of thromboembolism. In fact, any other
7 **outcome** would be surprising.

8 We would appeal to this Committee's good judgment
9 in weighing a demonstrated absence of need and lack of
10 **efficacy** against a known risk of uncertain dimension. We
11 would hope that the Committee will recommend, as it has twice
12 in the past, that the FDA remove the indication for lactation
13 suppression from the NDA approvals for these estrogens, and
14 that this third strike means that they are finally out.

15 (Laughter)

16 The use of Androgens: There are several **formu-**
17 **ations** of androgen now approved for the indication of
18 postpartum breast engorgement and pain but not lactation
19 suppression. These include methyltestosterone, **fluoxy-**
20 **esterone** and testosterone enanthate.

21 As is stated in the labeling of **Ora-Testryl**, which
22 is fluoxymesterone, and of Metandren, "there is no **satis-**
23 **actory** evidence that this drug prevents or suppresses
-24 **actation.**" These statements are based on the National
25 **Academy** of Sciences National Research Council Drug Efficacy

1 Study, which cited only one paper supporting the use of these
2 drugs for this indication.

3 We were able to turn up only three papers evaluating
4 the use of androgen for lactation suppression. The first was
5 a 1960 paper, involving only a small number of patients and
6 poor measures of rebound, which found fluoxymesterone
7 moderately effective when compared with placebo.

8 The second was an uncontrolled, non-blinded study
9 of testosterone propionate in 21 patients, dating from 1938.

10 The last was a 1954 study, without controls, in
11 which 125 women were injected with testosterone **cyclopentyl-**
12 **propionate** during labor. Of note, **84/125** women (67 percent)
13 continued to complain of breast discomfort. The only mention
14 of methyltestosterone, the formulation currently labeled with
15 this indication, is a statement alluding to sublingual
16 administration of this agent.

17 The paper notes that breast congestion lasted 24-48
18 hours and occasionally longer, that aspirin and codeine were
19 still required in some cases and that frequent adminis-
20 trations of testosterone were necessary.

21 No study addressed the phenomenon of rebound
22 lactation, nor did any assess the well-known side effects of
23 androgens, such as hirsutism, alteration in voice and other
24 types of virilization.

We believe that there is no evidence of the

1 efficacy of androgens in this context, which justifies
2 subjecting women to their serious risks, which include
3 cholestatic jaundice, peliosis hepatis, or blood-filled cysts
4 in the liver, and hepatocellular neoplasms. We believe that
5 the indication for postpartum breast engorgement and pain
6 should, therefore, be removed from these agents.

7 In summary, we urge the Committee to attend closely
8 to these presentations which follow, always bearing in mind
9 the question: Does the need for any of these drugs, with
10 their very questionable efficacy, outweigh their certain risk
11 of undetermined magnitude?

12 We ask the Committee to recommend the revocation of
13 the new drug applications approval for Deladumone, Deladumone-
14 OB and TACE, and the deletion of the indication for suppres-
15 sion of lactation from bromocriptine, and of the indication
16 for prevention of postpartum breast engorgement and pain from
17 the testosterone preparations. Thank you.

18 DR. HULKA: Thank you. We have someone from the
19 Women's Health Network, if you would please introduce
20 yourself?

21 PRESENTATION BY CYNTHIA PEARSON

22 MS. PEARSON: Cynthia Pearson, National Women's
23 Health Network. I am the program director.

24 As you have heard in Dr. Teich's presentation, we
25 joined with the Health Research Group last fall in the

1 petition to the FDA, asking for reconsideration of the
2 approved indications of drugs presently being used for
3 lactation suppression.

4 I am just going to comment briefly and not go over
5 all the issues about safety that has been done by Dr. Teich,
6 and will be done by the presenters in the future. There are
7 two issues that are important to the Women's Health Network
8 and that we would like the Committee to keep in mind as you
9 listen today and tomorrow to the discussion about bromo-
10 criptine and the sex hormones. One is the rebound effect.
11 What good are we doing women? If we are doing them some good
12 by prescribing lactation suppressant drugs in certain
13 situations, how much good is it if a significant percentage
14 have a rebound later when they are home?

15 The other is need. I attended the Committee
16 hearing last year when you discussed bromocriptine by itself.
17 I heard comments from various Committee members that left me
18 with the impression that many of the Committee felt that the
19 average woman choosing not to breastfeed probably would do
20 fine with support, information, some pain relief, but no
21 lactation suppressant drugs, but there are certain women, in
22 certain cases, where there was a specific need.

23 The things that were mentioned last year that have
24 stuck in my mind ever since were stillbirths and women
needing late abortions, possibly for genetic anomalies. Just

1 talking on a common sense level, as one person to another, I
2 do not think women forget that they have lost a baby or lost
3 the potential of a baby late in pregnancy if their breasts do
4 not engorge with milk.

5 I do not think that the emotional issues of the
6 unwanted end to desired pregnancy are resolved or even helped
7 significantly by lactation suppressant drugs. There is a lot
8 of evidence from other fields of research that grieving goes
9 through the process most quickly and thoroughly if it is done
10 without any drugs. And the kind of side effects that we look
11 for in bromocriptine for their medical import have some other
12 effects just on emotional stability.

13 So I just wanted to take a minute to put those two
14 things out to the Committee. We will not testify again at
15 the open hearing tomorrow. Our comments apply equally to the
16 discussion today on the sex hormones and tomorrow on bromo-
17 criptine. But we would like to ask you to remember the
18 issues of the rebound effect and whether or not the so-called
19 hard cases really do create a special need for these drugs.
20 Thank you.

21 DR. HULKA: Are there any other comments from the
22 floor?

23 (No response)

24 We will then close comments from the floor and go
25 on to our formal presentation. Our next presentation is a

1 review of the non-pharmacological suppression of postpartum
2 breast engorgement. Dr. Ruth Lawrence, University of
3 Rochester School of Medicine, is an invited speaker.

4 PRESENTATION BY RUTH LAWRENCE

5 DR. LAWRENCE: Thank you very much for this
6 opportunity to appear before the Committee. I need to
7 describe my orientation to the subject as a pediatrician and
8 as someone who has spent much of one's life working toward
9 lactation and as a student of the physiology of lactation.

10 As many of you know, I did prepare a brief statement
11 on the physiology of lactation for the Committee last year in
12 which I tried to point out what establishes lactation, and a
13 good deal of what pertains to the establishment of lactation
14 probably pertains to the physiologic adaptation to non-
15 lactation since no matter when lactation ceases, whether it
16 is immediately postpartum or days, weeks or months later, the
17 same physiologic process pertains without benefit of medi-
18 cation or intervention. When women abruptly discontinue
19 lactation for some other reason later, they find that the use
20 of tight brassiere and not much else is quite adequate.
21 Medications are very rarely indicated.

22 We were asked to do a brief study, in Rochester, to
23 follow up on this topic and try and get an indication of what
24 the incidence is of difficulties with women who were not
choosing to lactate.

1 I did quote a study that we had conducted in
2 Rochester two years ago. The primary investigator was one of
3 our nursing graduate students, who was studying intervention
4 for women who chose not to breastfeed and had a desire to
5 test whether manual expression of the breast and hot compres-
6 ses were an appropriate treatment for non-lactators who had
7 trouble.

8 Because a number of points were brought up by this
9 study, which was very thorough, complete and intense in a
10 small group of patients, I wanted to first reveal the details
11 of that study before reviewing the data collection which we
12 made recently for this presentation.

13 I will repeat again that breastfeeding and human
14 lactation is the physiologic completion of the reproductive
15 cycle and is associated, at least temporarily, with ovulation
16 suppression. So it is a physiologic process in which,
17 rapidly after birth, the levels of estrogen and progesterone
18 do drop and the levels of prolactin are sustained.

19 I would also point out that mothers who are
20 lactating do experience a let down on hearing their infants
21 cry or even seeing their infants. This has been shown to
22 trigger release of oxytocin but not a release of prolactin.
23 Prolactin is only released when the baby suckles. So women
24 who are not breastfeeding must probably experience this same
thing when they see, hold or hear their babies. So they may

1 have a little surge of oxytocin. This point has not been
2 actually demonstrated by actual measurements of oxytocin
3 levels but we know that at least seeing and handling one's
4 baby does not increase prolactin levels unless the baby is
5 actually put to breast or the breast is stimulated in some
6 other manner.

7 The studies which have been done in the last two
8 years really are on a very different population than the ones
9 of ten years ago or more because many of the things have
10 changed in postpartum care, probably the **most** significant of
11 which is early discharge. What would have been called ten
12 years ago early discharge is normal discharge. So women are
13 leaving the hospital, if they have not had complications or
14 an operative delivery, in 48 hours. So collecting data and
15 making any comments about it is extremely difficult because
16 the patient has gone home.

17 But in our in-depth study where the patients were
18 followed at home, both by communication where they returned
19 information and where the investigator telephoned them, it
20 was very clear that returning home changed the perception of
21 the problem; that women seemed to suffer less discomfort at
22 home and, because they were ambulatory and up and about, the
23 focus was less on their own personal concerns and more on
24 concerns of their infant, their family and other things. So
it became, if you will, a non-problem in this social circum-

1 stance of early discharge.

2 Now, to review some points about the original
3 study, first of all, it was not a study of the incidence of
4 pain when not lactating, but was intended to investigate a
5 method of treating pain should it occur.

6 The study included a follow up of the first 14 days
7 postpartum and included the perception of breast engorgement
8 and the perception of pain. Then the patients were randomly
9 assigned to the experimental group where the breast massage
10 was used and to a control group of pain perceptions and then
11 a total control group of women who had no complaints of pain.

12 A very significant part of this study was the
13 difficulty in obtaining patients who had any complaints of
14 pain. The investigator used our university postpartum
15 service, where there are over 3000 deliveries a year, and in
16 4 months found a number of control patients who were not
17 lactating but had no pain. But it became very difficult to
18 find any experimental patients who were complaining of pain.
19 So she also went to a second hospital in Rochester, a
20 community hospital with an equal birth rate, however, a
21 higher incidence of breastfeeding, and tried to identify
22 patients who were experiencing pain.

23 She was ultimately able to identify 46 patients who
24 were experiencing pain over a period of time when at least

1 identified as non-lactators. So it gives you a sense of the
2 magnitude of the problem of finding women who were having
3 significant pain.

4 She entered people into the study on the second
5 postpartum day. One of the methods of assessing pain was
6 actually asking the mother to grade the pain herself. She
7 provided the mother with an index card with an analogue of
8 pain description on it. There was a line from 1-10 and 1 was
9 no pain; 10 was pain as bad as one could perceive. The
10 subject returned this card every day for the 14 days.

11 In addition, the investigator inspected the breasts
12 and made her own judgment about the amount of engorgement,
13 the amount of milk and any problems that might have been
14 associated with that.

15 The following observations were made: Involution
16 was considered to have occurred when the mother reported no
17 pain and no milk visible for two consecutive days. She
18 instructed mothers with pain on how to manually express their
19 breasts and found no ill effects from that maneuver.

20 But she also asked another question, which seemed to
21 have a correlation later in the perception of pain, how
22 mothers felt about handling their breasts. Women who were
23 uncomfortable about handling their breasts seemed to have a
24 greater perception of pain.

1 was no significant difference in cultural background of the
2 patients. However, it was noted that married women who were
3 well-educated, who were in a higher socioeconomic group and
4 had private insurance, had considerably less pain and were
5 not in the experimental group.

6 It was also noted that if one looked at the amount
7 of drug that was required during labor, the women who had
8 experienced breast pain had required more drugs during labor.
9 So 57 percent of those experiencing the postpartum breast
10 pain had also had multiple doses of drugs during their labor.

11 In addition, it was noted that mothers did not
12 really note significant pain unless their breasts were hard
13 to touch -- not just firm; not just full; and not soft.

14 On that 10-point scale that she provided for them,
15 no mother, at any time, scored discomfort above a 6, barely
16 above the mid-line. No pain was recorded after 2.5 days. If
17 the mother was not uncomfortable in that period of time, she
18 did not become uncomfortable at home later. The peak time
19 for pain did appear to be at about 3 days.

20 The greatest length of time of engorgement and
21 leaking varied between 3-17 days, with a mean of 9.9 days in
22 the group that had massage and the group that received cold
23 compresses experienced, on the average, some engorgement for
24 8.5 days, and those who had no pain at all had engorgement
for 8.4 days. There is no significant difference between

1 these figures. It all tended to be the same. There was a
2 very clear distinction, with the younger mother having a
3 protracted problem.

4 One other change in the design of the study was
5 that the original study included women who were 20 years old
6 or older. Because of the inability to find women with
7 significant pain, the investigator was granted permission to
8 drop the age down to 18. So the majority of patients in this
9 category who reported pain were between 18-20. Of course, it
10 is a subjective impression and there seemed to be a difference
11 in what different mothers perceived as being discomfort.

12 Based on this, we were attempted a prospective
13 study within the hospital setting to see how many patients of
14 ours today were having difficulty. Therefore, we made up a
15 small sheet which we gave to the primary nurse that took care
16 of the mother on the postpartum floor. Since no mother
17 complained prior to two days, we asked the nurses to fill in
18 this sheet at three days, or if the mother was discharged
19 before three days by a few hours, she also completed this
20 sheet. Again the difficult was that many of our mothers went
21 home within 48 hours and so had no recordings made at all.

22 During the time that we conducted the study, there
23 were only 42 mothers who were not breastfeeding and who
24 remained for the 3 days in the hospital. Of these, 29 were
25 vaginal deliveries. There were 11 primiparous and 17

1 multiparous. Only 8 had moderate tenderness; none had severe
2 tenderness. That was 46 percent of the group. The only
3 medication that they received was acetaminophen, and not all
4 of them required that.

5 We tried to get some information on use of breast
6 binders and found that the use of binders was actually a
7 function of the nurse's conviction of their use and value,
8 and not related to the mother's complaints. After we
9 collected all the sheets I talked to several of the nurses
10 involved, and it did depend on the time at which they had
11 been trained and their own skill at putting on a binder as to
12 how they effective they thought they were.

13 In addition, there were 13 mothers who had had C.
14 sections, 6 primiparous and 7 multiparous. Of this group,
15 actually only 1 was given suppressant medication and she had
16 no pain. There were 7 of the remaining 12 who had not had
17 suppressant medication who had moderate discomfort. However,
18 as cesarean section patients, they were receiving some pain
19 suppressant medication and this totally took care of any
20 discomfort they might have felt. Their complaint was about
21 engorgement and not about pain.

22 It was also noted, because a comment has been made
23 about the effect of tea and coffee on postpartum engorgement,
24 that one mother, for some reason or other, was noted to be
drunk on the second postpartum day and the following day she

1 had significant engorgement and dripping of milk. So
2 **probably** the association of alcohol was an important one in
3 terms of management.

4 Because of the lack of number of patients, we went
5 also to the second hospital that Miss **Bowen** had used in her
6 study to try and collect some data. But the breastfeeding
7 **rate** at that hospital is 75 percent and we did not find any
8 **mothers** who had not been medicated as the non-breastfeeders
9 **belonged** to the same obstetrical group who also happened to
10 **be** ones who used suppressant medication.

11 So because of our lack of data for you, our
12 **lactation** study center took on the responsibility of reviewing
13 **three** months of charts, albeit retrospective, from our
14 **postpartum** service, in January, February and March of 1989,
15 **during** which time, because we have about 300 deliveries a
16 **month**, we projected that there were about 900 deliveries.

17 The front sheets do not tell you whether a **post-**
18 **partum** mother breastfed or not. So we had to pull all 900
19 **chart**. Then, having determined who the non-breastfeeders
20 **were**, we had to review whether or not they were medicated
21 **because** that too was not listed on the front sheet. So we
22 finally arrived at 209 cases in this 3-month period that we
23 reviewed carefully. Our staff at the lactation study center
24 are experienced chart review individuals.

Our postpartum floor does use a very elaborate

1 nursing form that enumerates all of the possible things a
 2 woman could experience in the postpartum period and it has a
 3 check column. So it did not depend on the nursing staff
 4 remembering to record about pain, engorgement, milk, **discom-**
 5 **fort**, medications, use of binder and things like that. So we
 6 felt that our retrospective review of charts was accurate.

7 We also reviewed the order sheets and the medication
 8 sheets and the day sheets, and we found a correlation between
 9 all of these. So we felt that what we had was reasonable.

10 We reviewed then the 209 charts. They were women
 11 who remained in the hospital beyond the second day since
 12 nobody reported pain under 2 days. So that is where the 209
 13 patients came from. Of these, there were 50 who had vaginal
 14 deliveries. Only 50 women had stayed for the third day if
 15 they delivered vaginally and there were 159 cesarean section
 16 patients. In that group, 18 had received lactation **suppres-**
 17 **sant** drug, bromocriptine, and there were 187 who had received
 18 no medication at all.

19 In the group that received no medication, we had
 20 only 2 patients who complained of pain. There were 43
 21 patients who experienced some engorgement and 28 patients who
 22 felt full but only 4 who experienced some dripping of milk in
 23 that time. So the incidence of symptoms was extremely low.

24 A few of the patients were actually given acetaminophen for
 their discomfort. Among the cesarean section patients, as I

1 mentioned before, who were receiving postpartum codeine or
2 Demerol or morphine, had no complaints of pain at all.

3 We noted that all the women who received bromo-
4 criptine took narcotic pain medications, whether they were
5 vaginal or cesarean section patients. Only 91 percent of the
6 women who had no suppressant medication required any nar-
7 cotics. And 21 percent of those who had suppressant medi-
8 cation and 21 percent of the women who had no suppressant
9 used a binder. So there was no difference in that group.

10 So to summarize this small collection of small
11 observations, I would just like to point out again that early
12 discharge from the hospital probably impacts a mother's
13 perception of wellbeing and her perception of discomfort and
14 pain, which seemed to be less at home. Pain medications
15 which are given for other reasons are effective in relieving
16 the discomfort of any postpartum engorgement. The only
17 medications on which were discharged were either acetaminophen
18 and, although it was not prescribed, ibuprofen has become
19 such a common over-the-counter medication that they would
20 have access to that. None of them were actually discharged
21 on narcotic prescriptions.

22 As I mentioned, the binders seemed to be a function
23 of the nurse's perception of their efficiency as to whether
24 or not they were initiated, although patients found them
25 comfortable.

1 Also it was noted that those women who had been
2 prepared for non-lactation seemed to do better, those who had
3 read about it and prepared themselves for their delivery.
4 We noticed the same observation about successful lactation,
5 knowing what to expect and being prepared, they did not seem
6 to think the experience was very bad. As with other problems,
7 the younger woman, the single woman, the unprepared woman,
8 the woman from lower socioeconomic groups, with less edu-
9 cation, experienced more discomfort and the ordering of
10 suppressant medication was done by individual physicians and
11 not by the indications of the patient or the socio-demographic
12 background of the patient.

13 So we concluded in our multiple small observations
14 that the incidence of discomfort, pain and symptoms occurred
15 in only a small fraction of those women who did not choose to
16 lactate.

17 DR. CORFMAN: Before you discuss Dr. Lawrence's
18 **paper** , I would like to acknowledge the special efforts she
19 undertook to have her group do this study that she reported
20 to you. She did it really at our request and actually she
21 and I worked it out on the phone as the only thing to do
22 because the literature on this topic is negligible. The most
23 recent thing we could find was Kokenauer's (phonetic) review
24 that we sent you and it does not really speak to this need
25 issue.

1 I would just like to thank her for the hard work of
2 her group in undertaking this study.

3 DR. LAWRENCE: I apologize for any non-scientific
4 aspects of it. We did not have an investigator to assign to
5 this and did use our postpartum nurses with our clinician
6 trying to proctor this. I feel very comfortable about the
7 chart review because **our** investigators are experienced chart
8 review people.

9 DR. HULKA: Are there questions for Dr. Lawrence?
10 If not, thank you.

11 Is Dianne Kennedy here? Dianne, if we were to have
12 lunch now, would you be able to speak after lunch?

13 MS. KENNEDY: Sure.

14 DR. HULKA: It is now **12:35**. Could we start
15 promptly at **1:35**?

16 (Whereupon, at **12:35** p.m., the Committee adjourned
17 for lunch, to reconvene at **1:50** p.m.)

1 AFTERNOON SESSION

2 DR. HULKA: Dianne Kennedy, of the FDA, will speak
3 about extent of use of sex hormones and bromocriptine for
4 prevention of postpartum breast engorgement.

5 PRESENTATION BY DIANNE KENNEDY

6 MS. KENNEDY: Thank you. I was up here a year ago
7 talking to you about the use of bromocriptine. I have been
8 asked to come up this year and expand on that, to include all
9 drugs used in lactation suppression.

10 What I want to do first though is to remind you of
11 what I was telling you last year that, because of the overlap
12 between the hospitals and outpatient use with the lactation
13 suppression drugs, it is very difficult for us to use the
14 data sources that we have available to us to provide any
15 quantitation on the use of the drugs. However, I think you
16 will find with the data that I am going to show you that you
17 will have a pretty good idea of the types of drugs that are
18 being used and their relative use comparing one to the other.

19 I handed out a two-page list of products that are
20 listed in the Pharmacy Reference (Facts and Comparison).
21 These are the products that are listed there as being
22 indicated for the use in lactation suppression and breast
23 engorgement. They basically fall in one of four categories,
24 bromocriptine, which is a semi-synthetic ergot alkaloid
derivative; then you have estrogens, both oral and inject-

1 ables; the androgens, both oral and **injectables**; and then at
2 the end of the list there are combination products of
3 androgens plus an estrogen.

4 So with the list that you have in front of you, we
5 will take a look at the data from several different data
6 bases. It is actually a patchwork of data bases that looks
7 at several different populations and several different points
8 in the drug distribution pipeline, and we will see what we can
9 find out about what drugs are being used.

10 (Slide)

11 The first data that I am going to show you comes
12 from the National Disease Therapeutic Index. You already saw
13 some data on Accutane use from this data base this morning.
14 Just to remind you, it is based upon reports from a panel of
15 2000 office-based physicians who report on all the patients
16 they see during their assigned 48-hour reporting period each
17 quarter.

18 The thing to remember here is that these are
19 office-based physicians. If they happen to see one of their
20 patients in the hospital during the reporting time, they will
21 report on that patient but this data base does not measure
22 drugs used by hospital-based physicians or residents, who
23 might be the ones that are more likely to be delivering
24 babies in hospital and treating the women afterwards.

(Slide)

1 This table shows us the number of mentions for
2 drugs where the physician is indicating that they are giving
3 these drugs for lactation suppression. You can see along the
4 top line that there seems to be a decrease in the times the
5 physicians are indicating that they are using drugs for
6 lactation suppression. These numbers are fairly small for
7 this data base so that the standard error around them is
8 fairly large. But it looks as if there probably is a
9 decrease in the use of these.

10 Then you can see the specific drugs that the **office-**
11 based physicians indicate that they are using for lactation
12 suppression. Parlodel is by far the most frequently used
13 product. But TACE and diethylstilbestrol do show up consis-
14 tently. The three at the bottom, testosterone, Delestrogen
15 and Deladumone are all injectable products. With this data
16 base you do not see injectable products as frequently as the
17 oral products because they basically are hospital drugs and
18 these are office-based physicians where, most of the time,
19 they are seeing the patients in an ambulatory setting.

20 (Slide)

21 Looking specifically at the three that are used the
22 most frequently by this panel, bromocriptine, chlorotrianisene
23 (TACE) and diethylstilbestrol, we see that when you are
24 looking at the total use of the drug with bromocriptine, only
about 30 percent of its use is for lactation suppression.

1 The majority of its use is as an anti-Parkinson drug. With
2 chlorotrianisene, the majority of its use is for cancer and
3 for something they call hormonal imbalance. With **diethylstil-**
4 bestrol, about 3 percent of its use is as a lactation
5 suppressor, even though that is not labeled that way, and the
6 majority of its use is for cancer.

7 (Slide)

8 The next data I am going to show you comes from
9 Michigan Medicaid, which is based upon paid billing claims.
10 Medicaid data, let me remind you, is for low income families
11 and for aid to families with dependent children. With this
12 data base we have information on outpatient diagnoses,
13 inpatient diagnoses and outpatient drug use. There is no
14 inpatient hospital drug use.

15 The data that we have available to us is from 1980
16 through mid-1988. We developed two cohorts of patients,
17 /those that had a delivery in the hospital and those that had
18 a diagnosis that was consistent with a stillbirth in the
19 hospital. We took those two groups and we looked at prescrip-
20 tions that they had filled at pharmacies within 30 days of
21 having their delivery or their stillbirth. We ranked those
22 and took a look at the drugs that could possibly be used for
23 a lactation suppression. Again, we do not have any direct
24 link between what it was actually used for. So we just went
through and picked out those that could possibly have been

1 used as a lactation suppressor.

2 (Slide)

3 With the delivery file that we have there were
4 37,921 women who accounted for 49,836 deliveries. **Bromo-**
5 **criptine** was again the most frequently used drug in these
6 women, 16 percent of the deliveries received a prescription
7 for bromocriptine within 30 days. Diethylstilbestrol
8 accounted for 1 percent of the deliveries. The other ones
9 did show up but infrequently.

10 (Slide)

11 In switching to the stillbirth file, we only had
12 386 women in that file and they accounted for 407 stillbirths.
13 Again bromocriptine was the most frequently dispensed drug
14 after stillbirth, 19 percent of the stillbirths received a
15 prescription for bromocriptine. TACE was 1 percent. Then
16 the conjugated estrogens and DES shown up again.

17 I should say that with both of these, this table
18 and the one I just showed you, bromocriptine was the number
19 one product that was dispensed within 30 days of delivery.

20 (Slide)

21 The next data I am going to show you comes from a
22 data base that is hospital data. It does have inpatient
23 diagnoses and it does have inpatient drug use. So this is
24 getting a little closer to what we really want to measure.

This data is 1987. It is based on 75 hospitals.

1 It is not projected. These 75 hospitals had 70,664 deliveries
2 during 1987 and 15 percent of those deliveries received
3 bromocriptine while they were in the hospital; 1 percent
4 chlorotrianisene and 1 percent injectable testosterone.
5 Deladumone and Deladumone-OB are categorized as injectable
6 testosterone in this data base. You see that the other types
7 of drugs are showing up but not as frequently as the top
8 three.

9 Unfortunately, I do not have a slide to show you
10 but yesterday I received some new data from this data base,
11 for 1988. The panel of hospitals that they use now is up to
12 80 hospitals and they are projecting the data nationally.
13 They projected that in 1988 there were 5.2 million deliveries
14 in the United States and that 12 percent of those deliveries
15 in 1988 received bromocriptine; 1 percent chlorotrianisene and
16 1 percent the testosterone category that includes Deladumone.

17 I also had them look at drugs that were being used
18 in women who had had a stillbirth in these hospitals. They
19 projected that in 1988 there was a little over 12,000
20 stillbirths nationally and that 39 percent of these women who
21 had had a stillbirth received bromocriptine; 7 percent
22 received Deladumone/Deladumone-OB and 2 percent received
23 chlorotrianisene.

24 (Slide)

The next data come from the U.S. Pharmaceutical

1 Market-Hospitals, which is really quantitative information.
2 The only reason I am showing you this is because it can give
3 us a feel for trends in the purchases of these drugs by
4 hospitals. This data base is based upon paid purchase
5 invoices from wholesalers, private hospitals, city, county and
6 state hospitals and psychiatric hospitals, with data projected
7 to the national level.

8 (Slide)

9 This slide is kind of busy. I do not know whether
10 you can see it in the back but the data are in thousands of
11 tablets or in thousands of syringes, depending on the type of
12 drug that we are looking at. The bromocriptine is at the very
13 top. It is the 2.5 mg strength. It looks as if there has
14 been an increasing trend in purchases for that particular
15 strength. The rest of the drugs on the table all have been
16 decreasing in use in varying degrees. The Deladumone on the
17 table includes Deladumone-OB as well.

18 (Slide)

19 This table is from the National Prescription Audit.
20 It shows prescriptions dispensed from retail pharmacies over
21 time. This is a table that I showed you last year and I just
22 updated it with 1988 data. 1978 was when bromocriptine first
23 came on the market and it received the indication of lactation
24 suppression in 1980. You can see that its use has continued
to increase There were somewhere around 1.3 million

1 prescriptions dispensed in 1988 for bromocriptine.

2 The orange bars on the table are that proportion of
3 total prescriptions that were written by **OB/GYNs** and you can
4 see that it stayed relatively flat over time. They accounted
5 for about half a million prescriptions in 1988.

6 Just to quickly summarize the data that I have
7 shown you, regardless of what type of population we are
8 looking at or what data source we are looking at, probably
9 somewhere between 15-20 percent of deliveries receive a drug
10 for lactation suppression and bromocriptine is by far the
11 most frequently used product. But the other ones are being
12 used.

13 From hospital data it looks as if the women who are
14 experiencing stillbirths are more likely to be given a drug
15 for lactation suppression, maybe as **many** as half of them.

16 That is all that I have, if anybody has any
17 questions.

18 DR. NIEBYL: Can you tell what percentage of the
19 drug prescribed by **OB/GYNs** is for lactation suppression
20 compared to, say, hyperprolactonemia or other indications?

21 MS. KENNEDY: No, not from any of the data bases
22 that we have.

23 DR. NIEBYL: But a third are OB/GYN indications and
24 the other two-thirds last year were for Parkinson's disease,
25 presumably?

1 MS. KENNEDY: Yes.

2 DR. NIEBYL: I guess it must be a pretty large
3 percentage, just thinking of the numbers of patients involved.

4 MS. KENNEDY: Probably. Thank you.

5 DR. CORFMAN: Thanks a lot.

6 DR. HULKA: We will go on and Dr. Lisa Rarick, of
7 the Food and Drug Administration, will present. The topic is
8 Committee recommendations and FDA actions concerning the use
9 of sex hormones for the prevention of postpartum breast
10 engorgement: a review of the efficacy of this treatment.

11 PRESENTATION BY LISA RARICK

12 DR. RARICK: I have the job of trying to update us
13 on how these drugs have gotten where they are today.

14 (Slide)

15 We will begin right off with the androgens.

16 Androgens, as a class, were originally approved after the
17 1938 Food, Drug and Cosmetic Act, most of them in the 1940s
18 and the 1950s. The original Food, Drug and Cosmetic Act
19 required evidence of safety for approval.

20 In 1962 there were drug amendments to the Act that
21 also required evidence of efficacy for continued approval.
22 Since there were many drugs between 1938 and 1962 to be
23 reviewed, the FDA requested the National Academy of Sciences
24 National Research Council to undertake an efficacy review
study for all the drugs that had been approved between those

1 times. This Council was called the Drug Efficacy Study
2 Implementation.

3 The **DESI** Committee, as I will call it from now on,
4 reviewed the androgens in the late 1960s and early 1970s.
5 The requirement was to come up with effectiveness **categori-**
6 **zations** for the drugs that they reviewed. Effectiveness
7 could be considered effective, non-effective, possibly
8 effective, probably effective, etc.

9 For the indication of postpartum breast engorgement,
10 the androgens were given the evaluation "effective but . . ."
11 and that is exactly how it reads. The comments from the
12 panel go on to state that the panel does not know of **satis-**
13 **factory** evidence to support the efficacy for preventing
14 lactation but at this time was in general use and had no
15 imminent hazard to women and was continued to be placed on
16 the effective list.

17 (Slide)

18 In terms of efficacy data, as Dr. **Teich** told you
19 earlier today, to find studies on the efficacy of the
20 androgens is quite difficult. Mechanism of actions is nice
21 to postulate. There are theories discussed in the literature
22 from the '30s to the '60s, some of them giving them the same
23 ability as estrogens for the suppression of anterior pituitary
24 hormones. There was thought that there was a direct effect
to suppress the breast alveolar system. I like the last one,

1 most hormones, regardless of type, will give satisfactory
2 results --

3 (Laughter)

4 (Slide)

5 In terms of actual studies that I could find, we
6 did ask the sponsors, who market some of these products that
7 are currently available, to supply any efficacy reviews they
8 may have but we received no response. I found a few in our
9 own files from the '30s to the '60s.

10 On the left, under author, you will see if they had
11 a comparison group. Most of these did not have a comparison
12 or control study. The last one is a placebo study. You will
13 also note that there are small numbers of subjects. The
14 number of subjects are the actual subjects who were taking
15 the androgen.

16 In the first study we see that they were given
17 testosterone twice a day until they had relief. Interestingly
18 though, these were patients that were begun anywhere between
19 day 3 and 10 postpartum; patients who were already lactating
20 and then decided not to; stopped lactating and received
21 testosterone twice a day. They report excellent efficacy in
22 90 percent but then again, as we have heard today, anybody
23 who stops lactating between days 3-10 may have had relief
24 without any therapeutic medication.

1 study over 36 years. They gave results of 40 percent absence
2 of pain and engorgement. Again, it is hard to know what that
3 means. Garry, in 1956 -- methyltestosterone for 5 days,
4 again, with 44 percent absence of engorgement.

5 The only placebo study here, in 1960, was a
6 fluoxymesterone study, which was actually various dosing
7 regimes, and they could show no statistical significance over
8 placebo.

9 (Slide)

10 Our current labeling for the androgens: Androgens
11 are considered as a class. They have a class labeling
12 guideline for any of the marketed products, which reads:
13 Androgens have been used for the management of postpartum
14 breast pain engorgement. The class labeling guideline goes
15 on to give dosage possibilities for the various androgens.

16 The individual products that contain the indication
17 in the physician labeling also can sometimes add, or have
18 sometimes added that there is no satisfactory evidence that
19 this drug prevents or suppresses lactation, as we heard from
20 Dr. Teich's report. That is all we really have on the
21 androgens.

22 (Slide)

23 Let's go on to the estrogens. Estrogens in
24 combinations -- combinations would include estrogens in
25 combination with androgens. There are also some drugs on the

1 market which are estrogens in combination with other drugs.
2 These drugs, in general, had their original approval
3 again based on the 1938 Act in the 1950s. Because of the
4 drug amendments, during the 1970s the **DESI** Committee again
5 was asked to review the efficacy of the drugs. The **DESI**
6 Committee gave it an "effective but . . ." evaluation and again
7 states that the Panel does not know of satisfactory evidence
8 to support the efficacy of these preparations to prevent
9 lactation. Statements indicating this preparation prevents or
10 suppresses lactation are too optimistic and should be
11 modified.

12 (Slide)

13 You might ask what happened then. For the **estro-**
14 **gens**, in 1976, there were Federal Register notices and
15 relabeling to discourage routine use and to include the
16 potential risks of thromboembolism in the labeling.

17 In 1978, the Obstetrics and Gynecology Advisory
18 Committee at the time reviewed the estrogens for the use of
19 postpartum breast engorgement and recommended that it be
20 removed from the labeling. That year, as Dr. **Teich** told you,
21 there was a notice of opportunity for a hearing, proposing to
22 withdraw approval of this indication for all estrogen-
23 containing products.

24 (Slide)

In 1979 and 1980, the FDA received multiple hearing

1 requests to continue marketing of these products from the
2 sponsors of the products and from the American College of
3 Obstetricians and Gynecologists.

4 Due to the weight of the **sponsor's** reply and the
5 prospects of bromocriptine use, which was being approved in
6 the late 1970s (**1979**), this led the Agency to postpone the
7 hearings. In the **1980s**, there were multiple meetings over
8 this issue. There were many FDA statistical and medical
9 officer reviews for the efficacy and safety data recommending
10 simply more stringent product labeling at the time.

11 (Slide)

12 In 1985, the **DESI** Committee, which still exists,
13 recommended that the safety of estrogens for postpartum
14 breast engorgement be reviewed, with special consideration
15 given to a comparison of benefits and risks of estrogens for
16 this used versus Parlodel.

17 At this time, with the postponing of the hearing,
18 it was most likely due to concerns over possible safety risks
19 of Parlodel use and the Parlodel issue came up last year, in
20 1988, when this Committee met to review the use of **bromo-**
21 **criptine** for lactation suppression. At that time the risks
22 and benefits were unresolved, which brings us to date.

23 (Slide)

24 When we look at the estrogens and their combi-
nations, we should first understand why they would work

1 theoretically. As we know, during pregnancy estrogen and
2 progesterone levels are quite high. At the time of delivery,
3 with the decrease of estrogen and progesterone, the prolactin
4 that is also high is allowed to work at the level of the
5 breast. To give estrogens and their combinations would
6 maintain a high level of estrogen after the delivery to
7 continue to prohibit the prolactin at the level of the
8 breast.

9 The androgens were added through various reasonings
10 in the literature, mostly the theories were to decrease the
11 possibility of estrogen-related adverse effects while
12 contributing their own possible "efficacy" as they were used
13 singly for this indication.

14 (Slide)

15 To look at some studies for the estrogens and their
16 combinations, we will just quickly review various studies for
17 chlorotrianisene, which is an estrogen, and some studies for
18 Deladumone-OB and a few of the others.

19 This slide is on TACE, some studies from the 1950s.
20 You see that the first three have no control groups and the
21 fourth is a placebo study. The number of subjects on
22 chlorotrianisene is given.

23 Nelson's report, in 1953, said that 97 percent were
24 symptom free. This was a question and answer -- Are you
25 symptom free? Yes/no. They showed a rebound of 3 percent.

1 This was a 2-week study.

2 Bennett, in 1954, showed 80 percent with none or
3 mild symptoms. Interestingly enough, although this was not a
4 controlled study, they mention 10 controls in their discussion
5 who actually only had 70 percent of none to mild symptoms but
6 they do not address the issue. Hendrick, in 1954, showed 75
7 percent symptom free and a rebound of only 3-4 percent.

8 Primrose, which was the placebo study, gave a 60
9 percent symptom free interval with 8-day treatment with TACE.
10 Current TACE treatment is actually a 2-day treatment.

11 (Slide)

12 Further studies of TACE go into the 1970s. They
13 would include 3 more placebo type studies. King showed 89
14 percent fair to excellent results with TACE versus 72 percent
15 fair to excellent results on placebo. They do not actually
16 address statistical significance, although I doubt that it is
17 significant. They showed a rebound of 3 percent.

18 Binns' is a placebo study. Again 70 percent
19 preferred TACE. That is all they say. They do not discuss
20 significance or what the placebo group preferred or what they
21 said.

22 Dr. Niebyl is here today and can clarify her study
23 if questions arise. In 1979, she was actually doing a safety
24 issue study but also included in her study some efficacy data
on 99 patients, 53 of whom were on TACE. She showed no

1 difference in breast engorgement or need for analgesics
2 between her groups on TACE and placebo.

3 (Slide)

4 This is a brief summary of her study. On day 3,
5 you see 53 patients on chlorotrianisene. There is no
6 difference between chlorotrianisene and placebo for breast
7 engorgement or percent using analgesics. At day 8, you
8 notice that there is some loss to follow up. Certainly half
9 of the patients are not available. But again there is no
10 difference between breast engorgement and percentage using
11 analgesics and there is no statistically significant dif-
12 ference in patients satisfied with their drug.

13 (Slide)

14 For Deladumone-OB we have similar types of problems
15 with studies in terms of comparisons and no very good double-
16 blind studies. Stein, in 1958, gives 31-71 percent effective-
17 ness. This was 5 different dosage groups in 253 patients.
18 Their rebound was 21 percent.

19 LoPresto had 4 differing groups in 197 patients in
20 terms of doses, giving 60-90 percent "effectiveness rate".
21 There is no comparison there.

22 Watrous did a comparison group but it is not a
23 double-blinded study. He had 7 dosage groups in 132 patients
24 and showed 70-80 percent effectiveness versus 40 percent for
his patients on no medication.

1 Barns' is a placebo study with 12-16 percent
2 rebound. As you can see, he had fair to good results in 54-
3 96 percent, depending on which subjective finding you are
4 looking at, or objective -- lactation, pain, tenderness and
5 engorgement, versus 20-30 percent for placebo.

6 (Slide)

7 To continue with Deladumone into the '60s, Jones
8 did have a control group that did not receive a placebo and
9 54 percent of his Deladumone patients were symptom free
10 versus 32 percent with no drug. He did have 6 different
11 groups in 153 patients. No statistical significance is
12 discussed.

13 Bare's is a placebo study, showing 79 percent
14 effectiveness with Deladumone versus 30-60 percent in
15 placebo. But they could find significance only on day 3 and
16 4 in their 7-day study.

17 Iliya's was a placebo study. They showed 90
18 percent effectiveness versus 30 percent effectiveness for
19 pain.

20 (Slide)

21 For the other drugs that were mentioned as possibly
22 still being used, I just briefly mention diethylstilbestrol
23 and can only find articles from quite a long time ago,
24 showing, sort of on the category of the androgens, 26-46
25 percent absence of symptoms.

1 For ethinyl estradiol, an article in 1947, 58
2 percent absence of symptoms, with a rebound of 16 percent.
3 Primrose, primorin-methyltestosterone combination, showed 48
4 percent absence of symptoms.

5 (Slide)

6 Our current label of the estrogens and their
7 combinations -- as you may know, the estrogens, the non-
8 contraceptive type, have a class labeling, as do the andro-
9 gens. It includes a labeling section entitled "information
10 for the patient" which is also the patient information
11 pamphlet given to each patient who takes the drug or is
12 prescribed a drug containing any estrogen.

13 The information in the patient section has a
14 section for "uses" and number 5 is to prevent pain and
15 swelling. Then it goes on with a paragraph discussing the
16 estrogens to prevent swelling of the breast after pregnancy,
17 where the risk of thromboembolism is mentioned.

18 (Slide)

19 The estrogens which actually choose to include this
20 in their physician labeling also include the statement,
21 "control studies have demonstrated the incidence of signi-
22 ficant painful engorgement in patients not receiving such
23 hormonal therapy low, usually responsible to appropriate
24 analgesic or other sort of therapy. Consequently, the
benefit to be derived from estrogen therapy for this indi-

1 cation must be carefully weighed against the potential risk
2 of puerperal thromboembolism associated with the use of
3 estrogens".

4 In conclusion, I think we have seen for the
5 androgens, the estrogens and their combinations multiple
6 studies. Few, if any, are double-blinded or have adequate
7 follow up.

8 We can certainly understand the DESI Committee
9 review and reservation at the time of their categorization of
10 efficacy. At most, these drugs may be mildly effective, both
11 theoretically on the basis of possible mechanism of actions,
12 or by various analyses by different interpreters of these
13 data.

14 But in light of our current question of need and
15 possible safety questions with these drugs, we ask the
16 Committee to advise us on further use and labeling of these
17 products. Thank you.

18 DR. HULKA: Questions? Dr. Teich?

19 DR. TEICH: Dr. Teich, from Public Citizen. I just
20 wanted to underscore that in addition to the multiple
21 problems with these studies that you alluded to, there was no
22 standard look at rebound effects. If you go across the whole
23 range of studies of all the different compounds, it is not at
24 all standard whether or not rebound lactation was even looked
for, much less when it was looked for and how it was looked

1 for.

2 DR. RARICK: Right.

3 DR. TEICH: I just think that that also calls into
4 question the efficacy of those drugs.

5 DR. RARICK: Exactly.

6 DR. HULKA: Dr. Diane Wysowski, from the FDA, will
7 be speaking on safety of sex hormones for prevention of
8 postpartum breast engorgement.

9 PRESENTATION BY DIANE WYSOWSKI

10 DR. WYSOWSKI: Sex hormones have long been used in
11 this country for prevention of postpartum breast engorgement.
12 But what do we know of their safety for this indication?
13 Unfortunately, not very much.

14 I will review what we do know about the safety of
15 the estrogens, the safety of the androgens and the safety of
16 the androgen-estrogen combinations for the prevention of
17 postpartum breast engorgement. I will be using data from the
18 FDA's spontaneous reporting system and from epidemiological
19 and clinical studies from the literature.

20 Before proceeding, I just want to say a few words
21 about the FDA's spontaneous reporting system. It is a
22 reporting mechanism for postmarketing surveillance of adverse
23 drug reactions. It has been in operation since 1969, and
24 this is relevant since most of the sex hormones that we are
25 going to be discussing today were approved back in the '40s

1 and the '50s.

2 Spontaneous reports are primarily from physicians
3 who report adverse reactions to pharmaceutical companies who,
4 in turn, are required to report the information to the Food
5 and Drug Administration. Because of problems with under-
6 reporting and interpretations of causality, we have used
7 spontaneous reports as possible signals of adverse drug
8 reactions.

9 (Slide)

10 With that as background information, let's turn to
11 the safety of estrogens for lactation suppression. Let's
12 begin with an old drug, Premarin, conjugated equine estrogens,
13 marketed in 1942.

14 The 1988 PDR lists prevention of postpartum breast
15 engorgement as the last-mentioned indication for this drug.
16 Note that the daily dose for lactation suppression is much
17 larger than that for menopausal symptoms but, of course, the
18 duration of use is much shorter.

19 I reviewed spontaneous reports of adverse drug
20 reactions for Premarin from the FDA's spontaneous reporting
21 system. There were 160 reports in childbearing age females.
22 Of these, there were 2 reports of thrombophlebitis; 1 report
23 of puerpera; 2 reports of cerebrovascular accidents; 2 of
24 pulmonary emboli; and 1 of cerebral infarction.

But a hands-on review of each of these reports

1 showed that none of the women had used Premarin for lactation
2 suppression. There are no known epidemiological studies or
3 clinical studies of Premarin concerning its safety for
4 prevention of postpartum breast engorgement.

5 (Slide)

6 Diethylstilbestrol is another old drug, a synthetic
7 estrogen, approved in 1941. The indication for prevention of
8 postpartum breast engorgement was removed by the Company in
9 1981. But, as Dianne Kennedy reported earlier, it is still
10 used for that indication. The dose for lactation suppression
11 is similar to that used for breast cancer.

12 There were 239 spontaneous adverse reaction reports
13 in females of childbearing age, almost all of which were
14 reproductive abnormalities in daughters of mothers who took
15 DES during pregnancy. There was only 1 report of thrombo-
16 phlebitis in a patient who took DES and concomitant TACE for
17 prevention of postpartum breast engorgement. So she was
18 getting a much larger than recommended dose of estrogen.

19 (Slide)

20 There have been 2 epidemiologic studies concerning
21 thromboembolism with DES for lactation suppression. One
22 study was done by Daniel and others, in Cardiff, Wales, in
23 1965 and 1966. They compared the incidence rates of thrombo-
24 embolism in lactating versus non-lactating women who presu-
mably used DES for lactation suppression. But this was not

1 definitely known for each woman.

2 They found that the incidence of thromboembolism
3 was 2.5 times greater in non-lactating versus lactating women
4 and 10 times greater in non-lactating versus lactating women
5 25 years and older or low parity.

6 The primary problem with this study is that the
7 dose of DES used for lactation suppression was 210-300 mg,
8 which is 7-11 times the total recommended United States dose
9 of 30 mg.

10 Another problem with this study was the failure to
11 estimate the effect of DES independent of other risk factors,
12 such as age and operative delivery. So it is not possible to
13 **determine** the contribution of DES to the risk of **thrombo-**
14 **embolism** from this study.

15 (Slide)

16 A second epidemiologic study, done in Scotland,
17 compared the incidence of thromboembolism in lactating versus
18 **non-lactating** women, who also presumably received DES for
19 **lactation** suppression.

20 The dose of DES was 80 mg, nearly 3 times higher
21 **than** the recommended dose in the United States. The **investi-**
22 **gators** found a statistically significant, 2-fold greater
23 incidence of thromboembolism in non-lactating versus lactating
24 **mothers**. But when results were standardized for parity and
25 **method** of delivery, the incidence of thromboembolism remained

1 higher in the non-lactating versus the lactating group but
2 the difference was no longer statistically significant.

3 In addition to these epidemiologic studies, there
4 have been 2 clinical coagulation studies in women receiving
5 DES for lactation suppression.

6 (Slide)

7 The first study was conducted by Daniel and others,
8 of the Cardiff, Wales, group that I mentioned earlier. They
9 found that the mean level of clotting Factor IX for the DES
10 group was significantly different from the mean levels in
11 women lactating or using natural suppression methods. The
12 mean level for the DES group was above the range accepted as
13 normal.

14 But, again, these findings relate to high dose DES,
15 7-11 times that recommended in the United States. So it is
16 not possible to extrapolate these results to women who use
17 DES in the United States for lactation suppression.

18 (Slide)

19 The second clinical study tested clotting factors
20 in 10 women breastfeeding, 11 given DES for lactation
21 suppression and 25 normal, non-pregnant women. They found a
22 delay of at least 3 weeks in the return to normal anti-
23 thrombin III activity in the DES group compared to the
24 breastfeeding group, whose anti-thrombin III activity
reverted to normal 1 weeks postpartum.

1 But, again, the dose and duration of administration
2 of DES in this study was greater than that for the United
3 States. In any case, the biological significance of these
4 findings is uncertain.

5 (Slide)

6 Let's turn now to another estrogen for prevention
7 of postpartum breast engorgement, Delestrogen. Dianne
8 Kennedy informed us earlier that Delestrogen is being used a
9 little for lactation suppression.

10 Here you see its profile. It is estradiol **valerate**
11 injection, approved in 1954. Lactation suppression is the
12 last indication of 7, in a dose comparable to that used for
13 menopausal symptoms.

14 A review of the FDA's spontaneous reporting system
15 showed 16 reports in females of childbearing age, including
16 no reports of bleeding; 1 report of hypertension; 1 report of
17 pseudotumor **cerebri**; and 1 report of hepatoma. But none of
18 these reports had lactation suppression as the indication.
19 There are no known epidemiological or clinical studies
20 concerning the safety of Delestrogen for prevention of
21 postpartum breast engorgement.

22 (Slide)

23 However, there was 1 study of ethinyl estradiol,
24 conducted by Jeffcoate and others, in Liverpool. The methods
25 were very confusing but they claimed a 3-fold higher incidence

1 of thromboembolism in non-lactating versus lactating women,
2 although the effect was seen primarily in older women with an
3 operative delivery. So, again, we are left with the question
4 of what is the independent effect of estradiol on **thrombo-**
5 embolism.

6 (Slide)

7 Chlorotrianisene (TACE) is currently the most
8 frequently used estrogen drug for lactation suppression. You
9 can see its profile. It too is a relatively old drug, having
10 been approved in 1951, with prevention of postpartum breast
11 engorgement as its number one indication.

12 The daily dose is at least twice that used for
13 menopausal symptoms but, of course, it is taken for a much
14 shorter duration.

15 (Slide)

16 Because of the importance of this drug for lactation
17 suppression, we wanted to show you all the reports in the
18 FDA's spontaneous reporting system for females in the
19 appropriate age groups.

20 (Slide)

21 You can see the counts: No drug effect has the
22 largest number, with 27 reports. There is 1 report of
23 pulmonary embolus; 1 of intracranial hemorrhage; 2 of
24 phlebitis; 1 of cerebral thrombosis; 2 of thrombophlebitis.

(Slide)

1 Mostly there are just one of two reports for each
2 reaction.

3 (Slide)

4 In addition to the 27 reports of no drug effect
5 that I mentioned earlier, there were also 7 reports of breast
6 engorgement for TACE and 3 reports of breast cancer in 2
7 individuals.

8 I reviewed many of these reports and several
9 mentioned extenuating circumstances. The report of cerebral
10 thrombosis involved an overdose of TACE. One of the two
11 reports of thrombophlebitis involved a woman with a history
12 of thrombophlebitis and pulmonary embolus. The second report
13 of thrombophlebitis involved an obese woman, previously
14 mentioned, who simultaneously was taking DES and TACE for
15 lactation suppression. One of the reports of breast cancer
16 was in a woman who reportedly took TACE for ten months for
17 birth control. The other report of breast cancer just said
18 "alleged breast cancer in a mother of four children" and gave
19 no other information.

20 (Slide)

21 There have been no known epidemiological studies of
22 adverse reactions for TACE. However, there has been one
23 double-blind, randomized, controlled clinical trial of TACE,
24 conducted by Niebyl and others, in which coagulation measures
25 were done in 24 women randomized to TACE and 26 randomized to

1 placebo.

2 Anti-thrombin III values were significantly lower
3 in the TACE versus the placebo group on day 3 postpartum but,
4 nonetheless, were within normal limits. So the biological
5 and clinical significance of these findings is not known.

6 Now I would like to turn to a review of the
7 androgens, methyltestosterone and fluoxymesterone, used for
8 prevention of postpartum breast engorgement.

9 (Slide)

10 Here is Android, approved in 1981. Prevention of
11 postpartum breast engorgement is the second indication of two
12 for females. The doses are similar to those used for breast
13 **cancer**.

14 There were no spontaneous reports of adverse
15 reactions for this drug in the appropriate female age groups.
16 There are no known epidemiological or clinical studies of
17 **Android** concerning its safety for prevention of postpartum
18 breast engorgement.

19 (Slide)

20 Metandren is another methyltestosterone drug,
21 approved in 1940. It has prevention of postpartum breast
22 engorgement as the second of two indications for females.
23 **Then** the labeling makes a contradictory statement: There is
24 no satisfactory evidence that this drug prevents or suppresses
lactation. Note that the dose for lactation suppression is

1 less than that used for breast cancer.

2 There are no spontaneous reports for prevention of
3 postpartum breast engorgement and no known epidemiological or
4 clinical studies of Metandren concerning its safety for
5 lactation suppression.

6 (Slide)

7 The same holds true for **Oreton** Methyl --

8 (Slide)

9 -- and for **Testred**, two other methyltestosterone
10 drugs. There are no spontaneous reports for prevention of
11 postpartum breast engorgement and no known epidemiological or
12 clinical studies concerning its safety for lactation **suppres-**
13 **sion.**

14 (Slide)

15 This lack of information also holds true for
16 fluoxymesterone. Here is Android-F, with no spontaneous
17 reports; no epidemiological studies and no clinical studies
18 concerning the safety for lactation suppression.

19 (Slide)

20 The same with **Ora-Testryl**. Again, the labeling
21 gives prevention of postpartum breast engorgement as an
22 indication, followed by the statement that there is no
23 satisfactory evidence that this drug prevents or suppresses
24 lactation.

25 (Slide)

1 I put Halotestin up here, just to show you that
2 although it is a fluoxymesterone drug, it does not give
3 prevention of postpartum of breast engorgement as an indi-
4 cation for its use.

5 (Slide)

6 Finally, I would like to turn to the **androgen-**
7 **estrogen** combinations. Deladumone-OB is a **testosterone-**
8 **estradiol** injectable, approved in 1955, with prevention of
9 postpartum breast engorgement as its sole indication.

10 (Slide)

11 Here are all the spontaneous reports for Deladumone-
12 OB for females for prevention of postpartum breast **engorge-**
13 **ment**. There are 13 of no drug effect and several injection
14 site reactions, as you can see.

15 (Slide)

16 There is 1 report of 3 individuals with pulmonary
17 embolus; 1 report of thrombophlebitis.

18 (Slide)

19 There are 5 reports of virilism; 8 of voice
20 alteration; and 21 of hirsutism. The report that says apnea
21 of larynx was actually a report of cardiopulmonary arrest and
22 it was coded here as apnea.

23 (Slide)

24 There are 3 reports of breast engorgement, in
25 addition to the 13 that I mentioned previously of no drug

1 effect. There are several of vaginal bleeding.

2 As an aside, the report for the woman who arrested
3 stated she had received spinal anesthesia and one hour later
4 Deladumone-OB. Five minutes later she had a seizure followed
5 by cardiopulmonary arrest. Resuscitation was successful.

6 There are no known epidemiological or clinical
7 studies concerning the safety of Deladumone-OB for prevention
8 of postpartum breast engorgement.

9 (Slide)

10 Next is Deladumone. It is similar in formulation
11 to Deladumone-OB, with the same amount of testosterone but
12 half the estradiol. Prevention of postpartum breast engorge-
13 ment is the second indication of two.

14 (Slide)

15 Here are the spontaneous reports for Deladumone.
16 The 3 reports of pulmonary emboli were all for the same
17 patient.

18 (Slide)

19 There is 1 report of cholestatic jaundice; 3
20 reports of voice alteration; nothing much more.

21 (Slide)

22 There are 4 reports of hirsutism; 1 report of
23 breast engorgement.

24 Again, there are no known epidemiological or
clinical studies concerning the safety of Deladumone for

1 lactation suppression.

2 (Slide)

3 There is only one study known to me concerning the
4 long-term effects of any of these drugs. That is this one,
5 by McTiernan and others. It is a case control study of
6 thyroid cancer in which parous women who had ever used an
7 estrogen-containing lactation suppressant were found to have
8 1.7-fold increased risk of thyroid cancer. These results
9 have not been verified.

10 There are no other studies concerning associations
11 of any of these sex hormone drugs when used for prevention of
12 postpartum breast engorgement with breast cancer or with any
13 other illnesses with long latency periods.

14 In summary, there is a paucity of good, definitive
15 data on the acute and long-term effects of sex hormones used
16 for prevention of postpartum breast engorgement. Consequent-
17 ly, we are left with mostly theoretical safety concerns,
18 primarily about thromboembolism and coagulation problems with
19 the estrogens, with little definitive data to either prove or
20 to lay to rest these safety concerns.

21 DR. HULKA: Comments? Questions?

22 (No response)

23 Dr. Stadel will have some further comments on
24 potential risks of this treatment.

1 DR. STADEL: Those of you who know me, may ap-
2 preciate that when I am not sure what to say I talk about
3 oral contraceptives and vascular disease.

4 (Laughter)

5 (Slide)

6 So I am just going to comment on some perspectives
7 because I think you have had an accurate description of what
8 is available in the literature. There have not been the
9 kinds of studies of these regimens, as they are presently
10 used, which allow us to quantify risk.

11 On the other hand, we do know that at certain
12 levels of exposure estrogens clearly do cause venous thrombo-
13 embolism and I think it is worth considering the magnitude of
14 that in relation to what the exposure is and then some other
15 considerations, hoping that you can arrive at some intuitive
16 feel for what you are dealing with in terms of risk because
17 we are clearly not going to give you a quantitative figure.

18 For mostly 50 ug oral contraceptives, these are the
19 incidence figures in current users for idiopathic venous
20 thromboembolism, meaning when there is no evident predisposing
21 condition; the incidence in non-users and the relative risk
22 and the attributable risk, which is the more important
23 figure.

24 Perhaps the most important column for what I want
to say here is this one, postoperative venous thrombosis.

1 There are about 31 **cases/10,000** surgical procedures evidently
2 caused by having been on the pill at the time. So you are
3 looking at **3/1000** women getting venous thromboembolism as a
4 consequence of being on the pill at the time that they
5 underwent surgery.

6 I use that as an example because it will at least
7 allow some qualitative comparison perhaps to the state of the
8 vasculature in the postpartum period where you do have known
9 vascular changes; you have dynamic changes, which may produce
10 some of the predisposing elements for thrombus formation.
11 This is generally referring to deep vein thrombosis.

12 (Slide)

13 So women taking 50 ug pills at about a **3/1000** risk
14 -- I want to mention before I move on that the percentage of
15 women on these types of pills who reach apparently critically
16 low levels of anti-thrombin III activity, in the non-user it
17 is about 2 percent of women who have borderline levels and
18 that is increased to about 16 percent of women. So that is a
19 perspective on the biochemical end of it. You have this in
20 terms of the actual events and where the shift is in terms of
21 the anti-thrombin system.

22 Then you go to the other extreme and that is women
23 taking typical low dose hormone replacement therapy, estrogen
24 alone in the amount of 0.625 mg or 1.25 mg of Premarin. The
25 literature shows no increased risk venous thromboembolic

1 disease at that rate of daily ingestion. It has been studied
2 in a number of studies and nothing has turned up.

3 Correspondingly, when you look at the impact on the
4 anti-thrombin system, there is only a small decrease in mean
5 anti-thrombin activity and there is a distribution change.
6 It is not analogous to 50 ug of estrogen. It sort of appears
7 that for most women that amount of estrogen is below the
8 level which produces much of a problem, if they do not have
9 predisposing factors because those studies, of course, are
10 not in women who just underwent surgery or just underwent
11 delivery.

12 So I am giving you two extremes. One is a known
13 risk in a group with analogous predisposing conditions. The
14 other extreme is that there does not appear to be a risk at
15 the level of women on hormone replacement therapy.

16 The part that none of us can answer in any quanti-
17 tative way is how do these two extremes of exposure that I
18 have just described, oral contraceptives and hormone replace-
19 ment therapy, relate to giving 72 mg of chlorotrianisene
20 every 12 hours for 4 doses and how do they relate to giving
21 DES 15 mg a day times 7 days? Those 2 dosage regimens have
22 produced reductions in anti-thrombin III activity, which
23 really delays more the return of the normal postpartum
24 return. We normally have a return fairly rapidly. It
appears that when the drug is given to suppress lactation, it

1 delays that. So there is a window of potential risk in
2 someone with predisposing changes in the vasculature for
3 putative disposing changes.

4 With that somewhat unsatisfactory statement, that
5 is what I leave you with. I think what it says is that on
6 the spectrum of risk here and no risk at the other extreme,
7 you have a position which you can only arrive at by judgment.
8 I cannot give you a firm quantitative figure. I cannot give
9 you any quantitative figure of where it lies in there. You
10 have to arrive at that in your perceptions of it.

11 I think that question then of uncertainty about
12 what level of risk you are willing to talk about or tolerate,
13 I can only see as being determined by your judgment as to the
14 extent of any benefit that you perceive with regard to using
15 these drugs to suppress lactation. Of course, if you
16 perceive no benefit, then the ratio of risk to benefit is
17 #infinity even if the risk is uncertain. If you perceive a
18 benefit, then it entirely depends on what you perceive from
19 what you have heard and what your experiences are.

20 I always like to ask what is the acceptable level
21 of attributable risk? If these drugs were to cause venous
22 thromboembolism in 1/1000 people, would you accept that in
23 this indication? Or 1/100,000? I think you really have to
24 answer the questions on this issue. Thank you.

DR. HULKA: Was there a comment from the audience?

1 DR. TEICH: Yes. I just wanted to speak to one
2 point that Dr. Wysowski alluded to, as did Dr. Stadel,
3 namely, that the three British epidemiologic studies that
4 involved DES and ethynil estradiol, done in the '60s, did
5 show in all three that there was a synergistic risk of
6 administering lactation suppression to women who were either
7 older, had increased parity, or had had what they called
8 assisted delivery, which was a very heterogeneous group,
9 including forceps, cesarean section, low forceps, etc. I
10 think that is not something to ignore since it is certainly
11 consistent with what is known about oral contraceptives in
12 terms of special risk in women who are predisposed.

13 In addition, it is clear that there are certainly
14 significant numbers of older women delivering who may be
15 preferentially given lactation suppressants. Or, certainly
16 there are increased numbers of cesarean sections and it may
17 very well be, since at least several years ago that was a
18 group of women that were preferentially prescribed lactation
19 suppressants, that it is exactly that group that may be
20 getting these drugs preferentially and there may be some sort
21 of synergy between those factors. I would just keep that in
22 mind.

23 DR. MCKAY: Perhaps Dr. Lawrence could speak to
24 this, we have looked at some of the physiologic side effects
of various lactation suppressants. We have not talked at all

1 about the psychological issues and I would like some kind of
2 commentary on whether there might be some benefit in a woman
3 who has decided not to breastfeed to actually have the
4 physiologic process begin that releases the oxytocin into the
5 system. It seems that mothering behavior is increasingly
6 being tied to the natural sequence of hormonal release after
7 birth. Could you speak to that, please? Would there be
8 benefit to a woman not to have any lactation suppressant even
9 though she does not plan to breastfeed?

10 DR. LAWRENCE: Well, I think that is a very
11 interesting comment because in the **Bowen** study, she had
12 intended as her experimental design to encourage women to
13 briefly manually express their breasts to relieve any pain
14 **they** had. One of her ulterior motives, if you will, was to
15 change their attitude towards their breasts and, hopefully,
16 influence them for the next pregnancy to consider lactation.
17 Therefore, she asked that question about how comfortable they
18 were with their breasts before the study and before they were
19 assigned to a research group.

20 A couple of women in the study did actually decide
21 they had so much milk so why didn't they give breastfeeding a
22 try? And that is also why I made the comment about let-down,
23 that it is a physiologic response to hear your baby cry or
24 see your baby and have let-down. But that is only in
25 relationship to the flow of oxytocin. Prolactin is not

1 stimulated to increase unless there is actually breast
2 stimulation.

3 Indeed, I think there is room for considering those
4 ssues. I do not think we have the information, just as we
5 o not have the information about the impact of breastfeeding
6 n mothering. We, who work in the field, think that mothers
7 ho breastfeed are not different but breastfeeding makes them
8 .ifferent. But I have to confess that our data is lean and
9 .hat needs to be studied.

10 But I think that is another issue in terms of how
11 bothers feel about their babies if they are deprived, if you
12 will, of the natural hormonal flow.

13 DR. HULKA: We have two sponsors who would like to
14 ave a statement at this time. The first is Dr. Clyde Rolf,
15 irom Merrell Dow.

16 PRESENTATION BY CLYDE N. ROLF

17 DR. ROLF: Madam Chairman, members of the Committee,
18 thank you very much. Merrell Dow has conducted 3 placebo or,
19 oasically, no treatment, controlled studies, with a TACE 12 mg
20 dose. As far as the 25 and 72 mg doses are concerned, there
21 were 3 double-blind, placebo-controlled studies with the 25
22 mg dose and 6 with the 72 mg dose.

23 All of these studies showed significantly less
24 breast engorgement, pain or swelling of the breast in the
postpartum period compared to either the no treatment or

1 placebo group.

2 Patients were then followed at 14 days with a
3 questionnaire and at a 6-week check up. At both times, the
4 incidence of rebound breast engorgement or lactation has been
5 equal or less than placebo in the 25 mg group. In the 72 mg
6 dose, at 14 days, in 5/6 studies the incidence of rebound
7 breast engorgement was less in the treated group and they
8 were equal at 6 weeks.

9 Since TACE has been introduced, there have been 4
10 drug experience reports or thromboembolic phenomena. These
11 were in patients that were treated for postpartum lactation.
12 One of these reports was from the United Kingdom and it
13 contained 6 patients from a single center where the investi-
14 gator was reluctant to ascribe causality. There have been no
15 reports of thromboembolic phenomena received by the sponsor
16 since 1975.

17 Merrell Dow has submitted labeling to the Food and
18 Drug Administration with a patient information pamphlet which
19 lists the potential risks and, basically, exclusions for
20 treatment with TACE, according to the guidelines of this
21 Committee. This submission will basically put the use of
22 TACE back in the patient-physician arena. Thank you.

23 DR. HULKA: Dr. David Winter, from Sandoz.

24 DR. WINTER: Thank you very much. As you know, we
are on the schedule for some discussion tomorrow when the

1 issue of bromocriptine comes up. But since the Committee is
2 going to discuss questions 1-6.3, I believe, we felt it
3 important to move one of our presentations to this afternoon.
4 That presentation, which will be given by Dr. Bennett
5 Walstatter, specifically deals with the need for treatment in
6 the postpartum period. Since your discussions on **risk-**
7 **benefit** clearly cover that point, we felt, with your concur-
8 **rence**, we would move that discussion up now.

9 If I may, I would like to introduce Dr. Bennett
10 Walstatter, who will go through the rest of the introduction
11 himself.

12 PRESENTATION BY BENNETT S. WALSTATTER

13 DR. WALSTATTER: I am Dr. Walstatter. I guess as
14 time goes by, we get letters after our names -- M.D., Fellow
15 of the American College of Obstetrics and Gynecology,
16 Associate Professor of Obstetrics and Gynecology, Community
17 Medicine, Family Practice, University of Missouri Kansas City
18 -- out in the heartland but, more importantly, practitioner
19 of obstetrics and gynecology.

20 First of all, I am pleased that I can be here today
21 to share my experience in the treatment of postpartum
22 lactation. I believe that we are here today to determine the
23 need to medically suppress postpartum lactation. In order to
24 do this, I think it is necessary to at least take a somewhat
brief look at the recent history of lactation and **breast-**

1 feeding.

2 It was not that long ago, and do not be fooled by
3 the bags under my eyes because I am not that old, that
4 breastfeeding was not a terribly popular thing. It started
5 back a long time ago and, yes, breastfeeding was very
6 popular. It was the only choice.

7 Then technology stepped in. With technology came
8 some interesting things. We had formula. The formula was
9 expensive. So only the affluent could afford to not **breast-**
10 feed. So the affluent switched away from breastfeeding and
11 it became more popular among the affluent. It was a sign of
12 accomplishment if you did not have to breastfeed.

13 But again technology intervened at this point and
14 the price of formula went down and now formula became
15 available to everyone and breastfeeding now became out. The
16 pendulum swung away from breastfeeding and bottle feeding
17 became more common.

18 Well, this went on for a while, until maybe 20-25
19 years ago, when all of a sudden the medical literature
20 started showing some interesting things. Breastfeeding was
21 good for the baby and all of these people who were now bottle
22 feeding were now being informed that perhaps they were doing
23 a disservice to their child by not breastfeeding.

24 The pendulum swung back. It now became very high
tech to be low tech -- breastfeed. However, not all women

1 can or choose to breastfeed and it is incumbent upon us not
2 to pressure them into feeling a failure for the inability to
3 breastfeed or to make the choice not to breastfeed.

4 (Transparency)

5 Women choose not to breastfeed for a variety of
6 reasons. Some of these reasons are physical; some are
7 psychologic; some are social. I have taken an opportunity to
8 list some here. You will probably all notice that there are
9 some missing.

10 Under the physical, we see cracked nipples,
11 mastitis, breast abscess -- I am just reading it off for you;
12 you can all read it.

13 Under social, we list adoptions, stillbirth,
14 premature birth. Missing from that probably would be
15 congenital anomalies that make it not possible to breastfeed;
16 also illness in the newborn.

17 The psychological, however, probably still remains
18 the major source of why women choose not to breastfeed --
19 body image. Women deliver, many of them have gained weight;
20 many of them feel uncomfortable with the way they look; they
21 know that if they are going to continue to breastfeed their
22 breasts are going to be enlarged and they have been "soci-
23 etized", if you will, into believing that this is not good.

24 **They** see that and they decide that breastfeeding is not for
them. They do not want it.

1 Others believe it is inconvenient. They have other
2 responsibilities. They are going back to work. They happen
3 to work in an office where they cannot pump their breasts.
4 They go home to other children; they go home to other
5 responsibilities and they choose not to breastfeed. Outside
6 pressure -- probably the worst of all; probably it comes from
7 their husbands or their male consorts. The last choice,
8 personal feeling from previous experience, perhaps they had a
9 poor experience breastfeeding the last time; they were just
10 uncomfortable and they do not want to go through it again.
11 So they choose not to breastfeed.

12 It is our responsibility as physicians to recognize
13 our patients' needs and for us to respond to these needs with
14 /appropriate support.

15 Now, there are those who would argue that postpartum
16 **lactation** is a physiologic event that is self-limiting with
17 **non-intervention**. This may well be true. However, many
18 **areas** of medicine would easily fit into that description.
19 **Much** as we encourage our female patients -- the only ones, of
20 **course** -- to have childbirth without intervention, without
21 medication, we still stand by available, should they desire or
22 need, to offer narcotics and anesthetics from the amide ester
23 groups that will affect mother and baby.

24 It is not reasonable to deny the new mother
25 medication that has been shown to be safe and effective to

1 accomplish her perceived need. Again, this is her perceived
2 need. I am not advocating pan-usage of any medication.
3 Routine usage should never occur. It is important for
4 patients to be aware, however, of all of the options and to
5 participate in the decision-making process.

6 Office and/or clinic counseling, as is done at my
7 institution, gives patients valuable information. They are
8 made aware of their choices and can participate in the final
9 decision-making process.

10 Now a little bit about where I live. I live at a
11 county facility -- well, not really; I go home sometimes, but
12 it is in Kansas City. It is a county hospital. We have the
13 third largest obstetrical service in the Kansas City area.
14 Our patients are followed by nurse practitioners, residents
15 and attending faculty members. Most of them are from a lower
16 socioeconomic class. My department is responsible for over
17 1200 deliveries annually, again, mostly lower **socioeconomics**.
18 Many of them are uneducated.

19 During the course of prenatal care, our patients
20 are counseled about postpartum feeding alternatives, as well
21 as methods of delivery. Breastfeeding and bottle feeding is
22 discussed at antenatal classes and we firmly, strongly,
23 without any question, encourage all of our patients to
24 consider breastfeeding. That is not what this is all about.

We want our patients to breastfeed. We believe that this is

1 what should be done.

2 Patients are also made aware of their choices in
3 the same way as they are prepared for childbirth. Information
4 takes a lot of fear away from situations; information allows
5 the patients choices and options.

6 Well, what happens after childbirth? Well, our
7 patients generally stay two nights, three days. Our pediatri-
8 cians like to see the babies and like to take care of the
9 babies before they go home. This gives us an opportunity to
10 monitor them a little bit closer.

11 Approximately 35-40 percent of our patients choose
12 to breastfeed. Of the rest, approximately 50 percent of our
13 total deliveries, and some months greater than 60 percent of
14 our total deliveries, choose to be medicated and that
15 medication is Parlodel since it is the only medication that
16 is currently available in treatment of postpartum lactation
17 on my service.

18 Of these patients, approximately 10 percent will
19 report some complaint or problem, mostly discomfort and a few
20 will experience rebound. Patients, obviously, are excluded
21 from the use of Parlodel if they have any contraindications.
22 Of course, these patients are all started on the medication
23 by prescribing directions. Unfortunately, our biggest problem
24 is that we have no way to determine which patients are going
to be symptomatic.

1 (Transparency)

2 Now I will show what we have, what was available,
3 what we offer to our patients as far as education and what
4 was initially available in our hospital. This is what the
5 patients were told about -- and this will be updated -- the
6 natural methods of suppression; use of nothing or use of a
7 breast binder, with or without ice packs, with or without
8 analgesics. Patients in our population do use more anal-
9 gesics. Many of them are prescribed Motrin or ibuprofen.
10 Some of them will get narcotics, Tylenol with codeine, Number
11 3; some Tylox.

12 The pharmacologic methods for suppression of
13 lactation: Hormonal, Deladumone-OB injectable was available
14 and TACE was available. These are no longer available on our
15 obstetrical service, and the non-hormonal choice of bromo-
16 criptine mesylate.

17 This is what our patients were given and this is
18 the information. They were told risks and benefits, what the
19 advantages are, what the perceived disadvantages were, what
20 risks they might experience. However, the patients were
21 given the opportunity to participate in the choice.

22 It has become obvious, at least in my institution
23 and certainly in other places, that Parlodel is now the
24 overwhelming choice of medications for the suppression of
25 postpartum lactation.

1 (Transparency)

2 We have a study that was done using 204 total
3 teaching hospitals and the availability of Parlodel was
4 questioned. Parlodel was available in 201, or 99 percent;
5 stocked on formulary, 96 percent; stocked but not on **for-**
6 **mulary**, 3 percent. It was not available in 3 hospitals. It
7 was unrestricted in all but 3 hospitals. The reason for
8 restriction in those hospitals were contraindications of
9 hypertension or cardiovascular disease; a postpartum mother
10 who is 18 years old or less; and someone with undiagnosed
11 amenorrhea or galactorrhea. Those were the indications that
12 restricted use of the medication.

13 It seems quite clear, given this number of teaching
14 hospitals and given the fact that it is prescribed, that
15 there certainly must be a perceived need for the medication.
16 But what is the need?

17 As I showed earlier, women desire suppression of
18 lactation for many reasons. This need, as perceived by
19 patients, I believe is incontrovertible. But why use
20 Parlodel? Again, I would just like to point out that it is
21 used immediately postpartum. We cannot **prospectively** decide
22 which patients are going to be symptomatic with lactation.

23 After last year's meeting, Sandoz commissioned this
24 study to answer some of the questions. The findings that I
25 will present here, having reviewed the study, are very

1 interesting, certainly a little different from what we heard
2 a little earlier.

3 (Transparency)

4 In this postpartum lactation study, **non-breast-**
5 feeding women were identified and 62 hospitals were represe-
6 nted. Patients were divided into Parlodel and non-Parlodel
7 usage. The screening was done by telephone, initially
8 interviewing patients in the hospital, and 109 patients
9 received Parlodel and 102 patients received no medication. A
10 follow-up telephone interview was performed 19-20 days after
11 beginning the use of Parlodel or 19-20 days postpartum. I
12 think you will find the findings interesting.

13 (Transparency)

14 Interesting on this slide, at least to me -- this
15 is a slide of patients who reported pain and 66 percent of
16 Parlodel patients reported no pain versus 22 percent of
17 //patients who took nothing reported no pain.

18 Significant also in this was the moderate to severe
19 group , where a total of 16 percent of the patients reported
20 moderate to severe pain, whereas, 54 percent of the patients
21 who did not take Parlodel reported moderate to severe pain.

22 DR. CORFMAN: What is the mean?

23 DR. WALSTATTER: The mean was the amount of pain.

24 DR. CORFMAN: It should not be percent.

DR. WALSTATTER: It should not be percent. Thank

1 you. It is the mean amount of pain reported. It should not
2 be a percentage, 1.7 was the mean amount of pain in the
3 patient scale in Parlodel usage versus 4.4 as the mean amount
4 of pain as perceived by the patients.

5 DR. CORFMAN: Was this a blinded study?

6 DR. WALSTATTER: This was a random study of
7 patients who were not breastfeeding who were interviewed.
8 Those who were not breastfeeding were divided into groups,
9 one group receiving Parlodel and one group receiving nothing,
10 no placebo. However, the interviewer did not know which
11 group the patient was in. So it was done as a telephone
12 interview.

13 (Transparency)

14 Also of interest, another key finding, if you will,
15 is the patient's report of all symptoms. In the non-Parlodel
16 group 81 percent of the patients reported pain, swelling
17 and/or engorgement and leaking, whereas, of the Parlodel
18 patients 38 percent reported any symptomatology. It seems
19 that the patients who took part in this study must have been
20 more susceptible to pain and effects of the lactation.

21 At this point I would also like to respond to our
22 first consumer group -- I am sorry, I do not know your name.
23 In your letter, you referred to two studies. In these two
24 studies it showed that 8-33 percent of patients experienced
moderate to severe pain. That does not seem like a lot of

* A -

1 people until you start considering that there are approxi-
2 mately 8.3 million births annually. That comes to almost
3 three-quarters of a million women who are experiencing
4 moderate to severe pain. Patients do experience that.

5 But in summary, what I would like to say, and I
6 think it is important, probably the most important point is
7 that patients should be encouraged to breastfeed. There is
8 no question about that. However, not all mothers choose to
9 breastfeed; not all mothers can.

10 It is important for patients to be aware of their
11 options regarding suppression of postpartum lactation.
12 Groups and subgroups will not be identifiable as to who is
13 going to be most symptomatic. The patients have demonstrated
14 a need and a choice for a safe and effective medication for
15 the suppression of lactation. Parlodel, a non-hormonal
16 agent, has been shown to be safe and effective for suppression
17 of postpartum lactation in women who desire suppression.

18 Given the safety factor and the desires and needs
19 of the patient, I think it is important for us to have that
20 as a medication available for the treatment and suppression
21 of postpartum lactation. Thank you.

22 DR. HULKA: Do we have questions?

23 DR. SCHLESSELMAN: In the clinical trial that you
24 reported, could you please briefly describe how the trial was
presented to the women with regard to encouraging them to

1 participate in the trial, a statement of the objectives of
2 the trial?

3 DR. WALSTATTER: Patients were given a letter
4 inviting them to participate in this trial, in this study. I
5 have a copy of that letter with me, if you would like to see
6 it. They were told of this study and asked if they would
7 like to participate.

8 DR. WENTZ: Did you use a placebo or was it simply
9 that the patients were untreated?

10 DR. WALSTATTER: No placebo was used.

11 DR. NIEBYL: I just wanted to make one comment and
12 take issue with your list of contraindications to **breast-**
13 **feeding.** I really think it is inappropriate to say that
14 prematurity is a reason not to breastfeed. In fact, we
15 encourage such patients to pump their breasts until such **time**
16 as the infant can nurse. Similarly, some other things you
17 mentioned, like mastitis which, again, does not necessitate
18 stopping breastfeeding. In fact, we encourage patients to
19 breastfeed while they are on antibiotics. That is not really
20 pertinent and to the point --

21 DR. WALSTATTER: I would like to respond to that.
22 The reason that I included prematurity is that a lot of
23 premature babies are born at perinatal centers, to which
24 parents travel hundreds of **miles,** and are not always there.

25 While they would like to breastfeed, many of them choose, if

1 they are not going to be there, to try to resume a normal
2 life. Again, we can debate --

3 DR. NIEBYL: It is not an indication for lactation
4 suppression when the mother is 12 hours postpartum, the fact
5 that the baby was born premature. They may later get
6 discouraged and change their mind but that is certainly not
7 the 'standard recommendation.

8 DR. WALSTATTER: Well, again, my stance is that a
9 patient should be encouraged to consider breastfeeding.

10 DR. NIEBYL: Then you should argue that you should
11 let them get engorged if they are going to get engorged
12 because they might change their mind at that point.

13 DR. WALSTATTER: Well, that is fine.

14 DR. NIEBYL: So do not give them a drug to suppress
15 lactation.

16 DR. WALSTATTER: Like I said earlier on, I do not
17 advocate the pan-usage of any medication. It needs to be
18 discussed with the patient and a decision needs to be made
19 based on the patient's needs and desires, not on the physi-
20 cian's needs and desires.

21 DR. NIEBYL: We are really talking about the issue
22 of whether a drug is necessary. There are certainly
23 patients who make the decision not to breastfeed and they may
24 get a little engorgement and most do not. But some will and
that could be managed in that circumstance with **non-pharma-**

1 **cologic** things, such as binders, ice packs or whatever.

2 DR. BARBO: I would like to ask a question about
3 body disfigurement with breastfeeding. Is that a perception
4 of the women or is that a perception of their husbands since
5 this is a physiological phenomenon?

6 DR. WALSTATTER: I would say that what I believe is
7 that it is a perception of the environment that is laid on
8 the woman. It does not necessarily come from her.

9 DR. BARBO: I hope not.

10 DR. MANGANIELLO: I have two comments. In one of
11 the slides you were talking about the fact that Parlodel is
12 on the formulary of teaching hospitals and that if it is
13 on the formulary there must be a reason for it, and one of
14 the reasons is probably for lactation suppression. I know at
15 our institution we also have a service similar to yours,
16 about 1000 deliveries a year. It is a medical school, **multi-**
17 **specialty** clinic setting. Although I am a reproductive
18 endocrinologist, I am a practicing obstetrician and I have
19 been there for ten years and I really cannot remember when
20 the last time one of my partners or faculty members prescribed
21 any medication for ovulation suppression. We do have
22 Parlodel on the formulary but that is for other reasons, for
23 hyperprolactonemia. So I think that your assumption is wrong
24 about the fact that if it is on the formulary it is used
25 exclusively for lactation suppression.

1 The other statement I want to make is that I do not
2 think that is something which is necessarily, at least in the
3 experience that I have had over the past 10 years, a treatment
4 modality for a non-problem.

5 DR. NIEBYL: Certainly, there have been some
6 surveys presented already earlier today that at many teaching
7 institutions in the country this is not routinely used, which
8 simply supports what you said. At the two institutions that
9 I have been associated with, both of which are large teaching
10 hospitals, in the last 15 years we did not use any lactation
11 suppression pharmacology, no drug for lactation suppression
12 routinely. We counsel our patients that in a small percentage
13 of the cases they would get engorged and they dealt with this
14 with ice packs or analgesics, if necessary -- similar to the
15 group that Dr. Lawrence described. But it is on our formulary
16 for other reasons, for Parkinson's or for hyperprolactonemia.

17 DR. WALSTATTER: Two points, one is that the
18 medication is on the formulary unrestricted. That is first.
19 Now, whether it is used -- that is correct, I cannot tell you
20 that.

21 Secondly, I have been at both teaching institutions
22 and community hospitals and in the community hospitals, I can
23 tell you first-hand, the amount of Parlodel that is used in
24 incredible. Patients are asking for it. Patients --

DR. NIEBYL: Patients ask for cocaine too, as I

1 recall.

2 DR. WALSTATTER: That is true. We have them at our
3 hospital too and we do not give them that. However, we know
4 that cocaine is not a particularly safe medication.

5 DR. NIEBYL: But just because a patient asks for it
6 does not mean you should give it to her.

7 DR. WALSTATTER: No, but if she has a perceived
8 need and we feel that she has a perceived need and we
9 perceive that as well and we have something that is safe, I
10 do not think she should be denied.

11 DR. WENTZ: I think it is perfectly clear that what
12 we are hearing are the practices of a physician's patients
13 clearly reflecting the physician's practice. We are certainly
14 not going to convince you, particularly since there was not a
15 placebo arm.

16 That is not really what we are here to hear about
17 today and I think further going back here would be un-
18 productive. I thank you for your presentation.

19 DR. HULKA: I wonder if we could have about a 15-
20 minute break now and then come back and review these ques-
21 tions?

22 (Brief recess)

23 DR. HULKA: Would you please pull out your questions
24 in front of you? We will take the questions in sequence. I
would remind you that question number 1 is the reiteration of

1 a similar statement that we made last year.

2 I will read the question: Does the Committee
3 reaffirm the recommendation made at its June 2-3, 1988
4 meeting that sex hormones and bromocriptine should not be
5 used routinely for this indication -- this indication being
6 postpartum breast engorgement?

7 Apparently we made the statement a year ago that
8 sex hormones and bromocriptine should not be used routinely
9 for postpartum breast engorgement. Do you want to discuss
10 this question again or shall we just go right ahead with the
11 question?

12 DR. NIEBYL: I think we just discussed bromo-
13 criptine, didn't we?

14 DR. CORFMAN: No.

15 DR. NIEBYL: We discussed both? Okay.

16 DR. HULKA: If you reaffirm then the statement, all
17 those who reaffirm it, would you please raise your hands?

18 (Show of hands)

19 That looks unanimous. The Committee reaffirms the
20 recommendation made a year ago that sex hormones and bromo-
21 criptine should not be used routinely for the indication of
22 postpartum breast engorgement in women who do not nurse.

23 Then we go on to the next question: What is the
24 Committee's **estimate** of the actual need of women who choose
not to breastfeed, their need for prophylactic treatment for

1 postpartum breast engorgement other than analgesics and
2 binders?

3 So what is the estimate of the need? That seems
4 Like a qualitative response when you look at the next
5 question, which says something about -- well, the next
6 question relates to prophylaxis. Yes?

7 DR. NIEBYL: I just have one question about the
8 term binders. I think the usual phrase is breast support
9 rather than binders because we do not want to stimulate the
10 breast by tight binding.

11 DR. CORFMAN: Okay.

12 DR. HULKA: Fine. We will just change that to
13 support.

14 DR. WENTZ: And the wording looks as if we are
15 recommending prophylactic analgesics and breast support and I
16 do not know that we are using prophylactic analgesics.

17 DR. HULKA: I do not think that is really the
18 intent of the question. It is a little awkwardly worded. It
19 is really what is the estimate of the actual need for
20 prophylactic treatment for postpartum breast engorgement --

21 DR. NIEBYL: With medication.

22 DR. HULKA: -- with medication. It seems to me
23 that we have already said that we do not think there is a
24 routine need for medical treatment for breast engorgement.

So I am not sure then what it means by the estimate of the

1 actual need in question 2.

2 DR. NIEBYL: We will just say zero percentage
3 require it.

4 DR. CORFMAN: But the form of the question is
5 important and I think it is good for the Committee to change
6 it. We did work hard on these questions. I would like to
7 suggest that we just omit "other than analgesics and binders".
8 Omit that because what we are after is the key issue that Dr.
9 Lawrence was asked to address and that the sponsor addressed,
10 and that is whether women -- aside from their perception,
11 what is your perception for the need for these drugs for
12 prophylactic use? If you cannot answer the question, say you
13 do not know but at least try to answer it some way or other.

14 DR. MCDONOUGH: Phil, could we say the actual need
15 of women who choose not to breastfeed for pharmacologic
16 treatment for postpartum breast engorgement?

17 DR. CORFMAN: That would be all right but the point
18 is that none of the labeling is other than prophylactic use.
19 There is no indication for treatment of symptoms. So each
20 time we have to repeat the fact that the indication is for
21 prophylactic use.

22 DR. NIEBYL: We can just make a statement that the
23 Committee does not perceive a need for a drug for women who
24 choose not to breastfeed for prophylactic treatment.

DR. CORFMAN: If you agree with that --

1 DR. NIEBYL: Or something to that effect.

2 DR. MCANARNEY: This is a question more than
3 anything else, and a comment. I was fascinated with Dr.
4 Lawrence's data regarding women going home and the fact that
5 they seem to be less symptomatic once they are home.

6 We have confined ourselves in this question to
7 really two issues, that of analgesia and that of support. I
8 do not know exactly where this would fit in but I find that
9 fascinating. We talk all the time about the disadvantages of
10 early discharge but here, for the first time, is one ad-
11 vantage. Is there any place for this? Will that be picked
12 up from Dr. Lawrence's presentation? Because that was one of
13 the very interesting new findings I think, that is that early
14 discharge could be efficacious. I do not know exactly how to
15 say it.

16 DR. HULKA: I hear your point but I do not know
17 exactly where it fits in this.

18 The problem I am having with question 2 is that it
19 looks very much like a different way of stating question 1.
20 That is the trouble I am having -- or question 3.

21 DR. CORFMAN: I really think your comment, Madam
22 Chair, is the critical issue here. It is a conundrum.
23 Nobody proposes that this drug be used routinely. The
24 sponsor and the expert clinician who spoke to us said it is
25 never for routine use. On the other hand, the drug has to be

1 used prophylactically, before the woman may develop symptoms.
2 So how do you know which woman is supposed to take it? For
3 the, that goes down through a logical inconsistency that is
4 very difficult for us to deal with. That is why we want some
5 kind of statement from you that will help us when we deal
6 with the sponsors.

7 Number 2 is a very simple question: Do you think
8 such drugs are needed?

9 DR. HULKA: Let me state it again, changing the
10 English just slightly: Among women who choose not to
11 breastfeed, what is the Committee's estimate of the actual
12 need for prophylactic treatment for postpartum breast
13 engorgement?

14 DR. NIEBYL: None.

15 DR. WENTZ: Zero percent.

16 DR. HULKA: No need. All right, all those who
17 think the answer should be "none"?

18 (Show of hands)

19 Is there anyone who disagrees with "none" as the
20 answer to question 2?

21 (No show of hands)

22 I see that everybody says "none", including myself.
23 So question 2 is among women who choose not to breastfeed,
24 what is the Committee's estimate of the actual need for
25 prophylactic treatment for postpartum breast engorgement?

1 The Committee's unanimous answer to this question is none.

2 Then question 3 is really not relevant because it
3 says if such prophylaxis is deemed necessary for some women,
4 and we have said that such prophylaxis is not necessary. So
5 given the Committee's answer to question 2, question 3 is not
6 relevant.

7 Question 4: How may these women be identified so
8 that the physician will know which women may benefit from the
9 treatment?

10 DR. NIEBYL: There are none. I think there aren't
11 any but I think we should specify that we are addressing some
12 of the special cases like stillbirth and I would like to
13 specify that I do not think they **are** routinely indicated for
14 women that have stillbirths either. If a woman has a
15 stillbirth, a malformed baby or some other problem that
16 contraindicates breastfeeding, that is the least of her
17 problems, breast engorgement. She is going through a lot of
18 hormonal and emotional changes that she needs help and
19 support with. Adding a drug for lactation suppression is not
20 going to make a significant difference in that patient, in my
21 opinion.

22 DR. HULKA: Getting back to question 4 then, it is
23 not relevant, just as question 3 was not relevant. Keep in
24 mind, Jennifer, how we will get in the particular point you
25 are making.

1 DR. NIEBYL: Right.

2 DR. HULKA: The answer to question 4 is not
3 relevant, given our answer to question 2.

4 DR. MANGANIELLO: I really think it would be
5 incumbent upon someone to prove that lactation in the
6 situation of a stillbirth is harmful to the individual,
7 rather than making the assumption that suppression of
8 lactation is necessary in that clinical situation. I have
9 not seen where people have come forward and shown me definite
10 proof that lactation following a stillbirth is psychologically
11 traumatizing the woman, needing to have lactation suppressed.
12 I think lactation is a physiologic response and it is
13 possibly part of the grieving process. Unless you allow that
14 to occur, you may have some delayed psychologic trauma. No
15 one has shown either way that it is beneficial or harmful.
16 So if you have not shown that it is harmful, then there is no
17 reason for treating it.

18 DR. HULKA: Paul, let me ask you something here, as
19 I think of lactation, I cannot imagine why a woman who had
20 had a stillbirth would want to lactate but she will have
21 breast engorgement and she could be managed or handled in
22 exactly the same way as a woman who had had a normal full-
23 term live delivery.

24 DR. NIEBYL: Right. She might just have some milk
25 leakage.

1 DR. HULKA: Right. Fine.

2 DR. MANGANIELLO: I stand corrected.

3 DR. HULKA: That was a very rapid movement through
4 those 4 questions. I wonder if you would like to think a
5 little bit about question 5 now. Question 5 is in a different
6 vein. We are not talking about routine prophylactic use; we
7 are talking about should these drugs be used to treat the
8 symptoms of postpartum breast engorgement? So now we are
9 presumably talking about a different indication or a different
10 possible indication. But it is also noted here that no drug
11 currently carries this indication -- this indication presu-
12 mably meaning anything else, other than prophylaxis -- and
13 proper studies would have to be submitted to the Agency
14 before any other indication could be considered. Do you have
15 comments about this?

16 DR. ROY: Do we have any information on that score?
17 I mean, surely, people have used these compounds exactly in
18 the setting of not treating and then when they are sympto-
19 matic, then to treat. But do we have any compilation of that
20 information?

21 DR. RARICK: The only information we have on
22 treatment with a pharmacologic is with Parlodel and it is
23 simple, anecdotal information. There are a few, scant
24 studies that I will present tomorrow on treatment, for the
25 estrogens and androgens.

1 DR. HULKA: So then we have nothing about treatment
2 for the sex steroids. We are going to hear something about
3 uses in treatment --

4 DR. BARBO: I think the one article that we were
5 given on lactation suppression had some information and if
6 you gave it later it did not do any good. So I think we have
7 that.

8 DR. NIEBYL: You mean the sex steroids or the
9 Parlodel?

10 DR. BARBO: On the sex steroids.

11 DR. NIEBYL: I think that is correct, they do not
12 work. Lisa is going to present some of the small studies
13 that maybe Parlodel works as a therapeutic agent after the
14 patient has got engorged. So if you embarked on a policy of
15 not treating and then the 10 percent or so of women who got
16 engorgement and discomfort, you could potentially treat with
17 those but still eliminate treating the other 90 percent
18 unnecessarily. But I do not know the data on that.

19 DR. BARBO: But you would not be using the sex
20 hormones.

21 DR. NIEBYL: No, that would not be with the
22 estrogens. I am waiting to hear you present that tomorrow.

23 DR. CORFMAN: Question 5 is simply put in to be
24 complete --

25 DR. NIEBYL: There are no data.

1 DR. CORFMAN: -- and to get the Committee opinion.
2 There are no data to present. When Parlodel was first being
3 considered by the Agency, there was data on symptomatic use
4 but they withdrew that and only asked approval for pro-
5 phylactic use. If you do not know the answer to 5, it is
6 quite appropriate to say so but we wanted your views on
7 record.

8 DR. NIEBYL: But should we hear some more infor-
9 mation about bromocriptine before we answer question 5? Are
10 there data about treatment?

11 DR. RARICK: Limited.

12 DR. NIEBYL: We are going to hear it tomorrow? I
13 guess we should hear it before we answer the question.

14 DR. HULKA: Would you be willing to answer question
15 5 in terms of the sex steroid hormones?

16 DR. NIEBYL: Yes, we can answer that now.

17 DR. HULKA: Then is your answer that these drugs
18 should not be used to treat the symptoms of postpartum breast
19 engorgement?

20 DR. NIEBYL: Yes.

21 DR. HULKA: All those who would say that they
22 should not be used -- the sex steroids?

23 (Show of hands)

24 Anyone who thinks they could be used or should be
25 used, would you raise your hand?

1 (No show of hands)

2 The Committee will respond to question 5 in terms of
3 the sex steroids. The question is, should these drugs be
4 used to treat the symptoms of postpartum breast engorgement?
5 **The** Committee feels that these drugs should not be used in
6 such a way.

7 Do you want to speak to any other indications for
8 the steroid hormones? I do not believe we have data on any
9 other indications. Does anybody want to disagree with that
10 statement, that we do not have data on other related indi-
11 cations? We said no. So shall we just quit at that?

12 Question 6: What are the Committee's recom-
13 mendations concerning the following drugs currently in use
14 for the prevention of postpartum breast engorgement?

15 It seems to me we are really reconsidering questions
16 1 and 2 but now with specific statements about each of the
17 pharmacologic categories of estrogens, androgens, estrogen/
18 androgen combinations. Then I guess we can hold on **bromo-**
19 **criptine** until tomorrow.

20 DR. BARBO: I would like to recommend that those
21 indications be withdrawn for estrogens, androgens and
22 estrogen/androgen combinations.

23 DR. HULKA: Okay. All those in favor of saying
24 that they should not be used for prevention of postpartum
breast engorgement? Would you raise your hands?

1 (Show of hands)

2 Anyone who disagrees, thinking that any one of
3 those categories should be used?

4 (No show of hands)

5 Question 6: What are the Committee's recom-
6 mendations concerning the following drugs currently in use
7 for the prevention of postpartum breast engorgement? The
8 three categories of drugs are estrogens, androgens and
9 estrogen/androgen combinations. The Committee unanimously
10 think that none of these drugs should be used for the
11 prevention of postpartum breast engorgement.

12 Dr. Corfman is asking do we want to give any
13 reasons why we are not recommending any of these agents for
14 routine or preventive purposes. Anything on safety and
15 efficacy, the usual things?

16 DR. MCDONOUGH: They are no more effective than
17 breast binders or analgesics and might have some potential
18 complications.

19 DR. HULKA: Estrogens, androgens or estrogen/
20 androgen combinations are no more effective in the prevention
21 of breast engorgement postpartum than are analgesics and
22 breast support. They may also have some adverse effects.

23 DR. SCHLESSELMAN: Dr. Hulka, we voted on the
24 second question a while back, which we rephrased, as I
understood it, to read that among women who choose not to

1 breastfeed, what is the Committee's estimate of the actual
2 need for prophylactic treatment for postpartum breast
3 engorgement? The response was that there was no need.

4 That question having **been** answered as it was
5 unanimously by the Committee, I would then ask why don't we
6 take up bromocriptine as a drug to address the question that
7 we have just addressed --

8 DR. CORFMAN: I can answer that. It is on the
9 agenda for tomorrow. It has been posted in the Federal
10 Register and we have to have an opportunity for a public
11 hearing and have the sponsor have an opportunity to discuss
12 the issues. So you cannot finish the meeting now. I am
13 sorry, you have to come tomorrow.

14 DR. HULKA: Are there any other comments for today?
15 If not, we will close shop. Thank you very much.

16 (Whereupon, at 4:05 p.m., the Committee adjourned,
17 to reconvene at 9:00 a.m., Friday, June 2, 1988)

Certificate of Reporter, Transcriber and Proofreader

D.H.H.S. - PUBLIC HEALTH SERVICE

FOOD AND DRUG ADMINISTRATION

FERTILITY AND MATERNAL HEALTH DRUGS

ADVISORY COMMITTEE

Bethesda, Maryland

June 1, 1989

We, the undersigned, do hereby certify that the foregoing pages, numbers 1 through 226, inclusive, are the true, accurate and complete transcript prepared from the reporting by Darinka Gavrisheff in attendance at the above identified hearings, in accordance with the applicable provisions of the current GSA professional verbatim reporting and transcription contract, and have verified the accuracy of the transcript by (1) comparing the typewritten transcript against the reporting or recording accomplished at the hearings and (2) comparing final proofed typewritten transcript against the reporting or recording accomplished at the hearings.

6-14-89

Darinka Gavrisheff

Transcriber
Miller Reporting Company

6-14-89

Chris Burdett

Proofreader
Miller Reporting Company

6-14-89

Darinka Gavrisheff

Reporter
Miller Reporting Company