

S G G _

DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
FOOD AND DRUG ADMINISTRATION

FERTILITY AND MATERNAL HEALTH DRUGS

ADVISORY COMMITTEE

Volume II

9:00 a.m.

Friday, June 2, 1984

Holiday Inn
Bethesda, Maryland

P A R T I C I P A N T SCommittee Members:

Barbara S. Hulks, M.D., Chairman

Paul D. **Manganiello**, M.D.

Paul G. **McDonough**, M.D.

Dorothy M. **Barbo**, M.D.

Arthur F. Haney, M.D.

Susan **A.R.** McKay, Ph.D.

James J. **Schlesselman**, Ph.D.

Anne C. Wentz, M.D.

Jennifer R. **Niebyl**, M.D.

Subir Roy, M.D.

FDA Staff:

Philip A. Corfman, M.D., Executive Secretary

Gloria **Troendle**, M.D.

Solomon **Sobel**, M.D.

Lisa Rarick, M.D.

Thomas P. Gross, M.D.

Wendy Nelson, RN., **M.P.H.**

FICKETS: 1 1/2 1/2 1/2

8 JUN 22 AM 10:59

C O N T E N T S

The Use of Bromocriptine for the Prevention of Postpartum Breast Engorgement:Open Public Hearing:

Dr. Douglas L. **Teich**,
Public Citizen Health Research Group 5

Committee Recommendations and FDA Actions,
Philip A. **Corfman**, M.D., FDA 14

Efficacy, Lisa Rarick, M.D., FDA 18

ERI Study History and Design,
Donna **Funch**, Ph.D. 26

ERI Study Findings,
Dr. Kenneth J. Rothman 31

Critique of the ERI Study,
Thomas P. Gross, M.D., FDA 58

Adverse Reaction Reports Update,
Wendy Nelson, RN, M.P.H. 73

Sponsor Presentation:

Efficacy and Rebound Review,
Dr. David Winter, Sandoz 89

Statistical Comments, Dr. John Lambert, Sandoz 114

Pharmacology Review, Dr. E. **Flueckiger** 122

Neurologic Complications, Dr. Philip Wolf 137

Clinical and Epidemiologic Overview,
Dr. C. Hennekens, Brigham and Women's Hospital 145

Conclusions, Dr. David Winter, Sandoz 152

Committee's Response to Questions 160

P _R_O_C _E _E _D _I _N_G_S

1
2 DR. HULKA: We are ready to start the June 2
3 meeting, the second day of our meeting of the Fertility and
4 Maternal Health Drugs Advisory Committee to the FDA. I would
5 like to start by recognizing two of our members for whom this
6 is the last meeting. They have been wonderful people for us
7 to work with in their professional competency, their advice
8 and their hard work on this Committee; also their excellent
9 "colleagueship". I want to mention them to you, Dr. Paul
10 McDonough and Dr. Paul Manganiello. If you would like to say
11 a few words, we would appreciate it.

12 DR. MCDONOUGH: All I can say is that being on this
13 Committee has been an exercise in humility considering all
14 the complex issues that we have had to deal with and, of
15 course, the opportunity to interact with some very wonderful
16 people. Thank you.

17 DR. MANGANIELLO: I would like to second that,
18 especially the interaction between the Committee members and
19 the information that was disseminated by the FDA with the
20 various presentations over the last couple of years. I think
21 I can honestly say that I am going to be leaving this
22 Committee receiving much more than I really contributed. I
23 would like to thank the FDA, Dr. Corfman and all the staff
24 who have made my four years here really enjoyable. Thank you
25 very much.

1 DR. HULKA : We thank you and we will miss you both.
2 The next thing is a matter of announcement. I think we have
3 our dates straight now for the next three meetings. So take
4 these down. It is still October 26 and 27 of 1989. That is
5 the next meeting. Then it is February 22 and 23 of 1990 and
6 June 24 and 15 of 1990. If there are any problems, be sure
7 and let Dr. **Corfman** know because we will be trying to stay
8 with those dates.

9 We will now start the open public hearing. We have
10 a representative from the Public Citizen.

11 PRESENTATION BY DOUGLAS L. **TEICH**

12 DR. **TEICH**: My name is Douglas L. Teich and I am an
13 internist and a research associate with the Public Citizen
14 Health Research Group, a consumer health advocacy group.

15 I would once again like to thank the FDA for an
16 opportunity to state our views on this important issues.
17 This morning I will continue where I left off yesterday and
18 outline our views on the use of **bromocriptine (Parlodel)** for
19 the suppression of lactation.

20 Yesterday we heard presentations seriously question-
21 ing the need for pharmacologic suppression of lactation.
22 Bear in mind that in 1980, when the FDA approved bromocriptine
23 for this indication, the Agency assumed that this use was
24 justified and, therefore, its analysis aimed to demonstrate
25 that the drug was superior to the other agents, for example

1 PLACE and Deladumone, being used for this purpose at the time.

2 It is in this context that I address first the lack
3 of efficacy of bromocriptine and, second, its disturbing
4 safety profile in view of the indication for a benign and
5 self-limited condition and, third, the regulatory history of
6 the drug.

7 Efficacy -- bromocriptine has only limited efficacy
8 when compared to placebo. As FDA's Dr. Vanaja Ragavan
9 pointed out last year in her review of the original NDA
10 submission for this drug, 24 individual studies were submit-
11 ted, with 19-30 patients per study and, therefore, only 7-15
12 patients per study arm. Lack of uniformity of protocol was
13 apparent. There was tremendous variation in the rating
14 criteria for engorgement and lactation and variation, as
15 well, by the person doing the rating and in the use of
16 ancillary measures such as breast binders.

17 There were only six placebo-controlled studies in
18 the original submission, of which two were double-blind.
19 Most of the studies were highly flawed by a failure to follow
20 patients for a full month postpartum so as to evaluate rebound
21 symptoms .

22 In the study number 48, for example, patients were
23 followed for only 14 days. At day 7, 62 percent of the
24 treated patients were free of secretion and congestion, which
rose to 69 percent by day 14. Thus, 31 percent of treated

1 patients were still symptomatic after the recommended 2-week
2 course of therapy, no better than the results of placebo
3 studies demonstrating that some of 8-33 percent of women have
4 severe or prolonged discomfort. Furthermore, this study did
5 not even attempt to address rebound phenomena.

6 In the placebo control arm of Dr. **Niebyl's** study of
7 TACE, fewer than 10 percent of women required an analgesic,
8 and significant symptoms had resolved in 90 percent by day 8.
9 Of course, these women do not develop rebound lactation. All
10 studies that followed bromocriptine-treated women past the
11 end of the 2 weeks of recommended treatment revealed signi-
12 ficant rates of rebound, as high as 71 percent in one study.

13 Dr. Ragavan concluded last year that because of
14 rebound, bromocriptine merely delays lactation to the third
15 week in many cases. Her concerns echoed those of the
16 original medical officer who criticized the submission for
17 "the paucity of true placebo patients . . . the propriety of the
18 investigators comparing estrogens given for 7 days with
19 **Parlodel** given for 14 days, the incomplete information on
20 rebound in some studies".

21 Unfortunately, the solution to this problem in 1980
22 was to approve a 14-day course, without adequate assessment
23 of the frequency of rebound lactation thereafter. That is how
24 we have ended up with a 14-day treatment for a condition

1 the first week.

2 In light of this lack of data supporting efficacy,
3 [wish to make several criticisms very briefly of Dr.
4 Walstatter's presentation yesterday. First, despite what he
5 said in his comment that the drug should never be used
6 routinely, and despite the Committee's recommendation a year
7 ago that the drug should not be used routinely for lactation
8 suppression, it clearly is used routinely as there are many
9 hospitals where there are pre-written, xeroxed scripts where
10 the patient's name merely has to be filled out, and there are
11 also routine orders that have to be just ticked off to give
12 the patient routine bromocriptine.

13 As the Committee realized yesterday, the statements
14 on hospital availability of the drug are ridiculous, given
15 that the drug is available for several other well-known
16 indications .

17 Finally, the study that Dr. Walstatter presented
18 yesterday was very flawed in at least six respects. First,
19 invitation of patients by letter, which clearly can select a
20 population; second, lack of random assignment to the two
21 groups; third, there was no placebo control; fourth, the
22 question of a blinded telephone interview -- I think he sort
23 of said up here, at the microphone, that the interview was
24 blinded but I was not sure; and the final comment is the
question of length of therapy. It was not clear to me whether

1 the patients were treated for 14 days or 21 days, which is
2 certainly in the labeling and is certainly done. If the
3 patients were treated for 14 days, then an interview 19-20
4 days out may be too early to pick up rebound. If it is a 21-
5 day course, it is certainly too early to measure rebound.
6 Finally, it was not clear whether or not questions were even
7 asked to assess the extent of rebound.

8 Safety -- last year this Committee heard a vigorous
9 debate on the safety of bromocriptine for lactation suppres-
10 sion. I fear that we are all a year older but not any wiser
11 when it comes to this issue. The eagerly-awaited answers
12 from the ERI study may be undermined by the flaws in that
13 study and the Committee will be left, as it was in the case
14 of the estrogens, with a judgment call.

15 In the summary basis of approval for this drug, the
16 frequency of so-called minor side effects was outlined.
17 These included, of 271 women across the trials, 77 (28
18 percent) with blood pressure drops of greater than 20 mmHg,
19 with 14/ 77 (5 percent of the total) experiencing a drop
20 greater than 40 mmHg. This well-described phenomenon may
21 have improved somewhat with the labeling change that the drug
22 should be given no sooner than 4 hours after delivery,
23 although this has not been well documented. In addition, 62
24 women (22 percent) reported one or more other side effects,
such as headache in 8.5 percent; nausea in 8 percent;

1 dizziness in 7 percent; vomiting and rash.

2 We must ask once again, given the benign, **self-**
3 **limited** condition for which this drug is prescribed, whether
4 **we** can justify causing patients these other forms of **discom-**
5 **fort**. In fact, the number of women with significant side
6 **effects** from the drug, not including those having rebound
7 Lactation after the treatment is stopped, is equal to or
8 **greater** than the number who would have had marked discomfort
9 from untreated lactation which, as we heard yesterday, can be
10 managed conservatively.

11 I will not dwell on the suggestion of serious **life-**
12 **threatening** adverse reactions associated with bromocriptine
13 **which** have arisen during the postmarketing surveillance of
14 **the** drug, I am certain that we will be hearing more about
15 **such** events as seizures, strokes, **myocardial** infarctions and
16 **acute** psychotic reactions, later today.

17 I only wish to update the number of reports of
18 **these** rare and serious events. By our count, there are now
19 **eight** cases of **myocardial** infarction in the setting of
20 **postpartum** lactation suppression with bromocriptine. We have
21 **been** notified of 4 additional cases since the June, 1988
22 **update** and we have asked that these be reported to the FDA.
23 **As** of November of 1988, there have been 10 reports of stroke,
24 **of** which at least 2 were fatal; 29 reports of seizures, some
25 followed by permanent neurologic impairment; and 15 cases of

1 acute psychosis, all in association with this drug.

2 According to the FDA's Epidemiology Branch, since
3 the June, 1988 meeting of this Committee, there have been 35
4 ADRs for bromocriptine, including 10-15 of serious con-
5 sequence. I believe Wendy Nelson will update you on this
6 later on.

7 It is clear that, fortunately, these remain very
8 rare events. It is just as clear that it requires an
9 extremely large epidemiologic study to test the statistical
10 significance of their association with bromocriptine and even
11 permit causal inference. Studies like the ERI study, with
12 only enough statistical power to detect a 5-fold increase in
13 the risk of stroke, would probably be insensitive to small
14 increases of risk of myocardial infarction or of acute
15 psychosis. As we know, the ERI group did not search their
16 data base for adverse outcomes other than seizure or stroke.

17 The point is that this Committee will not solve its
18 regulatory quandary through an epidemiologic study because
19 this is not fundamentally an epidemiologic question. Even if
20 a large study defined the attributable risk of stroke, heart
21 attack, or psychotic reaction as less than 1/10,000, we are
22 left with the same question: Is any incidence of such
23 serious side effects acceptable when the condition for which
24 the drug is prescribed is brief and self-limited and the drug
itself is of such unproven efficacy?

1 Finally, a brief regulatory history -- I am sure
2 that Dr. Corfman, of the FDA, will review this subject
3 thoroughly. I only wish to mention some highlights. As we
4 know, bromocriptine was approved for the suppression of
5 Lactation in 1980. By February of 1983, the FDA had become
6 aware of a number of serious side effects and, in August of
7 that year, asked Sandoz, the sole manufacturer, to change the
8 Labeling in accordance with regulations to include a warning
9 as soon as there is reasonable evidence of an association of
10 a serious hazard with a drug; a causal relationship need not
11 have been proved. This change was not made until December,
12 1984, 22 months after the FDA first voiced its concern.

13 In February, 1987, after a review of the ADRs, the
14 FDA once again requested Sandoz to make a label change,
15 listing uncontrolled hypertension as a contraindication to
16 the use of the drug. In addition, the Company was also asked
17 to include the increasing number of reports of hypertensive
18 crises, strokes and myocardial infarctions, which had occurred
19 since the last label change, in 1984, and to send a "dear
20 doctor" letter alerting all obstetrician and family practi-
21 tioners to the health risks accompanying the postpartum use of
22 the drug. In April, 1987, Sandoz agreed.

23 However, an informal survey of ACOG members
24 attending a meeting, in November of 1987, revealed that only
25 1/10 committee members asked recalled having received a "dear

1 doctor" letter. In January, 1988, it was not clear who had
2 received this later, some 8 months after the Company vowed to
3 send it. The FDA once again asked Sandoz whether physicians,
4 other than ACOG fellows, had been notified of the health
5 risks of this drug.

6 At last year's meeting of this Committee, Dr.
7 Dorfman commented that on April 1, 1988, Sandoz had sent a
8 copy of their warning letter to everyone on a mailing list
9 provided by ACOG. Thus, a full year had elapsed during which
10 thousands of physicians and patients were unaware of serious
11 risks associated with bromocriptine.

12 I believe that this history reminds us that only
13 rigorous regulation of pharmaceutical manufacturers, rather
14 than voluntary compliance schemes, is necessary to protect
15 the public's health. Remember that according to the National
16 Drug and Therapeutic Index, some 53 percent of all prescrip-
17 tions written for bromocriptine in the U.S. were for suppres-
18 sion of lactation. According to the analysis by Wendy
19 Nelson, between 480,000-940,000 women are receiving this drug
20 each year, at a cost of more than \$30 per 2-week course,
21 generating revenues of 12-14 million dollars annually. These
22 economic realities alone make it highly unlikely that Sandoz
23 will volunteer to remove this indication from the bromo-
24 criptine approval.

In summary, you have heard the evidence on the

1 question of need for the pharmacologic suppression of
2 lactation. I believe you will learn more about bromocrip-
3 tine's lack of efficacy. The high incidence of less severe
4 side effects, in the face of the drug's marginal efficacy,
5 was itself sufficient cause to promptly withdraw this indi-
6 cation from the NDA approval for the drug. The growing
7 awareness of life-threatening ADRs, such as strokes and MIs,
8 makes immediate withdrawal of this indication imperative.

9 Thank you.

10 DR. HULKA: Thank you. Does anyone else from the
11 floor want to make a comment or does anyone have a question?
12 We have no other formal requests to speak at this time.

13 (No response)

14 We will now close the open public hearing part of
15 the meeting. We will go on to Dr. Phil Corfman, of the FDA,
16 who will present on Committee recommendations and FDA actions
17 concerning the use of bromocriptine for the prevention of
18 postpartum breast engorgement.

19 PRESENTATION BY PHILIP A. CORFMAN

20 (Slide)

21 DR. CORFMAN: I have two slides and I will simply
22 talk through the slides. In April of 1977, this Committee
23 was asked to review the use of bromocriptine for this
24 indication, as well as other indications. At that time, the
25 Committee did approve bromocriptine for other indications but

1 they said, quote, "it does not feel that there is as yet
2 sufficient evidence to support the use of bromocriptine for
3 the suppression of postpartum lactation".

4 By February of 1980, the sponsor had provided
5 enough evidence to convince the FDA staff that approval was
6 warranted. My view is that times have changed and that we
7 have a different perspective now on the use of this drug and
8 also the impact or importance or value of the efficacy data
9 that was provided at that time.

10 By 1982, the record shows that the medical officer
11 who was responsible for this drug for this indication
12 recommended that the label include warnings of possible
13 adverse reactions. That was an internal recommendation.

14 By the next year, May of 1983, the Agency met with
15 the sponsor and asked that the label include these warnings
16 that have already been referred to. The sponsor was not
17 exactly forthcoming. So the FDA took upon its own initiative
18 to issue in the Drug Bulletin, which goes to practicing
19 physicians, an article on possible adverse reactions.

20 (Slide)

21 In 1987, three years later, the Agency sent a
22 letter to the sponsor asking that the label be changed to
23 reflect these adverse reactions, possible adverse reactions,
24 and that a letter be sent to all physicians who may prescribe
this drug.

1 Again the sponsor was not particularly forthcoming.
2 So in April of 1987, this Committee had a meeting scheduled
3 to discuss this issue. After the meeting was scheduled, the
4 sponsor met with the Agency and agreed to change the label
5 and to send the letter. So the meeting was cancelled.

6 Then by July of 1987, the Agency accepted the text
7 of the letter and the label change and reminded the sponsor
8 that the letter should be sent to all members of the American
9 College of Obstetricians and Gynecologists. The spokesperson
10 for the Public Citizen has referred to that.

11 So because of the continued reports of adverse
12 reactions, and because of a concern within our group about
13 the use of this drug, we brought the issue back to the
14 Committee last year, in June of 1988. We addressed very
15 briefly what we had spent a lot of time on yesterday, that
16 is, the need for such a drug which, I must say, is a rather
17 unique issue to ask a Committee to discuss. From my per-
18 spective, usually you do not question whether a drug is
19 needed for cancer, heart disease or infection. There it is
20 used perhaps for a quality of life indication. The Committee
21 was asked to address that issue yesterday and answer the
22 questions .

23 But there was a study that was reported in progress
24 last year, the ERI study, and the Committee elected to defer
the discussion of the use of this drug for this indication

1 until today, when it has a chance to consider the ERI study.

2 That, very quickly, is a review of what has
3 happened and that is all I have to say.

4 DR. HULKA: Questions? Jim?

5 DR. SCHLESSELMAN: Dr. Corfman, could you explain
6 why the FDA felt the matter of indication for use of bromo-
7 triptine was sufficiently important to bring to the Advisory
8 Committee in 1977, but apparently did not bring this issue
9 before the Committee to advise on whether the indication
10 should be approved with regard to the 1980 approval? I infer
11 from your presentation that that was a staff decision, made
12 without the advice of the Committee. I am just curious why
13 something like that happened.

14 DR. CORFMAN: Well, your function, as you know, is
15 to advise us on questions that we elect to bring to your
16 attention, unless you call us and say you want to discuss
17 something. But you are advisory to the Agency and I can
18 simply answer that, Jim, by saying that it was not felt
19 efficiently suitable for Committee discussion during that
20 time.

21 It was brought to the Committee in 1977 because we
22 have a policy of asking Committee advice on new drugs for a
23 new use. It is almost a mandatory requirement. For instance,
24 at the last meeting you discussed Norplant partly for that
25 reason.

1 I would just answer your question by saying that we
2 did not feel that warranted Committee discussion until last
3 year.

4 DR. HULKA: Thank you. Dr. **Rarick**, from the FDA,
5 will speak on efficacy of bromocriptine for the prevention of
6 postpartum breast engorgement.

7 PRESENTATION BY LISA **RARICK**

8 (Slide)

9 DR. **RARICK**: We will begin our review of efficacy
10 with the mechanism of action of bromocriptine for anybody who
11 is unaware of the drug. It is a dopaminergic inhibitor of
12 prolactin secretion. As we know, **prolactin** increases during
13 pregnancy. The levels are given here. In late pregnancy
14 there is a very high level. The reason women do not start
15 lactating before delivery is that during pregnancy they have
16 high levels of estrogen and progesterone and at the time of
17 delivery, since the estrogen and progesterone levels decrease,
18 their inhibitory effect on the breast is withdrawn and women
19 can lactate. After delivery, if there is no stimulation,
20 levels usually decrease back to normal by the seventh day.

21 (Slide)

22 Original approval, as you saw, was in the 1979-
23 1980 range. The original approval for the supplemental
24 application for this indication included 24 studies in 748
patients. As you can see, that is approximately 20-30

1 patients per study. There were 12 U.S. and 12 foreign
2 studies .

3 The sponsor claimed 8 double-blind U.S. studies to
4 be considered their 2 studies upon which their claim of
5 efficacy was based.

6 (Slide)

7 I am going to review these 8 studies briefly. The
8 first 4 studies were actually dose-range studies; they were
9 not comparison studies. There were 119 patients in these
10 studies, divided into various dosage groups. Since we are
11 looking at the 5 mg per day group, we will look at that group
12 here. In the 119 patients, of those in the 5 mg a day group,
13 they had 70 percent effectiveness in preventing congestion
14 and secretion but, again, no comparison group. They say that
15 engorgement was rare and they have no rebound information.

16 (Slide)

17 The fifth study is a comparison study. It is
18 double-blinded placebo versus Parlodel, with 15 patients in
19 each arm, for 14-day therapy of 5 mg a day. As we can see,
20 here are a few problems with this study. The placebo had 7
21 dropouts due to failure treatment, leaving 8 patients in that
22 group. The Parlodel group had 2 dropouts due to headache,
23 blurred vision and dizziness, leaving 13 patients in that
24 arm.

In the remaining placebo patients there was 30

1 percent engorgement. In the remaining **Parlodel** patients
2 there was 8 percent engorgement. Congestion and secretion in
3 the placebo patients was rated to be slight, and in the
4 **Parolodel** patients it was rated to be absent to slight.

5 There were 4 side effects in the **Parlodel** group,
6 including 3 patients with decrease of systolic blood pressure
7 greater than 40 mmHg. Here we have no rebound information
8 available.

9 (Slide)

10 The last 3 studies were comparison studies again
11 but not versus placebo, instead, versus **ethinyl estradiol**.
12 They were double-blind. **Parlodel** was given for 14 days;
13 **ethinyl estradiol** for 7 days and placebo for the last 7 days.

14 They claimed **overall** similar efficacy between the 2
15 groups. In the **Parlodel** group, for example, they stated
16 engorgement at 10 percent; congestion and secretion, absent
17 or slight. They do report 24 percent of side effects in
18 their 41 patients on **Parlodel**, including dizziness, nausea,
19 vomiting and headache.

20 Of these 3 studies, 2 of them did have rebound data
21 available in 30 of the patients, showing 70-87 percent
22 rebound of secretion after 14 days of therapy.

23 (Slide)

24 In the overall review of the supplemental appli-
25 cation of the total 24 studies, the FDA mentioned 3 things:

1 they felt that 14-day treatment of 5-7.5 mg per day was
2 considered effective. In those studies where data were
3 available, rebound was found to be between 47-87 percent of
4 subjects for all the 24 studies. Their third note was
5 regarding the side effects. They report 112 symptoms in 62
6 of the 271 U.S. patients.

7 In the 5 mg per day group, there were 124 U.S.
8 patients, 25 percent of whom reported at least 1 side effect,
9 with the major players here being dizziness, headache and
10 nausea and vomiting.

11 They do make special mention of the hypotension,
12 which is 22 percent of the U.S. subjects in the 5 mg per day
13 group.

14 (Slide)

15 They do include a table of hypotension. It is a
16 little bit busy. This is-actually the number of patients in
17 each category, with the dose on the left. As you can see,
18 when they discuss hypotension, they mean anything greater
19 than a 20 mmHg drop in systolic blood pressure, and it can
20 range up to 59 mmHg.

21 (Slide)

22 To look at efficacy from the literature, as we did
23 yesterday on our other drugs, we will review 8 articles.

24 Most of these are double-blinded studies from the 1970s.

(Slide)

1 This shows that they are double-blinded. The first
2 three are versus other drugs; the fourth is a placebo
3 comparison.

4 Varga's study had 20 subjects in the **Parlodel** arm,
5 showing engorgement in the **Parlodel** group of 5 percent and
6 rebound of 20 percent in the **Parlodel** group. This was a 9-
7 day therapy with **Parlodel**. With DES they had 40 percent
8 rebound in this study. So they did feel that they had
9 efficacy compared to DES.

10 **Brun**, in 1973, had only 9 patients in their
11 **Parlodel** arm. It was not blinded. It was 15-day therapy
12 with no post-treatment follow up.

13 Utian was a study versus TACE with 16 patients in
14 their **Parlodel** arm. There were 80 percent of those patients
15 without any symptoms and rebound of 6 percent.

16 Walker is a placebo study, in 1975, with 32
17 patients in each arm. They could show significantly better
18 scores on lactation, engorgement, pain and tenderness, but
19 only on days 4 and 7 of their 14-day study. Rebound was 10
20 percent in the **Parlodel** group.

21 (Slide)

22 Dewhurst, in 1977, again is a placebo study, which
23 is double-blinded. I am counting here the number of subjects
24 who finished the study in the **Parlodel** group of 17. They
started out with 26. It was a 4-week study, all by question-

1 **naire.** They gave 18 days of therapy. As you can tell from
2 26 to 17, there were many dropouts. They did feel that there
3 **was** a significantly better result with **Parlodel** during their
4 **first** week but after the first week there was no difference
5 **between** the **Parlodel** and placebo groups.

6 Steemstrup, in 1977, had 20 patients. At day 7
7 they evaluated patients and again at day 14. At day 7, they
8 found 80 percent effectiveness in their **Parlodel** group but
9 they do note 40 percent rebound.

10 Yuen, in 1977 again, was a global assessment by the
11 nurse who gave "more effective than **TACE**". They did notice
12 significantly decreased blood pressure on day 2.

13 Shapiro was a binder study versus placebo study so
14 it was not blinded, in 1984. They had 25 patients and had the
15 results that **bromocriptine** was more effective in their first
16 **week** but the binder being more effective on the third week of
17 their 3-week study. There was rebound of 24 percent in the
18 **Parlodel** group, with 32 percent side effects in the **Parlodel**
19 arm.

20 (Slide)

21 Yesterday you mentioned can **Parlodel** be used for
22 symptoms? There are various scant data in the literature
23 that address this issue. I will just touch on that briefly.
24 None of them were double-blinded or placebo-controlled. It
25 is difficult to answer this question, depending on how you

1 Look at the need issue, whether a patient was given Parlodel
2 for symptoms or given nothing.

3 But in the literature we do have this 1977 study of
4 36 women with engorgement. They were treated with 1 dose of
5 2.5 mg of bromocriptine. Of course, these were also women
6 that were not going to continue lactating. Of these, 28
7 patients said they had relief with 2 dose and 6 patients had
8 another dose with relief and 2 patients continued to be
9 engorged after the first day. It is difficult to know what
10 that really tells us.

11 (Slide)

12 Brun, in their study also included 5 subjects who
13 were already lactating. Again, these were subjects who were
14 lactating and decided not to breastfeed on day 6-19 post-
15 partum. They were given bromocriptine and all stopped
16 lactating. One wonders if they had any controls if they
17 would have stopped lactating as quickly. It is hard to say.

18 (Slide)

19 There are 2 more articles that refer to the use of
20 bromocriptine after lactation was begun. There were 10
21 patients in the Walker study with discomfort on day 3. They
22 treated them with 5.0 mg per day and had symptoms diminish
23 rapidly. Again, that is hard to interpret.

24 Varga, in 1972, just quotes that in several
patients -- no number given -- bromocriptine was found to be

1 effective not only when administered immediately postpartum
2 out also when lactation was established.

3 (Slide)

4 The Committee members have the current label now
5 before them. In terms of looking at the current labeling in
6 case you do decide to change the labeling in some respects, I
7 just wanted the audience also to know what is currently in
8 the label.

9 There is an indications and usage section in the
10 physician label that mentions prevention of physiologic
11 lactation. In the physician label there are warnings,
12 including symptomatic hypertension, stroke, seizures, severe
13 headaches, visual disturbances, acute MIs and hypotension.

14 (Slide)

15 The physician label goes on with a section called
16 precautions . Under physiologic lactation, it includes
17 hypotension, hypertension, headache and CNS toxicity.

18 (Slide)

19 And in the information to the patient section,
20 although this is not a patient information pamphlet, it
21 includes under adverse reactions, physiologic lactation side
22 effects, hypotension and the serious reactions as already
23 mentioned. Then there is a dosage and administration
24 section.

In conclusion, we reviewed many studies from the

1 original approval and from the literature. Bromocriptine,
2 depending on how you interpret the results, and by various
3 different interpreters' analyses, I am sure it can be felt to
4 be possibly effective, both theoretically and by the different
5 interpretations of these studies.

6 In light of our current questions of need and
7 possible safety concerns, we again ask the Committee for
8 recommendations in use of this product.

9 Thank you. Any questions?

10 DR. HULKA: Questions from the Committee? Questions
11 From the floor?

12 (No response)

13 It seems a bit early to break since we just
14 started. We do have the ERI study, Epidemiological Resources,
15 Inc. study, on which we heard just a few preliminary words a
16 year ago. We have now received the full report of this study
17 and if the presenter is ready at this time, Dr. Rothman, to
18 present the study, we would be ready to hear it.

19 PRESENTATION BY DONNA FUNCH

20 DR. FUNCH: I am Donna Funch. I worked with Dr.
21 Rothman on the study. I am going to start by giving you a
22 brief history of the study and I will describe the study
23 design. Dr. Rothman will present the study findings.

24 (Transparency)

This is going to reiterate a little bit what you

1 have already heard. In 1980 bromocriptine was approved for
2 use in the United States as a lactation preventor. In 1984,
3 the Food and Drug Administration Drug Bulletin announced that
4 the labeling of bromocriptine was being revised to reflect
5 reports of postpartum hypertension, stroke and seizures
6 associated with the use of bromocriptine.

7 At that time, the announcement was based on 17 case
8 reports. There have been fewer than 100 adverse reaction
9 reports for these 3 outcomes since 1980. These reports are
10 difficult to interpret since bromocriptine has been used by
11 millions of women in the United States since its introduction.

12 For this reason, ERI was asked by Sandoz, in 1986,
13 to conduct an epidemiologic study to examine the relation
14 between bromocriptine and these possible adverse outcomes.
15 The protocol for this study was submitted to Dr. Sobel and
16 Maiche (phonetic), at the FDA, and after minor modifications,
17 was judged to be acceptable.

18 Hypotension was excluded from study since its less
19 severe nature and was inconsistently documented in the
20 medical records. The other outcomes, puerperal strokes and
21 seizures are relatively rare events, with the risk of stroke
22 estimated between 0.25/10,000 births and 0.4/10,000, and the
23 risk of seizure estimated at 1.9/10,000 births.

24 Since hundreds of thousands of pregnancies would
have to be examined to identify a reasonable number of cases

1 for study, we determined that the only feasible design was a
2 case control study based on hospital records. Even using
3 this approach, relatively few strokes were expected.

4 In planning the study, the issue of study size was
5 discussed between Sandoz and the FDA. In a **letter** to Dr.
6 **Westlin**, at Sandoz, dated July 29, 1986, Dr. **Sobel** addressed
7 the issue of study size and wrote as follows: In reference
8 to the proposed study to determine whether an increased risk
9 of stroke and seizure exists for patients taking bromocriptine
10 for postpartum lactation suppression, we believe that a study
11 that is capable of detecting a relative risk of 2 would be
12 the most acceptable to us. however, we recognize that a
13 study that can determine the existence of this level of risk
14 would be impractical because of the very large population
15 base of deliveries that would be required. We, therefore,
16 will accept a study that will provide power to detect at
17 least a relative risk of 5.

18 Using the guidelines suggested by Dr. **Sobel**, and
19 assuming a ratio of 8 controls for each case, it was calcu-
20 **lated** that a study with 40 cases of seizure would yield a
21 power of 98 percent to yield a relative risk of 5. A study of
22 10 cases of stroke would yield a power of 68 percent.

23 It was agreed by Sandoz, ERI and the FDA that a
24 study should be directed primarily at evaluating the risk of
seizures and that whatever stroke cases could be detected

1 within the population would also be studied.

2 The study proposal anticipated identifying about 40
3 cases of seizure and 10 cases of stroke. In fact, as you
4 will see in one of the later tables, we ended up with 43
5 cases of seizure and 10 cases of stroke.

6 (Transparency)

7 This figure outlines study procedure. We have 3
8 data sources. Medimetrik is a private organization that
9 maintains computerized data on a number of hospitals across
10 the country. Maine Health Information Center is a non-profit
11 health data consortium that collects data from hospitals in
12 Maine. Saskatchewan Health collects hospitalization data on
13 all residents of Saskatchewan.

14 Cases in the study were between the ages of 15-44
15 and experienced a seizure or stroke during the hospitalization
16 for delivery or within 30 days from the date of delivery.
17 Controls were matched to cases on age, hospital of delivery
18 and month and year of delivery.

19 (Transparency)

20 Table I summarizes information on the data sources,
21 including the number of ICD-9 codes we had available for case
22 identification. The births occurred between 1981 and 1986.
23 Our matching target as a maximum of 8 controls per case. You
24 can see the total number of cases and controls for each data
source in the bottom portion of the table. We had a total of

1 228,779 births from 58 hospitals. From that, we were able to
2 identify 43 cases of seizure with 319 matched controls, and
3 10 cases of stroke with 77 matched controls.

4 (Transparency)

5 This figure outlines data collection procedures.
6 The first step involved record review of all potential cases.
7 These were women with ICD-9 codes suggesting both delivery
8 and a stroke or seizure.

9 These records were reviewed and if the event was
10 judged to be postpartum, the record was abstracted. If the
11 neurologic event occurred during a readmission, the abstracter
12 abstracted data from both the readmission and the delivery
13 Hospitalization.

14 These data were evaluated by Dr. wolf, the neuro-
15 logist. He had no information at the time he made his
16 evaluation as to whether or not the case had used bromo-
17 criptine. Once the cases were determined, we identified
18 controls for those cases and their data were also abstracted.

19 (Transparency)

20 You can see the types of data that we collected in
21 this figure. I just want to comment that when at all
22 possible, we xeroxed all information in the medical records
23 relevant to medication administration. We also xeroxed all
24 information relating to the neurologic event for the cases.

Overall, we were able to obtain at least some xeroxed

1 nformation for 95 percent of the study subjects.

2 (Transparency)

3 Table IV -- 1 might note that the table numbers go
4 y the same numbers that are in the final report, for those
5 of you who have a copy -- just reviews the time of events in
6 relation to delivery by data source. Most of the events did
7 occur within 48 hours of delivery. The data sources did vary
8 somewhat by their ability to identify cases through readmis-
9 sion. Maine Health Information Center and Saskatchewan Health
10 could identify readmission and Medimetrik could not.

11 Dr. Rothman will now present the study findings.

12 PRESENTATION BY KENNETH J. ROTHMAN

13 (Transparency)

14 DR. ROTHMAN: You have the report. The report
15 gives the results of very many analyses that we did but not
16 all of them. We conducted quite a few analyses during last
17 summer and we presented the most important ones in the
18 report. Even so, there are too many results to present now.
19 Since you have the report, I am just going to summarize some
20 of the highlights.

21 First I am going to talk about the seizure findings.
22 Since we do not have very much to say about stroke, I will
23 just present the small amount about the strike findings at
24 the end.

25 This table, Table V from the report, gives the

1 crude data for seizure findings, bromocriptine and seizure.
2 This is a 2 X 2 table. It is a very simple display of the
3 data but it turns out to be quite an apt summary of the
4 findings for the relation of bromocriptine and seizure.

5 As you can see, we had 43 cases of postpartum
6 seizure and 4 of these cases had received bromocriptine. We
7 had 319 matched controls and 37 of them had received bromo-
8 criptine. If we calculate the relative risk estimate, which
9 can be calculated from this 2 X 2 table by taking 4 times 282
10 and dividing that by the product of 37 by 39, we get an
11 estimate of the relative risk here of 0.78. The relative
12 risk would be 1 if there is no effect. The fact that it is
13 0.78 indicates that the bromocriptine users, the women who
14 had received bromocriptine, are estimated to be at 22 percent
15 lower risk of seizure than the women who did not get bromo-
16 criptine.

17 That is only an estimate and it has a certain
18 amount of statistical instability associated with it. You
19 can get an idea of that from the confidence interval. We
20 present a 90 percent confidence interval which is, for me,
21 quite consistent. I always present 90 percent confidence
22 intervals. As you can see, it goes from 0.29 to 1.87. So
23 this gives you an idea of the range that we have for the
24 possible values for the relative risk that these data are
consistent with.

1 This is just a crude summary. We did many other
2 analyses looking at confounding factors and subgroups. I am
3 going to present some of those. But in the end, we think
4 that this is quite a fair summary of our overall findings for
5 bromocriptine and seizure.

6 One important analysis to conduct in a study where
7 there is individual matching of controls is an analysis that
8 epidemiologists often refer to as a matched analysis. It is
9 an analysis that takes into account the matching procedure
10 and corrects for biases that may be introduced by the fact
11 that the controls are selected with regard to certain factors
12 that could be related to the exposure.

13 We corrected the matched analysis. The results of
14 that are in the report. The relative risk estimate that we
15 got from the matched analysis was similar to this. It was
16 0.68 and it was close enough to this that we inferred from
17 that that it would not be important for us to keep the
18 matched sets intact through the rest of the analysis. This
19 is a fairly standard approach in epidemiologic analyses. It
20 was not terribly surprising since it happens quite often.
21 But it enabled us to conduct stratified analyses that are a
22 lot simpler to present. So that makes my job a little easier
23 today.

24 (Transparency)

25 This is another 2 X 2 table, again, a crude summary

1 of the data. But in this table the exposure definition has
2 been changed slightly. Exposure is now restricted to a
3 slightly narrower time window. The time window is the
4 interval of time that extends no more than 7 days before the
5 event of seizure in this slide that the case experienced. So
6 if the seizure occurred on day 15, for example, unless there
7 was some indication that there was continuing exposure at
8 least out through day 8, we would count the individual as not
9 exposed, unless there was exposure in that 7-day window.

10 We calculated exposure for the control subjects
11 according to the time window that would have applied to the
12 case that the control was matched to because we did not have
13 an event that occurred for the control.

14 Changing the exposure definition in this way
15 eliminated 1 exposed case. We have now 3/43. It eliminated
16 corresponding proportion of exposed controls. The relative
17 risk estimate remained 0.78. So narrowing the time window
18 did not seem to make a difference in the effect estimate.

19 (Transparency)

20 This is one example of some of the analyses that we
21 conducted to control for confounding factors. One of the
22 confounding factors we were interested in controlling was
23 Hypertension. This is a slide that indicates control of
24 diastolic hypertension.

The method that that we are using to control here

1 .s the method of stratification. We divided data into
2 **categories** of the potential confounding factor. We calculate
3 **the** odds ratio within the categories and if it is appropriate,
4 **that** is, if it does not vary excessively, we can combine
5 **these** estimates over the strata into a summary estimate.

6 That is what we have done in this slide. The
7 **numbers** within strata are somewhat sparse but the summary
8 **estimate** is not really any less stable statistically than
9 **crude** data because it does represent the information **sum-**
10 **marized** over the three strata.

11 Several subjects (14) had to be put into a category
12 **of** uncertain because we did not have information on diastolic
13 **blood** pressure. We did have information on the others. We
14 **divided** them into the 2 categories you see, according to the
15 **Definition** on the slide, and the summary estimate was a
16 **relative** risk of 0.75, very close to the finding that we had
17 **without** controlling for confounding by diastolic hypertension,
18 **which** indicates that this was not a confounding factor in our
19 **analysis.**

20 (Transparency)

21 Another factor that we were interested in as a
22 **potential** confounding factor was seizure history. In this
23 **analysis** we defined a history of seizures as either a mention
24 **in** the medical record of a seizure history or an indication
that the woman was taking **anticonvulsants.** So either of

1 these was taken as an indication of seizure history.

2 We still had quite a number of subjects for whom we
3 did not have definite information one way or the other about
4 seizure history. We had to class these individuals into a
5 third category that we labeled "uncertain".

6 I would like to point out in this slide that if you
7 look at those subjects who did have information about seizure
8 history, if you look first at the cases, you see that among
9 29 cases that had information about seizure history, 27/29
10 had a positive history of seizures -- 27/29, a very high
11 proportion of these seizure cases for whom we had information,
12 did have a history of **seizures**.

13 Among the controls the distribution is also very
14 striking, but in the opposite direction. Only 4/146, for
15 whom there was information one way or the other about seizure
16 " history, had a history of seizures.

17 I suspect that among the uncertain subjects, if we
18 really knew the seizure history distributions, it would also
19 be quite different for the cases and the controls.

20 One thing that this tells us is that seizure history
21 is an overwhelmingly strong risk factor for the presence of
22 postpartum seizure. But that fact alone does not mean that
23 it would be a confounding factor in an analysis. It means
24 that it would be something important to look at. But when we
25 stratify by seizure history, as best we can in this slide,

1 and then summarize the findings over these strata, the
2 relative risk estimate is still 0.78, the same as the crude
3 finding. So for this analysis, it did not seem that seizure
4 history was a confounding factor. Attempting to control for
5 it did not seem to make any difference.

6 (Transparency)

7 We looked at the presence of preeclampsia as a
8 potential confounding factor. It was not. The summary
9 relative risk was 0.78. We were also interested in whether
10 or not women who had signs of preeclampsia would somehow be a
11 susceptible subgroup to some hypothetical effect of bromo-
12 criptine or seizures. So we were interested in the relative
13 risk estimate in the stratum labeled "preeclampsia" but, as
14 you can see, the relative risk estimate from that stratum
15 was, in fact, 0. So there did not seem to be an especially
16 susceptible subgroup.

17 (Transparency)

18 In this table we conducted a similar analysis,
19 looking at the effect of type of anesthetic. We divided the
20 anesthetics received during delivery into three categories,
21 none, general and other. Again we found that there was no
22 confounding, or no substantial confounding by type of
23 anesthetic. There was a special interest in this case in
24 women who had received a general anesthetic to see if this
25 was an especially susceptible subgroup but, again, this was a

1 stratum that had a relative risk estimate of 0.

2 I should emphasize that these stratum-specific
3 relative risk estimates are based on relatively few subjects.
4 So they have a substantial amount of statistical instability.
5 But the best estimate that we can make, for example, for
6 general anesthesia in this analysis is that there does not
7 seem to be any special effect of bromocriptine in that
8 subgroup.

9 Those will suffice, I think, for the analyses
10 demonstrating our control of confounding variables. We also
11 conducted analyses, and I will show you one as an example in
12 a few minutes, where we used multivariate modeling to control
13 simultaneously for several confounding factors. The results
14 of those analyses were very, very similar to the results of
15 these stratified analyses and I prefer to present the
16 stratified data since you can actually see the frequencies.

17 In the next couple of analyses I am going to
18 present findings that are restricted to certain types of the
19 cases, subsets of the cases, that represent seizures that
20 might be considered a subgroup of all the seizures that we
21 identified.

22 (Transparency)

23 In this example we singled out seizures that were
24 generalized seizures, thinking that this would be a subgroup
of more severe seizure cases and, therefore, might be worthy

1 of special interest. Actually, 31/43 seizure cases ex-
2 perienced generalized seizures. For this subgroup the
3 relative risk estimate was also around 0.8. So it did not
4 seem that there was a specially different phenomenon occurring
5 in this subgroup.

6 (Transparency)

7 This table examines the subgroup of cases, 11, that
8 we defined as late-occurring seizures. In this analysis it
9 was defined as cases that occurred more than 72 hours after
10 delivery. We pursued this topic, in part, because Bruce
11 Stadel, of the FDA, called us and told us that this ought to
12 be a group to be examined; it was of special interest. I
13 think it was because of an appearance that stemmed from some
14 of the adverse reports that the FDA had received.

15 Among these 11 cases, we do have some small numbers
16 here but the risk estimate was 2.86. This was the first
17 relative risk estimate you have seen in my presentation that
18 shows an effect greater than 1, the first positive effect as
19 opposed to a negative effect that we found.

20 (Transparency)

21 That, in itself, was interesting. But this crude
22 estimate, it turns out, was confounded by seizure history.
23 When we attempt to control seizure history for this subgroup
24 of cases -- and this gets a little bit dicey since the
25 numbers do get quite small within this stratum, but since we

1 know that seizure history is an overwhelmingly strong risk
2 factor, it is essential in any analysis to make sure that it
3 is under control. In this case it did look as if there were
4 some confounding by seizure history because when we attempted
5 to control for it, the effect estimate is small. It is still
6 above 1.0; it is 1.6 but it is considerably different from
7 2.86.

8 In any case, we were still interested in this
9 particular subgroup because of the positive finding. I should
10 say that we explored different definitions of late-occurring
11 cases. We also divided late occurring from early occurring
12 at 48 hours. We repeated these analyses and we got the same
13 result. We also used 96 hours and we got the same result.
14 We took 72 after looking at the distribution of time and we
15 thought that that was a reasonable cutting point to separate
16 out a group that looked like early cases from late cases.
17 But the actual division did not seem to matter very much,
18 that is, where the boundary was drawn.

19 We were interested in this finding since it was the
20 only positive effect that we had found up to this point and
21 we wanted to explore it a little. We did explore it in one
22 particular way. We noticed that there were 3/11 cases of
23 late-occurring seizures that had been exposed to bromo-
24 criptine. We found in one of our analyses that the apparent
effect -- I should emphasize that in epidemiologic terms that

1 this would be considered a very modest effect, with a relative
2 risk of 1.6. That is not to make a statement about the public
3 health implications of that finding, but in terms of the
4 strength of association, ordinarily epidemiologists would
5 describe this as a weak association. This association seems
6 to be concentrated within an unusual subgroup of subjects,
7 the subgroup of subjects who had received ergonovine after
8 delivery.

9 (Transparency)

10 If we stratify by ergonovine, and now we are
11 stretching the data I think to the limits since we have a
12 fair number of small frequencies in this display, but we see
13 that the relative risk estimate among the stratum where women
14 received ergonovine postpartum is 49, whereas, in the other
15 stratum is was 0.88. So the effect among late-occurring
16 cases does seem to be concentrated in this subgroup that
17 received **ergonovine**, although that is not a statement that I
18 can make as a definitive one because the numbers are small
19 and there is a fair amount of statistical uncertainty with
20 this finding.

21 On the other hand, the discrepancy between these
22 two effect estimates in these two strata is remarkable and
23 that is why I am remarking upon it.

24 (Transparency)

This is the only table I am showing you that is not

1 in the report. Although we did mention this particular
2 finding, I did not put the table in the report. But it is
3 just to show you the same table as you saw on a preceding
4 slide but now for the early-occurring cases.

5 There are two reasons to look at this. One reason
6 is that if you look at the summary estimate, summarizing the
7 bromocriptine effect as estimated among these early-occurring
8 cases across these 2 strata, you see that the relative risk
9 estimate here is 0.24, strongly negative. It would correspond
10 in the other direction to a relative risk of about 4. What
11 this shows is that if you take a small subgroup of cases and
12 you find a positive relation, where the totality of cases
13 overall have a modest negative association, then for the
14 remaining subjects there would be an even stronger negative
15 association and that is what we find here.

16 I think more important though in this slide is the
17 information about the relation between bromocriptine and
18 seizures among those who received ergonovine and experience
19 early-occurring seizures.

20 I think it would have added to some biological
21 plausibility to find that the apparent interaction that we
22 saw for late-occurring cases also existed for early-occurring
23 cases. I think this would have sparked much more interest on
24 my part in pursuing a biological explanation for this
finding. But, indeed, we do not see the same pattern. We

1 see a relative risk estimate of 0 here. Although quite
2 unstable, I admit that, it, nevertheless, does not seem to
3 point in that same direction and this detracts somewhat from
4 the biological explanation.

5 There are other reasons to be unsatisfied with a
6 biological explanation for the apparent interaction that I
7 **showed** you. The main one is resting on pharmacodynamics. It
8 **seems** that ergonovine will be cleared from the body in a
9 matter of hours. Of the 2 cases of seizure that have
10 **exposure** to ergonovine and to bromocriptine and later
11 experienced a seizure, 1 of these seizures occurred 5 days
12 **after** the ergonovine and the other occurred more than 20 days
13 **after** the ergonovine was administered. So in terms of
14 **current** knowledge, we would have a lot of difficulty explain-
15 ing that biologically. So it may well be just a peculiarity
16 **of** the data that does not have a biological explanation but
17 it was certainly interesting enough to report.

18 (Transparency)

19 This analysis was an attempt to focus on what we
20 thought might be a low risk subgroup of cases. We excluded
21 those seizure cases that had experienced a seizure late in
22 the prepartum period or that that had preeclampsia. The
23 remaining cases (28) might be considered a low risk subgroup.

24 The reason to focus on a low risk subgroup is that
very often when there is an effect hidden in a body of data,

1 the effect can be magnified by focusing on people who have a
2 low baseline risk because, since we are measuring the
3 relative increase in risk here, if the baseline risk is low,
4 then the relative increase might be large if there is a
5 certain added risk from the drug, for example. So I think it
6 is a fairly standard approach to take a look at low risk
7 subgroups to see if there is an effect that happens to be
8 strong in that group.

9 Of course, this assumes that there would not be any
10 interaction. So this is not the only kind of analysis one
11 would do. But when we did this, we found that in this low
12 risk subgroup the effect estimate was not any larger than in
13 the crude. In fact, it was slightly smaller.

14 (Transparency)

15 This is the one example I am going to show you of
16 the multivariate analyses that we did. This was a logistic
17 analysis. There are two types that one uses in case-control
18 studies, conditional, which keeps the matched sets intact in
19 the analysis, and ordinarily it would be appropriate for
20 matched data, except that we had already demonstrated that it
21 was not necessary to keep the sets intact. We did conditional
22 logistic analyses and got results very close to these results
23 from the unconditional model which ignores the matching.

24 In this model we have an effect estimate for
25 bromocriptine which is 0.68, close to what you have seen for

1 the crude data. We also put in a separate term indicating
2 exposure to TACE or Deladumone. We had some exposures to
3 these agents as well in our study, although not as many as to
4 bromocriptine. The effect estimate for TACE or Deladumone
5 is considerably lower than that for bromocriptine.

6 I think the interesting part of this multivariate
7 model are the findings for seizure history. We did this
8 analysis to look at the components of seizure history. I
9 did find there were two components to seizure history in our
10 early analyses, mention in the medical record and use of
11 anticonvulsants. We wanted to see how those two components
12 predicted risk. We were partially interested in that and
13 partially interested in controlling them separately.

14 We found that positive seizure history had a
15 relative risk estimate from this multivariate model, which is
16 very strong, 183. That is statistically very "unstable but
17 so quite high. Current anticonvulsant use had a relative
18 risk estimate that was also quite high, 9, although nowhere
19 near as strong as the estimate for seizure history.

20 At first that surprised us but we had a chance to
21 think about it and we appreciated the fact that anticon-
22 vulsants are, in general, to prevent seizures and that is
23 probably responsible for the difference between the effect
24 estimates for anticonvulsants and seizure history with no
mention of anticonvulsant use.

1 (Transparency)

2 The last slide that I will present is a slide
3 showing you the stroke data. This is a 2 X 2 table sum-
4 marizing the stroke findings. We had 10 stroke cases and 1
5 of these cases received bromocriptine. We had 77 matched
6 controls; 1 control was exposed to bromocriptine. The
7 relative risk estimate here is high, 8.4, because the
8 relative risk is estimated by taking 1 times 76 and dividing
9 that by 1 times 9.

10 We do not think this table is very informative and
11 I would like to tell you why. Despite the large effect
12 estimate, you can see right away that the effect estimate is
13 very unstable. That is part of the reason but that is not
14 the entire reason.

15 This was actually a disappointing finding for us.
16 From the great imprecision in this table, one might infer
17 that we really did not have a lot of information on stroke,
18 although last year I was somewhat non-committal about it. I
19 said that we do not know exactly what we would learn but it
20 was worth looking at it. We were disappointed here. The
21 disappointment stems from an anomaly in these data that
22 contributes to the great imprecision of this estimate. The
23 anomaly is that the proportion of controls that had taken
24 bromocriptine in this 2 X 2 table is exceptionally low, 1/77.

Our seizure study, which had a much larger control

1 series, the proportion of controls who received bromocriptine
2 was about 12 percent. From data that the FDA has presented
3 from general hospital populations, 12 percent looks like a
4 number that is quite typical. In fact, all of the information
5 that we have leads us to believe that 12 percent is about
6 what one should expect.

7 Had we gotten 12 percent in the control series in
8 this 2 X 2 table, we would have had an effect estimate near 1
9 and it would also have been somewhat more stable than this
10 estimate. But we do not feel it proper, naturally, to
11 discard our control series just because we do not like the
12 results but we are a little bit concerned that it does not
13 fit in with what we would expect based upon other data.

14 We thought about this and we tried to explain it.
15 We thought it may be, because these controls were matched to
16 this particular series of cases of stroke, that there were
17 characteristics of these stroke cases that led to a small
18 proportion of exposed controls. We examined all of the
19 characteristics of these cases that might have been related
20 to exposure to see if that could account for it. But nothing
21 that we examined could account for it.

22 So in the end, we did not really have a good
23 explanation for why we got this anomalous result for this
24 control series. It may not even be completely just to call
it anomalous. This is the result that we got. But it does

1 ot square with our other findings.

2 If we think about the meaning of the data, now that
3 e look at what we actually got, I do want to point out that
4 f we make the usual statistical assumptions that would be
5 **plied** to a 2 X 2 table, if we apply the usual statistical
6 **model**, which is the hypergeometric model, and we consider the
7 **margins** of the 2 X 2 table to be constant, to be fixed, and
8 hat the only thing that might vary is the body of the table,
9 hen we realize that for the number of exposed cases in this
10 able there are only 3 outcomes that we could have gotten
11 **tistically**. We could have gotten 0, we could have gotten
12 or we could have gotten 2, if we take 2 as the fixed number
13 n the total in the bottom row.

14 So with only 3 outcomes, getting 1 exposed case is
15 he intermediate outcome. If it had been 0, the relative
16 isk estimate would have been 0. This was by far the most
17 **ikely** outcome, given these margins for this 2 X 2 table. If
18 t had been 2, the relative risk estimate would have been
19 **nfinity**, an extreme association in a positive direction as
20 **pposed** to an extreme association in a negative direction.
21 'he only intermediate outcome was the one that we actually
22 **bserved**, which, under the null hypothesis, had more than 20
23 **ercent** probability.

24 So in the end, we just thought that there was not
25 **uch** that we could learn about strokes from these data and we

1 were disappointed in that. We thought that if there were a
2 serious interest in learning about the relation between
3 bromocriptine and strokes, it would require an epidemiologic
4 study that was targeted on strokes, rather than targeted on
5 seizures.

6 That is all I am going to present. I would be
7 happy to answer questions.

8 DR. HULKA: Questions? Yes, Paul?

9 DR. MANGANIELLO: I have two questions about the
10 way you controlled for the cases and the controls. Why did
11 pick 95 mmHg diastolic and 160 mmHg systolic rather than, for
12 instance, taking a conservative approach by saying 90 mmHg
13 diastolic and 140 mmHg systolic?

14 DR. ROTHMAN: That question has actually come up
15 several times. I am glad you asked it. We had a very simple
16 rule for choosing 95 mmHg diastolic and 160 mmHg systolic.
17 We had assembled an outside advisory committee to advise us
18 on how to conduct the study. We asked them for the analysis
19 of hypertension -- what would you recommend to us as the cut-
20 off values? That is what they told us. So that is what we
21 pursued.

22 The reason that they gave us those values is
23 because these are the cut-off values that had been used in
24 large epidemiologic studies, such as Farmingham's.

DR. NIEBYL: In other words, your advisers were

1 nternal medicine people, not obstetrical people? Because
2 40/90 are the usual obstetrical numbers that we use in
3 postpartum patients.

4 DR. ROTHMAN: I believe that we also reanalyzed the
5 ata using different numbers and it did not change the
6 **results**. But we reported those values for the reasons I gave
7 ou .

8 DR. MANGANIELLO: In your final report, on page 24,
9 ou say that we do not know how long patients took **bromo-**
10 **riptine** but the usual course is for 2 weeks, which would
11 ave ended **bromocriptine** exposure 8 days before a seizure.
12 hen in some of the tables, such as Table IV, in the 3 data
13 ases that you use, the only data base that had seizure cases
14 eyond 22 days was the Saskatchewan value. If you assume
15 hat patients take **bromocriptine** for 14 days, then everybody
16 ould fall into the range of exposure.

17 DR. ROTHMAN: I am not exactly sure of your
18 question.

19 DR. MANGANIELLO: On Table VII all the patients
20 all within the guidelines of 21 days.

21 DR. ROTHMAN: Remember, on Table IV, not all of
22 hose cases are exposed cases. This is just the timing of
23 he events since delivery. Only some of these people ever
24 eceived bromocriptine. Does that help you?

DR. MANGANIELLO: Could you just explain Table VII

1 a little better?

2 DR. ROTHMAN: I will try. If you look at Table V
3 first, Table V gives you exposure any time following delivery
4 and before the seizure for the cases. For the controls, any
5 time following delivery and before the time of the seizure
6 for the matched case. So this is the maximum amount of
7 exposure that we could measure under any reasonable set of
8 assumptions, 4 cases and 37 controls.

9 In Table VII we have eliminated some of the people
10 who were counted as exposed in Table V because the exposure
11 did not come within the 7-day period before the seizure.
12 There was only 1 case where that occurred.

13 DR. MANGANIELLO: There are 3 cases and 28 controls
14 who were taking bromocriptine. If you have assumed that 14
15 days is the usual course of therapy and you are taking 7 days
16 for Table VII of drug ingestion, then everybody would fall
17 within -- I do not see how you got from Table V to Table VII.

18 DR. ROTHMAN: The people in Table IV represent all
19 the cases, many of whom never received bromocriptine at any
20 time, 90 percent of whom never received bromocriptine.

21 DR. NIEBYL: Those are cases, not controls.

22 DR. ROTHMAN: There are no controls on Table IV.
23 You have to remember that 90 percent of people on Table IV
24 never received bromocriptine at any time.

25 DR. NIEBYL: They had seizures but did not have

1 bromocriptine.

2 DR. MANGANIELLO: I see. I am sorry, okay.

3 DR. ROTHMAN: I am sorry that was not clear --

4 DR. MANGANIELLO: That is all right.

5 DR. ROTHMAN: Is there another question?

6 DR. SCHLESSELMAN: Dr. Rothman, would you please
7 comment on Table XXVIII?

8 DR. ROTHMAN: Is there any particular aspect that
9 you want me to comment on?

10 DR. SCHLESSELMAN: You were remarking about the
11 relationship between bromocriptine and ergonovine and its
12 apparent association with late-occurring seizures, no evidence
13 of their joint association with early-occurring seizures in
14 your presentation of the stratified analyses. You did not
15 comment on, say, Table XXVIII, which relates to all seizures,
16 regardless of whether they were early or late occurring --

17 DR. ROTHMAN: Right.

18 DR. SCHLESSELMAN: -- in relation to the joint
19 occurrence of these two exposures.

20 DR. ROTHMAN: This is a summary of the findings for
21 all seizure cases. If you compare Table XXVIII with Table
22 XIX, you will see that the relative risk estimate for those
23 who had joint exposure to bromocriptine and ergonovine, for
24 the late-occurring seizure cases (Table XXIX) was very
strong. It was about 20. But in the totality of the cases,

1 it is about 4.5.

2 My interpretation of that is that the interaction
3 effect is concentrated in those late-occurring cases. As you
4 saw from the stratified data that I presented before, among
5 the early-occurring cases there does not appear to be any such
6 interaction. That is my interpretation of the comparison of
7 those two models.

8 DR. HULKA: I would like to ask you a question
9 going back to page 12. This has to do with how subjects got
10 into the study or who did not get into the study. Maybe you
11 could repeat a little bit of this. I notice there was
12 nothing in a visual display or table showing what we might
13 call losses before the study starts. It is not immediately
14 obvious how this could affect your results but it is always a
15 potential for bias. So I am wondering what you did to
16 evaluate, not only just the numbers of hospitals in each of
17 these systems that did not cooperate and get into the data
18 that you analyzed, but how that might have related to total
19 numbers of deliveries, and numbers of deliveries in those
20 hospitals that did not participate. Certainly, one can
21 envision that some hospitals might have a greater propensity
22 to this routine use of bromocriptine than other hospitals.

23 DR. ROTHMAN: That is certainly true. There was a
24 very large winnowing process in the selection of subjects
25 that actually got into the study, as there needs to be, among

1 the universe of all possible deliveries that we might have
2 access to. I think we were first constricted by those data
3 sources that were cooperative and would be able to provide
4 information to us. We eliminated hospitals that were not
5 using bromocriptine at all because they were not going to
6 contribute to our study. We also eliminated, as you probably
7 read in the discussion, a promising data source that just was
8 not going to provide enough cases to make it worth the
9 administrative costs of getting that data source to cooperate
10 with us. We had to train people at each site in order to
11 abstract the records, and so forth.

12 So there was that part of the process to get the
13 set of cooperating hospitals. In Maine there were many small
14 hospitals that we just did not include because it was too
15 much effort to go to them and it was unlikely, we felt, that
16 we could get a substantial number of individually matched
17 controls from those hospitals. So there were many pragmatic
18 issues in the selection process.

19 The concern epidemiologically would be if there
20 would be a bias introduced by any of that selection and we
21 did not see how that selection would alter the effect
22 estimates that we were getting. Obviously, the process is
23 related to the prevalence of Parlodel use. But that, in
24 itself, as you know, would not present any problems of bias.

The next phase that might be of concern to you, I

1 think, would be, first of all, the identification of cases
2 and, second of all, the identification of matched controls.
3 In the identification of cases, our biggest problem was how
4 to find them and, in particular, how to find **all** of them since
5 some of the events that we were looking for might occur after
6 patients were discharged.

7 That is why you may have noticed that in our report
8 there was a fair amount of discussion about readmissions. In
9 one of our data sources, in Medimetrik, we could not link
10 readmission to the original hospitalization. For that data
11 source we were only able to ascertain what we have described
12 as early-occurring cases.

13 The Saskatchewan data source provided us direct
14 information on readmission through record linkage. In Maine
15 we were indirectly able to identify readmission through
16 another source. We could scan the data in Maine and by
17 matching demographic information we could find readmission.

18 So we think that we did miss some of the events
19 that occurred in the hospitalized population through **readmis-**
20 **sions** . That, in itself, again is not a concern as far as
21 bias goes, as far as we can tell. But it is a concern
22 possibly as far as the size of the study goes in that,
23 otherwise if we had been able to find more of these cases, we
24 my have been able to have a few more subjects that we could
25 have analyzed. We could not think of a way in which the

1 readmission problem would have biased our study findings
2 seriously.

3 The problem of identifying controls was essentially
4 the same in this study as in any other study of individual
5 matching. We set a target which was very high in the study,
6 eight controls per case. The reason is because cases were
7 limited and we wanted to get as much information from that
8 limited case series as we could. So we wanted a large
9 control series. We found that we could identify within our
10 matching criteria eight controls for each case for most of
11 the cases. But there were a few that occurred in relatively
12 small hospitals in which there were not enough deliveries to
13 find eight controls. We relaxed the matching criteria in a
14 couple of cases and in some cases we just had to settle for
15 fewer than eight.

16 I think that part of the process, the identification
17 of the controls, is the part that potentially could have
18 introduced a bias if Parlodel use were strikingly related to
19 hospital size, for example, and we could only get eight
20 controls, our target number, in big hospitals. That might
21 have been a problem. But it should not have been a problem
22 in matched analyses. It would only have been a problem in
23 crude analyses. Since we got similar results in those
24 analyses, we did not think there was any serious bias
introduced by that either.

1 So obviously there was a lot of winnowing down in
2 this study, as in any study, and it ought to be a subject of
3 concern. But we could not theorize any important source of
4 bias that would have done the thing that would have been of
5 most concern, which is to eradicate a strong association
6 between bromocriptine and seizures. Sorry to be so long-
7 winded.

8 DR. HULKA: Other questions? Comments? It is now
9 10:45 and maybe we could take a 15-minute break --

10 DR. ROTHMAN: Excuse me, may I make one final
11 comment --

12 DR. HULKA: Sure.

13 DR. ROTHMAN: -- just in relation to the remarks
14 that Dr. Teich made this morning? I just wanted to say that
15 since he put it on the record that it seemed as if ERI was
16 somehow negligent in not searching its data base for other
17 outcomes -- that is what he said -- well, that is just a
18 simple piece of misinformation. These are not ERI's data
19 bases, in the first place. ERI conducted a case-control
20 study, which means that we first identified people who had
21 selected outcomes. These outcomes were dictated to us; we
22 did not choose them.

23 But to do the kind of study that Dr. Teich was
24 describing, one would have had to do a completely different
kind of study. One would have first had to identify a very

1 large series of women taking bromocriptine and then look at
2 the outcomes. That was not the research design that we
3 conducted, nor could one have conducted that within one order
4 of magnitude" of the cost that this research was conducted at.

5 DR. HULKA: We will reconvene then at 11:00.

6 (Brief recess)

7 DR. HULKA: We will continue this morning's session
8 with presentations. Dr. Tom Gross, of the FDA, will present
9 a critique of the ERI study.

10 PRESENTATION BY THOMAS P. GROSS

11 (Slide)

12 DR. GROSS: Good morning. My comments regarding
13 the ERI study will be limited to the following areas: quality
14 of study design; quality of data sources; relative risk of
15 early versus late onset of disease; and assessment of risk.

16 (Slide)

17 In terms of quality of study design, ERI ap-
18 propriately chose case-control methodology for use in
19 conducting these studies considering the rarity of seizures
20 and strokes in the postpartum period. Their choice of data
21 sources was appropriately considered but may have fallen
22 short in an important aspect of case ascertainment. More
23 will be said about this later.

24 Verification of cases and ascertainment of controls
25 is appropriate. The potential confounding factors identified

1 For study inclusion seemed appropriate, as did the time
2 windows for **bromocriptine** exposure.

3 Finally, the investigators' data collection
4 procedures, training and quality control checks, presented in
5 detail in their report, seem sufficient.

6 (Slide)

7 The issue of the quality of data sources is really
8 one of adequacy of case ascertainment. Data that address
9 this issue were gleaned from the ERI report and are presented
10 by source in several variables, namely, the proportion of
11 hospitals recruited; the proportion of cases that were
12 readmissions; the proportion of postpartum seizure and stroke
13 cases that were late onset; and point estimates of relative
14 risk for postpartum seizures. Due to small numbers of cases,
15 data relevant to postpartum stroke are presented but the
16 focus of discussion will be on seizures.

17 (Slide)

18 Of the 3 data sources, only Saskatchewan Health had
19 all potential study hospitals recruited. We do not know how
20 hospitals not included in the study from the other two data
21 sources may have differed from those included in terms of
22 potential case and control characteristics.

23 As was noted by ERI, only Saskatchewan Health used
24 unique and consistent patient identifiers that allowed for
25 seemingly complete case ascertainment, including, and

1 importantly so, readmissions. No readmission could be
2 identified through Medimetrik and, likely, only a portion
3 through MHIC since, in this data base, readmission to a
4 hospital different from the hospital of delivery had to be
5 identified using matching demographic variables, a less
6 precise method than using unique identifiers.

7 (Slide)

8 Thus, 75 percent of all identified cases from
9 Saskatchewan Health were readmission, compared to 35 percent
10 for MHIC and 0 percent for Medimetrik. Unfortunately, the
11 ability to identify readmission by postpartum seizure or
12 stroke diagnosis or time of onset of illness, that is, early
13 versus late, was not possible given the data that is presented
14 in the report.

15 An argument was presented by ERI that the proportion
16 of cases that were readmission for Saskatchewan Health was
17 artifactually high since only 2 diagnostic codes, compared to
18 several for the other data sources, were available for case
19 identification. Thus, some events might not get coded during
20 the delivery hospitalization but may on readmission.

21 However, considering the seriousness of postpartum
22 seizure and stroke, it seems more likely that these events
23 occurring during the delivery hospitalization would get
24 recorded as one of the two diagnostic codes available. If
so, then the proportion of cases that were readmission would

1 not be artifactually high.

2 Since it is probable that readmission cases are
3 more likely to be late onset, one might expect that the
4 proportion of cases that are late onset by data source varies
5 in a fashion similar to readmission proportions.

6 (Slide)

7 And they do, from 55 percent in Saskatchewan Health
8 for the proportion of seizures that are late onset to 11
9 percent in Medimetrik. The number of stroke cases are too
10 small to detect notable trends.

11 (Slide)

12 If one proceeds to examine the relative risk point
13 estimates by data source for seizures overall, one again
14 notices a similar pattern to that proportion of cases that
15 were readmission for late onset. This variability in
16 relative risk estimates may be partially explained by noting
17 that the estimate for Saskatchewan Health data (2.86)
18 corresponds closely to the relative risk estimate for late
19 onset cases, as it should since the majority of its cases are
20 late onset. The analogy is similar for Medimetrik and MHIC
21 data.

22 (Slide)

23 In summary, the variability in relative risk
24 estimates parallels the variability in the proportion of
seizure cases that were late onset and the proportion of

1 **ases** that were readmissions. Thus , the ability to identify
2 these late onset cases through readmissions is critical to
3 the relevant risk estimate. The trend in these estimates
4 then suggests that case under-ascertainment in terms of the
5 **potential** to identify readmission and, therefore, late onset
6 **ases** may be related to bromocriptine use. If so, this would
7 bias relative risk estimates to unity for no risk.

8 In considering this possibility, it is understood
9 that the readmission data in the report are not available by
10 diagnosis or by time to onset, data which would shed light on
11 the validity of the argument.

12 Given the apparent difference in the quality of
13 data sources in terms of recruitment of hospitals and ability
14 to identify readmissions, it may be prudent to place greater
15 weight on relative risk estimates from Saskatchewan Health
16 than the other data sources. For this reason, any pooled
17 relative risk point estimate, as that of 0.78 for overall
18 seizure risk, may not best represent risk since it obscures
19 these differences.

20 (Slide)

21 As the report notes, any attempt to interpret
22 **ausally** the positive association for late onset seizures,
23 that is, a relative risk point estimate of 2.86, should
24 address the negative association among the remaining early
onset cases, that is, a relative point risk estimate of 0.25.

1 The report claims that "a reduction in seizure risk
2 is consistent with reports of anti-seizure activity for
3 bromocriptine in various species, including humans".
4 However, this does not explain the increased risk noted for
5 late onset seizures. As an explanation for this, the report
6 notes that concomitant ergonovine exposure greatly increased
7 risk for late onset seizure "whereas either exposure alone in
8 the absence of the other did not appear to elevate risk for
9 seizure at all" .

10 However, as also noted in the report, the rapid
11 clearance of ergonovine, with clinical effects lasting only
12 up to 3 hours, is difficult to reconcile with seizure onset 5
13 and 25 days after receiving ergonovine in the 2 known exposed
14 cases. Any attempt to explain such effects "reach beyond
15 what is currently known about the biologic effects of
16 ergonovine" .

17 We considered other possibilities, mainly selection
18 bias and delayed onset of seizures, in attempts to explain
19 the apparent negative association in early onset cases.
20 Although selection bias, without going into detail, did not
21 appear to explain the negative association, delay in seizure
22 onset introduced by bromocriptine might. Thus , if bromocrip-
23 tine had such an effect, one would detect relatively more
24 late onset and relatively less early onset seizures than
25 expected if there were no such effect.

1 pertinent to this discussion is the question as to
2 whether the early and late onset seizure cases differ quali-
3 tatively. The report notes that 10/11 late onset seizure
4 cases were **non-eclamptic**, compared to 22/32 early onset
5 cases. It could be argued that if the late onset seizure
6 cases are, indeed, caused by **bromocriptine**, then one might
7 expect them to differ in certain aspects from the **non-**
8 **eclamptic** early onset seizure cases. This could be true
9 despite the lack of association between **bromocriptine** and
10 **non-eclamptic** seizures in general, as noted in the report.

11 (Slide)

12 As predicted in the previous Advisory Committee
13 hearing, the greatest limitation to these studies was the
14 small number of cases identified. The rarity of the outcome
15 and the infrequency of exposure made interpretation of the
16 results more difficult. However, the findings relative" to
17 seizure risk were somewhat informative, whereas the findings
18 relative to stroke risk were predictably less so.

19 With regard to the latter, with only 1/10 stroke
20 cases and 1/77 stroke controls exposed to **bromocriptine**, the
21 relative risk point estimate was unstable at 8.4, with a 90
22 percent exact confidence interval of 0.4-1.62.

23 As was true for the authors of the report, a
24 reasonable explanation for the marked disparity in exposure
prevalence to **bromocriptine** among controls in the two studies

1 cannot be offered. Suffice it to say, a larger study is
2 needed to sufficiently answer the issue of the risk of
3 postpartum stroke following exposure to bromocriptine.

4 It has been shown that the crude data for late
5 onset seizures are most consistent, with an almost 3-fold
6 increase in risk, with a range of possible effects based on
7 90 percent confidence intervals from a 21 percent reduction
8 in risk to an almost 9-fold increase. Adjusting for seizure
9 history results in a relative risk estimate still most
10 consistent with an increase in risk of 61 percent.

11 In an attempt to interpret these data, it has been
12 shown that the relative risk estimates for crude overall
13 seizure risk vary by data source and that the variation may
14 be linked to the ability to completely ascertain readmission
15 cases. The best estimate may reside in that data source with
16 full recruitment of its hospitals and seemingly complete case
17 ascertainment.

18 Of equal concern that an explanation for the
19 apparent negative association of early onset seizures with
20 bromocriptine is the consideration of delayed seizure onset
21 induced by bromocriptine. The corollary to this issue, that
22 of a positive association noted in late onset seizures, was
23 attributed in the report to ergonovine exposure in 2 of the
24 only 4 seizure cases who used bromocriptine. Although this
25 explanation stands on firm ground statistically, its biologic

1 pPlausibility remains to be shown.

2 In summary, the data are too sparse to assess the
3 ffect of bromocriptine exposure on postpartum stroke but
4 **uggest** an increased risk for late onset postpartum seizure
5 **ollowing** bromocriptine use for lactation prevention. This
6 uggested risk might be weighed against potential benefits.
7 hank you.

8 DR. **HULKA**: Questions?

9 DR. **MANGANIELLO**: Can I ask a question of Dr.
10 Rothman?

11 DR. **HULKA**: Maybe we will have questions here first
12 nd then we can have a discussion.

13 DR. **MANGANIELLO**: Okay. Basically, it is about the
14 uestion that you raised earlier about readmission to
15 **ospitals** and the question that you are raising here also. I
16 gness I am not certain why a different data base was not
17 btained, such as looking at a particular outcome with
18 **eizures**. There are individuals who have looked at outcomes
19 y trying to look at, let's say, a health maintenance
20 rganization or a third party carrier, like Blue Cross and
21 **Blue Shield**. Dr. Jack Linberg has done that with **prosta-**
22 **ectomies** . Can Dr. Rothman indicate if there was a problem in
23 rying to generate data for this particular topic, utilizing
24 data base which would kind of cross over different hospi-
als?

1 DR. ROTHMAN : The answer is that we approached
2 every data base that we knew about that might have information
3 that we could use. There were certain requirements that had
4 to be employed for us to be able to use it. The diagnoses
5 had to be computerized. We had to be able to have access to
6 the medical records and the data base had to be big enough to
7 be worthwhile training abstracters to go in there.

8 For example, one of the data bases that we did
9 approach was an HMO but we calculated that the number of
10 deliveries in that data base were such that we would only
11 have two or three cases from that entire source and it was
12 not worthwhile.

13 So we studied all those data bases that could
14 provide us with any reasonable amount of information. There
15 was none that we omitted that could have added to our
16 resources for this study. If we had heard of any others, we
17 would have certainly used them.

18 DR. HULKA: Are there other questions of Dr. Gross?

19 DR. SCHLESSELMAN: Dr. Gross, with regard to your
20 point about the apparently better case ascertainment in
21 Saskatchewan, would you please comment about this in light of
22 the reported rates of seizure by summary of data sources? If
23 one looks in Table I of the ERI report, the seizure rate per
24 10,000 is reported to be 1.4 in Saskatchewan, as opposed to
25 1.8 at MHIC and 2.5 in Medimetrik. Of course, there might be

1 environmental or other factors in the population that account
2 for some variability in the rates but the seizure rate, in
3 fact, is lowest in Saskatchewan, where you are arguing that
4 the case ascertainment is, in fact, the best.

5 DR. GROSS: I do not think those are mutually
6 exclusive considerations. You can still have low seizure
7 rates with good case ascertainment. We did look at those
8 rates. They do not differ statistically significantly at the
9 0.05 level. So although they appear to be different,
10 statistically they are not. But just because you have a low
11 background rate, it does not necessarily mean that you cannot
12 have good case ascertainment. It certainly makes it more
13 difficult but I do not think it necessarily excludes the
14 possibility.

15 If you look at the three data sources, Medimetrik
16 did not identify any readmissions. I think that is a real
17 problem in terms of coming up with a pooled estimate for the
18 relative risk -- including a data source where you do not have
19 any information essentially on readmission and, therefore,
20 very little information on late onset disease, since it is
21 most likely that readmission are linked to late onset
22 disease -- I think that is the point I really wanted to
23 stress. The best estimate may actually lie somewhere between
24 WHIC and Saskatchewan Health. Assuming that we may be
missing some early onset cases in Saskatchewan Health, which

1 would tend to lower the risk in that data base, and it is
2 likely we are missing some late onset cases in **MHIC**, which
3 would tend to increase that risk, so the overall true risk
4 may fall somewhere in between those two data sources.

5 DR. **SCHLESSELMAN**: Could you please repeat for me
6 the rationale which was raised for focusing on late onset
7 cases, that raised this issue initially?

8 DR. GROSS: I cannot really expound on that fully.
9 I was not around at the time. If there is somebody else that
10 can?

11 DR. **NIEBYL**: I think it is a good question from the
12 obstetrical point of view because patients who have seizures
13 related to the pregnancy, such as **eclamptic** seizures, usually
14 seize within the first 24-48 hours. So that would be a group
15 that you could attribute to other causes. So looking at late
16 seizures might be more likely to be associated with other
17 factors.

18 DR. GROSS: You will also hear Wendy Nelson this
19 afternoon concerning our spontaneous reports of seizures and
20 strokes, as well as some other adverse events. If I am
21 correct, the preponderance of the seizure reports, if not all
22 of them, are late onset cases, that is, greater than 72
23 hours.

24 DR. **NIEBYL**: That is because that would be unusual.
if a patient seizes within the first 24 hours postpartum,

1 especially if she is hypertensive and had **preeclampsia**, there
2 are other explanations for that. Whereas, in a late onset
3 seizure you would question whether there might be some other
4 things going on.

5 DR. HULKA: Further questions? Yes?

6 DR. GRAHAM: David Graham, from FDA. Just to give
7 you sort of an added perspective on what Dr. Gross was just
8 saying, I am a neurologist by training, and with the late
9 onset seizures, with most of the patients out of the hospital,
10 you would probably expect 100 percent of those patients to be
11 readmitted to hospital, or a very high proportion, especially
12 if the woman had no prior history of seizures, the rule of
13 thumb would probably be to admit that patient to hospital.
14 So if you have evidence that you are not ascertaining those
15 cases through a readmission mechanism, then you really cannot
16 say anything about it. You are missing a whole universe. I
17 think that is the point that Dr. Gross is trying to make. It
18 ties in with the clinical mode of presentation of the disease
19 as well.

20 DR. RAGAVAN: I just want to make one comment. In
21 my review of the NDA folder, there is one question that has
22 come up and maybe we can lay it to rest. That is, something
23 called late onset eclampsia, which has been reported and has
24 never been very well studied. It has been mentioned in the
NDA folder many times. Dr. **Niebyl**, maybe you could clarify

1 for us what this is and whether it is associated with
2 pregnancy so that we can lay that question to rest.

3 DR. NIEBYL: We do not know. But the vast majority
4 of seizures attributable to **preeclampsia** occur within the
5 first 24-48 hours. If a patient seizes a week or 2 weeks
6 after delivery -- I mean some people could label that late
7 onset **eclampsia** but I think most of the cases justify a
8 thorough neurologic evaluation for something else being the
9 explanation. I do not know exactly the answer to your
10 question. Some people use **late onset eclampsia** to mean later
11 than 24 hours. But still it is usually within 72 hours. So
12 when you talk about 3 weeks postpartum you are talking about
13 something that is very unlikely to be related to **preeclampsia**.

14 DR. HULKA: Other questions or comments for Dr.
15 Gross? Is there any discussion of the study generally? Dr.
16 Rothman?

17 DR. ROTHMAN: I would just like to make a couple of
18 comments. First of all, I want to make sure there is no
19 misunderstanding about one aspect of our study. It takes a
20 long time to do an epidemiologic study. When this study was
21 planned there was no interest that anyone ever voiced to us
22 about late onset cases. The focus after the fact on late
23 onset cases, after all the data were collected and as the
24 analysis was already under way, was something we were having
25 to accede to.

1 But to criticize a study design because the study
2 design could not capture **all** the late onset cases, I think is
3 a little bit unfair to us since this was something that had
4 never been discussed by anybody when the study was planned.
5 If we had planned it with this in mind, we certainly would
6 have planned it somewhat differently or at least we would
7 have considered that in the planning stage. So I want to
8 make sure that it is understood that this was an issue that
9 **came** up after the data were collected and not when the study
10 **was** being planned.

11 The other thing I would like to say is that I am a
12 **little** disappointed in the critique because I think that
13 **every** one of the issues **that** was raised is discussed in our
14 report. We put a lot of work into that and we tried to give
15 **you** a balanced interpretation of the findings. Unfortunately,
16 [I think Dr. Gross just selected comments out of our report
17 **and** gave it a different inflection. It sounds a little
18 **different** coming from him than it would, I think, coming from
19 **me** and I am a little disappointed in that because these are
20 **issues** that we have discussed and considered.

21 I am a little wary of the concept that seems to
22 **have** been presented that you can pick out a single finding or
23 a subgroup finding and start to emphasize it and disregard
24 **everything** else. I think you have to look at the whole
25 **picture** and give a balanced interpretation to that. That part

1 of the critique was disappointing to me. That is all I have
2 to say.

3 DR. HULKA: Well, those of us involved in epidemi-
4 ologic research are certainly commend your report and study
5 for the obvious work and care that has gone on to create
6 this .

7 If we could go on to our first presentation
8 scheduled for the afternoon, I believe Wendy Nelson, of the
9 FDA, is in the audience and is ready to present her report on
10 update on reports of adverse reaction to bromocriptine.

11 PRESENTATION BY WENDY NELSON

12 (Slide)

13 MS. NELSON: The purpose of my presentations to
14 summarize the adverse drug experiences associated with
15 Parlodel that are in the FDA spontaneous reporting system. I
16 am going to summarize the adverse event reports received by
17 FDA in 1988, and also provide an overall summary of the
18 events reported over the past ten years, since Parlodel was
19 first approved for prevention of physiological lactation.

20 Before I do this, I would like to take a few
21 moments simply to describe the FDA spontaneous reporting
22 system for those of you who may not be familiar with it and
23 review briefly some of the limitations of spontaneous
24 reporting.

1 probably already knows, is a computerized data base that
2 contains reports of suspected adverse drug reactions that are
3 submitted by health professionals, pharmaceutical manu-
4 **facturers** and individual consumers. When a report is
5 received by FDA, it is reviewed by a member of our Office,
6 the Office of Epidemiology. The adverse events are coded
7 according to a medical thesaurus and the report is entered
8 into a computer where it can then be readily accessed.

9 This slide just lists some of the general limi-
10 tations of spontaneous reporting, which are important to bear
11 in mind as we look at the spontaneous reports. First, the
12 information is often incomplete and we may lack adequate
13 information to fully assess the relationship between the drug
14 **exposure** and the event.

15 Second, suspected adverse reactions are under-
16 reported and although we do not know what proportion of
17 **adverse** events are actually being reported, recent FDA-
18 **sponsored** studies in a couple of states to study adverse drug
19 reaction reporting suggest that only 1-5 percent of suspected
20 **events** are actually reported to us.

21 Third, reporting may be biased by such factors as
22 **recent** publicity about a drug, either in an article in a
23 medical journal or in a newspaper.

24 Fourth, because we do not know what proportion of
events are being reported, we cannot determine the rate of

1 event occurrence in the population using the drug. Therefore,
2 we cannot estimate what proportion of women taking **Parlodel**
3 are actually experiencing these events.

4 Finally, and most importantly, one cannot neces-
5 **sarily** infer causality from an adverse drug reaction report.
6 In evaluating the relationship between any exposure and
7 event, one must always be alert to the possibility of
8 confounding and that is the existence of some third factor
9 that may be related to both drug exposure and the outcome.
10 Common confounders may include the patient's underlying
11 illness or concomitant medications.

12 (Slide)

13 With these caveats in mind, we will turn to the
14 reports themselves. What I did, I searched the spontaneous
15 reporting system for all domestic adverse event reports in
16 which **Parlodel** was given to prevent physiologic lactation. I
17 reviewed only those events that had a serious outcome. By
18 FDA definition, that is that the patient required inpatient
19 hospitalization or died as a result of the event.

20 (Slide)

21 This slide summarizes all of the serious events
22 associated with **Parlodel** therapy for the prevention of
23 physiologic lactation that are in the FDA spontaneous
24 reporting system through 1988. I would like to point out

1 entered into the system, not necessarily the year the event
2 occurred. Sometimes the event onset and when the report is
3 actually received by us can differ by a few years,

4 In the first column, 1979-87, the data are sum-
5 marized for these first 9 years and these are the data that I
6 presented to the Committee a year ago. The second column are
7 the data just for 1988. I assume these are new data for the
8 Committee. In the third column I simply **totalled** them all.
9 In parentheses I have indicated the number of deaths. So for
10 cerebrovascular accident there were a total of 18 reports and
11 6/18 died as a result of the event.

12 As you can see, necrologic and cardiovascular
13 events predominate. In 1988, the only other events of note
14 are 3 reports of postpartum psychosis. Then at the bottom I
15 have listed 1 report of **syncope**, although when I spoke to the
16 pharmacist who reported the event, he said they felt that
17 this was a hysterical reaction. The only reason I included it
18 here is because the young woman was hospitalized overnight
19 for observation. But was felt to be hysterical.

20 So in summary, over the past 10 years, on the
21 bottom line of the slide you see that there were a total of
22 85 serious reports of events that were attributed to **Parlodel**
23 and there were a total of 10 deaths. So 10/85 died.

24 For the remainder of my presentation I am going to
25 focus on the first 5 events, seizures, **cerebrovascular**

1 events, hypertension, **myocardial** infarction and sudden death.

2 (Slide)

3 Looking now at seizures, in 1988 there were 7
4 reports of seizures in women 18-36 years old. I have
5 included in this group a woman who was thought to have
6 developed seizures secondary to cortical vein thrombosis.

7 Three of the women were white; one woman was **black**;
8 one was Hispanic and race was not specified for one. The
9 seizures occurred between the fourth and eighth postpartum
10 day and for all but one patient they were accompanied by
11 severe headache. Six of the seven patients had taken **Parlodel**
12 for three to eight days preceding the event and duration of
13 **Parlodel** use was unknown for one woman. Six of the seven
14 women were not **preeclamptic** by history. One woman reportedly
15 developed edema during the latter part of her pregnancy but
16 had an uneventful C. section and was discharged at 72 hours.
17 This woman developed seizures on postpartum day six.

18 Information on underlying illness was available for
19 five of the seven women. These five women were reportedly
20 healthy and had no underlying medical conditions. Five of
21 the seven women had received at least one other medication
22 postpartum. Two women received **Percocet**; two received a
23 **nonsteroidal** anti-inflammatory drug for pain; one woman
24 received **Sudafed**. Five of the women recovered. Unfortunate-
25 ly, long-term outcome was unknown for two patients.

1 (Slide)

2 This slide shows the distribution of seizures by
3 days postpartum. On the X axis I have days postpartum, day 0
4 meaning day of delivery. On the Y axis I have number of
5 **cases**. The 25 green boxes represent cases reported between
6 1979-1987. These were presented to you last year. The 7
7 **orange** boxes represent cases reported to us in 1988.

8 As you can see, cases occurred between 3-17
9 postpartum day, with a clustering between the 5-10 days
10 postpartum. I have not shown on this slide 4 cases that were
11 reported before 1988 whose dates of onset we could not be
12 certain of. It has merely been reported that the event
13 occurred in association with **Parlodel** but the date of the
14 event was not specified.

15 Again, I should point out here that the reason we
16 do not have cases before day 3 may reflect the fact that if
17 **cases** are expected to occur during this time period, they
18 **would** not be reported. Whereas, cases occurring after 3-4
19 **days** might be viewed as an unusual event and, therefore, be
20 reported to us. So I think we have to bear this in mind.

21 (Slide)

22 Turning now to **cerebrovascular** events, this slide
23 **lists** the 10 **cerebrovascular** events that were reported in
24 1988 and their outcomes. I would like to acknowledge the
assistance of Dr. Graham, in our Office, who is a neurologist

1 and who did review these with me.

2 I have listed the events as they were reported by
3 the physician. We have **sagittal** sinus thrombosis, hemorrhagic
4 stroke, stroke not otherwise specified, venus sinus throm-
5 bosis, cortical vein thrombosis and this is the woman who was
6 included in the seizure group previously, subarachnoid
7 hemorrhage, intracerebral hemorrhage and one report of
8 transient ischemic attack. That is why I called it a **cerebro-**
9 **vascular** event. Three of the patients died and two survived
10 but are severely disabled now. The two cases with an
11 asterisk are literature reports from 1984 that were entered
12 into our system this past year.

13 (Slide)

14 This graph shows the distribution of the **cerebro-**
15 **vascular** events by days postpartum. Again the X axis is
16 postpartum days; the Y axis is the number of cases. The
17 **green** boxes represent cases reported between 1980-1987 and
18 the orange boxes were reported in 1988.

19 If we were to include the young woman who had
20 seizures and cortical vein thrombosis, she had her event on
21 **day 5**. So there would be an additional orange box on day 5.

22 With the exception of the case that occurred at day
23), and as I recall, this woman had her event and died within
24 15 hours of delivery, the events occurred between 4-26 days
25 postpartum.

1 (Slide)

2 The 9 patients who had **cerebrovascular events** in
3 1988 ranged from 22-38 years old; 4 patients were white, 4
4 **were** black and race was not specified for 1 patient.

5 Information on duration of **Parlodel** use was known
6 **for** all but 1 of the patients. And 8 patients had taken
7 **Parlodel** for 3-13 days prior to their event and **all** events
8 **occurred** while the patient was receiving the drug.

9 Information on concomitant medication was known for
10 7/9 women; 5 women were not taking any other medications,
11 **other** than **Parlodel**; 1 patient received **acetaminophen** and
12 **there** was 1 patient with a history of hypertension who was
13 **taking Aldomet**; 7/9 women did not have a history of **pre-**
14 **eclampsia**. The 1 woman who had a transient ischemic attack
15 **was** described as having mild toxemia by her physician on the
16 **basis** of moderately elevated blood pressure and trace
17 **proteinuria**. Also in this group there was 1 woman who had a
18 **10-year** history of hypertension. And 8/9 women had no
19 significant underlying illnesses. The 1 woman who did have a
20 **10-year** history of hypertension also had sickle cell trait.

21 I would **also** like to point out that for the 1988
22 **cases** I was able to actually contact the majority of the
23 **reporting** physicians who verified the information, as well as
24 **being** able to give me more complete follow-up information.
25 **No** history of **preeclampsia** and that kind of thing was

1 validated.

2 (Slide)

3 In 1988 there were 3 reports of new onset hyper-
4 tension in women ages 25-35 that occurred 2-10 days pos-
5 tpartum. None of the women had been **preeclamptic**. Hyper-
6 tension was accompanied by severe headache for 2/3 patients.
7 Patient number 1 was a 25-year old black female who had no
8 history of hypertension and whose highest blood pressure
9 recorded during pregnancy was 120/76. She presented to the
10 emergency room on the 6th postpartum day with a blood
11 pressure of 200/110. **Parlodel** was discontinued. She was
12 treated with beta blockers and her blood pressure returned to
13 baseline in 24 hours. Concomitant medications included only
14 **Darvocet** and **ibuprofen**.

15 Patient 2 was a 26-year old white female who also
16 had no history of hypertension and who received **Parlodel** for
17 3 days and on the 8th day she presented to the ER with a
18 blood pressure of 200/120. She was hospitalized and treated
19 with **Nipride** and recovered. The only other medication she
20 was taking was **Tylenol**.

21 The third patient was a 35-year old woman whose
22 baseline blood pressure was in the 120/60-80 range. After 2
23 days of **Parlodel**, her blood pressure was 140/100. She was
24 also receiving a variety of other analgesics, **Demerol**,
codeine, **Tylenol**, as well as antibiotics. **Parlodel** was

1 discontinued and her blood pressure returned to baseline
2 within 2 days. She was not treated with any other **antihyper-**
3 **tensives.**

4 (Slide)

5 The remaining 2 events are 1 report of **myocardial**
6 **infarction** and 1 report of sudden death. I will describe
7 these very briefly. The first patient was a 22-year old
8 **black female** who had an uneventful pregnancy and delivery.
9 She began taking **Parlodel** on postpartum day 2. On postpartum
10 **day 10** she presented to the emergency room with severe chest
11 pain and a blood pressure of 180/120. Cardiac catheterization
12 revealed moderate stenosis of the left anterior descending
13 artery, with no other evidence of atherosclerotic heart
14 disease. The patient survived with neurologic deficits
15 secondary to anoxia that she suffered during her cardiac
16 arrest.

17 The second patient was a 25-year old white female
18 **whose** only underlying medical disorder was obesity. The
19 patient was described as having mild **preeclampsia** on the
20 basis of moderately elevated blood pressure, moderate edema
21 and trace proteinuria. She had an uncomplicated cesarean
22 section and was discharged home on postpartum day 3. The
23 physician stated that the patient had probably received
24 **Parlodel** for 4 days postpartum with no other concomitant
medications. On postpartum day 5, the patient reportedly

1 awoke from a nap, collapsed and died. On autopsy, she was
2 found to have, and I quote, vascular changes consistent with
3 sepsis, although no organism was ever identified. There were
4 no signs of stroke or pulmonary emboli on autopsy.

5 So in summary, I have presented to you the serious
6 adverse drug experience for Parlodel since it was first
7 approved for prevention of physiological lactation. My
8 conclusion this year is much the same as my conclusion last
9 year. That is, there is no single instance where we can be
10 certain that Parlodel was responsible for the event.
11 However, when the individual necrologic and cardiovascular
12 events are viewed in the aggregate, they suggest that
13 Parlodel may pose a risk that we feel warrants further
14 consideration by the Committee. Thank you.

15 DR. HULKA: Questions? Comments?

16 DR. ROY: Was the myocardial infarction patient a "
17 smoker?

18 MS. NELSON: Yes, she was.

19 DR. BARBO: Do you have any information that more
20 of these fall out in the over 30 age group or over 35 age
21 group who had cardiovascular events or is it a spread?

22 MS. NELSON: It appears to be spread out. The one
23 MI was a 22-year old woman. Which of the events do you mean?

24 DR. BARBO: Any of the cardiovascular events. I am
25 just wondering if there is any family history or do you not

1 have that information for these patients?

2 MS. NELSON: When I spoke to the physicians I asked
3 if they knew of any family history or the patient's own
4 history and, for the most part, it was all negative.

5 DR. RAGAVAN: In my review, although I do not
6 remember the exact details, but as I recall, most of the
7 patients were in their early 20s. Very few of them were
8 older patients, which goes along with the use of Parlodel and
9 lactation in the younger patients.

10 DR. TEICH: Dr. Douglas Teich, from Health Research
11 Group. I have three comments. The first is that I think it
12 is worth noting that if you look at the number of reports,
13 for example, with cerebrovascular events, the number reported
14 this year is equal the number reported for the previous 8-
15 year interval. If you look at seizures, it is roughly 25
16 percent of the total that was reported last year, which
17 suggests at least that the labeling may have been effecting
18 in alerting physicians to the possible association and points
19 out again why the labeling and the letter to physicians is so
20 important in at least trying to make the spontaneous reports
21 somewhat resemble what is going on out there.

22 Along similar lines, the second point is that there
23 is tremendous under-reporting of these events, as evidenced,
24 for example, by a couple of cases of myocardial infarction in
association with bromocriptine that we have learned about in

1 the last year. When I pursued these cases, which we hear
2 about through attorneys, for example, we find out that the
3 original describing physician has never reported them to the
4 FDA . It is sort of the first time that it has been suggested
5 or thought of, which gives at least some anecdotal impact to
6 what you have described.

7 Finally, often in the approval of these drugs or
8 later on, the FDA has increasingly looked at foreign adverse
9 drug reactions. We know that this drug is used overseas. I
10 was wondering whether or not you have any data bearing on
11 foreign adverse drug reactions.

12 MS. NELSON: We do get foreign reports into the
13 spontaneous reporting system. In 1988, I believe there were
14 only one or two foreign reports but I did not feel I could
15 include them because generally we do not like to group
16 foreign and domestic together because foreign use may differ
17 in some way from the way **Parlodol** is used here. So we did
18 not really think it was fair to combine them. But there were
19 only a couple.

20 MS. FLORY: I am Margaret Flory, from **Sandoz**. I
21 just want to comment on the number of adverse reactions which
22 were reported to the FDA during 1988. Let me also mention
23 things that make reports happen, such as "dear doctor"
24 letters, which were sent out in 1988.

I believe that at the meeting last year of this

1 group there was some comment that the FDA did not have their
2 hands on some of the information that **Sandoz** had. So after
3 that time, some information was resubmitted to the FDA. So
4 that is why so many of them are dated '88. In some of the
5 reports a physician would say, I got your letter and that
6 reminds me of a case that I had a year and a half ago. Thank
7 you .

8 DR. WENTZ: You said resubmitted. Are you **suggest-**
9 **ing** that these cases were previously reported and there is
10 implication?

11 MS. FLORY: I am, indeed, saying that they were
12 **submitted** earlier. I do not know whether they are **double-**
13 **reported** in the FDA system. We were unable last year to
14 **discuss** them.

15 MS. NELSON: We are very careful and I check by
16 **state** of reporter, age, date of onset, date of delivery, and
17 [am virtually 100 percent certain that these are not at all
18 **duplicated**.

19 MS . FLORY : And I am not suggesting that there is
20 **any** duplication.

21 DR. HULKA: I would just reinforce your point that
22 **when** any sort of event occurs, such as our meeting last year
23 **and** the discussion of **Parlodel**, this is the sort of thing
24 **that** gets known around that does then stimulate reporting.

25 **That** is a well-known phenomenon that potentially biases

1 reporting.

2 DR. WOLF: I am Phil Wolf. I am from Boston
3 University and I worked in the ERI study. I just wonder if
4 Wendy could tell us how many cases or postpartum seizures and
5 strokes occurred in the U.S. last year so as to put this into
6 perspective, or in 1980 or in 1978.

7 MS. NELSON: You mean how many women between 15-44?

8 DR. WOLF: Had stroke, yes, or a postpartum seizure.

9 MS. NELSON: I do not know that information
10 offhand. The only information I have, and I do not think it
11 is really applicable, is what was presented at last year's
12 meeting in trying to derive rates of postpartum CVA and rates
13 of eclampsia. I do not know if anyone from my office has any
14 insight into that. I do not have that information offhand.

15 DR. HULKA: Our plan had been to have presentations
16 this morning until one o'clock and then break for lunch. I
17 do not know what the Committee's thinking is or how Sandoz
18 feels. I believe Dr. Winter is the coordinating person for
19 the Sandoz presentations that were scheduled for later today.
20 What is your feeling about having a little bit now?

21 DR. WINTER: Quite frankly, I prefer that we keep
22 our presentation intact and, therefore, rather than starting
23 and breaking, if possible, this would be an ideal time to
24 have a general break.

25 DR. HULKA: All right. Could you plan then to have

— your group here promptly at one o'clock? We will be here promptly at one o'clock. Thank you.

(Whereupon, at 12:00 noon, the Committee adjourned for lunch, to reconvene at 1:05 p.m.)

1

AFTERNOON SESSION

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

DR. CORFMAN: We are going to start now, please. I have to deal with an issue the Chairman does not want to confront, and that is that Jennifer cannot make the February meeting on 22 and 23. So we would like to propose that it be February 8 and 9.

(General discussion about dates)

DR. CORFMAN: We will have to leave it the way it is then for 22 and 23.

DR. HULKA: Dr. David Winter, of Sandoz, will start the presentation.

PRESENTATION BY DAVID WINTER

{Transparency}

DR. WINTER: Thank you, Dr. Hulka and members of the Committee, for the opportunity to speak with you today. I must say that we left here last night with a genuine sense of concern and, quite frankly, surprise at the haste with which the Committee sought to dispose of the issues presented to it as they concerned bromocriptine, even before we had the opportunity to make our presentation.

We are especially surprised by the Committee's conclusion yesterday that not only should pharmacologic agents not be used routinely for the prevention of postpartum lactation, with which I wholeheartedly agree, but also that there are apparently no circumstances which would ever

1 justify their use prophylactically.

2 This is not the impression we were left with
3 following last year's Committee meeting and it is not a
4 position to which we can subscribe. last year the Committee
5 indicated that drugs should not be used routinely in the
6 prophylaxis of postpartum breast engorgement. Its major
7 **concern** was education of patients and physicians so that
8 informed choice could be made. We know of nothing that has
9 **occurred** during the part year to have changed that position.
10 **No** new safety issues have arisen during that time.

11 We believe that there are women who either cannot
12 **or** choose not to breastfeed for any number of reasons, and
13 **who** have benefited from some form of symptomatic treatment,
14 pharmacologic or otherwise. The choice of therapy must be
15 left to an informed decision made jointly by the patient and
16 the physician and Sandoz intends to present to you today
17 concrete proposals to heighten awareness of both physicians
18 and patients to all available options. **Parlodel** is only one
19 among several alternatives that should be explored once a
20 patient has made the decision not to breastfeed.

21 It must be kept in **mind**, however, that **Parlodel** is
22 hardly a new or unfamiliar therapeutic alternative. It has
23 already been shown by all applicable statutory standards to
24 be safe and effective for the indication of prevention of
postpartum lactation. Since its introduction nine years ago

1 for this indication, it has been used successfully by more
2 than four million women. Many physicians believe that it has
3 value when used under appropriate circumstances. Indeed, we
4 could have filled this room many times over with such
5 physicians and I find it hard to believe that they are all
6 guilty of mismanaging their patients because the treatment
7 option they and their patients selected does not conform to
8 this Committee's own treatment preferences, however sound.

9 Quite frankly, as a physician, I understand that
10 you have strong personal views on the best way to care for
11 patients in the postpartum period and I respect your opinions
12 and would not presume to alter them today. Sandoz asks only
13 that you allow other physicians and their patients the same
14 freedom to choose a course of patient care by not recommending
15 the removal of a demonstrably safe and effective, albeit
16 pharmacologic, alternative for this indication.

17 Today we intend to revisit some of the issues
18 explored with you last year at this time. You have already
19 heard the results of the epidemiologic study we commissioned
20 to address the issue of certain adverse reactions occurring
21 in bromocriptine-treated patients.

22 I plan to start this portion of our presentation
23 with a brief review of the efficacy data from the Parlodel
24 NDA and the world literature. I will also address the issue
25 of rebound which I know is of concern to some Committee

1 members. Next, to correct some misstatements made during the
2 open public session of this morning, I have asked John
3 Lambert, the Sandoz head of statistics and biomedical
4 operations, to set the record straight.

5 In response to concerns expressed by other members
6 of the Committee last year about the pharmacology of bromo-
7 criptine, we have asked Dr. Edward Flueckiger to briefly
8 review this pharmacology. Dr. Flueckiger is uniquely
9 qualified to do this since he was the prime pharmacologist
10 responsible for the development of this compound.

11 Dr. Philip Wolf will then review the neurologic
12 complications that have been reported in patients during the
13 postpartum period. Dr. Wolf, as you heard this morning, was
14 the blinded evaluator in the ERI study that you heard about.

15 Then Dr. Charles Hennekens will give you an
16 overview of the clinical and epidemiologic data obtained to
17 date. Then I will return with some concluding remarks and
18 present some action steps that Sandoz is prepared to take to
19 help resolve any concerns that still may remain in the minds
20 of the Committee members or FDA representatives regarding the
21 use of bromocriptine for the prevention of postpartum
22 lactation.

23 (Transparency)

24 I would like to start now with a review of selected
parts of the data from the NDA. I recognize that you have

1 heard some of this, this morning. I will only point out that
2 we also have reviewed it. We are quite familiar with that
3 data and we have selected portions of it that we would like
4 to present. We think they are the critical portions and, in
5 some ways, perhaps the conclusions, particularly in regard to
6 rebound, may differ significantly from that which has already
7 been presented.

8 As an aside, I might point out that when a large
9 number of studies are submitted in any dossier, it is often
10 easy to pick out one or two that perhaps would serve one
11 point of view better than another. In that regard, as was
12 done this morning, perhaps we are equally guilty but,
13 nonetheless, we have picked those studies which we consider
14 critical studies for the NDA.

15 Just to review briefly with you, first of all, I
16 will discuss very quickly" four U.S. double-blind, randomized
17 trials. I think one has to draw distinctions between the
18 double-blind, randomized, parallel group studies and other
19 types of reports which are in the literature.

20 As you can see, the format was rather similar. The
21 first study is placebo-controlled; 5 mg daily of Parlodel
22 versus placebo; a 14-day study period and, in fact, there
23 were follow-up evaluations at day 21 and 28.

24 The 3 studies which were lumped together, under
25 number 2, did meet the statistical criteria for pooling, and

1 they involved an active control. You can see that there. In
2 this case, the active control was used at 1 mg a day for 3
3 days, the next 4 days at 0.1 and then onto a placebo period.
4 The follow-up evaluations in the first group were at 1 week
5 and 2 weeks, namely, 21 and 28 days later. For the active
6 control, the 1-week and 2-week follow-up evaluations were at
7 days 14 and 21.

8 (Transparency)

9 One point that evoked some confusion, and one that
10 I think we must look at very carefully, is the issue of
11 endpoints. I will discuss this a bit later, when we describe
12 rebound. I think one must understand what endpoints were
13 used to properly evaluate a study. In this case, as you
14 notice, on mammary secretion we used very severe endpoints.
15 Under "slight" for secretion, you notice, that hand breast
16 pumps were applied. If any secretion came from that, it was
17 called "slight". I think most of you would agree that this
18 is a very, very severe endpoint and most people would not use
19 it in a study today. In fact, I think it followed up on our
20 early studies on lactorrhea that we ended up using a very
21 severe endpoint. "Moderate" and "severe", one can see are
22 quite clear there.

23 For mammary congestion, which was a second end
24 point, one sees again the criteria and how we did it on a 4-
point scale. For acute mammary engorgement, this was either

1 a "yes" or a "no", So those data have to be handled slightly
2 differently.

3 (Transparency)

4 If we look now at study 48, and we will have
5 additional comments to make about study 48 a bit later, one
6 can see here the percent of patients exhibiting suppression,
7 in black for placebo and in red for **bromocriptine**. One can
8 see that on average it is roughly between 35-45 percent on
9 **Parlodel** and a large number on placebo.

10 (Transparency)

11 If we go to the next endpoint, we can see here, in
12 looking at congestion, a marked spread between these curves.
13 Almost all these points in the early part of the curve are
14 statistically significant. One cannot say so for the latter
15 parts of the curve since, in these studies, as you have
16 heard, there was such a high number of dropouts in the
17 placebo group for lack of effect that there were too few to
18 do the adequate statistical calculations. That number was
19 down to 3 by the time we got out there, which I find an
20 interesting comment in and of itself.

21 (Transparency)

22 Finally, if we look at **engorgement**, one can see
23 again the difference between the two groups, the bromocriptine
24 group being in red and the placebo group again being in
25 black.

1 (Transparency)

2 If we go on now and take a look at the active
3 control trials, we see a rather interesting situation here,
4 that certainly during the first 6 days, if not 7, of therapy
5 both groups showed an equal response. It was at the time,
6 and perhaps there was a little breakthrough at day 7, that
7 the patients in the EE group went on placebo and again one
8 sees a marked separation of these curves.

9 (Transparency)

10 This holds up when we look at congestion too. We
11 find again both compounds showing essentially equal efficacy.

12 (Transparency)

13 And then a separation of the curves. Then finally
14 in **engorgement** one sees the identical point. As I will
15 discuss later on, we were able to look at rebound in these
16 patients. I am not sure where the statements came from that
17 rebound was not considered. I will show you those data in a
18 little bit.

19 (Transparency)

20 If we can go on now to some of the European
21 studies, here we have to look at these a bit differently. As
22 you might expect, they were done differently. The treatment
23 duration in most of these studies was 7 days. We have picked
24 here 4 placebo-controlled, randomized trials.

As one sees, there is a number of doses that were

1 used. We felt the only ones that were appropriate to
2 consider here were those that used 5 or 6 mg daily because
3 that corresponds more closely to the dose used in the U.S.
4 trials. In fact, the comparisons against placebo were done
5 with these doses. One can see on the bottom the multiple
6 stars which do represent points of significant difference.

7 (Transparency)

8 If I show you graphically how this appears, you can
9 see in this pool of the studies of the 4 placebo-controlled
10 trials, that again bromocriptine therapy is in red and the
11 placebo is in black. You can see again the marked differences
12 which were statistically different.

13 (Transparency)

14 This slide shows congestion. One sees again the
15 same situation.

16 (Transparency)

17 I would now like to discuss rebound. Before I do,
18 I will return again to this slide, stressing the rating
19 scales, because in this schematic what we have done is
20 combine "absence" and "slight" in mammary secretion and we
21 have basically called it absent. We feel that in practice
22 today and, in fact, if we started these studies today, rather
23 than in 1972-73 when they were started, slightly different
24 endpoints would be used. We feel that common sense will
25 allow us to combine us "absent" and "slight", given that the

1 use of hand pumps is rarely used in clinical trials today.

2 (Transparency)

3 If I can then show you a summary slide looking at
4 that group versus active control, 3 studies combined, which
5 is appropriate, it looks across 3 parameters: secretion,
6 congestion and engorgement at week 1 and week 2. CB is an
7 old designation for bromocriptine. It shows that at week 1
8 there was 10 percent of patients with rebound either exceeding
9 "absent" or "slight", whereas, in the **estradiol** group there
10 was 21 percent exhibiting "moderate" and 3 percent "severe"
11 rebound.

12 Interestingly enough, at week 2 there were some
13 patients on bromocriptine who did exhibit rebound at week 2.
14 There were a few of them there.

15 If we look at congestion, we see again very little
16 rebound in that measure at week 1 and certainly nothing after
17 week 2. In engorgement we see again 2 percent; in 1 case 8
18 percent and in the other slight rebound, both at week 1 and
19 week 2.

20 I think an interesting point here is that comparing
21 the 2 treatments, when we notice the efficacy parameters the
22 2 seem to have rather equal efficacy during the time they
23 were both used but, clearly, there appears at least in the
24 secretion endpoint, and perhaps in engorgement, a difference
25 in rebound.

1 (Transparency)

2 Finally, I would like to review some studies from
3 the literature. We went through the literature and found 10
4 studies which seemed to lend themselves to analysis for our
5 purposes, the purposes being that the drug was used in
6 approximately the same way that **bromocriptine** is used in the
7 **J.S.** We did not consider studies that treated patients for
8 **21** or **28** days since that is clearly not part of our labeling
9 **in** the United States.

10 We found 10 such studies. I have a slide which
11 **gives** the exact citations of them. In these studies, and
12 **there** are 5 on this slide, one sees the total N in the second
13 **column** on the left and the number of subjects on **bromo-**
14 **riptine.** The next column to the right, of course, is the
15 **comparison** group. Then come the efficacy parameters seen.
16 **But,** most importantly, in the final column is the percentage
17 **of** rebound seen in these studies.

18 I think a word of caution is definitely needed
19 **here.** It is quite honestly impossible to directly compare
20 **these** studies. Different endpoints were used. We know that.
21 **Different** approaches were taken and in many cases the concept
22 **of** rebound was but barely alluded to, noted without any
23 **detailed** explanation on how that assessment was made. So I
24 **offer** this only as statements from the literature and one has
to weigh the validity of these statements. I will say no

1 more about it than that.

2 I think what is interesting to note is the wide
3 range of rebound cited in these studies. Again, it gives you
4 the comparisons there, ranging, if I read that correctly,
5 from 2 percent as a low in one group up to 48 percent in
6 another study.

7 What is of interest to me is the very large study
8 in which 370 patients were examined. Again, allowing for the
9 softness of these data, nonetheless, they come up with a
10 value of 7 percent.

11 (Transparency)

12 This slide shows 5 additional studies. These
13 studies range from rather small to modest in size. Again the
14 comparison groups are with various types of comparisons.
15 Again the rebound is in the far right column. One ranges
16 from 4.5 in one study up to 40 percent in another. There is
17 a wide range of rebound, as cited in these studies.

18 (Transparency)

19 On the final slide I have taken the liberty, as I
20 think most people would do, to just combine the
21 numbers. One can argue the appropriateness of this but,
22 nonetheless, out of some 600-some odd patients, it looks like
23 the incidence of rebound across these studies was 12.3
24 percent, which is somewhat similar to the incidence we got in
25 a prospective, randomized control trial. Finally, there was

1 a rather large review article, in 1985, with between 10-20
2 percent of women.

3 So I am using three different data sources. We can
4 certainly criticize any single one of them. But that is what
5 is in the literature. That is what other authors have said,
6 regardless of how they did it. This seems to be somewhat of
7 a convergence, roughly between 10-20 percent of rebound. I
8 think that this is a rather interesting point in and of
9 itself.

10 At this time I would like to introduce Dr. John
11 Lambert, who does have a few comments to make regarding some
12 statements made in the public session this morning. Excuse
13 me, perhaps you would like some questions right now. I am a
14 little ahead of myself.

15 DR. HULKA: Any questions on the efficacy issues?

16 DR. NIEBYL: It seemed to me that in some of the
17 slides the treatment period is 14 days and that the evalu-
18 ation time was at day 14. Was I misreading that?

19 DR. WINTER: At day 21 and day 28, except for the
20 EE group and that was 14 and 21 because, remember, they were
21 on placebo for that 1 weeks.

22 DR. NIEBYL: Right. But none of the studies of
23 Parlodel looked at rebound before day 21?

24 DR. WINTER: No.No, it was 1-week and 2-week time
25 points .

1 DR. NIEBYL: After the drug was stopped?

2 DR. WINTER: Exactly.

3 DR. SCHLESSELMAN: Dr. Winter, the studies then
4 were not double-blind if the treatment regimens and the
5 follow up differed for the control group versus the treatment
6 group? Am I correct in that?

7 DR. WINTER: Those patients were carried through --
8 no, they were double-blind. Admittedly, they had different
9 treatments but every effort was made to keep them blinded.
10 They went on placebo for that other week in order to keep up
11 the blinding portion. So they were kept on, to the best of
12 my memory, on this as close as one could to keeping it a true
13 double-blind trial, yes.

14 DR. NIEBYL: You said that rebound was rarely
15 troublesome, or at least it said that in the summary article
16 that you quoted. Were there any data to show that the amount
17 of leakage or engorgement with rebound was any different from
18 what you would get in the initial period in the placebo-
19 treated patients?

20 DR. WINTER: I really cannot comment on the other
21 person's article. As you well know from reading the litera-
22 ture, it is so difficult to relate one study to another. I
23 think we used an extraordinarily -- in fact, too much so --
24 conservative endpoints. I really believe we did. I just
cannot see people going through what we did to really try and

1 find out if there is truly any secretion or not. But we did
2 it that way and we must live with those data.

3 DR. HULKA: I wanted to ask you, maybe something
4 similar to Jim **Schlesselman's**, specifically about one of your
5 early slides when you were pointing out two American studies,
6 **one** with a placebo and I believe that there were 15 women in
7 your treatment arm and another 15 women **in** the placebo arm.
8 I wondered about a couple of things. Specifically, of that
9 study, which I gather you think is one of the better studies,
10 if that was double-blind; if the placebo had the looks of
11 **Parlodel** and if the subjects and the physicians and other who
12 **worked** with the patients were blind to what the patients were
13 **getting**.

14 DR. WINTER: Yes, all efforts were made to keep
15 this a true double-blind study. In the case of **Parlodel**, it
16 is relatively simple. With some of our injectable and other
17 types of compounds it is very difficult sometimes to keep a
18 true double blind. But in this case we manufactured our own
19 **placebo** tablets which look in color, in shape and in size
20 **exactly** like the **Parlodel**.

21 It is true that in any double-blind trial one has
22 **potential** problems. If one drug has more side effects than
23 **another** and the clinicians are particularly astute, there are
24 **ways** that perhaps they can get some inkling. But barring
this, every effort is made to keep a trial truly **double-**

1 blind.

2 DR. HULKA: And in that trial you indicated that
3 quite a number of the 15 placebo women dropped out of the
4 trial. Do you have the information on the actual number that
5 dropped out? On what days they dropped out and the reasons
6 for the dropout?

7 DR. WINTER: Yes. The reason was straightforward,
8 it was lack of efficacy.

9 DR. HULKA: Meaning?

10 DR. WINTER: The drug did not work and they wanted
11 something that would and they dropped out of the trial. I
12 have a backup slide which gives day by day how many patients
13 were in that trial. Yes, we have all that information.

14 DR. HULKA: And did you collect data on their
15 subjective reaction to comfort and discomfort?

16 DR. WINTER: We collected the information which we
17 have put in our case report form design before the trial
18 started. So we asked the questions, and there are certain
19 questions that are asked, we collect that information but we
20 do not go much beyond what has been said in that because it
21 potentially offers a way of breaking blinds if you start
22 going into extra information.

23 DR. HULKA: Well, I meant uniformly of all women in
24 the study as the days went by, on a regular, uniform basis.

25 Did you get information on their perception of pain?

1 DR. WINTER: Certain questions are asked but the
2 study, quite honestly, was not designed to get at that level
3 of subjective information.

4 DR. HULKA: I see.

5 DR. WINTER: Unfortunately, we do not have that.

6 DR. HULKA: Thank you.

7 DR. WENTZ: I missed the number of times or how
8 exactly the hand pump was used to measure secretion. How
9 often was this used?

10 (Transparency)

11 DR. WINTER: It was applied and the pumping was
12 done two times in succession in order to try and evaluate at
13 that point in the day when the evaluations were done for all
14 subjects.

15 DR. WENTZ: It was done daily?

16 DR. WINTER: Yes.

17 DR. WENTZ: Do you have any randomized, double-
18 blind, placebo-controlled study in which a hand pump was not
19 used?

20 DR. WINTER: The American studies were done with a
21 hand pump. To the best of my knowledge, the European studies
22 were not. That would constitute the second set of slides.
23 Perhaps someone from Sandoz can correct me if I have mis-
24 spoken on this. Is that correct, Dick?

DR. ELTON: No, they were not.

1 DR. WINTER: A hand pump was not used in European
2 studies. So the second group of 4 placebo-controlled trials
3 that I showed and identified as European studies were done
4 without a hand pump.

5 DR. MANGANIELLO: You mentioned to Dr. Hulka that
6 the individuals who dropped out they wanted an alternate form
7 of treatment for breast engorgement. I think you have to
8 make an assumption that if you delivery, most likely you will
9 lactate to a certain degree and you will have some breast
10 engorgement and you will have some leakage of milk, and the
11 methods that you are supposed to be comparing Parlodel to
12 would be, say, traditional methods, such as breast support
13 and analgesics or just breast support by itself. What
14 alternate methods were these people offered?

15 I think what Dr. Wentz was alluding to is that if
16 you are going to be using a breast pump to measure the amount
17 of leakage, you are, in fact, stimulating or prolonging the
18 symptoms that the patient is trying to get rid of. So what
19 alternate methods were these people offered and were these
20 people all counseled as far as using some kind of breast
21 support and other ways of trying to alleviate the symptoms,
22 rather than just saying goodbye?

23 DR. WINTER: I will answer the second part and I
24 will try and get some help from my colleagues. The way most
studies are conducted is that at a fixed point in time, if a

1 patient wishes to withdraw from that trial, in spite of
2 additional counseling and every effort being made to keep the
3 patient in the trial, it is the patient's right to withdraw.
4 They withdraw at that point in time. As far as the study is
5 concerned, it is over; it is declared a failure. What they
6 subsequently do is really of no concern to the study.
7 So we do not have that information.

8 In terms of support during the trial, I will have
9 to ask Dr. Elton's help on that. Were there any special aids
10 given to one or both groups during that trial?

11 DR. ELTON: No, there were not. They were enrolled
12 in the trial prior to delivery; made their selection at that
13 point. Following delivery, they either went into the program
14 or did not. If they went into the program, they were
15 randomized to either arm.

16 DR. WINTER: And both received the same, which is
17 probably nothing, in terms of additional support, as you are
18 suggesting. So the two groups were the same, as best as we
19 could keep them, although I do admit we were in a bit of a
20 pinch-22 with this intensity of trying to elicit secretion.
21 But, anyway, the groups were handled the same and did not
22 have any additional support.

23 DR. CORFMAN: Perhaps I misunderstood one of the
24 earlier graphics, Dave, but I thought you showed more
25 congestion in the Parlodel group and less in the placebo-

1 controlled group. They were not the same before treatment or
2 the same after treatment. I wonder if you could explain
3 that.

4 DR. WINTER: Do you mean the numbers?

5 DR. CORFMAN: No. For congestion I would expect
6 that they would be the same before treatment and after
7 several weeks.

8 (Transparency)

9 You have another one like that but that is what I
10 am thinking of.

11 DR. WINTER: That is congestion.

12 DR. CORFMAN: Wouldn't you expect them to start out
13 somewhat the same and end up somewhat the same?

14 DR. RARICK: I have a question on that. Did you
15 also combine your rating scales for these?

16 DR. WINTER: No.

17 DR. RARICK: Just for rebound?

18 DR. WINTER: Just for rebound.

19 DR. RARICK: That would be one interesting point.
20 I know my numbers were 40-88 percent rebound from these same
21 studies that he discussed, most likely because we did not
22 combine 0 and 1 because for the rest of the study for
23 congestion, secretion and engorgement we did not combine 0
24 and 1 as being absent.

DR. WINTER: Yes. We really felt that for rebound

1 purposes it was justified, given the severity of that.

2 DR. RARICK: Well, if that is true, then they
3 should be put together for all of them. For this trial the
4 placebo rating for congestion and secretion was slight.

5 DR. WINTER: It would make the efficacy look even
6 better.

7 DR. RARICK: I doubt it.

8 DR. WINTER: It would.

9 DR. RARICK: Anyway, if you are wondering why our
10 numbers are different, we did just evaluate the same studies,
11 I did the same 4 this morning and I gave you rebound data of
12 40-87 percent in these studies. Most likely, I assume, our
13 reading is different because he puts together his rating of 0
14 and 1. He does not put it together for his whole study but
15 just for the rebound data. As you go back to his rating
16 scale, 0 is "absent" and 1 is "slight". He uses those
17 numbers for congestion, secretion and engorgement during the
18 study but now in rebound he is combining those 2 groups.

19 DR. WINTER: We can show you a slide. We have it
20 done both ways and, quite honestly, during this part of the
21 study they are very similar.

22 DR. CORFMAN: Would you address my question? Why
23 aren't they the same at the end of 13 days?

24 DR. NIEBYL: It seems to me that one of the
problems of using a hand pump as an endpoint is that if you

1 give the hand pump to somebody on **Parlodel**, you are not going
2 to get very much reaction. But if you give a hand pump to
3 somebody who is on a placebo, that is going to stimulate
4 lactation even further. So it is going to make your placebo
5 **group** look a lot worse, I would think.

6 I would think the proper way to do it would be to
7 **give** both groups ice packs and breast support because the
8 **placebo** group there might be a lot lower if they were not
9 **using** a hand pump.

10 **DR. CORFMAN:** Is the answer to my question then
11 **what** they were using hand pumps?

12 **DR. NIEBYL:** They were all using hand pumps.

13 **DR. WINTER:** **Yes.**

14 **DR. NIEBYL:** And a hand pump is the worst thing to
15 **do** to somebody who is trying to not lactate.

16 **DR. WINTER:** Well, we certainly would not design
17 **the** study this way today. There is no question about that.

18 **DR. NIEBYL:** That may explain some of the **diffe-**
19 **rences** and why we say we do not get as much trouble in the
20 **placebo** group when we give everybody breast support and ice
21 **packs** because I do not think anybody would say that in
22 **someone** in whom you are trying to inhibit lactation you
23 **should** pump them every 12 hours to see if they are lactating
24 **or** not.

DR. ELTON: I think I should clarify that the hand

1 pump was only used if there was not overt secretion in any of
2 the patients. So it **was** not a routine thing but, rather, if
3 they were attempting to find out whether there was any
4 secretion at **all** in those patients who, for **all** intents and
5 purposes, appeared not to be secreting.

6 DR. CORFMAN: But why is there that continued 40
7 **percent** difference?

8 DR. ELTON: I think probably the reason is because
9 **of** the numbers of patients. As you go out further, you get a
10 **few outliers** and it becomes a little bit more fictitious at
11 **that** point.

12 DR. WINTER: In the placebo group but not in the
13 **Parlodel** group.

14 DR. ELTON: Yes . In the placebo group you end up
15 **with** those few patients which can give you quite a marked
16 **change** in percentage.

17 DR. WENTZ: Now I am totally confused. You told me
18 **that** each patient in the placebo and in the Parlodel-treated
19 **group** used the hand pump once a day at a designated time. On
20 **that** rating thing the pump had to be used twice and then you
21 **rated** it as the first category down, I think it was category
22 **'slight'** or maybe it had a number attached to it, if it had
23 **as** much as two drops. You just said that they did not do it
24 **at** all unless they had overt secretion.

DR. WINTER: No, there was no need to. No, if

1 there was significant secretion or marked to severe secretion,
2 obviously there was no need to use the pump. The pump was
3 used if they did not see anything to check whether it was
4 absent or slight.

5 DR. WENTZ: I do have a question for Jennifer since
6 I am not an obstetrician, in your experience over several
7 institutions in different parts of the country, how long does
8 it take a postpartum patient who is not stimulating her
9 breasts to achieve lack of secretion?

10 DR. NIEBYL: Just several days, a few days.

11 DR. WENTZ: So what you have done is a beautiful
12 study showing that with the hand pump you can prolong it at a
13 40 percent difference out to -- I think it was 14 days,
14 wasn't it? Thanks.

15 DR. ROY: Could I get some clarification? Did I
16 recollect what you said correctly, that in the placebo
17 failures who went on to some other form of therapy, because
18 that was not in the protocol, you have no information on
19 those individuals?

20 DR. WINTER: Once they leave the study, ordinarily
21 anything you collect is really considered anecdotal. The
22 study is over at the termination of the paper --

23 DR. ROY: I suppose you could consider it that way
24 but if you chose to include whatever was done and subject
25 that to analysis, then that would at least be some in for-

1 nation.

2 DR. WINTER: Absolutely.

3 DR. ROY: Do we have any information about whether
4 those patients were then treated with Parlodel and whether
5 they responded or not?

6 DR. WINTER: We just do not have that information.
7 We did not build it into the study. You are talking about a
8 two-phase study, which can clearly be done.

9 DR. NIEBYL: Are they dropped from the numbers then
10 up to day 14? If they dropped out of the study on day 4,
11 they were not included later?

12 DR. WINTER: That is why the line is very flat and
13 that is why you cannot do much statistically when you are
14 down to two or three patients. So it falls apart at that
15 end.

16 DR. NIEBYL: I guess what Subir is asking is if a
17 patient is not treated prophylactically and if she gets
18 congested, we heard about a small number of patients yesterday
19 and the question was really do you have any more data about
20 whether the drug works therapeutically, as well as prophy-
21 lactically.

22 DR. WINTER: Not prospective data. We have the
23 same anecdotal data.

24 DR. NIEBYL: Uncontrolled, yes. Okay .

DR. WINTER: John Lambert will now make some

1 comments.

2 PRESENTATION **BY** JOHN LAMBERT

3 DR. LAMBERT : Thank you, Dave, and thanks to the
4 Committee for letting me make some brief remarks. I am John
5 Lambert, director of biomedical operations for the Sandoz
6 Research Institute.

7 In response to the Public Citizen Health Research
8 **Group** statement, which was circulated today, I would like to
9 take a few minutes to provide some perspectives in the
10 interest of fair balance. The statement also included some
11 reference to comments from Dr. **Ragavan's** review last year.
12 **One** of the statements was that only 2/6 placebo-controlled
13 studies in the NDA were **double** blind. The fact is that all 6
14 **were** double blind and, in fact, 17/24 studies in the NDA were
15 **double** blind.

16 Study 48 demonstrated a very significant advantage
17 **for Parlodel** over placebo. In answer to one of Dr. Hulks's
18 **questions** about dropouts, a little more specific information
19 **is** that 12/15 placebo-treated patients discontinued on or
20 **before** day 7. Several of those were at day 3 or day 4; 7 of
21 **these** were for treatment failure; 5 were for the reason of
22 **being** unable to follow up.

23 By contrast, no Parlodel-treated patients **discon-**
24 **tinued** due to treatment failure. Two did discontinue due to
side effects.

1 Fairness and scientific method dictate the ap-
2 propriateness of mentioning concurrently timed placebo data,
3 which was not done in the Public Citizen Health Research
4 Group statement. NDA data show for this study that in terms
5 of secretion, for example, at day 7 **Parlodel** had 9/14 (64
6 percent) of patients symptom free, and by that we are being
7 consistent with the NDA definition of symptom free. The
8 placebo group had 1/9 or 11 percent. At day 14 **Parlodel** had
9 9/13 symptom free for secretion (69 percent), as opposed to
10 placebo, 1/3 or 33 percent.

11 For congestion, at day 7 the figures were 8/14
12 symptom free for **Parlodel** (57 percent); 1/9 (11 percent) on
13 placebo. At day 14 for congestion, 9/13 were symptom free on
14 **Parlodel** (69 percent); 33 percent (1/3) on placebo.

15 In spite of relatively small sample sizes, many
16 significant differences, consistently favoring **Parlodel** over
17 placebo, were noted. This occurred at almost every study day
18 from 3-7, with 2-sided levels of significance between 0.001
19 and 0.05. The drug was very effective at early stages, for
20 example, with 100 percent success in preventing engorgement
21 at days 3 and 4 in spite of 71 percent and 64 percent success
22 in that regard for placebo on those respective days. All
23 differences at all time points for all efficacy variables in
24 this study favored **Parlodel** over placebo.

1 with whether or not all patients enrolled were followed up in
2 some global way. The answer to that question is yes and in
3 terms of global evaluation to cover all patients enrolled,
4 and on the 5-point scale, where 1 represents very good and 5
5 represents very poor, the average response for **Parlodel** on
6 that scale in terms of this global was 1.8; the average
7 response for placebo patients on this scale was 3.4. That
8 was statistically significant with a 2-sided p value of **less**
9 than 0.01.

10 In particular, in terms of the extreme points on
11 that scale, **Parlodel** had 7/15 patients globally rated very
12 good; placebo had 1/15. In terms of the other extreme, the
13 very poor, **Parlodel** had 1/15 patients and placebo had 5/15
14 patients.

15 This and other studies cannot fairly be faulted for
16 failure to follow up patients for one month postpartum for
17 rebound. In fact, many were followed for up to 2 weeks **post-**
18 study if they were eligible for inclusion in analysis of
19 rebound.

20 The designs were medically and scientifically
21 acceptable to the sponsor and the Agency. Too few placebo
22 patients remained at study end for fair comparisons on
23 rebound relative to placebo.

24 It should also be noted that although 31 percent of
25 **Parlodel**-treated patients did exhibit some secretion or

1 congestion at day 14, all of this was slight, in fact, 4/13
2 at that point. This was so determined according to a rating
3 scale so severe in its definition of "slight" that a reason-
4 able case has been made for combining the categories "slight"
5 with the category "none", as Dr. Winter has discussed.

6 I should mention an additional point. I think it
7 is still appropriate during the study days to reflect
8 efficacy in terms of any symptoms or no symptoms and then to
9 proceed with the analysis that was presented on rebound
10 because at the end of the study the same modification of the
11 definition was used as in the follow up for rebound.

12 Narrative statements drawing broad conclusions
13 based on very selective and not well-defined sampling from
14 large volumes of material are prone to great potential for
15 bias . For example, direct comparisons between specific
16 treatment groups, isolated from different studies, as implied
17 in the Public Citizen Health Research Group statement, is not
18 appropriate. In particular, it is inappropriate to directly
19 compare Parlodel end of study results from study 48 to those
20 of placebo-treated patients in other placebo-controlled
21 studies using different doses of Parlodel and shorter time
22 frames.

23 Rebound was, as I pointed out, in fact, addressed
24 by this and other studies. Study 48 results, for example,
25 appeared in the corresponding study reports submitted to the

1 NDA . All patients eligible, and I will quote some figures
2 from the original definition, if you **will, all** patients
3 eligible, that is, truly symptom free at day 14, which would
4 be day 7 on **ethinyl estradiol**, were, indeed, **followed for up**
5 to 2 weeks if they remained eligible for rebound post-study.

6 Those figures indicated that at week 1 **post-**
7 treatment 2/9 (22 percent) of Parlodel-treated patients
8 **exhibited** rebound. The figures were 22 percent for congestion
9 **and** 0 percent for engorgement. At the second post-treatment
10 **week follow up**, the percentages for rebound in all cases were
11). As Dr. Winter has shown, these results are conservative
12 in light of a more realistic definition of rebound.

13 Regarding **estrogen** versus **Parlodel** comparisons, the
14 **fact** is that **Parlodel** 14-day treatment results were never
15 **compared** to estrogen 7-day results. All comparisons were
16 **based** on equal exposure time.

17 Further, when estrogen treatment was eliminated
18 **during** the study, significant worsening of **symptomatology**
19 **occurred** for patients in that group. This did not occur for
20 **patients** in the **Parlodel** group. Thank you.

21 DR. HULKA: Questions?

22 DR. **MANGANIELLO**: Dr. Lambert, I could not quite
23 **get** the figures when you were stating a dropout rate for the
24 **placebo** group. Could you go over the actual numbers again?

25 You were saying that on day 3 so many individuals dropped

1 out . Out of what?

2 DR. LAMBERT: Yes, I indicated that of the 15
3 placebo-treated patients, 12 had discontinued on or before
4 day 7; 7 of these were for treatment failure; 5 were for the
5 reason "unable to follow up". We do have a slide where we
6 could show that in further detail. I believe that there was
7 sort of an even distribution. Most of them happened on day
8 3, day 4 and day 7.

9 DR. MANGANIELLO: So I guess it is conceivable that
10 the 5 people who did not have a reason may not have had any
11 complaints.

12 DR. LAMBERT: That is conceivable. They left the
13 study.

14 DR. MANGANIELLO: Okay.

15 DR. LAMBERT: We were unable to follow them.

16 DR. MANGANIELLO: So you only had 7 individuals who
17 left the study because of the fact that they had residual
18 symptoms.

19 DR. WENTZ: I do not know to whom to address this
20 question so it might have to go to someone else. Did you
21 measure prolactin levels before and after the use of the hand
22 pump in placebo-treated patients?

23 DR. LAMBERT: No.

24 DR. ELTON: Could I just make a point of clarifi-
cation? I think in 1972, when these studies were started,

1 there was not really a reliable radioimmunoassay for prolactin
2 anyway. So it was really a difficult issue to address at
3 that point in time. Nowadays it would be very easy but not
4 at that time.

5 DR. RARICK: Dr. Hulks, I have just a few clarifi-
6 cations. His first comment that they do have double-blind
7 studies, out of the 24, 17 are double blind, that is true.
8 They are not all placebo and, in fact, the majority are not
9 placebo double-blinded; there are various other therapies.

10 I would disagree that you treated your estrogen
11 group with 14 days of estrogen --

12 DR. LAMBERT: I did not say that.

13 DR. RARICK: You did.

14 DR. LAMBERT: The estrogen was only 7 days. What I
15 said was that comparisons between Parlodel, based on 14-day
16 treatment, were not made with estrogen 7-day treatment. If
17 we made a comparison between Parlodel and estrogen, it was at
18 7 days versus 7 days.

19 DR. RARICK: I see, okay. My other comment would
20 be when you discuss who is eligible for rebound data, your
21 eligibility required that they have no symptoms at 14 days?

22 DR. LAMBERT: In the analysis of rebound that was
23 presented by Dr. Winter the eligibility was determined by the
24 patient at the end of study, which for Parlodel would

1 days, if they had none or slight according to that severe
2 definition. Then that same criterion was used in any further
3 follow up.

4 DR. RARICK: And as you remember, they had 70
5 percent no symptom patients at 14 days. That only leaves 30
6 percent of the patients for rebound follow up, which leaves 4
7 patients in that study for follow up.

8 DR. MANGANIELLO: How many placebo, no drug,
9 studies have been done? That is, not a comparison to an
10 active drug but just plain placebo?

11 DR. RARICK: In their NDA or in general?

12 DR. MANGANIELLO: In general.

13 DR. RARICK: I can recall from the top of my head
14 five placebo controls, two from the NDA and three from the
15 literature. They may have other numbers.

16 DR. LAMBERT: Not with me right here. .

17 DR. ROY: Lisa, I think I misunderstood something
18 you said. You said that if at the end of their Parlodel
19 treatment 70 percent --

20 DR. RARICK: He quoted 70 percent had no symptoms.

21 DR. ROY: So they would be the ones who would be
22 eligible --

23 DR. LAMBERT: That is correct.

24 DR. RARICK: I am sorry, that is correct.

DR. WINTER: Just to answer the question on how many

1 placebo control trials, I showed data from 1 U.S. placebo-
2 controlled, double-blind, randomized, parallel group. We
3 also presented data from 4 European trials of similar design
4 but of a different duration of therapy. But there were these
5 in the submission.

6 DR. HULKA: Thank you. I wonder if we could go on
7 with the next presenter?

8 DR. WINTER: The next speaker is Dr. Flueckiger.

9 PRESENTATION BY E. FLUECKIGER

10 (Slide)

11 DR. FLUECKIGER: Ladies and gentlemen, I shall
12 first acquaint you with the basic profile of actions of
13 bromocriptine and I shall then deal with the cardiovascular
14 actions of bromocriptine, especially the question of hyper-
15 tensive versus hypotensive actions. I shall finally show you
16 two slides on the action of bromocriptine in two experimental
17 models of epileptic seizures.

18 In this first slide I just want to show you that
19 bromocriptine, the active principal of Parlodel, is a 2-
20 bromo-derivative of a natural ergot alkaloid, alpha-ergo-
21 **cryptine.**

22 (Slide)

23 **Alpha-ergocryptine** is a member of a big family of
24 ergot compounds which all have in common the tetracyclic
structure, which is depicted here, the tetracyclic structure,

1 n-6-methyl **ergolene** moiety. The different groups of ergot
2 alkaloids differ by the various substituents to this moiety,

3 (Slide)

4 The interesting thing about this **ergolene** moiety is
5 that three neurotransmitters, namely, **noradrenaline**, dopamine
6 and **serotonin**, can be viewed as partial structures of the
7 **ergolene** moiety. In this slide, on the left side, **noradrena-**
8 **line** is on the ergolene moiety; then comes dopamine and,
9 finally, serotonin.

10 So from this point of view, it is not astonishing
11 that the **ergolene** moiety has affinity to receptors of these
12 three types of neurotransmitters.

13 (Slide)

14 You see the most simple of these compounds, **6-**
15 **methyl-9-ergolene** moiety is an alpha stimulant on the uterus.
16 It is a **serotonin** receptor blocking agent and it is a
17 **prolactin** secretion inhibitor, which means a dopamine
18 receptor agonist. This is all included in this simple
19 molecule.

20 Now, the difference between all the available ergot
21 compounds is the way they are substituted around this
22 nucleus. This will alter the relative activities of the
23 individual actions which I have pointed out here.

24 (Slide)

25 Thu S, **bromocriptine** is a **2-bromo** derivative of a

1 'compound which a highly **uterotonic** compound and is a **vasocon-**
2 **stricter** compound. By adding the **bromo** in position 2, both
3 these agonist actions are reduced and **2-bromo-alpha-ergo-**
4 **cryptine** becomes an alpha-blocking agent, for instance, and a
5 serotonin antagonist.

6 What I show on this slide is, in fact, that very
7 **small** changes on the **substituents**, on the additions, to this
8 nucleus will make, in the case of **prolactin** secretion
9 inhibition, a major effect on the quantitative aspects.

10 On the right-hand side of the molecule you see
11 changes in the structure which may even lower the **prolactin**
12 secretion to a fifth of the intact molecule. So it is not
13 only the ergolene moiety, but the whole surrounding which
14 will decide on the actual profile of action of any ergot
15 compound.

16 (Slide)

17 Thus , I should like to show you that the **methy-**
18 **sergide** is especially prominent as a serotonin receptor
19 blocking agent, with a figure of 1000. On the other hand,
20 **bromocriptine** is very inactive as a 5HT receptor blocking
21 agent. It has quite an appreciable alpha-blocking activity
22 and its most important actions are as inhibition of fertility
23 in rats, which means **prolactin** secretion inhibition, and in a
24 model for Parkinson's in which **bromocriptine** will induce
25 **contralateral** turning. That is on the second to the last

1 line. These two actions, inhibition of fertility in the rat
2 and inducing of these **contralateral** turns in the rat, are two
3 effects of dopamine receptor stimulation.

4 (Slide)

5 Now, here I just want to show you **prolactin**
6 secretion inhibition from a publication from Yale University,
7 a very early study in this direction. You see that **bromo-**
8 **criptine** dose dependently reduces **prolactin** release from the
9 pituitary into culture. That is a curve inscribed with
10 tartaric acid as a solvent for bromocriptine. Then you see
11 that addition of dopamine antagonists, like **d-butacloamol**,
12 **will** shift the dose-response curve of bromocriptine to higher
13 **doses** of concentrations, meaning that there is a dose-
14 dependent antagonism to the effect of bromocriptine. With
15 **these** three curves bromocriptine is clearly defined as a
16 **dopamine** agonist.

17 (Slide)

18 Now, **dopamine** receptors do not only occur in the
19 **brain** or in the pituitary. Dopamine receptors occur in very
20 many parts of the periphery. In today's discussion, I should
21 like to point out the **dopamine** receptor populations on
22 arterial smooth muscles, especially in regions like the
23 mesenteric and **splenic** area, where dopamine receptor stimu-
24 lation leads to relaxation of the muscles, which means a
25 **reduction** of resistance to blood flow.

1 Another very important point is that dopamine
2 'receptors are found on the nervous structures. Here we have
3 two sets. In the sympathetic neurons there are neurons which
4 are sensitive to dopamine and which control the transmission
5 through this **ganglionic** node. The second is that sympathetic
6 neurons on their nerve endings have dopamine receptors which
7 are inhibitory to the release of the physiological transmis-
8 sion of noradrenaline. So if you stimulate the end of a
9 sympathetic neuron by **bromocriptine** or a dopamine-acting
10 drug, the release of noradrenaline will be reduced. This has
11 consequences in the cardiovascular aspects.

12 (Slide)

13 In this experiment a pithed cat had stimulation of
14 the sympathetic **accelerans** nerve to the heart. This stimu-
15 lation had a certain intensity. You see here that **bromo-**
16 **criptine** dose-dependently inhibits this sympathetic effect,
17 the **accelerans** nerve effect in the heart. That is the curve
18 indicated as O.

19 In this case, injection of the dopamine antagonist
20 **haloperidol** will shift the dose-response curve of **bromo-**
21 **criptine** to higher doses, which means that the effect of
22 bromocriptine is inhibited by a dopamine receptor blocking
23 agent, showing again that this effect was a dopamine-like
24 action.

25 (Slide)

1 In this experiment is shown materially that
2 noradrenaline from cat heart, when stimulated, is released
3 and that the release of noradrenaline is reduced in the case
4 where bromocriptine (black columns) is being infused or
5 injected into this heart preparation.

6 So bromocriptine will reduce the effect of the
7 accelerans nerve from the heart, will reduce heart beat
8 frequency because it reduces the release of the neurotrans-
9 nitter from the sympathetic neuron.

10 (Slide)

11 So in the pithed rat, which is stimulated electri-
12 cally to have a normal heart frequency and a normal blood
13 pressure, bromocriptine reduces the blood pressure induced by
14 this sympathetic stimulation. At higher doses (the triangles)
15 in the same preparation bromocriptine will inhibit the effect
16 of an injected dose of phenylephrine, which is an alpha-
17 receptor stimulant. So it shows that at higher concentrations
18 bromocriptine will also show in the rat some alpha receptor
19 blocking activity, as initially indicated.

20 (Slide)

21 Now I should like to turn to models of hypertension,
22 experimental models of hypertension in laboratory animals. I
23 speak about three different models which are widely used in
24 pharmacological laboratories. There are many publications
25 concerning such effects as I am talking about. All authors

1 agree that bromocriptine lowers blood pressure in hypertensive
2 models. The mechanism of action by which this will occur are
3 differently interpreted by different authors.

4 In this first **slide**, I have a paper from **Beecham**
5 Laboratories in which **bromocriptine** is found to lower blood
6 pressure in the spontaneously hypertensive rat through its
7 alpha-blocking action. It will block noradrenaline or
8 adrenaline released from the renal medulla. It will block
9 the alpha-stimulant action and, therefore, convert adrenaline
10 into a beta stimulant, which leads to vasodilatation. That
11 is the interpretation of those experiments.

12 (Slide)

13 In another case, the question whether the adrenal
14 medulla is involved in these hypertensive actions is negated.
15 It is postulated that **dopaminergic** effects in the central
16 nervous system or in the periphery are involved.

17 (Slide)

18 In this study, the authors come to the conclusion,
19 by using different types of dopamine receptor blocking
20 agents, that the effect of bromocriptine to lower blood
21 pressure in the spontaneously hypertensive rat is due to a
22 central mode of action on the **dopaminergic** system.

23 So everybody agrees that blood pressure goes down.
24 There are different interpretations why it goes down.

25 (Slide)

1 We now come to a different model, namely, the DOCA
2 salt hypertensive model. DOCA stands for **desoxycorticosterone**
3 acetate, which is an adrenal **corticoid** which, with a major
4 action, retains sodium chloride within the body or reduces
5 the **loss** of sodium chloride from the body. In addition,
6 these animals are given sodium chloride solution to drink so
7 they will rather quickly show a volume expansion hypertension
8 which is not only just a volume expansion hypertension, as we
9 shall see.

10 Also in this model of hypertension bromocriptine
11 will lower blood pressure. The effect is considered to be
12 most probably due to a dopamine receptor interaction with
13 bromocriptine.

14 (Slide)

15 In this paper it is shown that bromocriptine, when
16 given while the blood pressure in DOCA salt hypertensive rats
17 builds up, will attenuate the development of this pathological
18 situation.

19 (Slide)

20 And in this paper the authors come to the conclusion
21 that the model of DOCA salt hypertension in the rat is, in
22 fact, accompanied by an insufficiency of the dopaminergic
23 system and that bromocriptine, in this type of hypertension,
24 is replacing internal dopamine which is not available due to
25 the lack of dopaminergic function.

1 So in this model everybody is again clear that
2 blood pressure is lowered and everybody seems to be of the
3 opinion that **dopaminergic** mechanisms are involved.

4 (Slide)

5 There **is** a third model which is considerably used.
6 **One** uses dogs for it. It is a model where surgical **dener-**
7 **vation** of the area which sends signals to the brain about
8 **peripheral** blood pressure, **sino-aortic denervation**, is being
9 **done**. So the brain is without information about peripheral
10 **blood** pressure. The consequence is a tremendous increase in
11 Sympathetic neuron activity in the periphery to induce some
12 signals . Therefore, the blood pressure gets up very quickly,
13 **within** minutes, and stays high.

14 **Bromocriptine** in this case also will lower blood
15 **pressure** or prevent increase in blood pressure and it has
16 **been** shown that here again it is a matter of attenuation of
17 **more** adrenaline released from sympathetic neurons.

18 (Slide)

19 Now to the last point, the question whether
20 **bromocriptine** induces seizures of the epileptic type can be
21 **answered** only on rather few experimental studies that have
22 **been** published. They are all of the same conclusion. The
23 conclusion is that dopamine receptor antagonists, like
24 **haloperidol**, will aggravate experimental models of epileptic
25 **seizures** in the rodent and that dopamine receptor agonists,

— 1 like apomorphine **or** bromocriptine, will attenuate or suppress
2 such seizures.

3 I have just two examples of two models, namely, the
4 cobalt-induced epileptic seizures, which are inhibited **by**
5 bromocriptine.

6 (Slide)

7 Secondly the audiogenic seizures, which are widely
8 used. These animals are also protected by bromocriptine and
9 **bromocriptine-like** compounds from these audiogenic stimuli.

10 So in conclusion, I should like to say that from the
11 laboratory view of the pharmacologist, we have no evidence to
12 suggest that bromocriptine will induce hypertension, **hyper-**
13 **tensive crises**. There is no way known how this could happen.
14 Secondly, it is also evident from animal experiments that
15 there is no suggestion that central seizures would occur with
16 a dopamine or mimetic-like **bromocriptine**. Thank you very
17 much.

18 DR. HULKA: Questions?

19 DR. MCDONOUGH: I just want to ask maybe one or two
20 questions about Parkinson's disease. That is, patients who
21 are taking 50 and 60 mg a day of **Parlodel**, with respect to
22 the development of hypertension in that particular group of
23 individuals, and whether all models in which you have volume
— 24 expanded situations, artificially created or in the spon-
taneously hypertensive rat, whether in any of those instances,

1 either in Parkinson's disease or in the volume expanded
2 spontaneously hypertensive rat, **Parlodel** will actually create
3 hypertension in certain situations, maybe due to activation
4 of the serotonin system paradoxically.

5 DR. **FLUECKIGER**: Yes, that would be a theoretical
6 possibility. I mean **bromocriptine** is the ergot compound
7 which has been given in the highest doses ever. Right here
8 in Bethesda, at the NIH, daily doses up to 300 mg have been
9 used with Parkinson's patients. In this respect, no seizures
10 and no hypertensive effects were seen. In the regular
11 Parkinson patient with doses between 15-60 mg, I am not
12 aware, with patients who have taken it for 8 or more years,
13 that there have been such crises induced.

14 Also I am not aware of such observations in
15 **acromegalic** patients who are also in the high risk group
16 concerning cardiovascular effects, taking up to 60 mg of
17 **Parlodel**. I am not aware that hypertensive crises or
18 seizures have been reported.

19 DR. **MCDONOUGH**: I think all of us who see non-
20 pregnant patients clinically and use a great deal of **Parlodel**,
21 of course, see orthostatic hypotension not uncommonly occur.
22 On the other hand, in this situation you are dealing with an
23 individual who is volume expanded initially and then becomes
24 volume depleted. So the model of the experimental rat
25 becomes an important one, even though it is artificially

1 induced and, in a way, also genetically determined. It is of
2 real concern. We are using a drug here where some very
3 dynamic changes are occurring in the cardiovascular system.

4 DR. FLUECKIGER: I believe this is the big problem
5 for the experimental pharmacologist to address this situation.
6 We have discussed it many times and we have come to the
7 conclusion that we just cannot do it, at least not with the
8 rat and we have no facilities to, for instance, to try it out
9 in sheep which are today used more and more in physiological
10 cardiovascular studies. But, certainly, in the rat and in the
11 dog there are no such problems occurring. I would not know
12 how to start such a study.

13 DR. MANGANIELLO: We are being asked as a Panel to
14 more or less look at the biologic plausibility of the fact
15 that Parlodel may be causing some untoward effects in the
16 human female patient, specifically the postpartum pregnant
17 female. As Dr. McDonough pointed out, we are working with a
18 different type of individual, pregnant versus non-pregnant,
19 and individuals who, for instance, are preeclamptic oftentimes
20 are volume concentrated or volume depleted. Possibly adding
21 an agent which may have hypertensive qualities, you may be
22 compromising their cerebral blood flow, predisposing them to
23 a seizure activity.

24 We do know, however, that Parlodel as a dopamine
25 agonist does have some presser effects. Individuals who are

1 in **cardiotoxic** shock will be put on a dopamine drip to
2 **maintain** their blood pressure --

3 DR. **FLUECKIGER**: May I take this point up?

4 DR. **MANGANIELLO**: Sure.

5 DR. **FLUECKIGER**: It is a very important point, I
6 **believe**. If you have a shock patient and infuse dopamine,
7 you will have dopamine receptor stimulation in the renal
8 **vascular** bed, which will keep on renal function, but at the
9 **heart** dopamine will act through **beta-1** receptors to increase
10 Contractility. That is the complicated thing with dopamine.
11 **Dopamine** is not a pure and simple dopamine receptor agonist.
12 [In contrast to the rigid structure of the **ergolene** moiety, in
13 **the** dopamine moiety the side chain can go into different
14 **angles**. The **ergolenes** do not have affinity to beta receptors,
15 **only** to alpha receptors, while dopamine is also acting by a
16 **beta** receptor. Especially in the heart, it is stimulating
17 **beta-1** receptors and the effect can be antagonized by
18 **metoprolol** or **atenolol**, which both have a higher affinity to
19 **beta-1** than to beta-2 receptors. So there you have a
20 **combined** action with dopamine infusion in shock patients.

21 DR. **MANGANIELLO**: Again, what you are presenting
22 **here** are very complex physiologic responses in the human to a
23 **dopamine** agonist or the native compound and it is kind of
24 **hard** for me to sit here and say that a particular person may
25 **not** act in an exaggerated or paradoxical fashion to dopamine,

1 or in a given clinical situation where a hypotensive episode
2 may be just as detrimental as a hypertensive episode.

3 So I think at this point in time, I am having a lot
4 of difficulty in justifying the use of this drug in a benign
5 clinical situation.

6 DR. FLUECKIGER: Yes . I have never been of the
7 opinion that experimental pharmacological evidence does
8 falsify clinical observations. That should be clear between
9 us . The question is only can we have a basis of discussing
10 what the mechanism of such observations could be, what the
11 underlying mechanism could be? There I am not aware that
12 anything else but **hypotension** has been described with
13 **apomorphine** or other dopamine-like compounds and **bromo-**
14 **criptine**.

15 DR. MANGANIELLO: So again though, hypotension can
16 be detrimental in a clinical situation, such as with **pre-**
17 **eclampsia**.

18 DR. FLUECKIGER: Yes . I cannot discuss this.

19 DR. HANEY: Along those lines, you presented models
20 of seizure activity. I do not know how they relate to
21 **preeclampsia**. Clearly, the kinds of seizures that the
22 neurologist encounters in epilepsy are different from the
23 kinds of seizures we encounter in **preeclamptic** patients. Are
24 you aware of a model that would be helpful for **preeclampsia**
25 or are these purely more related to epilepsy?

1 DR. FLUECKIGER: No, sir. This was the best I
2 could bring with me. We worked once, many years ago, on
3 **eclamptic** models which involved serotonin and, of course,
4 serotonin antagonists would be active. We gave it up because
5 we can do that just as well on a vascular strip. The model
6 does not bring more.

7 But in the case of bromocriptine, we have no
8 evidence of serotonin antagonism in this conjunction. Maybe
9 my colleague have such information but I do not know of
10 reports in which bromocriptine would be useful in migraine
11 attacks, for instance.

12 DR. HANEY: I guess my concern is that **preeclampsia**
13 or **eclampsia** is such a unique condition that I have very
14 little faith that an epileptic type model or migraine type
15 model is applicable. What you are telling me, in essence, is
16 that you do not have anything at all relative to an **eclamptic**
17 model.

18 DR. FLUECKIGER: Yes .

19 DR. HULKA: Thank you. I think in the interest of
20 going on, maybe, Dr. Winter, you would introduce your next
21 speaker.

22 DR. WINTER: Our next speaker is Dr. Philip Wolf.
23 We showed the slide of his credentials. I might make one
24 comment in passing in regard to **preeclampsia**. That is a
25 contraindication for the use of bromocriptine.

PRESENTATION BY PHILIP WOLF

1
2 DR. WOLF: Thank you. Last year Dan Kramer
3 discussed the epidemiology of strokes and seizures. I would
4 like to review some aspects of the neurology of postpartum
5 stroke.

6 Just as an introduction, I personally reviewed all
7 the possible cases, as well as the definite cases of seizure
8 and stroke in the ERI study. I was blinded as to whether or
9 not the women were taking **bromocriptine** and I am still
10 blinded. I do not know which one of those women in the ERI
11 study who had a stroke got **bromocriptine**. But I can present
12 two typical or classical postpartum stroke case histories and
13 then just briefly talk about the ten ERI cases.

14 Once again, we ought to keep in mind that only 1 of
15 the 10 ERI cases received bromocriptine and I hope that these
16 12 cases could help put the U.S. adverse experience into
17 proper perspective.

18 (Slide)

19 The first case I think is a typical case of a 24-
20 year old, healthy white female, with lifelong attacks of
21 severe headaches. And 8 days postpartum she developed a
22 severe headache which persisted at varying levels of severity.
23 On day 10 numbness and weakness of the right hand appeared,
24 followed shortly by paralysis, loss of speech, facial
weakness and generalized convulsive seizures with coma

— 1 occurred. She died 2 days later. The autopsy showed
2 longitudinal sinus and cortical vein thrombosis.

3 (Slide)

4 The second is a patient I took care of. She was a
5 32-year old, healthy white woman, with a history of migraines
6 since age 18. She had a full-term infant in April of 1987 by
7 cesarean section under spinal anesthesia. The infant had a
8 cleft palate. She was not nursing. On April 18, which was 8
9 days postpartum, she began to have a headache which increased
10 daily, interfering with sleep. This was attributed to the
11 trouble she had with this child with the cleft palate. She
12 saw a neurologist and on April 23 a CT scan was done on her
13 head, which was normal. On April 24, which was now 14 days
14 postpartum, she awakened totally blind and dysphasic. Spinal
15 fluid showed 12 white blood cells and 81 percent were PMNs,
16 at the local hospital. She was transferred to a university
17 hospital in Boston.

18 On arrival in the emergency room, she developed
19 focal seizures, with her eyes turning to the right. Then
20 they became generalized.

21 (Slide)

22 A CT scan showed cerebral edema. The ventricles
23 are very small, consistent with cerebral edema.

— 24 (Slide)

An arteriogram showed clots in these white areas in

1 the superior **sagittal** sinus.

2 (Slide)

3 Just incidentally, on the arteriogram -- this is the
4 early phase, this is the carotid artery -- there was a **small**
5 aneurysm **seen**, which we took to be an incidental finding in
6 this woman's carotid artery.

7 (Slide)

8 The aneurysm of the **supracondyloid** left internal
9 **carotid** artery was found and we did not think it was related
10 to her present illness. Clotting studies in some detail were
11 **normal**. She was treated with heparin and Decadron and
12 recovered. A year later the aneurysm was clipped electively
13 **and** she has **no** neurologic **residua**.

14 (Slide)

15 This is the first of the 10 ERI cases. Only 1 of
16 **these** 10 was on **Parlodol**, a 24-year, healthy smoker, **gravida**
17 [1, para I, delivered on August 5, 1982 and discharged on the
18 **third** postpartum day. Headaches began 6 days postpartum and
19 **persisted** as unilateral left frontal and occipital pressure,
20 **present** most of the day and night. Pain increased with
21 **position** change. By 12 days postpartum the headache was very
22 **severe**. She was admitted to the hospital. She had right and
23 **then** left-sided weakness. A cerebroangiogram showed
24 **occlusion** of the trans-sinus and internal cerebral veins.

25 She was treated with warfarin and recovered.

1 (Slide)

2 The second of the ERI cases, and the last in these
3 series, is that of a 30-year old woman 8 days postpartum
4 following C. section who developed headache, language
5 disturbance. The next day right leg weakness appeared.
6 **There** were periods of confusion. By 10 days postpartum she
7 **was** dysphasic; had right-sided weakens. EGG was slowed in
8 the left frontal region. CT scan showed an enhancing area in
9 this region, consistent with an infarct. An **angiogram** was
10 Ion-diagnostic . She improved and recovered completely and a
11 **repeat** CT scan was normal. The diagnosis was cerebral venous
12 **occlusive** disease.

13 (Slide)

14 I think all four of these cases are cerebral venous
15 thrombosis, one of the forms of postpartum stroked. From the
16 two-volume text on stroke, published in 1985, the clinical
17 Features outline the initial manifestations as usually severe
18 **headache**, with maybe a focal deficit, particularly **hemi-**
19 **paresis**. The headache may be severe at onset or increasing
20 **in** intensity over a matter of hours or days. There is no
21 characteristic site or nature to the headache, other than the
22 **marked** intensity. I think this would respond to the **unrelent-**
23 **ing** headache that we have heard described. Then the other
24 features are seizures in half the patients, cumulative
necrologic deficits, but it is generally seizure that alerts

1 everybody that something is going on. There cumulative
2 neurologic deficits are with paresis in the limb in which the
3 seizure has occurred and there may be dysphasic or other
4 cortical deficits.

5 (Slide)

6 So these four cases I think all fit into that
7 pattern of postpartum cerebral venous thrombosis. The first
8 patient I chose was the patient in whom this was first
9 autopsy verified and was described in 1828 by Dr. **Abercombie**,
10 in his monograph, "Pathological and Practical Researches on
11 Disease of the Brain and Spinal Cord". This was the first
12 time that this verified what the mechanism of stroke was in
13 postpartum.

14 The second was my patient who did not receive
15 **Parlodel**. In cases 3-12 only 1 of the cases got **Parlodel**.
16 So if 3 did, 4 did not, and so forth. In any case, I thought
17 these were all examples of postpartum stroke with severe,
18 unremitting headache. The syndrome seems to relate to stroke
19 type rather than representing a drug-induced syndrome, to my
20 eye.

21 (Slide)

22 The last cases from the ERI study are all manner of
23 mechanisms of stroke. One was a **puerperal** cardiomyopathy
24 with **emboli** peripherally to the iliac artery, the lungs and
25 to the brain, occurring 10 **days** postpartum.

1 The fourth case in the ERI study was a known,
2 inoperable AVM. The woman had had prior hemorrhages in 1972
3 and 1979. She had a recurrent hemorrhage 5 days postpartum.
4 Case 7 was a fatal **subarachnoid** hemorrhage 1-2 hours **post-**
5 **partum**, presumably from an aneurysm. Case 6 from the ERI
6 series was an **intraparenchymal** hemorrhage 12 hours postpartum
7 of undetermined cause. They speculated that it was **vas-**
8 **culitis**. Another was an **intracerebral** hemorrhage. Case 10
9 was a hemorrhagic infarction with a woman with sickle cell
10 anemia, 7 hours postpartum. Case 11 was bilateral watershed
11 infarctions with disseminated intravascular coagulation in a
12 woman with toxemia. The fatal stroke was a woman who was
13 known to have systemic **lupus** for 3 years. She began to have
14 her trouble 4 days postpartum with seizures. She was found
15 to have multiple infarcts and she died postpartum of renal
16 failure, septicemia, shock, ITP and so forth, thought to be a
17 consequence of systemic lupus.

18 (Slide)

19 This gives an example of the wide variety. The
20 first 2 cases from the ERI series I thought were cerebral
21 venous thrombosis. Case '3 was an **embolic** stroke from a
22 cardiac source. There were 4 examples of **intracerebral**
23 hemorrhage of subarachnoid hemorrhage. **Two** were due to
24 stroke due to clotting factors. The last one was **vasculitis**
25 due to known systemic lupus.

1 (Transparency)

2 In summarizing the clinical features, as I said,
3 the syndrome of unrelenting headache may be more related to
4 the stroke mechanism. That is, inflammation of pain-sensitive
5 veins and dura rather than a specific drug. It has been
6 recognized for over 150 years.

7 Secondly, the postpartum stroke in the adverse
8 experience reports, that you heard about from Wendy Nelson
9 this morning, had a similar wide heterogeneity of variety of
10 mechanisms to the ERI cases. I have 15 of the adverse
11 experience reports here. Our numbers are slightly different
12 but 5 were venous thrombosis; 3 were intracerebral hemorrhage;
13 1 was subarachnoid hemorrhage; 1 was a middle cerebral
14 artery embolus from a dissection of a carotid artery; 5 were
15 stroke in which it was difficult to determine" the mechanism.
16 But I thought that this was a common experience in postpartum
17 stroke.

18 I was not clear whether the hypertension in
19 postpartum stroke was the primary precipitating factor or
20 whether it was secondary to the intracranial process since
21 hypertension seems to occur in many of these cases, as you
22 read the histories, to come on after the headaches appear, or
23 at least at the time the patients are seen for the headaches.
24 It is hard to know whether hypertension is the primary
precipitating factor or secondary to the intracranial

1 process, such as the intracranial hemorrhage, **subarachnoid**
2 hemorrhage or venous thrombosis.

3 In the ERI series, and I think in many clinical
4 series of strokes, the case fatality rate is about 20
5 percent.

6 (Transparency)

7 This is a slide from a paper in Lancet, in 1967,
8 showing that two-thirds of stroke that occurred in women
9 under age 35 were in pregnant or **puerperal** states. So the
10 problem of stroke in women, say, 15-44 is probably, to a
11 large extent, a problem of stroke in pregnancy or in the
12 postpartum period.

13 (Transparency)

14 I asked Mr. Thomas Tom, of the NHLBI, who is a
15 demographer, to look at death rates for women 15-44, in the
16 United States, over the past 20 years. As you know, there
17 has been a tremendous decline in stroke death rates for men
18 and women, blacks and whites, at all ages throughout the
19 United States, approximating 50 percent in the last 15 years.
20 The women, 15-44, have participated in this decline. There
21 is no evidence, to my eye, of a bump or an increase since
22 **Parlodel** or even the pill was introduced but, rather, a
23 steady downward trend ever since.

24 I think these data are difficult to interpret but I
guess data reflecting on this are very hard to come by. That

1 **is all** I have to say. Thank you.

2 DR. HULKA: Questions? Comments? If not, thank
3 you. Dr. Hennekens **is** next.

4 PRESENTATION BY CHARLES HENNEKENS

5 DR. HENNEKENS: Well, it seems to me that last year
6 **when** this Committee met there was apparent consensus that in
7 terms of known or even postulated benefits or risks, **bromo-**
8 **criptine**, in fact, seemed to be the best of available agents.

9 On the other hand, there were descriptive **epidemi-**
10 **ologic** data, a series of case reports that raised legitimate
11 scientific questions and concerns. There were, however, also
12 **some** basic research findings which were largely reassuring,
13 although not ideal in terms of any clear relevance to the
14 experience of postpartum women.

15 It was generally agreed that the only way to
16 directly evaluate the potential risks of bromocriptine was to
17 do an analytic epidemiologic study, that is, a study of an
18 adequate sample of individuals with an appropriate comparison
19 group.

20 Since such a study was being conducted by ERI, we
21 were all anxiously awaiting the results. Since last year's
22 meeting, I believe the data which have become available from
23 this study have served to provide, on balance, further
24 reassuring evidence about the true benefit to risk ratio with
25 bromocriptine.

1 The ERI study provides, in my view, strong and
2 reassuring evidence against the hypothesis that bromocriptine
3 increases the overall risk of seizures. In the subgroup of
4 seizures occurring more than 72 hours after delivery, a
5 positive association was observed. But this subgroup effect,
6 **which** has little biologic plausibility, was counterbalanced
7 by an even less plausible but very marked and strongly
8 protective effect of bromocriptine on early occurring
9 seizures.

10 Based on the available evidence, I believe these
11 **subgroup** findings to be far more likely casual than causal,
12 in other words, far more likely to reflect play of chance
13 than any true physiologic difference in susceptibility.

14 With respect to stroke, the data were largely
15 **uninformative** because of the small number of endpoints
16 **experienced**, a finding that is unfortunate but not unexpected.

17 When viewed in the context of the totality of
18 **evidence**, the ERI data are far more reassuring than in any
19 **way** alarming. So I believe the totality of evidence available
20 today to be more reassuring and, indeed, alleviates most of
21 **the** concerns suggested by the previous interpretations of the
22 **uncontrolled** data from the case reports.

23 Now , it also appeared to me that this Committee had
24 **taken** the position last year that there seemed to be a need
for drug therapy to prevent lactation in at least some

1 categories of women. One year later it appears that the
2 Committee no longer feels this to be the case.

3 I think it would be useful to consider distinguish-
4 ing between the biologic need and patient desirability.

5 While pain is certainly a natural process but, as a patient
6 myself occasionally, the desirability of pain relief is
7 certainly not unnatural.

8 Dr. **Syler** (phonetic), a neurologist and colleague
9 of mine from the Cleveland Clinic, pointed out to me just
10 last week that while the headache of migraine will abate
11 after a period of time, she routinely considers in selected
12 categories of her patients the possibility of prophylaxis
13 with beta blockers and, based on recently reported data from
14 our randomized trial of physicians, now uses low dose
15 aspirin prophylaxis.

16 Ken Rothman, a dentist as well as an epidemiologist,
17 pointed out to me just an hour or so ago, that pain is a
18 natural and logical consequence of routine dental procedures
19 but in almost all cases he and his patients desire and,
20 indeed, elect to use **Xylocaine** as prophylaxis.

21 I suppose I should preface these brief remarks by
22 stating that while previously I have spoken as an epidemi-
23 ologist on issues of efficacy and safety, I would like to
24 make just a very few brief comments as a physician and
25 individual.

1 As a physician, I would hope that need could be an
2 individual clinical judgment between a health care provider
3 and his or her patient. In my view, such individual clinical
4 judgments should include perception of need on the part of
5 both the patient and the health care provider, as well as the
6 health care provider's knowledge of the known side effects of
7 a drug in light of demonstrated efficacy.

8 It is certainly possible that a recommendation of
9 this Advisory Committee or even an FDA decision that there is
10 no need for bromocriptine could potentially adversely affect
11 a patient, the health care provider or the relationship
12 between them, whether medically, legally or even socially.
13 But whether or not this occurs, to me, is of far less
14 consequence that in this free society we must appreciate,
15 defend and preserve free and informed choice.

16 So in closing, I would like to ask each member of
17 this Committee, as well as the FDA, to consider their mandate
18 is the primary consideration of efficacy sufficient, or does
19 the mandate rationally include preempting the freedom of
20 choice of either the health care provider or the patient?

21 Medically, in my view, the latter would surely be
22 the case for any drug of either undocumented efficacy or,
23 conversely, of documented harm. Given that this does not
24 seem to be the situation with bromocriptine, I believe that
25 neither the Advisory Committee nor the FDA should, in this

1 **case**, in particular seek to dictate social policy. I only
2 **close** by adding that these views are my own and do not
3 necessarily represent the views of **Sandoz**, Harvard Medical
4 School or the Brigham and Women's Hospital. Thank you very
5 much.

6 DR. HULKA: Charlie, I would like to ask you a
7 **question**. Will you tell us what we have seen today that
8 **documents** efficacy of **Parlodel**?

9 DR. HENNEKENS: I do not know what you have seen
10 today because I was not at the meeting today. Would anyone
11 **want** to comment? I can say as an outsider, who has had the
12 opportunity to review the ER. data, as well as other sources
13 **of** data, that it just seemed to me that there was a **several-**
14 **fold** --

15 DR. HULKA: We told the ERI folks that they did an
16 **excellent** job but that has nothing to do with efficacy.

17 DR. HENNEKENS: That is right. Well, the data that
18 [saw, and I am probably not the best qualified to discuss
19 **this**, showed that women who received this drug had really a
20 several-fold decrease in development of symptoms postpartum
21 and, indeed, the so-called concern about a rebound effect in
22 the women who used it still left them at a far lower frequency
23 of reporting any such discomfort. But I do not know that I
24 am the best person to discuss that.

DR. NIEBYL: You missed the discussion. Let me

1 just ask you a question about the so-called protective effect
2 in the first 2-3 days. It seems to me that since these
3 patients were not randomly assigned to drug or no drug, and
4 there is going to be a significant bias against prescribing
5 it to any sick patient, that would explain that protective
6 effect. If somebody seized 6 hours postpartum, nobody is
7 going to give them **Parlodel** by mouth. So that patient is
8 going to be in the no drug group, or any sick patient, for
9 **example**, a patient who is not taking drugs by mouth.

10 DR. HENNEKENS: Yes .

11 DR. **NIEBYL**: So that would mean there would be a
12 **bias** that the treated group would be the least likely to have
13 **any** kind of problem.

14 DR. HENNEKENS: Yes, I do not feel that I am here
15 to really defend that. I thought that had been established
16 **before**. I feel that I am really on shaky ground. But let me
17 **just** ask you, is it not true that if the drug gets approved
18 **by** the FDA, it has to demonstrate some efficacy? How did it
19 **get** here?

20 DR. **NIEBYL**: That is a good question.

21 DR. **RARICK**: As they reviewed, and as I reviewed
22 **this** morning, there are some double-blind studies that show
23 **possible** effectiveness in the first week versus the other
24 **drugs**, binders or whatever else was used. I do not know that
you can say it is greatly effective. I do not think you can

1 deny that it is possibly effective in that first week of
2 therapy.

3 DR. MANGANIELLO: I am assuming most of those drug
4 trials were done with an active drug.

5 DR. RARICK: Correct. Of the 17 double-blinded
6 studies, as we saw, 5 were placebo studies --

7 DR. MANGANIELLO: Was that after the fact that it
8 had already been approved for usage in this country?

9 DR. RARICK: No.

10 DR. MANGANIELLO: Those were all with the IND?

11 DR. RARICK: These were all NDA.

12 DR. CORFMAN: I am willing to say, assuming that
13 the drug was needed, that I do not think Lisa and I would
14 recommend approval based on the efficacy data that have been
15 presented at this time. But we were not here then. So we
16 are just dealing with all the data and having another look at
17 it. But I think that should be put in the context of the
18 whole discussion for both days rather than just focusing on
19 it today.

20 DR. BARBO: I would like to raise the point that if
21 we are only going to deal with severe endpoints and problems
22 with the drug, we are not talking about all the women who get
23 headaches and hypotension that are not reported and I do not
24 see studies on that. Our nurses on the floor have told me in
the past that a lot of women get headaches and a lot of them

1 get some hypotension and we have no information at all as to
2 the side effects.

3 DR. HULKA: Dr. Winter?

4 PRESENTATION BY DAVID WINTER

5 DR. WINTER: Thank you, Dr. Hennekens. I am sorry
6 they put you on the spot like that on an issue that is quite
7 different.

8 After hearing the somewhat eloquent comments, I
9 think I will skip part of my conclusions because they deal
10 with somewhat the same subject, at least in part. On the
11 other hand, I will continue with a little bit of it because
12 it may be our last chance to so comment.

13 We believe there are some patients who for sound
14 medical reasons should have access to therapy in the post-
15 partum period. We believe **bromocriptine** is an appropriate
16 choice for some of these patients. We also believe that an
17 informed patient should have the option of choosing the type
18 of therapy she desires if she elects not to breastfeed.
19 While we strongly recommend that all women capable of
20 breastfeeding do so, there does remain a small set of women
21 who do not desire to do so and who seek some symptomatic
22 relief in the engorgement and pain that there might result.
23 These women should be counseled and the options should be
24 explained to them fully.

1 postpartum period was that most women do not discuss their
2 newborn feeding choice with their physician or any member of
3 the office staff. Fewer than 50 percent of the women
4 surveyed from university centers had ever discussed this
5 topic at all. It is clear to us that education is an
6 essential but, unfortunately, often missing ingredient in the
7 decision to breastfeed or not.

8 This education should be aimed at the physician,
9 office and hospital staff and the patient herself. To this
10 end, we are prepared to take two steps. First, to improve
11 physician education, we are proposing to revise the package
12 insert for Parlodel. While this is not the appropriate form
13 for detailed discussions of the wording, I would like to
14 share with the Committee some of the broad outlines of these
15 proposed changes.

16 (Transparency)

17 I have picked a few sections here and this is not
18 complete but, nonetheless, this is a section of indications
19 and usage. The underlining on the right indicates some
20 suggested changes.

21 DR. CORFMAN: Can you read it because we cannot
22 really see it? We are very interested in your indications.

23 DR. WINTER: Basically, we have strengthened the
24 wording on alternative therapy. As I said, I do not think
this is not the forum but we want to show you in broad terms

1 that we feel that several changes can be made. But we are
2 certainly strengthening the alternative therapies section,
3 which goes in there.

4 DR. CORFMAN: If you could just read it?

5 MR. WILKINSON: I will read it: After stillbirth
6 or abortions, number 1; number 2, after parturition when there
7 exists a contraindication to breastfeeding or medical condition
8 on the mother or child that makes breastfeeding undesirable
9 or, (b) when a mother elects not to breastfeed or not to
10 avail herself of alternative supportive therapy. See also
11 information for patient section.

12 DR. WINTER: If you can slide that over, you can
13 see the previous indication and use section. It does not
14 allude to other alternative therapies and, in fact, is not
15 cross-referenced as this is. The next point I want to make
16 is that this is cross-referenced to information for patients.
17 We have significantly expanded the information for patients
18 section.

19 DR. HULKA: I am wondering what you are recommend-
20 ing, if you are recommending bromocriptine for routine
21 prophylaxis of breast engorgement or if you are recommending
22 bromocriptine for particular indications.

23 DR. WINTER: No, it is not for routine prophylaxis,
24 as I think all of us have stated here. It is to be considered
as an option and after discussion for those women who elect

1 not to breast feed. This should be considered as one alterna-
2 tive.

3 DR. HULKA: Then what are the indications for use?

4 DR. WINTER: The first indications that we retained
5 from the previous package insert were after stillbirths or
6 abortions. This does not mean that everybody who has this is
7 supposed to get it. This means that this is an allowable
8 situation in which the drug can be prescribed. It may be
9 **chosen** not to, obviously, because the major emphasis on our
10 program, which I will get to in a moment, is an education
11 program. So if something is listed as an indication, that
12 **does** not mean you have to use it for the indication.

13 DR. SCHLESSELMAN: But isn't the effect of the
14 recommendation to say that anyone who wants it ought to get
15 it, and they ought to get it even though they do not have any
16 **condition**, in evidence yet, that requires therapy because the
17 **drug** is used before any breast engorgement or pain?

18 DR. WINTER: Clearly, it is used before but, no,
19 this does not predicate that every patient must use it. All
20 **we** are really interested in is having this compound available
21 **as** one option.

22 DR. CORFMAN: Dave, you have not specified the
23 indication. Would you give us the medical indications? You
24 said it would leave the clinician to recommend to his or her
25 **patient** that she should use this drug. To me, that sounds

1 like routine use.

2 DR. WINTER: It is very difficult without going
3 through the multiple sections and going back and forth to see
4 the whole context of the insert. I mean, here we are really
5 talking about the nature of package inserts rather than the
6 nature of the use of bromocriptine. If anyone has figured
7 out a package insert, please let me know.

8 DR. MANGANIELLO: Under number 2 it says, medical
9 indications.

10 DR. WINTER: I said other conditions. Then it
11 lists two situations where this could be considered.

12 DR. NIEBYL: But we have already said that we did
13 not think there was a need for the drug in a group of women
14 who have selected not to breastfeed. We already discussed
15 that yesterday, that we thought, as a general principle, that
16 women who elected not to breastfeed or had stillborn, or
17 whatever, that there was not a need for a pharmacologic
18 treatment for that physiologic process.

19 DR. WINTER: Frankly, what we are suggesting is
20 that these are conditions in which such therapy could be
21 considered. Nothing more.

22 (Transparency)

23 I think we may go around and around on this because
24 I can show you rather briefly that we have expanded the
information for patients section, in which we go into some

1 detail -- and again I apologize because it is very small
2 type. Fred, would you be able to read some of-that?

3 MR. WILKINSON: Yes, just the underlined section,
4 which is an addition to what was existing in the package
5 insert reads: Patients receiving **Parlodel** for prevention of
6 physiologic lactation should be advised of the following:
7 Certain women are not able to breastfeed because of medical
8 conditions in themselves or their infants. Most mothers have
9 a choice. For those who choose not to breastfeed, treatment
10 of the symptoms of breast engorgement can often be ac-
11 **complished** by use of breast binders, ice packs and, if
12 necessary, aspirin or other **analgesics** for pain relief.
13 **Parlodel** actually prevents milk production, breast engorgement
14 and pain from occurring but it has certain side effects in
15 some patients. See adverse reactions.

16 DR. WINTER: Again, this is an expansion. I must
17 say, I am not familiar with many package inserts in which it
18 is suggested to consider other therapies. I think as package
19 inserts go, this is a somewhat remarkable step, at least in
20 my opinion.

21 In addition, we have revised the wording in the
22 precautions section and also in the adverse reactions
23 section. I do not intend to go through all of those but it
24 is to give you a sense that we have spent some time in trying
25 to give a fuller picture of the situation and cross-reference

1 all the other sections. We think it is a significant step
2 forward, at least in terms of the package insert.

3 (Transparency)

4 In addition, we plan to take a second step, and
5 that is with the consultation of the Agency, to produce and
6 provide a patient information booklet. We would plan to
7 place copies of this booklet in all physicians' offices
8 handling **OB/GYN** cases and also in all hospitals that have **OB**
9 services. A very brief outline of that booklet is as follows
10 there. I think that is slightly larger type and I can handle
11 this .

12 As envisioned at this point in time, we would have
13 four components to it: The introduction, talking about the
14 benefits of breastfeeding and discussing some reasons why
15 some women cannot breastfeed and, of course, reviewing
16 pregnancy and lactation, as you can read, methods of preven-
17 tion, again starting with mechanical methods, discussing
18 pharmacologic methods and then, finally, analgesics. Again,
19 the wording can be modified. But this is the outline of
20 something that we feel would be very useful and important.
21 As I mentioned, we consider education a very significant
22 element of this and, quite honestly, we were somewhat
23 surprised, at least on our survey results, about the few
24 number of women who really had counseling about this.

25 We believe these steps will address the issue of

1 educating further both physicians and patients and we hope it
2 will ensure that if bromocriptine is prescribed in the
3 postpartum period, it is done in the context of examining
4 alternatives and understanding potential risks, as well as
5 benefits.

6 To sum then, our position is simply this, if a drug
7 has been shown by applicable statutory standards to be safe
8 and effective for its labeled indication, and if a patient
9 and physician together make an informed decision to choose
10 treatment with that drug, that choice ought to be permitted
11 and, indeed, protected. To deny the physician and patient
12 that freedom of choice because of the Advisory Committee's
13 personal treatment preference, however sound, is a real
14 disservice to the responsible medical community that has
15 prescribed the drug safely and effectively for many years,
16 and also to an informed patient population that has the right
17 to exercise a degree of control over their own bodies and to
18 participate in decisions directly affecting their own
19 wellbeing. We ask that this Committee not remove this
20 element of choice. Thank you very much.

21 DR. HULKA: Thank you. Let's have a five-minute
22 break.

23 (Brief recess)

24 DR. HULKA: If we could start again, we had gotten
through question 5, except for the latter part of question 5

1 in terms of bromocriptine. Then we had gotten through
2 question 6, except for 6.4, which again is in respect to
3 bromocriptine. Why don't we start with 6.4 first and then go
4 back to the second part of 5?

5 Question 6.4 is: What are the Committee's **recom-**
6 **mendations** concerning the following drugs currently in use -
7 so now what are the Committee's recommendations concerning
8 **bromocriptine** for the prevention of postpartum breast
9 **engorgement**? What are our recommendations? In other words,
10 do you recommend its use for prevention of postpartum
11 **engorgement**?

12 DR. NIEBYL: Are you asking for volunteers or are
13 you going around the table?

14 DR. HULKA: I was wondering if you wanted to have
15 any comments before we vote on whether you recommend its use,
16 yes or no. But I was just wondering if you wanted to comment
17 on that before we vote.

18 DR. NIEBYL: We have probably had enough comments.

19 DR. HULKA: Are you ready?

20 (Several Committee members answer affirmatively)

21 All right. All those who think bromocriptine
22 should be used for the prevention of postpartum breast
23 **engorgement**, please raise your hand.

24 (No show of hands)

Al 1 those who think that bromocriptine should not

1 be used for the prevention of postpartum breast engorgement,
2 please raise your hand.

3 (Show of hands)

4 That looks like a unanimous consensus. This is the
5 response to question number 6.4 and the Committee's unanimous
6 recommendation is that **bromocriptine** not be used for the
7 prevention of postpartum breast engorgement.

8 Then let's go back to question 5 because this has
9 to do with the treatment of symptoms of postpartum breast
10 engorgement. The distinction here is that now we are talking
11 about symptomatic other kinds of indications for its use, in
12 contradistinction to what we just considered which was
13 prevention. The question **here** is what might be the **indi-**
14 **cations** or what are the indications? Does anyone want to
15 **speak** to that?

16 **DR. NIEBYL:** I do not think we have any data. We
17 have not seen any controlled trials at all about therapeutic
18 use of bromocriptine. So that would require data to be
19 presented to suggest that once the patient is engorged, it
20 would be effective. But we do not have any such data. In
21 fact, it is very difficult to get such data because it is a
22 self-limited condition that goes away in 24 hours. So if you
23 give a drug when a patient is engorged, you are going to have
24 to have a placebo control and look at the patient very
quickly because it is going to go away by itself so fast the

1 drug will not have time to work.

2 DR. HULKA: Okay. But if one wanted to recommend
3 bromocriptine for some indications or symptoms related to
4 postpartum breast engorgement, then data on that in ap-
5 propriate trials would be required.

6 DR. NIEBYL: I would think so.

7 DR. HULKA: Would that kind of a statement be what
8 you would like to hear?

9 DR. MCDONOUGH: Yes.

10 DR. NIEBYL: Yes.

11 DR. HULKA: Is there anyone in disagreement with
12 that statement? Is everyone basically in agreement? If you
13 would raise your hands?

14 (Show of hands)

15 Then I will try a statement and if it does not work
16 out you can tell me. Question number 5 is should bromo-
17 criptine be used to treat the symptoms of postpartum breast
18 engorgement? The Committee's unanimous answer to this
19 question is that we really do not have data as to what these
20 indications or symptoms might be; that if there is an
21 interest in using bromocriptine for treatment, as opposed to
22 prevention, then the appropriate kind of clinical trials
23 should be performed so that the data can be obtained as to
24 the usefulness and the efficacy of bromocriptine in such
25 treatment and for such indications.

1 If we go on then to question 7, it asks if the
2 Committee recommends continued use of any of these drugs for
3 this purpose, and this purpose is prevention of postpartum
4 breast engorgement. So then what are the Committee's
5 recommendations concerning physician labeling?

6 DR. ROY: It is really not applicable any more
7 since we have already made our position clear on the previous
8 questions.

9 DR. HULKA: So you are saying that question 7 is
10 not relevant, given our responses to the prior questions.

11 DR. NIEBYL: Or question 8.

12 DR. HULKA: We will do one at a time. Question 7,
13 the Committee feels that there is no relevant answer to
14 question 7, given our responses to the prior questions.

15 DR. CORFMAN: I would like the Committee to address
16 question 8 anyway, even though it may be moot based on your
17 previous answers. I would like you to think in terms of what
18 if we are unable to get concurrence from sponsors to follow
19 your recommendations. We have a long road to go to follow up
20 on your recommendations and what if we are unsuccessful in
21 getting compliance? Would you recommend that we mandate a
22 patient pamphlet? That is my question and I would like you
23 to answer that question.

24 DR. NIEBYL: Well, if you are talking about
labeling, I would like to make two suggestions about the

1 label, if that should come to pass, as you say, under those
2 circumstances . One is that the term breast binder not be
3 used but breast support. I think I mentioned that yesterday.
4 The second thing is that I would hesitate to recommend in the
5 package insert or in the patient information pamphlet -- I
6 think aspirin was specifically mentioned and I would hesitate
7 to recommend aspirin because we usually do not give **postpartum**
8 patients aspirin because it has a much more potent effect on
9 platelets than any of the other nonsteroidal anti-inflammatory
10 **or** analgesic drugs, such as acetaminophen, ibuprofen or
11 **whatever** else you choose to use. We usually use that type of
12 **drug** postpartum, not aspirin, because aspirin can increase
13 the risk for bleeding. So those are two comments on the
14 label.

15 Now, your question about patient information is
16 **should** it be? "

17 DR. ROY: Well, before we get to that, I think the
18 **other** point, just as a follow up on what Jennifer was saying,
19 **is** that I take exception to "pain killers". I think a more
20 appropriate, less pejorative term could be selected.

21 But I certainly think in terms of point number 8
22 that a patient information brochure should be developed and
23 distributed.

24 DR. HULKA: I think we will note for the record
that we object to the term "pain killers" and that use of

1 aspirin, for the reasons you indicate, and if any reference
2 is going to be made to what kind of mechanical devices might,
3 be used on the breast, we prefer the term breast support
4 rather than breast binders.

5 DR. NIEBYL: But as to the question should a
6 patient information pamphlet be mandated, I would say, yes,
7 it should.

8 DR. HULKA: We want a little revision of question
9 8. I guess what we are really talking about is whatever the
10 indication, related to postpartum breast engorgement that
11 bromocriptine might be used for, whatever those indications
12 turn out to be, we do think that there should be patient
13 information to go with the drug. Is that correct?

14 All those who agree with a statement of that sort,
15 would you mind raising your hands?

16 (Show of hands)

17 Anybody who disagrees?

18 We have modified **question** 8 a bit to relate to
19 whatever the indications for bromocriptine in relation to
20 postpartum breast engorgement turn out to be -- whatever
21 these indications turn out to be for bromocriptine, we do
22 believe that there should be patient information to go with
23 the medication.

24 We did not specifically talk about sex hormones in
terms of any patient package insert. If it is okay with you,

1 e will let the sex hormones ride for the moment so as not to
2 onfuse the issue.

3 Are there other comments or issues you want to
4 address before we adjourn?

5 (No response)

6 Thank you all very much. We want to give our best
7 to the "Pauls" whom we will miss very much next year.

8 (Whereupon, at 3:30●., the Committee adjourned)

Certificate of Reporter, Transcriber and Proofreader

D.H.H.S. - PUBLIC HEALTH SERVICE

FOOD AND DRUG ADMINISTRATION

FERTILITY AND MATERNAL HEALTH DRUGS

ADVISORY COMMITTEE

Bethesda, Maryland

June 2, 1989

I, the undersigned, do hereby certify that the foregoing pages, numbers 1 through 166, inclusive, are the true, accurate and complete transcript prepared from the reporting by Darinka Gavrisheff in attendance at the above identified hearings, in accordance with the applicable provisions of the current GSA professional verbatim reporting and transcription contract, and have verified the accuracy of the transcript by (1) comparing the typewritten transcript against the reporting or recording accomplished at the hearings and (2) comparing final proofed typewritten transcript against the reporting or recording accomplished at the hearings.

6-14-89

D. Gavrisheff

Transcriber
Miller Reporting Company

6-14-89

Chris Bunkstaff

proofreader
Miller Reporting Company

6-14-89

D. Gavrisheff

Reporter
Miller Reporting Company