



1 infectious adverse events seen in these  
2 studies? And concerns? Dr. Hoffman.

3 DR. HOFFMAN: My concern is not with  
4 anything that we saw within the data, but the  
5 data that we don't have. And this relates to  
6 the acknowledge small numbers of patients who  
7 are over 75 years of age. While I'm also very  
8 impressed with the utility of the agent, its  
9 efficacy, and relative safety profile, I still  
10 have concerns about the uncertain risk in  
11 patients greater than 75 years old.

12 I'm also concerned about the  
13 possible risk -- even though looked at  
14 subsets, we really were not informed about  
15 those patients -- and the numbers that were  
16 within the trials who had chronic obstructive  
17 pulmonary disease. We heard -- I believe  
18 there was reference to there not being an  
19 increased risk of pulmonary infections in  
20 those individuals, but we never spoke about  
21 the numbers or saw specific data regarding  
22 patients who had chronic lung disease. So

1 unless we have data that speak to that, I  
2 would advise caution in the labeling of this  
3 agent, should it be approved, in regard to  
4 that subset, unless there was data to be  
5 presented that we have not yet seen.

6 DR. WILLIAMS: Dr. Sandborg?

7 DR. SANDBORG: In addition to very  
8 similar comments to Dr. Hoffman, the increase in  
9 herpes infections or reactivated viral  
10 infections seems unusual for this class of  
11 drugs, and I think that we should consider that  
12 more for post-marketing studies -- what the  
13 implications of that are.

14 DR. WILLIAMS: As to the clinical  
15 impact, do we see anything in this agent that is  
16 more alarming than in the other biologics we are  
17 dealing with? Dr. Sandborg?

18 DR. SANDBORG: Are we still talking  
19 about infection here?

20 DR. WILLIAMS: I will take the lack of  
21 comment as -- Dr. Felson?

22 DR. FELSON: I don't think there's any

1 data here that would alarm us more than TNF  
2 blockers or other drugs that now are available  
3 to us. The one difference I did see -- if you  
4 look at their slide P81 -- I mean, so the recent  
5 data on TNF inhibitors and infection from the  
6 biologics register suggests that the occurrence  
7 of that event tends to be relatively early in  
8 the course of treatment. You sort of know the  
9 people that are going to do this in the first  
10 few months. And after that, if they've survived  
11 the first few months without an infection,  
12 they're not going to have one.

13 The thing that concerns me about  
14 this particular treatment is, it looks like  
15 it's just continuous. There isn't a sort of  
16 safety period that you reach after a while.  
17 I'm not sure that really matters, but I guess  
18 it's just noteworthy that there's somehow  
19 this ongoing risk that might be a concern.

20 DR. WILLIAMS: Dr. Siegel?

21 DR. SIEGEL: David, I think that's a  
22 very good point, and well-taken. I will say

1 that my understanding is that that phenomenon  
2 you're talking about, that the risk of serious  
3 infections is high in the first six months and  
4 then goes down, has been mainly observed in  
5 observational epidemiologic studies. I don't  
6 believe we've ever seen that in any of the  
7 clinical trials. I don't know exactly what that  
8 means, but I know Jeff Curtis saw that, and it  
9 was seen in the German registry as well. But we  
10 haven't seen it when we've looked at clinical  
11 trial data.

12 DR. WILLIAMS: Dr. Weisman?

13 DR. WEISMAN: But Jeff, wasn't the  
14 meta analysis done at the Mayo Clinic consistent  
15 with the emergence of those events right after  
16 the start of therapy -- consistent with what  
17 David is -- but within the trial data it was  
18 seen early.

19 DR. WILLIAMS: Dr. Siegel?

20 DR. SIEGEL: So what Dr. Weisman is  
21 referring to is Baumgart's meta analysis that  
22 looked at malignancies and serious infections in

1 the safety database of randomized trials for TNF  
2 blocking monoclonals adalimumab and infliximab,  
3 and it showed a higher risk of serious  
4 infection. There are indeed randomized trials  
5 of those agents that have seen a higher risk  
6 early on, but that meta analysis didn't compare  
7 the early experience with subsequent experience,  
8 as far as I can recall.

9 DR. WILLIAMS: Do we see a need for  
10 monitoring over and above that mentioned by  
11 Dr. Hoffman as post-marketing surveillance?  
12 Dr. Hoffman mentioned the impact it had on  
13 elderly patients.

14 Is there an impact in this specific  
15 areas of adverse events -- infections -- on  
16 our selection of appropriate patients, other  
17 than possible consideration of age?  
18 Dr. Hoffman?

19 DR. HOFFMAN: We didn't address the  
20 chronic lung disease as well, and their  
21 increased likelihood of infection. In general,  
22 is that aggravated by not just this biologic,

1 but really any biologic, which I don't think  
2 we've addressed in these sessions in the past.

3 DR. WILLIAMS: Do you wish any more  
4 information on this particular area? Let's move  
5 on to liver enzyme abnormalities -- concerns of  
6 the clinical impact of this on the patient  
7 population. Dr. Pisetsky?

8 DR. PISETSKY: I'm not sure it's a  
9 concern, but I think there are going to be  
10 practical issues of how you assess it over time,  
11 especially in people who are on methotrexate.  
12 Since methotrexate itself can cause liver  
13 abnormalities, I think the question is, what is  
14 the appropriate time after the dosing of this  
15 agent, after the dosing of methotrexate, to make  
16 this assessment?

17 I always wait days after someone  
18 takes methotrexate before I look, because I  
19 know there's an immediate dose-related  
20 effect. So I think one thing that would be  
21 useful is specification for the time when the  
22 assessment should be made, and I think that

1 would clarify this issue.

2 DR. WILLIAMS: Dr. Blumenthal?

3 DR. BLUMENTHAL: I believe we also  
4 have to keep in mind that many of these patients  
5 are going to be on statins at the same time they  
6 are possibly on this agent and also on  
7 methotrexate, which adds an additional layer of  
8 complexity that the clinicians are going to have  
9 to watch.

10 DR. WILLIAMS: Dr. Weisman?

11 DR. WEISMAN: I think the issue that  
12 is raised is monitoring, and how frequent should  
13 the monitoring be? I think the vigilance that's  
14 suggested with the monitoring is  
15 appropriate -- you know, what to do when you see  
16 it. That's based upon the trial data, where  
17 they've had experience. And of course, within  
18 trials the patients are monitored every two  
19 weeks, every four weeks. What do we do in  
20 practice when it comes to monitoring these  
21 patients with this drug? I think this is a real  
22 serious issue. Right now, the recommendations



1 for methotrexate monitoring are anywhere between  
2 six weeks and 12 weeks, depending on what side  
3 of the country you're on. Should the  
4 combination be monitored more frequently than  
5 six to 12 weeks? This data seems to indicate  
6 that it should.

7 DR. WILLIAMS: Dr. Pisetsky?

8 DR. PISETSKY: I guess the other issue  
9 about monitoring is where albumin fits in, since  
10 we're going to be looking also not just for the  
11 hepatitis, but theoretically interactions with  
12 methotrexate in terms of hepatic fibrosis, which  
13 we did not discuss -- how that monitoring should  
14 fit in.

15 DR. WILLIAMS: Do we have a  
16 recommendation on the need for monitoring and  
17 how we should recommend monitoring? I had the  
18 impression from the sponsor they were  
19 recommending it at each infusion.

20 Dr. Krasnow?

21 DR. KRASNOW: Joel Krasnow from Roche.  
22 Our recommendation was that we monitor hepatic

1 transaminases four to eight weeks following  
2 initiation, and then based on the initial  
3 results, monitor them as clinically indicated.

4 DR. WILLIAMS: I think we need to make  
5 some recommendation of how often we think that  
6 would be clinically indicated, particularly in  
7 the face of methotrexate therapy.

8 Dr. Fletcher?

9 DR. FLETCHER: Maybe just to put a  
10 question: Would the typical monitoring for  
11 methotrexate be adequate except during the  
12 initial three to six-month period of initiation  
13 of this drug, where you might want to monitor  
14 more frequently, as a proposal? I don't know  
15 that there's any said way to do that, but  
16 something a little more concrete like that?

17 DR. WILLIAMS: Dr. Pisetsky?

18 DR. PISETSKY: I was just going to say  
19 some of this really depends on how often you're  
20 going to monitor for methotrexate, and is there  
21 any reason to believe this would exacerbate the  
22 hepatic risks from methotrexate, which would

1 suggest more monitoring, at least initially?

2 DR. WILLIAMS: What about the patient  
3 who receives this as monotherapy?

4 DR. WEISMAN: Well, Jim, what do you  
5 think about this? You've had some experience  
6 with methotrexate and liver toxicity issues, and  
7 it's been discussed with you, and your  
8 colleagues have published on this over the  
9 years. What do you think?

10 DR. WILLIAMS: Well, I don't know  
11 which side of the country you consider me on,  
12 but I do methotrexate every 12, and that may not  
13 be often enough for this drug. I think -- my  
14 own opinion, looking at the data we've seen here  
15 is, I'd probably do it at least every other  
16 infusion if they're doing it every four weeks.

17 DR. PISETSKY: I'd say that's  
18 reasonable, as you extend it into different  
19 patient populations outside the trial setting,  
20 to be conservative; to get more experience  
21 rather than just saying every three months or  
22 every other infusion, because these are going to

1 be on people who are potentially on more than  
2 one hepatic drug. Some are going to be on  
3 NSAIDs, some are going to be on methotrexate,  
4 plus this agent, plus other things. And I think  
5 initially it would be prudent to probably  
6 monitor more cautiously initially, and then go  
7 to maybe whatever is dictated by methotrexate,  
8 for those on methotrexate, and then maybe every  
9 other infusion for the others.

10 DR. WILLIAMS: But I think it should  
11 be outlined, because in private practice they  
12 don't monitor, perhaps, as closely as we do in  
13 trials, so that it should be stated how often it  
14 should be done, so the practicing physician has  
15 a guideline. Dr. Sandborg?

16 DR. SANDBORG: I think that the  
17 recommendation of doing it -- if you're using it  
18 in combination with methotrexate or perhaps  
19 other liver-toxic drugs like statins, or high  
20 doses of NSAIDs, or whatever -- should be every  
21 month to every two months, and then continuously  
22 even every two months as long as they're on a

1 liver-toxic medication.

2 DR. WILLIAMS: Dr. Weisman?

3 DR. WEISMAN: Jeff, a question, and  
4 maybe Sarah too. It seems like the FDA has not  
5 mandated that this drug be tested in large  
6 open-label experience for safety prior to coming  
7 today to the advisory Committee, where thousands  
8 of patients with a variety of drugs, concomitant  
9 therapies, and so forth are -- like we've seen  
10 with some of the other agents that have come to  
11 the FDA. What's your thinking behind that? And  
12 it comes up to this issue now that Dr. Pisetsky  
13 just raised about if you're trying to think  
14 about monitoring, and the drug is going to be  
15 out there, and it's going to be out there in a  
16 variety of circumstances in different patient  
17 populations with different concomitant  
18 medications, different risks, and so forth -- we  
19 don't have background experience in that right  
20 now.

21 DR. WILLIAMS: Dr. Siegel?

22 DR. SIEGEL: So this is a concern that

1 we've had over the years, and we've generally  
2 recommended to sponsors that they do a large  
3 randomized study of all comers, as it  
4 were -- patients on a variety of concomitant  
5 medications, with a variety of concomitant  
6 medical conditions, and then add either placebo  
7 or drug to it, and then to roll those patients  
8 over into a long-term open-label treatment  
9 study. This was a design that was used with  
10 anakinra, with abetacept.

11 So we have seen this with other  
12 agents. This was -- the intention of the  
13 trial that was done with this product, where  
14 it was added to concomitant DMARDs, so there  
15 was an experience. I think that that is the  
16 trial that's intended to satisfy what you're  
17 talking about.

18 DR. WEISMAN: Are you satisfied that  
19 that experience with the number of patients here  
20 and -- both of you guys -- that in part answers  
21 David's issues?

22 DR. WILLIAMS: Dr. Siegel?

1 DR. SIEGEL: I think that the study  
2 enrolled patients on a variety of DMARDs that  
3 are used in practice. Of course, it excluded  
4 other biologics, and we don't feel it's  
5 appropriate to use this with other biologics  
6 until that's formally studied. But it was used  
7 with a variety of conventional concomitant  
8 DMARDs, so we do think that that issue was  
9 addressed.

10 In terms of whether patients with  
11 concomitant medical conditions like COPD, or  
12 as Gary Hoffman was wondering about,  
13 diabetes, and some of the other concomitant  
14 medical conditions, it may be that we don't  
15 have as much information as maybe it would be  
16 nice to have as patients are treated more  
17 broadly.

18 DR. WILLIAMS: Dr. Pisetsky?

19 DR. PISETSKY: I have a question about  
20 the interpretation of the patient who obeyed  
21 Hy's law. Has there been another biologic in  
22 which a patient fulfilled Hy's laws in a

1 way -- because if that's not the case, that  
2 could be an indicator of hepatic problems. I  
3 can say caution is indicated.

4 DR. WILLIAMS: Dr. Sandborg?

5 DR. SANDBORG: I think this, as in  
6 many of the other questions and the areas we'll  
7 be coming up with, is going to be hopefully  
8 answered by the registry studies, where you have  
9 a more real-life situation, where you may be  
10 using different doses of different combinations  
11 of drugs. And I think that that will be  
12 helpful, but even though there's many patients  
13 in these studies, these are still low signals  
14 that we need to look vigilantly for, especially  
15 the liver, I think, and the intestinal  
16 perforation.

17 DR. WILLIAMS: We'll get to the latter  
18 in a minute. Dr. Weisman?

19 DR. WEISMAN: I think to answer your  
20 question, Jim, the sense of the Committee to me  
21 is that the sponsor's recommendation, which is  
22 to monitor once at four to six weeks or four to



1 eight weeks, and then when clinically indicated,  
2 is probably not sufficient now. But what is  
3 sufficient --

4 DR. WILLIAMS: Certainly in the face  
5 of methotrexate therapy.

6 DR. WEISMAN: Yeah, and that's -- and  
7 what is sufficient -- what is -- is it six  
8 weeks? Is it every other infusion? We don't  
9 know the answer to that. But I think that the  
10 FDA should weigh in on this as well as the  
11 Committee -- and I don't know whether we can  
12 come up with a number -- but the current thought  
13 of once and clinically indicated does not seem  
14 to be sufficient.

15 DR. WILLIAMS: I would have probably  
16 said every six weeks, except that they come for  
17 infusions every four, so why make them come for  
18 a separate visit? So I settled on eight.  
19 Dr. Pisetsky?

20 DR. PISETSKY: Is there an agency  
21 guidance on a product where Hy's law is met, or  
22 there is a patient who fulfills Hy's law? Or is

1 it just judgmental?

2 DR. WILLIAMS: Dr. Siegel?

3 DR. SIEGEL: So the question you  
4 raised before. So I'm not aware of another case  
5 of Hy's law with another biologic in a clinical  
6 development program.

7 DR. PISETSKY: What about any product  
8 that has a case that fulfills Hy's law -- for  
9 any kind of product?

10 DR. WILLIAMS: Dr. Rosebraugh?

11 DR. ROSEBRAUGH: There are -- when we  
12 get Hy's law, the thing that it gives us, as we  
13 tried to point out in the slides, is that it  
14 gives us some indication of what the rate of  
15 severe liver toxicity might be, and that helps  
16 us to try to weigh that against the benefit of  
17 the drug. Are there other drugs that fulfill  
18 Hy's law? Sure, there's lots of drugs out  
19 there. Most of them are treating very severe  
20 illnesses kind of like this one. We recommend a  
21 lot of monitoring on them.

22 We know what the rate is, so we

1 look for it specifically. Are there other  
2 drugs that have had Hy's law that we didn't  
3 approve? Sure, but they were drugs that were  
4 treating things that were not quite as  
5 serious. We had other drugs on the market  
6 that were just as good. So Hy's law in  
7 itself doesn't kill a drug; it just gives us  
8 an idea of what the rate may be and how close  
9 we need to monitor it, and maybe some idea of  
10 how it compares to others on the market.

11 DR. PISETSKY: Could you be more  
12 specific on how close -- I guess the question is  
13 how close to monitor is --

14 DR. ROSEBRAUGH: Yeah, that's really  
15 what we're asking you guys, and we'll go back  
16 and talk about it, but we certainly respect your  
17 opinions.

18 DR. WILLIAMS: Is there an opinion  
19 difference on the one Dr. Weisman and I have  
20 stated?

21 Every other time, every eight  
22 weeks?

1 SPEAKER: Forever.

2 DR. WILLIAMS: Personally, we keep  
3 doing it.

4 SPEAKER: Forever.

5 DR. WILLIAMS: Especially if they were  
6 on methotrexate, I'd just -- because I monitor  
7 methotrexate anyway.

8 Dr. Blumenthal?

9 DR. BLUMENTHAL: Obviously, this is  
10 something that we'll continue to review as data  
11 comes in. As we all recall, there was a time  
12 when we did liver biopsies in patients on  
13 methotrexate, and upon further review it  
14 appeared to be unnecessary. So I think erring  
15 on the side of caution at first, and perhaps  
16 being less cautious if the data suggests that  
17 that would be safe, is something we could  
18 consider as time goes along.

19 DR. WILLIAMS: Excellent comment.  
20 It's not immutable. We can change it when the  
21 data comes. Does the liver enzyme data have an  
22 impact on the selection of appropriate patients

1 for treatment, above what the sponsor has  
2 already recommended -- we don't treat patients  
3 with chronic liver disease?

4 Dr. Pisetsky?

5 DR. PISETSKY: I mean, clinically, one  
6 of the major issues is people with hepatitis C,  
7 and that's not a small number of patients. It's  
8 a question for any biologic. If you already  
9 have a product that may have a hepatic signal, I  
10 think there would be concern in the absence of  
11 data.

12 DR. WILLIAMS: Any further comment on  
13 liver function abnormalities? We come then to  
14 the interesting topic of lipid abnormalities.  
15 Dr. Felson, you had some opinions on that.

16 DR. FELSON: Well, I guess to be  
17 honest with you, I wasn't especially reassured  
18 by the expert from industry. I think there's no  
19 obvious -- it sounds like the effect of other  
20 biologics is to increase HDL and LDL, the HDL  
21 effect being substantial. There is no  
22 substantial HDL effect here; this is an increase

1 in LDL. This increases risk, and I'd be nervous  
2 about it. And I think the nervousness is  
3 especially because most of our patients are  
4 older patients, and this is the disease that's  
5 unfortunately going to kill them --  
6 cardiovascular disease. So the extent we  
7 increase that risk, it's a problem. And I think  
8 if we approve it, we need to be clear that -- I  
9 think people, probably, who are either on  
10 treatment for or have LDL elevations or total  
11 cholesterol elevations that's concerning  
12 clinically probably ought to not get this drug.  
13 Or there ought to be a warning.

14 DR. WILLIAMS: What if they respond to  
15 statins?

16 DR. FELSON: I mean, the problem is  
17 they're going to be on statins the  
18 minute -- they're already on statins, assuming,  
19 so if they're well cared for and they have  
20 hypercholesterolemia of some kind, they're going  
21 to be on statins there. And we don't know,  
22 necessarily, how this plays out in that context.

1 So I guess there needs to be some kind of  
2 warning. It's hard to know exactly how to  
3 phrase it, because statins might do it. But I  
4 guess I'm also nervous about the idea that the  
5 problem's going to be discovered and then the  
6 patient's going to be started on statins. I  
7 mean, you know, that they could use one drug to  
8 treat the problems introduced by another is a  
9 concern.

10 I can foresee the possibility that  
11 in five years there's another hearing like  
12 the one on Vioxx, where the cardiologists  
13 actually in the room this time, the academic  
14 cardiologists, which they aren't this time,  
15 other than maybe one, and they say to us,  
16 what were you guys thinking when you approved  
17 this drug? And so I'm nervous about that.

18 And I think I would also say to the  
19 FDA, if lipid abnormalities are going to  
20 generate a concern on the part of any drugs  
21 that we're going to approve, or anything  
22 that's cardiovascular risk-related, that it

1 would be helpful around this table to have  
2 somebody who's an expert in that area that  
3 can advise us, because I'm nervous about a  
4 lot of the extrapolations I'm making,  
5 frankly, because I'm not a lipid person. But  
6 the lipid that's being made abnormal here is  
7 not a good one, and I think this is a  
8 high-risk group, so I'm definitely nervous.  
9 And I don't know whether it should preclude  
10 certain people from getting this therapy; I  
11 think it should clearly introduce monitoring  
12 of LDL as a routine. And whether that's the  
13 same schedule as we just talked about for  
14 LFTs I don't know.

15 DR. WILLIAMS: Dr. Blumenthal?

16 DR. BLUMENTHAL: I think one of the  
17 things the Committee is grappling with here is,  
18 we want to try to protect the safety of the  
19 public, but a lot of what we're assessing here  
20 are surrogate markers for the actual thing that  
21 we want to know. We want to know if our  
22 patients are going to have heart attacks or



1 strokes, but it takes a study of such size and  
2 such a duration of follow-up that we might not  
3 have that kind of data at the time we have to  
4 consider this question. So we consider the  
5 surrogate markers, which are easier to measure  
6 in a shorter time frame, but we don't always  
7 know what it means when one surrogate marker  
8 moves in what appears to be an unfavorable  
9 direction -- and I don't know that we even know  
10 that for sure -- and another surrogate marker,  
11 such as CRP, moves very strongly in a favorable  
12 direction. So what does that mean?

13           The cardiologists would argue that  
14 CRP is an excellent marker of short-term  
15 coronary risk, and I don't think anybody  
16 really entirely knows yet -- what if you  
17 chose that risk factor and tried to modify  
18 it, what would your coronary risk be then?  
19 The cardiologists don't have the tools to do  
20 that, so I don't think we have data to answer  
21 that question. So we have one surrogate  
22 marker moving in one direction, another

1 surrogate marker moving in another direction.  
2 It is possible that coronary risk would go up  
3 if a patient was on this drug. It is also  
4 possible, I would submit, that a patient's  
5 coronary risk could go down.

6           And I think Dr. Siegel's approach  
7 actually is a very sensible approach; that  
8 since we're getting no red flags so far,  
9 based on preliminary data, of the actual  
10 endpoint that we want to know, which is a  
11 coronary event, that maybe studying the  
12 question and accumulating data as we go  
13 along, and being aware that we might have to  
14 act if unfavorable data comes in, is the way  
15 to go.

16           DR. WILLIAMS: Dr. Hoffman?

17           DR. HOFFMAN: Dave Blumenthal just  
18 addressed the point I wanted to make, and I'm  
19 more comfortable with that approach than putting  
20 a warning or restricting the drug for use from  
21 those people who start out with high LDLs. I  
22 don't know enough about the data, but perhaps

1 other people on the panel do, in regard to risk  
2 of coronary artery disease in rheumatoid  
3 arthritis. I didn't believe that that risk was  
4 clearly related to increased adversity in terms  
5 of lipid profile as much as active inflammatory  
6 disease, which appears to be the case in other  
7 chronic inflammatory diseases as well.

8 DR. WILLIAMS: Dr. Felson?

9 DR. FELSON: Yeah, I think we're going  
10 to argue about something we can't know the  
11 answer to for a while, and there's just not  
12 enough data to know. I think we ought to  
13 probably have guidelines that allow us to get  
14 lipid information in the future, in addition to  
15 event information. And if we don't do something  
16 that asks people to collect these data, we won't  
17 know. And I'm nervous about that.

18 I think we can sit here and argue  
19 about what risk factors there are in  
20 rheumatoid arthritis for cardiovascular  
21 disease, and I don't know that that's going  
22 to get us a whole lot of places. I think



1 DR. PISETSKY: Yeah, I agree with the  
2 idea of monitoring, but I think it's going to be  
3 important to have an appropriate control group.  
4 The spectrum of RA has changed dramatically  
5 recently. There are much higher numbers who  
6 have high BMI with metabolic syndrome, with  
7 other cardiovascular risk factors. So to assess  
8 the impact of this agent, you would need an  
9 appropriate control on it. I will leave it to  
10 you to figure out what the appropriate control  
11 is, but I don't think we can rely on old  
12 numbers.

13 DR. WILLIAMS: I think they're going  
14 to ask us for a recommendation on monitoring.  
15 Dr. Weisman?

16 DR. WEISMAN: I think Dr. Blumenthal  
17 said it best: When you have a paradoxical  
18 situation where you have Framingham risk factors  
19 going up with a drug, hypertension, lipids, and  
20 others going down, like CRP, and then you have  
21 control of inflammation, and you have these  
22 overlapping circles, where are we at this point?

1 And where should the burden of proof be right  
2 now? I don't think there's enough information  
3 about this paradox to restrict how this drug is  
4 going to be used if it's approved, except to  
5 collect the data. And collecting the data is  
6 what I think David and David both said, how to  
7 do that. We can make some recommendations to  
8 the FDA, and I think we should.

9 DR. WILLIAMS: What does the  
10 practicing physician need to do to monitor for  
11 this problem, because he's not going to be  
12 collecting data?

13 DR. FELSON: One of the things that  
14 was said repeatedly was, if the lipids go up,  
15 you can just start statins. And I think that  
16 begs the question of how do you know if the  
17 lipids go up. And I think the concern might  
18 genuinely be that if the lipids go up, the LDL  
19 especially, without any HDL concomitantly  
20 rising, and you do nothing about it, that you  
21 put the patient who is otherwise at high risk at  
22 higher risk. And I think the reasonable thing

1 to do might be to build in some monitoring  
2 protocol that -- and this doesn't need to be as  
3 frequent as the LFT protocol -- but I think  
4 probably doing some kind of monitoring of this  
5 is reasonable, so that we can avoid problems in  
6 the future.

7 SPEAKER: How often?

8 DR. FELSON: Oh, thanks. I don't  
9 know.

10 DR. WILLIAMS: That's the question  
11 they're asking us.

12 DR. PISETSKY: But if this is not  
13 different than any other biologic, or different  
14 than anti-TNFs, what --

15 SPEAKER: That isn't necessarily the  
16 case.

17 DR. PISETSKY: Is that true or not  
18 true? We've heard that this is like anti-TNF,  
19 where cholesterol monitoring, as far as I know,  
20 is not required, or LDL monitoring.

21 DR. WILLIAMS: Dr. Siegel?

22 DR. SIEGEL: I don't believe we've

1 seen a head-to-head comparison of the two, but  
2 we haven't identified increased lipids as being  
3 a safety concern with the TNF blockers. The  
4 signal is not as clear as it has been with --

5 DR. PISETSKY: Not as clear, so the  
6 data we heard you would not agree with exactly?

7 DR. SIEGEL: Well, I'd have to review  
8 that, but we haven't identified it as being a  
9 safety concern.

10 DR. WILLIAMS: Dr. Fletcher?

11 DR. FLETCHER: Just a fine point, but  
12 I put the question to FDA or to the sponsor with  
13 regard to a review of the literature. With the  
14 TNF blockers there is published data suggesting  
15 that you may have increases in lipids. Is the  
16 HDL and LDL relative increase similar in TNF  
17 inhibitors, or is it imbalanced, and therefore  
18 the risk ratio, if you will -- and I'm wondering  
19 also whether Dr. Felson would have less  
20 discomfort if both the HDL and LDL were  
21 increasing proportionally the same.

22 DR. WILLIAMS: Dr. Siegel?



1 DR. SIEGEL: I believe that was the  
2 question that Dr. Pisetsky was asking, and I  
3 haven't reviewed those data, and I'm really not  
4 prepared to comment.

5 DR. WILLIAMS: What is our  
6 recommendation to the FDA on frequency of  
7 monitoring, not for the investigator, but for  
8 the practicing physician? I will throw out one.  
9 Oh, Dr. Hoffman, I'll let you do it.

10 DR. HOFFMAN: Well, I'm going to skirt  
11 the question by saying that without having  
12 adequate data to prove that an intervention  
13 actually makes a difference -- statin, for  
14 example, makes a difference -- that we're  
15 ill-prepared to make a recommendation; that I  
16 would prefer to make a recommendation that was  
17 more generic, that goes beyond this panel. That  
18 is, people who have risk factors for coronary  
19 artery disease, which is all of us, and  
20 certainly our older patients, which is the  
21 majority of the population with RA, have  
22 assessment of lipid profiles and other

1 cardiovascular risk factors evaluated, as would  
2 be the standard of care in general internal  
3 medicine.

4           But to make a recommendation  
5 without having adequate data about risk -- we  
6 haven't seen increased risk, nor have we seen  
7 an intervention that addresses a presumed  
8 risk, both of which imply an increase in the  
9 expense of health care delivery -- I think  
10 would be premature in the absence of  
11 appropriate data.

12           DR. WILLIAMS: What I hear you saying  
13 is that the recommendation of the sponsor was  
14 appropriate; that lipids be looked at and  
15 treated appropriately.

16           DR. HOFFMAN: Yes, sir.

17           DR. WILLIAMS: Other comments?  
18 Dr. Felson?

19           DR. FELSON: Gosh, for once I disagree  
20 with somebody. I completely disagree with that.  
21 So what have -- what I think we're seeing is an  
22 increase in LDL and total cholesterol, and

1 unlike other TNF and biologic agents, no change  
2 in HDL -- so we're seeing a cholesterol and  
3 lipid panel that increases risk in a group of  
4 people we know are at high risk, using a  
5 biomarker that has been traditionally and  
6 historically well tied to risk in this  
7 population. So it's like saying, what do you  
8 mean? Blood pressure's increasing; we shouldn't  
9 be worried. Of course we should be worried.

10 DR. WILLIAMS: But I go back to the  
11 comments of Dr. Blumenthal. CRP does down.  
12 That's going the opposite direction.

13 DR. FELSON: Well, and I think that's  
14 partly why we need to collect some data here.  
15 And I think one could make an argument that you  
16 don't know what the net effect of these things  
17 is going to be.

18 But I think we've been burned as a  
19 community before in assuming that the net  
20 effect is going to be fine, and I don't think  
21 we can assume that. I would remind everyone  
22 also that we're not in a dire situation where

1 there's nothing else available for these  
2 patients; there's a lot of other things  
3 available that are roughly equivalent in  
4 efficacy and may not have this cardiovascular  
5 profile problem.

6 DR. WILLIAMS: Dr. Sandborg?

7 DR. SANDBORG: So I'm a pediatric  
8 rheumatologist, so I don't have as much  
9 experience in worrying about lipids. But it  
10 sounds like one could consider making a more  
11 conservative recommendation to the practicing  
12 physician during the period of the  
13 post-marketing studies, and then come back when  
14 we actually have a better idea of what,  
15 actually, we're worried about.

16 DR. WILLIAMS: Dr. Stine?

17 DR. STINE: Just a small comment about  
18 the nature of the data that we do have. We've  
19 pointed out that one risk factor goes up and the  
20 other one goes down simultaneously. It's  
21 important to recognize that's what happens on  
22 average. It could be the case that the people

1     whose one risk factor goes up, the other risk  
2     factor doesn't change. It's maybe some people  
3     that didn't respond on one that changed on the  
4     other. Saying that something happens on average  
5     in two groups doesn't say anything about what  
6     happens to any individual in those groups, and  
7     so it's important to recognize that once  
8     again -- and we do have an absence of data that  
9     would speak to the relationship of these risk  
10    factors going forward.

11                 DR. PISETSKY: I'm concerned about how  
12    we'd get the data, because if I already had a  
13    patient with an unfavorable lipid profile who's  
14    already on a statin, and I have a choice of  
15    agents, would I pick one that already has  
16    another lipid problem? The answer is probably  
17    not, if I have other things to choose from. And  
18    the question is, how, in a post-marketing  
19    situation, are we really going to get data on  
20    real cardiovascular risk events if in fact I  
21    would be reluctant to use it in the people at  
22    risk?

1 DR. WILLIAMS: Do you have enough  
2 information on this, or do you want us to  
3 continue to discuss it?

4 DR. SIEGEL: I think we've heard a  
5 pretty good discussion so far.

6 DR. WILLIAMS: Now that we've solved  
7 the problem with lipids, we'll go to GI  
8 perforations. Dr. Weisman.

9 DR. WEISMAN: I was struck with two  
10 things. One is, this drug has a rather unique  
11 mechanism of action that we've not seen before  
12 in any approved biologic, which was emphasized  
13 by the sponsor. And also this signal about  
14 gastrointestinal tract perforations. And I'm  
15 struck with, is there a relationship between  
16 these two things? And I'd like to hear some  
17 more discussion about it; speculation about it,  
18 at least. We have an expert, Dr. Watkins, who's  
19 here as a gastroenterologist, who's told us that  
20 he's interested in IL-6 in the GI tract, and  
21 mechanisms, and relationships.

22 Can you enlighten us a little bit?

1 Is there a potential relationship here  
2 between these receptors, receptor blocking,  
3 what goes on in the GI tract, and how this  
4 could be manifested in patients with a risk,  
5 like they already have diverticulitis, for  
6 example?

7 DR. WILLIAMS: Dr. Watkins?

8 DR. WATKINS: Yes, Paul Watkins. I am  
9 a gastroenterologist, but actually --

10 DR. WILLIAMS: Could you state where  
11 you're from, Dr. Watkins?

12 DR. WATKINS: University of North  
13 Carolina in Chapel Hill. But actually have been  
14 exclusively doing clinical hepatology and  
15 hepatology research. So I could talk quite a  
16 bit about IL-6 mechanism in the liver and  
17 hepatocytes. I'm not in a position as an expert  
18 in IL-6 in the GI tract or the relationship to  
19 perforation. Sorry.

20 DR. WILLIAMS: Dr. Hoffman?

21 DR. HOFFMAN: We saw in some  
22 supplemental slides that were provided in the





1 DR. SANDBORG: In looking at the  
2 materials, the risk of perforation in RA is  
3 actually highest with corticosteroids, so I  
4 would second that, but actually I would focus  
5 more on the corticosteroid issue than on the  
6 NSAIDs.

7 DR. WILLIAMS: Dr. Pisetsky?

8 DR. PISETSKY: I was going to say I  
9 don't know that I've seen a perforation in  
10 someone with RA, and so I must say that I'd be  
11 concerned by these data. We know their effects  
12 on white cells. We've heard about neutrophils,  
13 their effect on clotting factors. We know the  
14 acute phase reactants, all of which can affect  
15 the healing process. And so I wonder about  
16 people with prior GI events, prior diverticular  
17 disease, and other events that already occurred,  
18 and how you would use it.

19 DR. WILLIAMS: I trained a long time  
20 ago, but one of the things I was taught is that  
21 abdominal pain in an RA patient with steroids  
22 was a bowel perforation.

1 DR. PISETSKY: Yes. In fact, if they  
2 came to the ER, I said that's the first thing to  
3 look for. Look for air in the abdomen. That's  
4 how far back I go. Actually, I was going to say  
5 the patient was on gold.

6 DR. WILLIAMS: Other comments? This  
7 was a complication that was little increased,  
8 but I don't know if it's been looked at in other  
9 areas.

10 DR. WEISMAN: I'm curious how the FDA  
11 views this. Are there other databases, or other  
12 ways we can examine this question, because it's  
13 brand new, these perforations, and it stands out  
14 like a sore thumb. And I'm concerned.

15 DR. SIEGEL: Jeff Siegel. This  
16 actually was not the first time GI perforations  
17 have come to our attention. We saw cases in the  
18 post-marketing of spontaneous adverse event  
19 reports for TNF blockers, and in a review of the  
20 literature it turns out, as Dr. Williams was  
21 saying, that this is a recognized complication  
22 in RA. And when people have looked into it,

1       there are a variety of different potential  
2       mechanisms, including NSAIDs and steroids  
3       sometimes. Sometimes they find vasculitis in  
4       these cases. It's very, very unusual, but we  
5       have certainly seen it in adverse event reports  
6       in RA populations.

7                   DR. WEISMAN: Jeff, do you think there  
8       is a high-risk patient for such a complication?

9                   DR. SIEGEL: Apart from what I  
10       mentioned about the case reports being mostly  
11       people on NSAIDs or corticosteroids, I'm not  
12       aware of other risk factors particularly.

13                   DR. WILLIAMS: I think we're kind of  
14       in the same situation we're in here that we lack  
15       long-term data, and that we know it occurs and  
16       it's a risk with steroids. Do we think this  
17       drug increases the risk?

18                   Dr. Sandborg.

19                   DR. SANDBORG: I just have a question.  
20       With the use of the TNF inhibitors in  
21       inflammatory bowel disease, is there any  
22       increase there that is seen?

1 DR. WILLIAMS: Dr. Siegel?

2 DR. SIEGEL: I'm not aware of one, but  
3 don't quote me.

4 DR. SANDBORG: It's interesting that  
5 this drug isn't being looked at in inflammatory  
6 bowel disease, as so many of the other ones have  
7 been.

8 DR. WEISMAN: Of course, the TNF drugs  
9 actually heal perforations and fistulas and  
10 things like that, so how do you deal with this  
11 phenomenon?

12 And I'm thinking to myself, well,  
13 let's say we are concerned about it, which we  
14 are. What kind of warning, what kind of  
15 statement, what kind of comments should we  
16 recommend to the FDA to discuss with the  
17 sponsor about labeling issues? How do you  
18 know who has a history of diverticulitis?  
19 How do you know that? So it's not an easy  
20 question to address.

21 DR. WILLIAMS: Dr. Hoffman?

22 DR. HOFFMAN: I'm going to ask Jeff to

1 recall some of the deliberations over the NSAIDs  
2 and the COX-2 inhibitors when we spoke about  
3 them. Our concerns for cardiovascular risk were  
4 great enough, and then later we broadened our  
5 concerns to also renovascular risks, so that I  
6 think the general recommendations for the use of  
7 NSAIDs as a class is to use the lowest effective  
8 dose for the shortest possible period of time.  
9 So here in RA we are treating patients in  
10 polypharmaceutical fashion.

11 We have some data that low-dose  
12 steroids actually does change the course of  
13 the disease in terms of erosive destructive  
14 disease. We have no such data for NSAIDs,  
15 and we already have warnings on NSAIDs that  
16 suggest again lowest possible dose for  
17 shortest period of time. So I think it's  
18 compatible with those notions to have within  
19 instructions to patients that NSAIDs are  
20 known to be a risk factor for  
21 gastrointestinal perforation.

22 There was, as Christy pointed out,

1 an increased risk also for corticosteroids.  
2 I'm not sure it's higher than NSAIDs because  
3 we only saw the NSAID data in the  
4 supplementary slides. But all of the people  
5 who had lower intestinal perforations -- I  
6 think it was the lower intestinal; I could be  
7 corrected on that; maybe it was the upper  
8 intestinal -- they were all, all on NSAIDs,  
9 six out of six, I believe. Upper.

10 So I think, to be consistent in our  
11 labeling for NSAIDs, and in this case NSAIDs  
12 in conjunction with a drug that may or may  
13 not increase the risk of gastrointestinal  
14 perforation, we should re-emphasize that the  
15 combination of this agent with NSAIDs, or  
16 even more than minimal low-dose  
17 corticosteroids, is at this time of concern  
18 and under further investigation.

19 But I think practitioners and  
20 patients in the instructions that are issued,  
21 the labeling, have to be reminded of this  
22 combination risk.

1 DR. WILLIAMS: Further comments on GI  
2 perforations?

3 Dr. Sandborg.

4 DR. SANDBORG: So I think this goes  
5 back to Dr. Weisman's comment about mechanism.  
6 This is a different drug, and also IL-6 is, and  
7 IL-6 receptors are, probably widely distributed  
8 on the GI epithelium, sort of different than  
9 some of the other biologics we've worked with.  
10 So I think that we do need to be very cautious  
11 and somehow vigilant, but the question of how to  
12 do that is -- the devil's in the details.

13 DR. WILLIAMS: Other comments? Do you  
14 desire more?

15 DR. SIEGEL: No, that's good, thank  
16 you.

17 DR. WILLIAMS: Moving from one foggy  
18 area to another, we'll go to demyelination.

19 Dr. Blumenthal.

20 DR. BLUMENTHAL: I wonder if  
21 Dr. Siegel or Dr. Rappaport could help me out,  
22 but I think one of the difficulties we're having

1 here is, we don't really know what the baseline  
2 prevalence is of demyelinating disorders in  
3 patients specifically with rheumatoid arthritis.  
4 And if I'm remembering this right, this has been  
5 a clinical issue since the first TNF inhibitor  
6 was approved, which was approximately one year  
7 ago. Demyelinating disorders can be difficult  
8 to diagnose, and interpretation of MRIs of the  
9 brain can be difficult, especially in older  
10 adults. Can you help me out with understanding  
11 why it's been so difficult to get data on a  
12 comparator group or a control group?

13 DR. WILLIAMS: It's hard to deal with  
14 this one, since we haven't even decided cause  
15 and effect with the others.

16 Dr. Siegel?

17 DR. SIEGEL: I can make a couple of  
18 general comments that may be helpful. You all  
19 are trying to come to grips with issues that are  
20 new, and where we don't really have all the  
21 information that we need to make firm  
22 conclusions. And this comes in part from the



1 fact that we're asking companies to collect much  
2 larger safety databases than we ever have  
3 before. When you expose patients to drugs for  
4 long periods of time, you uncover events that  
5 may be part of background events in that patient  
6 population, and it becomes difficult to sort out  
7 what's due to the drug, what's due to the  
8 underlying patient population, especially when  
9 the events occur in the long-term open-label  
10 treatment.

11 So we understand the difficulties  
12 in dealing with this, and we're asking for  
13 your help, based on your clinical judgment,  
14 in guiding us as to what you feel.

15 With respect to the demyelination,  
16 the situation is a bit different than with  
17 the TNF blockers. It is true that we saw  
18 demyelinating events with the TNF blockers  
19 shortly after they were first approved -- the  
20 initial ones, Remicade and Enbrel. But we  
21 had additional information that could help  
22 us. It was a prospective study of



1 little bit of information from the MS Society.  
2 The incidence appears to be about one in 30,000  
3 in this country, and so that was calculated  
4 based on an occurrence of 200 new cases  
5 diagnosed a week.

6 DR. WILLIAMS: Do we have any  
7 information on what that is in a chronic  
8 inflammatory disease?

9 DR. RAPPAPORT: Well, I could check  
10 that again, but it's not a number that I have in  
11 my head.

12 DR. WILLIAMS: My impression is it's  
13 higher in the rheumatoid population.  
14 Dr. Hoffman?

15 DR. HOFFMAN: For imaging studies  
16 taken in people who were not suspected to have  
17 any indications of a demyelinating disease but  
18 had an MR done for other purposes like trauma,  
19 there must be thousands of MRs done every year  
20 in the United States for trauma, seizures,  
21 severe headaches, but not real suspicion of  
22 demyelinating disease. And because the findings

1 can be non-specific, I wonder if that would be a  
2 reasonable control group to compare when one  
3 looks at this population?

4 DR. WILLIAMS: Dr. Weisman?

5 DR. WEISMAN: Well, when it's all said  
6 and done, I don't see a signal in this data that  
7 we're dealing with something that's unique or  
8 special, so I don't --

9 DR. WILLIAMS: Different than other  
10 biologics. There's a signal, but it's no  
11 different than other biologics.

12 DR. WEISMAN: Yeah, I think we have  
13 other fish to fry here, so -- or whatever the  
14 expression is -- I don't know.

15 DR. WILLIAMS: Other comments? That  
16 sounded rather conclusive, so -- are we okay  
17 with safety for you?

18 DR. SIEGEL: Yes, thank you.

19 DR. WILLIAMS: Question number two.  
20 Three of the five studies submitted in the  
21 application contain data on tocilizumab 4 mg/kg  
22 in combination with methotrexate. These data

1 demonstrated a statistically significant  
2 increase in the proportion of ACR 20 responders  
3 in the tocilimi -- tocil -- the drug 4 mg/kg  
4 treatment group compared with the placebo,  
5 although the proportion of patients achieving  
6 this response was lower than that observed with  
7 the tocilizumab 8 mg/kg treatment group.  
8 Regarding safety, the 4 mg/kg dose appeared to  
9 be associated with a lower incidence of serious  
10 infection than the 8 mg/kg dose, when used in  
11 combination with a DMARD.

12           No GI perforation events were  
13 reported in patients on 4 mg/kg. In the  
14 24-week control period, three GI perforations  
15 occurred in patients on 8 mg/kg compared to  
16 none on placebo or 4 mg/kg. The sponsor has  
17 proposed a dose regimen of 8 mg/kg every four  
18 weeks. If tocilizumab is approved, do you  
19 agree that this is the appropriate dose?

20           Discuss whether there are patients  
21 at higher risk of adverse events for whom a  
22 lower dose should be recommended.

1 Dr. Felson.

2 DR. FELSON: Yeah, I was surprised, I  
3 think as indicated by my questions to them, that  
4 that was the dose they selected. I mean, if you  
5 look at their slide P39 -- so the ACR 20, which  
6 is the primary outcome we're all talking about,  
7 is a composite measure that's determined by a  
8 variety of outcomes, including CRP or ESR, and  
9 this obviously has a tremendous and very  
10 favorable effect on CRP, especially when used at  
11 the 8 mg/kg dose, not so much at the 4 mg/kg  
12 dose.

13 When you give 4 mg/kg, the other  
14 outcomes look like they do just as well  
15 as -- the non-CRP outcomes -- I think they do  
16 just as well as when you give 8 mg/kg. And  
17 those include the ones that are important to  
18 patients: Tender joint count, pain, patient  
19 DAS, and HAQ score. This looks pretty  
20 effective to me at 4 mg/kg.

21 I was having more trouble than this  
22 slide indicates coming to grips with whether

1 there was less toxicity with that lower dose.  
2 You know, one always thinks there's going to  
3 be less toxicity, but the data wasn't all  
4 that clear to me. But I did think the data  
5 was pretty clear in suggesting that the  
6 4 mg/kg dose was effective. And I couldn't  
7 see why that couldn't be used in some people  
8 who are going to have trouble clearing that  
9 med, or in ways that we often do, giving it  
10 at a lower dose to older people who might be  
11 at risk.

12 DR. WILLIAMS: My concern had been  
13 that if we gave a lower dose, would they develop  
14 tolerance and not respond to the higher dose?  
15 But the data that they showed showed that those  
16 on 4 mg that they subsequently gave 8 mg had a  
17 similar response to those that were started on  
18 8 mg, so I personally think you could start with  
19 4 mg and increase to 8 mg if they didn't get  
20 adequate response. And I differ a little;  
21 looking at the data, I thought there was a  
22 little less toxicity on 4 mg, but not a great

1 deal.

2 But there was some less. But I  
3 always am a believer in less is better.

4 Dr. Weisman.

5 DR. WEISMAN: I think David's comments  
6 now really are extremely appropriate to the  
7 situation, looking at both benefit and risk. I  
8 think he's cleared the air for us. And I don't  
9 see why this shouldn't really go in the other  
10 direction, that patients should be started on  
11 4 mg, and if there is a need -- a demonstrated  
12 need, for whatever clinical indications -- that  
13 8 mg would be available, recognizing that the  
14 data indicates that there's perhaps a slightly  
15 higher risk associated with 8 Mg/kg. So it  
16 seems fairly clear-cut.

17 DR. WILLIAMS: I don't know how the  
18 company's pricing it, but you would think that  
19 it would cost less also to start with 4 mg.

20 DR. PISETSKY: I would agree,  
21 especially if you look at something like the  
22 DAS, which is going to be very sensitive to sed



1 rate and CRP. I mean, they have the data to  
2 look at tender and swollen joint counts to see  
3 if there's really a difference in such a measure  
4 as that. If there isn't, then I would think  
5 prudence would say 4 mg. It's a lower dose,  
6 it's better safety, and you're going to get the  
7 vast majority of the response that you'd get at  
8 8 mg.

9 DR. WEISMAN: Let me ask you: Isn't  
10 the SDAI the one that does not include  
11 acute-phase proteins?

12 DR. PISETSKY: Yes, or there's a CDAI  
13 and an SDAI that --

14 DR. WEISMAN: One of them does not.  
15 I'm curious as to whether there was a  
16 difference -- if they were measured, and was  
17 there a difference between the two doses?

18 DR. PISETSKY: Well, they have it,  
19 because it's tender, swollen, patient, global,  
20 and physician. They might, they might.

21 DR. WILLIAMS: I don't see a lot of  
22 disagreement among the Committee. I would be

1 interested, before we go to a vote, if the  
2 patient advocate or the consumer representative  
3 have any specific comments they'd like to make  
4 on the safety or efficacy of this drug.

5 MS. MALONE: Well, I think less is  
6 more, you know -- to start out lower because you  
7 can always go up. And I would agree with what  
8 they said.

9 DR. WILLIAMS: DO you have any  
10 specific concerns about the safety?

11 MS. MALONE: The GI bleeds bother me.  
12 And I -- shades of the past -- I remember with  
13 COX-2, you know, that was the big deal, that it  
14 was supposed to not have that as a problem, and  
15 then they did. And here we know that they are.

16 DR. WILLIAMS: Ms. Aronson?

17 MS. ARONSON: Yeah, I'd like to just  
18 express the concerns about safety as well  
19 regarding infection and cardiovascular risk as  
20 well as the GI perforations, and also the  
21 neutrophil drop in relationship to infection as  
22 well. I also have a concern about the

1 concomitant therapies and not enough data, so I  
2 have a concern about that and other diseases  
3 such as COPD and diabetes.

4 DR. WILLIAMS: Dr. Blumenthal?

5 DR. BLUMENTHAL: I agree with  
6 everything that everyone has just said, but I  
7 would like you to take a look at slide P54 just  
8 for a moment, which is the discussion of the  
9 efficacy of this agent in patients who are TNF  
10 non-responders. I see this as the group who  
11 realistically is going to be getting this drug,  
12 at least in the near future. I think the safety  
13 and efficacy data of the TNF inhibitors, and  
14 just the huge numbers that we have that enable  
15 us to have confidence when we speak to safety  
16 and efficacy, suggest that in our practices  
17 we're probably going to be going with TNF  
18 inhibitors as our first biologic, and we  
19 wouldn't be considering this agent until we were  
20 considering a salvage therapy later on in their  
21 treatment.

22 So the data that specifically

1 speaks to how a TNF non-responder would do  
2 when on this agent I think is relevant to the  
3 decisions that we're going to make. And I  
4 completely agree that going low, going slow,  
5 and being cautious is the way to go, but if  
6 you look at the ACR 20, 50, 70 numbers for  
7 the 4 mg/kg dose, they're not impressive  
8 numbers.

9           If somebody told me a biologic got  
10 30, 17, and 5 for their ACR 20, 50, 70, I  
11 don't consider that a particularly impressive  
12 response, though admittedly this is a tough  
13 group of patients, and they might be a little  
14 more refractory to treatment. So I would  
15 guess that in some of our patients, they will  
16 feel pretty good on the 4 mg dose, but we  
17 might be considering the 8 mg/kg dose in this  
18 population of patients. But I think starting  
19 slow and building it does seem like the  
20 prudent way to go.

21           DR. WILLIAMS: I agree that we should  
22 start slow, but I suspect a lot of the patients

1 will end up on 8 mg/kg because they get a better  
2 response.

3 Ms. Malone, do you have another  
4 comment?

5 MS. MALONE: Just the whole idea that  
6 in the real world people don't just have RA. You  
7 know, they have other things going on. Some co-  
8 morbidities are a result of the RA, but also  
9 other stuff happens to their bodies. And so you  
10 can't always say well, this is a result of this  
11 RA drug. It can be the result of everything  
12 else that's going on in the body.

13 DR. WILLIAMS: Absolutely. That is a  
14 very good comment. Anything else you needed on  
15 this question? I think you have a sense of the  
16 Committee. Question number three is a voting  
17 question. In view of the data available for  
18 safety and efficacy, do you recommend approval  
19 of tocilizumab for the treatment of patients  
20 with moderately to severely active rheumatoid  
21 arthritis?

22 We are using a new system of voting

1 at this meeting. On your microphone, there  
2 are three buttons: Yes, no, and abstain.  
3 They will light up, and you'll have  
4 approximately 20 seconds to vote yes, no, or  
5 abstain. The results will then be shown on  
6 the screen, and I will read them out.

7 We will then go around the table,  
8 and you will, for the record, say what you  
9 voted and have the opportunity to say why you  
10 did that.

11 So the question is, in view of the  
12 data available for the safety and efficacy,  
13 do you recommend approval of tocilizumab for  
14 the treatment of patients with moderately to  
15 severely active rheumatoid arthritis?

16 Are we ready to vote? Can we turn  
17 on the machines? There you go; you have 20  
18 seconds. Pardon? You just push yes, no, or  
19 abstain.

20 SPEAKER: Can you vote more than once?

21 DR. WILLIAMS: If you're from Florida.  
22 Okay. Have we got everybody's vote? Yes, it

1 keeps blinking. She will tell me when you've  
2 all voted. We have all voted, so you're going  
3 to push the magic button here. Yes 10, no 1,  
4 and abstain 0.

5 Ms. Malone, we'll start with you  
6 and move around.

7 MS. MALONE: Well, I voted yes, so I'm  
8 not the holdout. But I don't think that it's  
9 the first line of treatment, you know. I think  
10 I'd go with other biologics first, you know,  
11 because of some of the safety issues.

12 DR. WILLIAMS: Thank you. Dr. Felson.

13 DR. FELSON: I also voted yes. I  
14 think its efficacy is pretty good, and safety  
15 concerns are real, though. And I think we'll  
16 have to be monitoring this closely.

17 DR. WILLIAMS: Dr. Pisetsky.

18 DR. PISETSKY: I also voted yes. The  
19 data indicates that it's effective. There are  
20 safety concerns, and I would also wonder whether  
21 there is evidence we could assemble. There are  
22 obviously knockout mice that could help address

1 questions of risk for demyelination, and if  
2 there are concerns about GI. I'm also sure  
3 knockout mice could address this. So in  
4 addition, I think, to having a good follow-up  
5 data, I think we can look to other places to  
6 reassure us on safety.

7 DR. WILLIAMS: I'm asked to have you  
8 state your name. They really want you on record  
9 for this.

10 DR. PISETSKY: Oh, David Pissetsky.

11 DR. WILLIAMS: Dr. Hoffman.

12 DR. HOFFMAN: Gary Hoffman. I voted  
13 yes. And I think that the sponsor should be  
14 complimented on -- at least from my perspective  
15 in having sat in on many of these hearings over  
16 the years -- having put their drug through a  
17 more rigorous type of evaluation than any other  
18 that I've sat in on so far. I think there's  
19 been a good look at cardiovascular toxicity and  
20 other things that we had been concerned about in  
21 the past that had not been looked at as  
22 carefully or over as long a period of time.





1 DR. WILLIAMS: Thank you.

2 Dr. Blumenthal.

3 DR. BLUMENTHAL: David Blumenthal. I  
4 voted yes, and like with any other biologic, I  
5 think patient selection, patient education,  
6 monitoring by the prescribing physician, and  
7 monitoring by the FDA are going to be important.

8 DR. WILLIAMS: Thank you.

9 Dr. Sandborg.

10 DR. SANDBORG: Christy Sandborg. I  
11 also voted yes. I think that this drug does add  
12 perhaps a different wrinkle in our biologic  
13 armamentarium, and therefore may be helpful for  
14 unique subsets of patients such as TNF failures,  
15 and I think again that the key is going to be  
16 how we monitor it and design explicit  
17 post-marketing questions to be answered.

18 DR. WILLIAMS: Thank you. James  
19 Williams. I also voted yes. I think that the  
20 sponsors have shown efficacy, particularly in a  
21 group of patients that's difficult to treat, and  
22 that is the TNF inadequate responders. And in

1 addressing Gary Hoffman's concerns, I think this  
2 drug acts so rapidly that it won't be more than  
3 a couple months till you know whether you're  
4 going to go up on the does.

5 Dr. Turk.

6 DR. TURK: Dennis Turk. I voted yes.  
7 I felt that the company did a good job with  
8 demonstrating the effectiveness of the  
9 treatment, especially given the nature of the  
10 problem, the disease, and how much problem  
11 remains to be seen, the large numbers of  
12 patients who are not getting as much benefit as  
13 we'd like to see. There are safety concerns; I  
14 think we've had a lengthy discussion about the  
15 kinds of cautions that will have to be used, and  
16 I think the FDA is very good at putting those  
17 kinds of cautions out there. I think obviously  
18 we need more post-marketing data to look at some  
19 of the types of concerns that we've seen.

20 DR. WILLIAMS: Thank you. Dr. Stine.

21 DR. STINE: Robert Stine. I voted  
22 yes.

1 DR. WILLIAMS: Ms. Aronson.

2 MS. ARONSON: Diane Aronson. I voted  
3 no. While I'm really excited to hear about the  
4 efficacy presented by the patients, I really did  
5 feel like I wanted to go on record to bring up  
6 the safety concerns which I've raised already,  
7 an also the long-term information that we still  
8 don't have about the impact on the liver.  
9 That's something I didn't mention before.

10 I also have heard about 10 issues  
11 regarding risk mitigation that's been listed  
12 for either post-screening and/or lab tests  
13 required. And given today's medical  
14 practice, I'm just wondering what's  
15 reasonable and the amount of safety issues  
16 that can be tracked. And again, about the  
17 concomitant therapies and other diseases, I  
18 have concerns about interactions.

19 Thank you.

20 DR. WILLIAMS: Thank you.

21 Dr. Weisman.

22 DR. WEISMAN: I voted yes. I think

1 that the sponsor and the FDA have had a great  
2 partnership herein this drug development. Both  
3 efficacy as well as safety challenges have been  
4 demonstrated. We've gone as far as we can go to  
5 identify those challenges. And that's going to  
6 be the rub in the near future. But I think  
7 overall, risk benefit favors benefit, and that's  
8 the reason I voted yes.

9 DR. WILLIAMS: Thank you. There's  
10 some question whether I read out the vote  
11 totals. There were 10 yes, one no, and zero  
12 abstentions.

13 MS. MALONE: Could I just say one more  
14 thing?

15 DR. WILLIAMS: Yes. Ms. Malone.

16 MS. MALONE: I know that the drug  
17 company is not acting purely altruistically, but  
18 there is something to be said for patients who,  
19 even with all these so-called -- you know, I've  
20 heard them called miracle drugs, and -- and when  
21 they work for you, they are, you know. And as  
22 testimony to the two women that gave their own

1 anecdotal remarks. The whole idea is that  
2 nothing is perfect, and when you're putting a  
3 chemical or anything into your body, you may not  
4 always get the best results, or the same results  
5 that someone else would get.

6           But to have something else  
7 available, especially for those who have not  
8 benefited from this resurgence of all the  
9 interest in drugs to treat RA, and anyone who  
10 has RA, my God, and you who deal with it, see  
11 it. It's not a pretty picture. And it  
12 changes lives, and I think anything that can  
13 aid in this, even if it's imperfect, is  
14 wonderful and to be applauded.

15           DR. WILLIAMS: Thank you. Our last  
16 question we'll move to, and we narrowed it down  
17 by approving, or recommending approval. For  
18 immunosuppressive products approved for  
19 rheumatoid arthritis, the FDA generally expects  
20 sponsors to conduct post-marketing studies to  
21 assess safety of long-term treatment by  
22 continuing long-term treatment studies out to

1 five years, and to assess the effect of the  
2 product on responses to therapeutic vaccination.  
3 If you recommend approval, are there additional  
4 post-marketing studies the sponsors should  
5 conduct to further assess the safety of the  
6 products. We've actually made several  
7 recommendations already in discussing safety of  
8 things we thought out to be looked at, but this  
9 is our chance to suggest things that ought to be  
10 done other than what the company has already  
11 planned on doing and what they gave us in their  
12 pharmacovigilance presentation.

13 Dr. Pisetsky.

14 DR. PISETSKY: I think the answer is  
15 yes. One of the major issues we've talked about  
16 is cardiovascular, which in the average  
17 rheumatoid arthritis population may not be all  
18 that common, and for a reasonable size follow-up  
19 you may not see a signal even if it exists. And  
20 so one thing that I think may be worth  
21 considering is other types of studies on other  
22 aspects of vascular function that can be

1 done -- non-invasive studies, IMT, or something  
2 so that you're really not confined just to  
3 looking at MI and stroke, because I think  
4 there's a likelihood that there could be a  
5 cardiovascular that we're just not going to see  
6 because the data's not big enough. Because this  
7 one, I think, may be different than the other  
8 biologics because of this issue about the  
9 lipids. And therefore, I think we need to do  
10 something more than usual.

11 DR. WILLIAMS: But it'll take more  
12 than five years. It'll take a lot of patients,  
13 so it may take more than five years.

14 DR. PISETSKY: It either takes a lot  
15 of patients or looking at more things. I agree.

16 DR. WILLIAMS: Dr. Hoffman.

17 DR. HOFFMAN: I agree with  
18 Dr. Felson's concerns about LDL, although I also  
19 appreciate the fact that other surrogates going  
20 in a positive direction hopefully will also be a  
21 signal of decreased cardiovascular risk. But I  
22 think it's very important that we look at



1 correlates of lipid changes and cardiovascular  
2 risks over five years. From what we've learned  
3 with other drugs, I hope that there isn't a  
4 signal, but there might be a signal within five  
5 years, given a large enough denominator. So I  
6 respect David's comments in that regard,  
7 although I hope his concerns are wrong. But  
8 we'll have to study that to know. The other  
9 concern that both Davids mentioned is infection,  
10 and I think we need to not just focus on the  
11 entire user population, but particularly focus  
12 on subsets that would include the very elderly,  
13 those with chronic obstructive lung disease, and  
14 also diabetes mellitus.

15 DR. WILLIAMS: I like the idea that  
16 they're going to use some long-term registries  
17 to follow these patients so they can gather some  
18 of that data.

19 Dr. Sandborg.

20 DR. SANDBORG: In looking at the  
21 design for the registry in here, there's power  
22 analysis that are adequate for most everything

1 we're interested in, although I do note that  
2 demyelination is not on here. But for the GI  
3 perforations, there's 80 percent power in 5000  
4 individuals over five years, but then they also  
5 did a calculation -- and again, thanks for the  
6 transparency -- if you have a 20 percent  
7 dropout, you would then not have adequate power  
8 of detecting a double increase in the GI  
9 perforations. You'd only have 52 percent as  
10 opposed to over 80 percent. So I'm wondering if  
11 one of the considerations we might want to make,  
12 if we're going to miss that very unusual rare  
13 signal, whether we should increase the size so  
14 we can pick a twofold difference?

15 DR. WILLIAMS: Other comments? Diane,  
16 what would you like to see done that would make  
17 you feel better about approval?

18 MS. ARONSON: Well, I jotted down more  
19 long-term data regarding infection,  
20 cardiovascular, and impact on the liver, yeah.

21 DR. WILLIAMS: Other comments on  
22 recommended studies? I personally thought that

1 the company really has set out a very good,  
2 vigorous program for post-marketing  
3 surveillance.

4 Dr. Felson?

5 DR. FELSON: With 5,000, does one get  
6 enough myocardial infarctions to be able to know  
7 whether that's increased? Obviously, that took  
8 a much bigger number with COS-2. And I'm  
9 looking back over the slides. I don't think we  
10 have the slide that gave you power for different  
11 events. Maybe --

12 DR. PISETSKY: I mean, the NSAID  
13 trials for cardiovascular -- what? Twenty,  
14 30,000 in two years. So 5,000 is -- I don't  
15 know if 5,000 for five years is the same, but it  
16 strikes me as small.

17 DR. WILLIAMS: All right.

18 Dr. Weisman?

19 DR. WEISMAN: I asked Jeff what -- he  
20 obviously had been grappling with this issue  
21 internally, about cardiovascular risk -- what  
22 kinds of programs are you thinking about? It

1 may have to be mandated across multiple  
2 companies. It may not just be limited to one  
3 company. It may have to be multiple companies.  
4 How have your epidemiologists and colleagues  
5 recommended to you about how to assess this  
6 issue?

7 DR. WILLIAMS: Dr. Siegel?

8 DR. SIEGEL: This is a relatively new  
9 issues for biologics for RA, the issue of the  
10 cardiovascular signal. We're going to need to  
11 go back and discuss with our epidemiology  
12 colleagues what type of study would be adequate,  
13 and considerations for powering. With pediatric  
14 registries, we have begun to deal with the issue  
15 that currently our registries are product by  
16 product, and what we really need is a combined  
17 registry to get more power and have controls  
18 internally within the same registry to look at  
19 safety events.

20 I think that there are some  
21 registries in RA that may be helpful for  
22 getting these event rates across different

1 products, and we'll need to look at that  
2 more.

3 DR. WILLIAMS: Thank you. Further  
4 comments by the Committee? Your microphone's  
5 lit; does that mean anything, Dave?

6 DR. FELSON: I don't know. No.

7 DR. WILLIAMS: Dr. Siegel, Dr. Vesely,  
8 anything else that you want us to address? Any  
9 other issues?

10 DR. SIEGEL: No. I'd just like to  
11 thank the panel. This was a really useful  
12 discussion of both the efficacy and these safety  
13 issues. We appreciate that some of the safety  
14 issues are very difficult to grapple with, but  
15 we appreciate your insights from your clinical  
16 experience and your research backgrounds to help  
17 us figure out how best to deal with this.

18 So we thank you very much.

19 DR. WILLIAMS: I also want to thank  
20 the Committee. You were concise in your  
21 comments, and I think we addressed the issues,  
22 but we also quit early. The vans are here, and

1 so those who have tighter connections have  
2 plenty of time to get to the airport.

3 I thank you all.

4 (Whereupon, at approximately 2:26  
5 p.m., the MEETING was adjourned.)

6 \* \* \* \* \*

7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22