

1 I would like to note that FDA's review of this device
2 is ongoing.

3 In my presentation, I will cover the
4 history of the PMA, the indications for use. I will
5 describe the device, and I will provide an overview
6 of the pre-clinical review. This information is
7 important to you because it provides a background on
8 the device you will discuss today.

9 This slide gives you an idea of the history
10 of the FDA's review. On January 8th, we received the
11 PMA. FDA sent a letter of questions to the Sponsor
12 on April 15th, and the Sponsor responded to the last
13 of these questions on September 10th. As you know,
14 we are here today, December 11th, to get your input
15 regarding this submission.

16 The FC2 female condom is indicated to help
17 prevent HIV/AIDS, other sexually transmitted
18 infections, and unintended pregnancy. This statement
19 is the same as that for FC1.

20 I will now describe the device. As you can
21 see, the FC2 female condom is comprised of the outer
22 ring, sheath, and inner ring. The inner ring is
23 polyurethane. It's the same as that for FC1, and it
24 aids in insertion. Both the sheath and outer ring
25 are made of nitrile, and the sheath is made via a

1 dipping process similar to that of medical
2 examination gloves, as you've also heard from the
3 Sponsor. It does not have a seam, like FC1. The
4 outer ring is made by rolling the open end of the
5 condom sheath.

6 This table shows the dimensions of FC1 and
7 FC2. Relative to FC1, FC2 has a thicker sheath and
8 outer ring but otherwise similar physical dimensions.

9 In general, nitrile has lower tensile
10 properties and lower tear resistance compared to
11 polyurethane. However, FC1 has a seam, and the
12 tensile properties of FC1 as measured across the
13 seam, that is, the weakest point, were equivalent to
14 or better than the bulk tensile properties of FC2.
15 In addition, the Sponsor mitigated differences in the
16 material properties by increasing the thickness of
17 FC2.

18 And the results for air-burst testing are
19 comparable, although the specifications are
20 different. This means that when the condoms are
21 filled with air, FC1 and FC2 can hold about as much
22 air pressure and volume before they burst.

23 Since FC1 approval, the Sponsor made
24 changes to the way they make the device, and just a
25 reminder, as you know, the FC1 is the control condom

1 for the RHRU study, the clinical study for this PMA.
2 FHC compared data from FC1s produced at about the
3 same time as the pivotal clinical trial for the FC1
4 PMA, that is, around 1989 to 1990, to data from FC1
5 from the same lot used in the RHRU study. The data
6 show that the FC1's use in the RHRU study had as good
7 as or better properties when compared to the original
8 devices.

9 Additionally, FDA reviewed
10 biocompatibility, thermal properties, viral
11 penetration, bioburden testing, and a three-year
12 shelf life justification for FC2 and found this
13 information to be acceptable. We are currently
14 reviewing FC2 compatibility with a variety of
15 commonly used personal lubricants.

16 Based upon this information, it is clear
17 that FC2 is different from FC1. The outer ring and
18 sheath are made from a different material, and
19 although the nitrile material has lower physical
20 properties, FC2 is thicker and has no seam. However,
21 it is difficult to predict in-use performance based
22 solely on physical properties. This underscores the
23 importance of an acceptable clinical study, so please
24 consider this when determining if the information
25 from the RHRU is adequate.

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 Thank you. I would now like to introduce
2 Dr. Julia Corrado who will discuss the clinical study
3 in more detail.

4 DR. CAREY-CORRADO: Thanks, Elaine. Good
5 afternoon, everybody. I'm going to try to cover very
6 briefly historical perspective on female condoms.
7 I'm going to talk about the PMA that's the subject of
8 our meeting. And then, finally, and summarize our
9 clinical review issues. And to some extent, I feel
10 that our presentation has already been made and the
11 counterarguments have already been made. But,
12 nevertheless, I'm going to go through what we had
13 planned to say.

14 I think it's interesting to note that the
15 concept of a female condom has been around even
16 before the 20th century. I found an interesting
17 reference in a classic book on contraception that was
18 published in 1938 by Norman Himes that described a
19 female condom that was used in northern South America
20 apparently during the 19th century. And it was
21 described as "a pod similar to our milkweed pod,
22 which was cleaned out, one end snipped off, and the
23 closed end inserted into the vagina."

24 In the 20th century, Colin Pollard also
25 noted that there was a female condom that was

1 actually in commercial distribution in the United
2 States. It was the Gee Bee Ring, probably named
3 after Gene Beadle, who was a pharmacist who invented
4 it. And I'm going to read from the instructions for
5 use that accompanied that device just very briefly.

6 "The Gee Bee Ring method consists of a
7 large sac of prepared animal tissue which
8 is properly fitted in a plicated ring and
9 tested by filling with water.... It is
10 inserted into the vagina by the female with
11 the aid of a test tube, when properly
12 lubricated."

13 So it was 50 years or so after the Gee Bee
14 Ring had been introduced that FDA received a
15 submission for the FC1 female condom.

16 The reason we're here today, of course, is
17 to talk about the PMA for the FC2, and there are some
18 unique aspects to this PMA that led us to think that
19 we had review questions we wanted to pose to the
20 Panel, the first of which is that the pivotal
21 clinical trial for the FC2 did not evaluate
22 contraceptive effectiveness or STI risk reduction,
23 both of which are identified in the indication for
24 use of this device.

25 Also, the clinical data were entirely

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 obtained outside of the U.S. This isn't truly
2 unique. However, it's relatively rare that all of
3 the clinical data are outside U.S. data.

4 And, finally, we felt that the public
5 health impact of the FC2 was certainly in the near-
6 term more likely to be felt outside of the U.S.,
7 although I have to recognize that we had some very
8 impressive speakers today who have described how such
9 a device might offer benefit to women in the U.S. as
10 well.

11 The data on contraceptive effectiveness and
12 STI risk reduction are being inferred from a pivotal
13 clinical trial of the Reality Female Condom. That
14 was the first version of the female condom and
15 developed by the Female Health Company. The PMA for
16 the FC1 was approved in May of 1993, and even at that
17 time, there were two Panel meetings, and during the
18 Panel meetings, testimony was given by the public
19 regarding the urgent need for female initiated
20 prophylaxis in the AIDS epidemic. So we're having --
21 you know, we're -- today's events are mirroring to
22 some extent what happened at that time.

23 I'm going to summarize that pivotal
24 clinical trial of contraceptive effectiveness of the
25 FC1 because, again, the contraceptive effectiveness

1 data from that study ultimately would go into
2 labeling for this new device if -- depending on how
3 things work out today.

4 This was a six-month contraceptive
5 effectiveness study. It was conducted at six sites
6 in the U.S. There were three outside U.S. sites.
7 However, it was felt that the data from the U.S. and
8 non-U.S. sites were not poolable and, therefore, I'm
9 only going to be talking about the U.S. data.

10 Of 221 subjects enrolled in the U.S., 147
11 completed the study. Of the women who were lost to
12 follow-up or who discontinued, one of the reasons was
13 accidental pregnancy. And that's what I've
14 highlighted on this slide so that you can see that of
15 the -- of 221 women, 22 became pregnant
16 unintentionally during the course of the study.

17 The conclusion of the study regarding
18 pregnancy rates at six months are as follows. As
19 seen from this slide, the six-month gross cumulative
20 pregnancy rate was 12.4, and the six-month gross
21 cumulative life table pregnancy rate during perfect
22 use was 2.6.

23 The six-month data were projected to 12
24 months. The data that are presented in the labeling
25 for the FC1 are 12-month data, and you can see that

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 for typical use, the rate was 21 percent, perfect
2 use, 5 percent, and the labeling does include a table
3 that compares -- that gives the user the possibility
4 of comparing those rates against male latex condom
5 rates as of the time of approval of that PMA.

6 So this slide needs to be updated.
7 Dr. Leeper told us this morning that the number 125
8 is no longer current. It's actually over 165 million
9 Female Condom 1 devices have been distributed
10 worldwide. However, the percentage of women in the
11 U.S. who are relying on the FC1 is small compared to
12 outside of the U.S.

13 We've heard that there were important
14 reasons for developing the new device, in particular,
15 to lower costs and make the device more accessible
16 while maintaining design. The FC2 pivotal clinical
17 trial was conducted at the Reproductive Health and
18 HIV Research Unit, the RHRU, which is how we're going
19 to be referring to this study, in South Africa. And
20 it was conducted between January and September of
21 '04. This clinical trial was not submitted to FDA as
22 part of the pre-IDE process whereby FDA gets a chance
23 to look at clinical trial designs before the study
24 starts and offer suggestions. That did not happen in
25 this case. Excuse me.

1 So I'm going to now present our review of
2 the study. Again, you've had a very good preview of
3 some our review issues, but I'm going to divide my
4 review into sort of two sections, the first being
5 just going over design, study objectives, primary
6 endpoints and research question. And then I'm going
7 to direct -- excuse me -- I beg your pardon -- the
8 Panel to Discussion Question 1, which really is not
9 data-driven from the standpoint of study outcomes.
10 It's more of a global question asking the Panel to
11 talk about does the study design and endpoints have
12 the potential to support the new PMA.

13 After we go over the design issues, then
14 we're going to talk about some of the study data,
15 including the demographics, how it was executed, the
16 study results, and in issues related to study methods
17 for data collection.

18 Dr. Beksinska has already gone over much of
19 this -- thank you very much. Thank you.
20 Dr. Beksinska has already talked about the study
21 design, and it was her study, so I'm just going to
22 very briefly say it was prospective, randomized. We
23 have double-blinded in quotes because of the
24 impossibility of truly blinding the subjects and the
25 staff because of the seam that is present on the FC1.

1 And the Sponsor has acknowledged that.

2 This was a multi-center crossover study
3 comparing FC1 and FC2. Objectives were to compare
4 the rates of clinical and non-clinical breakage,
5 outer ring displacement, which we're going to call
6 invagination, misdirection of the penis, and
7 slippage, and adverse events. And there was a
8 secondary objective, which was to compare
9 acceptability. I am not going to be talking about
10 those outcomes. The primary endpoint was the acute
11 failure rate of the FC2 versus the FC1. Again, these
12 are breakage, slippage, that is, coming out of the
13 vagina, invagination, and misdirection of the penis
14 alongside the condom.

15 The research question, as FDA understands
16 it, was as follows. There was an assumption that the
17 breakage rate for FC1 would be less than 5 percent.
18 And the test was if the breakage rate for FC2 exceeds
19 that standard, that is, is greater than 5 percent,
20 the new condom will not be considered for further
21 development and testing.

22 So, at this point, I'm just going to
23 note -- I'm not asking for a discussion now -- that
24 the first question the Panel is going to be talking
25 about, basically, is the adequacy of the study design

1 to support gathering data that would constitute valid
2 scientific evidence to make a finding of reasonable
3 assurance of safety and effectiveness. Long-winded,
4 but that's sort of -- and that, I would have to say,
5 is probably at least as important, if not the most
6 important, question that we have for the Panel today.

7 So in terms of inclusion/exclusion
8 criteria, many of these seem intuitive. I do want to
9 point out that everyone had to be using a hormonal
10 contraceptive, IUD or tubal ligation, as has already
11 been mentioned. The exclusion criteria were known or
12 suspected active STI or allergy or six weeks post-
13 partum. The study population included five groups of
14 patients at three sites in South Africa.

15 And in terms of how the study was
16 conducted, prior to the condom use, the study nurse
17 briefed the subject on her responsibilities and the
18 study procedures. Verbal instructions were given for
19 inserting and removing the condoms, and education was
20 given regarding the need to use it correctly.

21 Subjects had to be responsible for accepting random
22 assignment to the sequence of use of FC1 or FC2.
23 They were advised to use ten of each type of condom
24 with their partner within the two to three-month
25 study period. So ten condoms over approximately

1 eight to twelve weeks. They were told they needed to
2 complete the coital log for each use and to return
3 for follow-up after ten uses of each condom.

4 At the follow-up visit, as we have already
5 heard, an interview took place in which the study
6 staff asked each subject questions that were included
7 on a 56-question questionnaire, and the questionnaire
8 covered the following subjects: the number of
9 condoms used, regular or casual partner, functional
10 performance of the condom during use, adverse events,
11 and acceptability criteria. Also with the follow-up
12 visits, a vulva inspection was conducted.

13 So, in terms of the demographics, again,
14 there were five groups: students, urban family
15 planning, rural family planning subjects, STI clinic
16 subjects, and commercial sex workers. The total
17 enrolled was 276. We can see the mean age in each
18 group, ranging from 23 among the students to 35 among
19 the STI clinic patients.

20 We collected data from the PMA regarding
21 the percentage who had a regular partner. Sometimes
22 whether or not a person has a regular partner may
23 influence the -- their scrupulosity in terms of using
24 the barrier method. We also include mean education
25 level and whether the subjects were engaged in

1 employment.

2 This table gives you a breakout in terms of
3 contraceptive use at the time of enrollment in the
4 study. I just want to make two points with this
5 slide. That is, that if you look at the far right-
6 hand column, the most common form of contraception
7 was injectable followed by male condom, and the
8 bottom row tells you how many subjects in each group
9 were using female condoms as part of their birth
10 control and prophylaxis. It didn't mean that they
11 were relying exclusively on it, but they -- in other
12 words, they had experience with female condoms.

13 So of the 276 who were enrolled, 233
14 presented for the first follow-up visit, and 201
15 completed the second follow-up visit.

16 And this slide shows you more or less the
17 accountability, who in which group showed up for each
18 of the follow-up visits. The commercial sex workers
19 actually had very good follow-up. At the first
20 follow-up visit, the rural family planning subjects
21 also had very good numbers.

22 So Dr. D'Agostino raised a very interesting
23 question this morning, and it had to do with failures
24 per condom use and whether we were looking at the
25 right denominator. And so this table presents

1 failures based on total number of condoms used. And
2 I guess I'll start by saying the very bottom row
3 shows the total number of failures for the acute
4 failure modes. And it's actually sort of a subset
5 because, for example, invagination was -- there were
6 many more -- there were many partial invaginations,
7 shall I say. However, there were only ten complete
8 invaginations in the FC1 group and 17 in the FC2
9 group. And I'm just making this point to explain why
10 the numbers you see here might not line up exactly
11 with the number of total failures the Sponsor
12 mentioned this morning, which was I think 184 or 186.

13 So if we look at the total failures, the
14 rate of total failures of all types when you combine
15 them is 2.4 percent for FC1 and 2.07 percent for FC2.
16 I'm just giving you the point estimates. Dr. Zhang,
17 our statistician, is going to give you a statistical
18 review of these numbers. So that if that's that
19 total percent of failures, for each failure, it's
20 well under 2 percent.

21 And that is going to lead me to my next
22 slide, which is a table you have in your Panel pack.
23 It's a table that Colin Pollard showed you already
24 today. And the point here is that in the last
25 column, you can see that if you add up -- from a

1 number of studies that are represented here, if you
2 add all the percentages of failures for the four
3 failure modes, you get total failure rates that range
4 from 5.6 to 11 -- no, actually to 19.5 percent. And,
5 yet, we see that the total combined failure rates in
6 our study are much lower than that.

7 And so we're just trying to illustrate that
8 there is some uniformity, as Colin said, among
9 reports of breakage but, obviously, there is -- the
10 reporting slippage, misdirection, invagination is
11 more of a gray area, and, obviously, there are issues
12 in terms of collecting data on those outcomes.

13 So to get to, to at least attempt to get to
14 Dr. D'Agostino's issue that he raised this morning,
15 if we look at acute failures per subject, the
16 percentages for each of the failure modes goes up
17 obviously because the denominators are so much
18 smaller here than the total number of condoms used.
19 On this slide, it looks like the rate of acute
20 failure per subject is highest for penile
21 misdirection.

22 I highlighted invagination, though, because
23 I want to talk a little bit more about that. And the
24 reason is that there were many partial invaginations
25 that occurred. And we're going to look at the

1 failure rates for partial invagination, also called
2 outer ring displacement.

3 So, you know, I'm going to skip this slide
4 because this is not -- this doesn't logically follow.
5 Here is where I want to go with this discussion. If
6 you look at a per-subject, acute failure,
7 invagination on a per-subject basis, and if you
8 combine complete and partial displacement, what we
9 see here is that the rate of subjects who experienced
10 either on, complete or partial on displacement is
11 relatively high, 23 percent for the FC1, you know,
12 comparable, almost 19 percent for the FC2. And so at
13 a per-subject level, there seems to be, of all the
14 failure modes, something going on here with
15 invagination.

16 The Sponsor recognized this in the PMA.
17 And they felt that it was possibly related to a
18 problem with inserting the condom too far into the
19 vagina such that -- and also asymmetrically such that
20 the penis may push the outer ring into the vagina.
21 And so the Sponsor has recommended that if this
22 device is approved, that the instructions on proper
23 placement should include that the outer ring be held
24 by the woman during insertion and that the couple be
25 aware of the outer ring during sex to ensure that it

1 does not get pushed inside the vagina.

2 So our review wouldn't be complete without
3 mentioning safety. From the RHRU study, the
4 following safety outcomes were reported. The most
5 common adverse event was discomfort during insertion,
6 which was very comparable across the two groups. But
7 on a per-subject basis, it was relatively impressive
8 at almost 14 -- 13 to 14 percent of the subjects
9 reported discomfort during insertion.

10 The next most common, although it is not in
11 the order on the slide, is the fourth from the
12 bottom, uncomfortable to use, 5 percent for the FC1,
13 2.3 percent for FC2. We did not do a statistical
14 analysis. I do not know whether those would reach
15 statistical significance in favor of the FC2. But,
16 nevertheless, it gives you the idea that overall the
17 adverse events were not serious adverse events, and
18 they, in general, are related to discomfort.

19 So, at this point, I want to talk about
20 FDA's review regarding methods for data collection in
21 this study because, as we've seen, the outcomes are
22 certainly comparable across the two arms of the
23 study.

24 You've already heard about our concerns
25 with the coital log. The coital log was intended all

1 along to complement the study questionnaire. It was
2 not intended to be the primary -- to constitute the
3 primary database for the study, and it was intended
4 for the subjects to be able to refer to it during the
5 follow-up visit. So we have identified limitations
6 in the coital log, and you have heard them. I'm not
7 going to spend much time.

8 The coital log represented five weeks, the
9 data for which period of time was to be entered on a
10 single page. There was no entry for slippage per se.
11 It was not designed to record the number of failures
12 on days when more than one female condom was used,
13 and, obviously, the Panel is very much on to that and
14 have already asked numerous questions in that regard.

15 Thirty-eight percent of the coital logs
16 were missing at the follow-up visits. So whereas the
17 subject was not lost to follow-up, 38 percent did not
18 have coital logs when they returned. And the most
19 important fraction of those who were missing coital
20 logs was the commercial sex workers, of whom I
21 believe there were 59, and none of whom came to a
22 follow-up visit with a coital log.

23 This is just a snapshot of the coital log.
24 This was only two weeks. It actually went out five
25 weeks, and I'm not going to spend any more time on

1 that because the points regarding our concerns
2 related to this have already been expressed.

3 In terms of the subjects who completed
4 follow-up visits and who had coital logs, except for
5 the commercial sex workers, those with coital logs,
6 the rate of showing up with a coital was anywhere
7 between 70 and approximately 90 percent.

8 Now I'm going to talk about the study
9 questionnaire, which was completed during the follow-
10 up interview. There was a time lag obviously between
11 the condom use and the interview. The questionnaire
12 had 56 questions on it covering sociodemographic
13 issues, experience with the female condom, comfort,
14 removal, stability, and acceptability. And the
15 numbers in parentheses are the number of questions
16 that related to that general topic.

17 Dr. Beksinska has already told us that two
18 questions on this study questionnaire were designed
19 in general to elicit any type of failure mode
20 information, a subset of which would have been
21 slippage, and so that although the coital log did not
22 include slippage for the reasons that she mentioned,
23 nevertheless, there was an opportunity to elicit
24 information on that acute failure mode by asking
25 these questions in the questionnaire.

1 So as I wind down, we noted that there are
2 potential problems with data collection, including
3 the missing coital logs, the issue of days when more
4 than one condom was used, the issue of not having an
5 entry for slippage, and the fact that it was a single
6 page.

7 In terms of where does that go, I mean, we
8 can't quantify -- actually, we can't quantify whether
9 these have an impact and, if they do, to what extent.
10 However, in general, we do feel it's fair to say that
11 there's a potential for underreporting of failure
12 modes based on the outcomes from the study. One
13 reason is, of course, loss to follow-up. We're
14 concerned that if you base your data on face-to-face
15 interviews, there might be a bias towards answering a
16 question the way you think your interviewer might
17 want to hear it. But we don't have data for that.
18 This is simply our thinking about the study design
19 and identifying for the Panel -- instead of just
20 saying we might think the outcomes are underreported,
21 at least sharing with you why we think that.

22 Certainly, there was a lag time between
23 coitus and the interview. We are very respectful of
24 data from commercial sex workers and the relevance to
25 a study like this. We just want to point out that

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 because of their experience, they may be less prone
2 to failure and -- with a device such as the female
3 condom. And, also, it is unequivocal that they did
4 not complete any coital logs, so there are no coital
5 log data for that group. As I said, it's difficult
6 to quantify the potential, if any impact, on the
7 study. Conclusions from our review issues.

8 And at this time, I would like to draw your
9 attention to Panel Discussion Question 2, which is to
10 frame relatively generally, sort of getting at these
11 are the limitations that we're seeing, and we are
12 looking forward to hearing the Panel's active
13 discussion on whether they think any of these
14 limitations could impact the study conclusion.

15 And then Panel Discussion Question 3 is
16 more focused on the actual data from the study, so
17 quantitative results.

18 And, in closing, as we know, our pivotal
19 clinical trial here didn't -- was not a contraceptive
20 effectiveness study. However, contraceptive efficacy
21 and STI risk reduction attributable to the Female
22 Condom 1 have been examined in clinical studies. The
23 acute failure rates for the two condoms evaluated in
24 the RHRU study are comparable. And limitations in
25 the study design raise the possibility that that

1 failure, acute failure rates are underreported in
2 this study.

3 And, at this time, I'd like to introduce
4 Dr. Zhang, who is going to give FDA's biostatistical
5 presentation. Thank you.

6 DR. ZHANG: Good afternoon. My name is
7 Zhiwei Zhang, and I am the statistical reviewer for
8 this PMA. Today, I am going to discuss the RHRU
9 study from a statistical point of view.

10 Here is the outline of my presentation.
11 First, we will go over the study design and patient
12 accountability briefly. And then we'll look at the
13 study results and discuss their interpretation. I'll
14 finish my presentation with a brief summary.

15 You may recall from previous presentations
16 that the study enrolled 276 subjects in five
17 subgroups and followed a randomized, crossover
18 design. Each subject was to receive ten female
19 condoms of each type in random order. The subjects
20 were instructed to document their condom uses with
21 coital logs, and they were given one coital log for
22 all ten female condoms of each type.

23 When a subject finished using the ten
24 female condoms of either type, she was supposed to
25 return the coital log and have a questionnaire

1 completed at the interview. The dataset used for the
2 analysis is based on the interviews, with or without
3 coital logs.

4 This table here is about patient
5 accountability. Overall, 84 percent of subjects made
6 the first follow-up visit, and 73 percent did the
7 second. The table also gives a breakdown by
8 subgroup. The highest response rates were found in
9 the rural family planning client group and the
10 commercial sex worker group. The rural group lived
11 close to the clinic, which may have helped with the
12 follow-up. The commercial sex workers may have been
13 encouraged by their employer to comply with the
14 interview, although they were not allowed to use the
15 coital logs. The student group was associated with
16 the highest proportion of non-returners. This may be
17 related to the study time frame, which spanned the
18 winter vacation during which students left their
19 institutions.

20 As you know, there are various failure
21 modes for female condoms. In my presentation, we'll
22 focus on the rates of clinical breakage,
23 misdirection, complete invagination, and complete
24 slippage, as well as their sum, which I call the
25 total clinical failure rate.

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 This table has the estimated failure rates
2 for FC1 and FC2 as well as their differences. This
3 is the same table that you have in the Panel pack.
4 In this crossover study, a subject typically used
5 many female condoms of each type, and the outcomes of
6 multiple condom uses by the same subject may tend to
7 be similar. In other words, there could be within
8 subject correlation.

9 One possible approach to adjust for such
10 correlation is based on generalized estimating
11 equations, or GEE, which is commonly used in
12 crossover studies of male condoms. The estimates in
13 this table are obtained from a GEE analysis with an
14 identity link function and a working independence
15 structure. The differences here are taken as
16 FC2 minus FC1. So, for example, a negative
17 difference here indicates that the estimated failure
18 rate for FC2 is lower than that for FC1. As you can
19 see, the estimated differences between FC1 and FC2
20 tend to be small and do not uniformly favor either
21 condom type.

22 When we interpret the results, we should be
23 mindful of several issues in the design and conduct
24 of the study, which Dr. Corrado discussed earlier.
25 So, specifically, the coital log design, we feel, was

1 inadequate for recording slippage and for multiple
2 sex acts on the same day. Many subjects did not use
3 the coital logs. The time between condom use and
4 interview may have been too long. And, finally,
5 there was substantial loss to follow-up.

6 All of these issues could have resulted in
7 underreporting, which may be related to the observed
8 failure rates being relatively low. These issues
9 could also have affected the comparison of the two
10 arms, although it seems difficult to tell, you know,
11 how the comparison might have changed.

12 Dr. Corrado mentioned earlier that the
13 study protocol contained a statement about FC2's
14 breakage rate being lower than 5 percent. Other than
15 that, the protocol did not specify any hypotheses in
16 terms of the comparison of FC2 with FC1, which is the
17 main objective of a randomized study.

18 With an active control in the present
19 study, it seems natural to test for non-inferiority
20 of FC2 relative to FC1. Here, non-inferiority means
21 that FC2 is not worse than FC1 by more than a
22 specified amount, delta, which represents the
23 smallest clinically meaningful difference between two
24 groups.

25 So what is the delta here? Well, there

1 does not appear to be a standard value of delta for
2 comparing female condoms, which is not surprising,
3 because there has been little discussion in the
4 literature comparing a female condom with another
5 female condom. In studies comparing male condoms, a
6 2 percent delta for each individual failure mode has
7 been frequently adopted and widely accepted.

8 This is the same table that we saw a moment
9 ago, but the focus is now on the 95 percent
10 confidence intervals for the differences between FC1
11 and FC2. Whatever delta we use, we can compare it
12 with the upper boundaries of the confidence
13 intervals. If a confidence interval has upper
14 boundary less than delta, then we can conclude that
15 FC2 is non-inferior to FC1 for that failure mode. If
16 the upper boundary of a confidence interval exceeds
17 delta, then we cannot conclude non-inferiority.

18 Now, suppose we are using a 2 percent
19 delta. Then FC2 is easily seen to be non-inferior to
20 FC1 for each failure mode we are looking at here. In
21 fact, the largest upper boundary in this column is
22 1.01 percent, which means we would be able to
23 conclude non-inferiority for any delta greater than
24 1.01 percent. On the other hand, the smallest upper
25 boundary here is 0.09 percent, which is greater than

1 0. With a 0 percent delta, non-inferiority is just
2 superiority. So if we were to test for superiority
3 of FC2 relative to FC1, the test would fail for each
4 failure mode.

5 As Dr. Corrado mentioned earlier, the
6 subgroup of commercial sex workers merit special
7 consideration because they may have been more
8 experienced with female condoms than the other
9 subjects, and they may have had more difficulties
10 remembering condom failures because of frequent sex
11 acts and because they did not use the coital logs.
12 So there are reasons to suspect that commercial sex
13 workers may differ from the other subjects in terms
14 of failure rates. To answer this question, we can
15 exclude the commercial sex workers from the analysis
16 and see how the results will change.

17 It turns out the changes are fairly small
18 and occur in both directions, especially with respect
19 to the treatment differences. For example, the non-
20 inferiority criterion with a 2 percent delta is still
21 met for each failure mode as well as the total
22 clinical failure. So the commercial sex workers do
23 not appear to have a large impact on the statistical
24 analysis.

25 In summary, I would like to emphasize that

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 the low failure rates observed for each female condom
2 may have resulted from underreporting due to problems
3 in the study design and conduct. The available data
4 suggests that for a 2 percent delta, FC2 is non-
5 inferior to FC1 with respect to acute failure rates.
6 There is no evidence that FC2 is superior to FC1 with
7 respect to acute failure rates.

8 Finally, I would like to point out that
9 non-inferiority for acute failure does not
10 necessarily imply non-inferiority for contraception
11 and STI risk reduction. These outcomes that
12 represent the effectiveness for a female condom were
13 just not observed in this study. So that concludes
14 my presentation, and now Dr. Heshu Duggirala is going
15 to discuss the epidemiology.

16 DR. DUGGIRALA: Thank you, Dr. Zhang. Good
17 afternoon, distinguished members of the Panel and
18 members of the audience. I am Heshu Duggirala, and
19 I'm an epidemiologist in the Division of Post-Market
20 Surveillance. I will be discussing the epidemiologic
21 studies for FC1 as well as discussing the postmarket
22 plan for the FC2 device.

23 The Sponsor does not provide supporting STI
24 and contraceptive effectiveness for the FC2 device.
25 The Sponsor relies on the FC1 literature and the

1 presumption of comparability between FC1 and FC2 to
2 make a case for effectiveness. FDA conducted an
3 independent review of the literature and found that
4 the Sponsor's review is complete and includes all the
5 relevant literature on female condom effectiveness.

6 I will be providing the Panel with
7 summaries of the studies found on this table,
8 including the key results and the study design
9 limitations. FDA acknowledges that it is difficult
10 to design and conduct female condom effectiveness
11 studies. However, it is our obligation to note the
12 methodologic limitations of these studies to help put
13 the study results in context. The complete study
14 manuscripts can be found in your Panel pack.

15 The first study I'll present was conducted
16 by Trussell and colleagues. This was a clinical
17 trial conducted in ten centers throughout Japan to
18 assess the contraceptive effectiveness and
19 acceptability of the Reality Female Condom. The six-
20 month probability of becoming pregnant was 3.2
21 percent during typical use and 0.8 percent during
22 correct and consistent use of the female condom.

23 There are a few limitations to note in this
24 study, including that the lower coital frequency in
25 this cohort may account for the lower risk of

1 pregnancy. In addition, there is no mention as to
2 whether the sample size of 195 subjects is sufficient
3 to compare contraceptive rates.

4 In the French study, women attending an STI
5 clinic were randomly assigned to receive either
6 female or male condoms. The groups were then
7 followed up to assess their rates of acquiring
8 gonorrhoea, chlamydia, early syphilis, or
9 trichomoniasis. The STI incidence rates were 6.8 in
10 the female condom group and 8.5 in the male condom
11 group. The authors concluded that women counseled on
12 and provide with female condoms fared no worse in
13 experiencing non-significant reduction in STIs
14 compared to the male condom group.

15 A limitation of this study is that a
16 subgroup analysis by the authors found that women in
17 the male condom arm had little access to female
18 condoms and rarely used the female condom. However,
19 women in the female condom arm had continued access
20 to male condoms from sources outside of the clinic,
21 and findings from the sub-study revealed that male
22 condoms accounted for a third of condom-protective
23 sex acts in the female condom arm. This limitation
24 makes it difficult to separate the effect of the
25 female condom from the male condom, and, therefore,

1 it is difficult to deduce evidence of equivalence
2 between the two groups.

3 The Fontanet study estimated the additional
4 protection against STIs offered to sex workers by
5 giving them the option of using the female condom
6 when clients refused to use a male condom. There was
7 a 24 percent reduction in the incidence rate of STIs
8 in the sex establishments of the male/female condom
9 group compared to the male condom group. The STI
10 incidence rate decreased from 3.6 percent to 2.81
11 percent.

12 Thailand has 100 percent condom use policy
13 that is strictly enforced, and, therefore, a
14 limitation of this study is that results may not be
15 generalizable to other countries where there is no
16 100 percent condom use policy.

17 The purpose of the Macaluso study was to
18 determine whether the female condom is as effective
19 as the male condom in preventing STI. This was an
20 NIH-funded study and was initiated at the request of
21 the FDA to help fill the evidence gap of STI
22 protection following the first female condom Panel
23 meeting.

24 Women attending public STI clinics
25 participated in a behavioral intervention promoting

1 the female condom. The authors found that consistent
2 and correct use of either condom was associated with
3 a 70 percent reduction in STI rates as opposed to
4 inconsistent use. STI incidence was lower amongst
5 consistent users who mixed condom types than among
6 exclusive male condom users. The authors concluded
7 that consistent condom use reduces STD risk but
8 incorrect use and condom failure may greatly reduce
9 effectiveness. They also concluded that the female
10 condom appears to be at least as effective as the
11 male condom as a barrier to STI.

12 A significant limitation of the study was
13 that one group received a supply of male condoms and
14 the other group received female condoms with male
15 condoms as a backup. This type of design fails to
16 separate the effect of the female condom from the
17 male condom and therefore cannot provide any evidence
18 of equivalence between the two groups.

19 In the study by Hoke and colleagues, sex
20 workers in Madagascar were followed to assess whether
21 adding female condoms to male condom distribution led
22 to increased protection levels and decreased STIs.
23 For the first six months, participants had access to
24 male condoms only. In the final 12 months, they had
25 access to both male and female condoms. Aggregate

1 STD prevalence declined from 52 percent at baseline
2 to 50 percent at month six. With the female condom
3 added, STI prevalence dropped to 41 percent at 12
4 months. The authors concluded female condom
5 introduction is associated with increased use of
6 protection to levels that reduce STI risk.

7 The longitudinal design makes it difficult
8 to assess whether increased knowledge and awareness
9 after the male condom phase may have influenced the
10 female condom phase results.

11 The objective of the Feldblum study was to
12 measure the impact of the female condom on STI in
13 Kenya. The investigators found no difference in the
14 prevalence of STIs during follow-up at the
15 intervention versus the control sites. The Sponsor
16 in the PMA asserts that the female condom findings
17 were favorable for this study. However, the
18 investigators concluded that the female condom
19 introduction did not enhance STI prevention at these
20 sites.

21 A limitation of this study, which was found
22 in many previous studies, is that the study's design
23 did not distinguish between the influence of the
24 female condom versus the male condom.

25 The Sponsor in their PMA provides a few

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 examples of studies that do not look at FC1
2 effectiveness but more on acceptability. These
3 studies do not appear to be relevant to the
4 effectiveness of the female condom and will not be
5 discussed further here.

6 I will now discuss the postmarket plan for
7 the FC2 device. The Sponsor has provided information
8 on the post-approval evaluation of this device. All
9 procedures, as stated in the Quality Systems
10 performance standard for all PMA devices, will be
11 followed for release of this product, including
12 recording all customer complaints, as well as
13 following MDR and product-recall requirements. In
14 addition, the Sponsor will provide a summary and
15 bibliography of unpublished reports of data from any
16 clinical investigations or non-clinical laboratory
17 studies involving the device and reports in the
18 scientific literature concerning the device.

19 The Sponsor has not proposed a post-
20 approval study. Please note that post-approval
21 studies are used to evaluate long-term, real world
22 uses of medical devices. Post-approval studies
23 should not be used to evaluate unresolved issues from
24 the premarket phase that are important to the initial
25 establishment of device safety and effectiveness.

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 The plan to conduct a post-approval study,
2 if decided upon, does not decrease the threshold of
3 evidence required to find the device approvable. The
4 premarket data submitted to the Agency and presented
5 in this Panel pack must stand on their own in
6 demonstrating a reasonable assurance of safety and
7 effectiveness in order for the device to be found
8 approvable. FDA uses premarket clinical data to
9 develop post-approval studies. Based on the limited
10 clinical outcome data in this PMA, we are unable to
11 develop such questions.

12 The literature presented shows a trend
13 towards risk reduction associated with use of the
14 female condom. From an epidemiologic perspective,
15 the effectiveness literature on FC1 has methodologic
16 limitations. It is important to note that these
17 studies are difficult to design to account for
18 potential confounders. In addition, there is no
19 epidemiologic effectiveness data on FC2, the device
20 under review.

21 Typically, FDA uses premarket clinical data
22 to aid us in generating postmarket questions. This
23 PMA does not have such clinical outcome data, and in
24 the absence of that, we cannot generate these post-
25 approval questions. If this device is deemed

1 approvable, the Panel may recommend that the labeling
2 for FC2 explain that the effectiveness of the FC2
3 device has not been evaluated for STI and unintended
4 pregnancy protection. We look forward to the Panel's
5 discussion on the appropriate postmarket evaluation
6 of this device. Thank you.

7 DR. CEDARS: Thank you. I'd like to thank
8 the FDA for their presentation and open up for
9 questions from the Panel to the FDA. Dr. Padian?

10 DR. PADIAN: I need to get a clarification.
11 I think I should probably know this, but the female
12 condom, FC1, has an STI indication on it, right? But
13 the data that you looked at when they did the pivotal
14 study was only pregnancy, right? So I mean, what I'm
15 having a hard time getting my head around is that, on
16 the one hand, you don't want to infer -- I understand
17 that there's this sort of reluctance to -- should I
18 stop before I go on? No, because they -- they're,
19 like, they're becoming --

20 UNIDENTIFIED FEMALE SPEAKER: We're just
21 wondering to whom you're addressing the --

22 DR. PADIAN: FDA guys --

23 DR. CEDARS: This is to the FDA, this is to
24 the FDA.

25 DR. PADIAN: I'm not sure which one of you.

1 And so do you already know what I'm -- do you know
2 what I'm asking?

3 MR. POLLARD: No. I'll let you crystallize
4 your question.

5 DR. PADIAN: Personalize it?

6 MR. POLLARD: Crystallize --

7 DR. PADIAN: Oh.

8 (Laughter.)

9 DR. PADIAN: No, Colin, I don't know you
10 that well. But so, I mean, on the one hand, there
11 seems to be a reluctance to infer from any kind of
12 equivalence from FC2 to FC1 both STI and pregnancy,
13 but, nevertheless, decisions were made regarding
14 efficacy for STIs for FC1; some sort of inference was
15 made based on pregnancy, or so it seems. And then I
16 have a follow-up question.

17 MR. POLLARD: Yeah, I'm not sure what you
18 mean by reluctance. We're putting this question to
19 the Panel for discussion. But, certainly, the
20 primary point you're making is that, certainly,
21 indeed, in 1993, was our approval of it. We approved
22 it knowing we didn't have that STI risk reduction
23 data. We had this mitigating labeling that we felt
24 went to some degree towards that, and sort of as
25 another part of the bargain, our FDA commissioner

1 actually went to the NIH director and specifically
2 asked them to help address this evidence gap. So I
3 mean, that's --

4 DR. PADIAN: Okay. No, that puts it in
5 context. Should I not ask my other one and come back
6 to me later?

7 DR. CEDARS: Go ahead and ask yours and
8 then we'll go to --

9 DR. PADIAN: Okay. And my other question
10 has to do with the interpretation of the literature,
11 and, here, too, I might be getting it wrong. And
12 that is it seems to me your ability to extricate
13 the -- to attribute what you see to male condoms and
14 female condoms sort of has to do with what your
15 research question is, because if your research
16 question is -- and I'm not sure. That's why I'm
17 asking. If the research question is that the
18 addition of female condoms increases protected acts,
19 which you might be able to address in labeling, that
20 would be different than if your research questions
21 were equivalence. And I think about all the
22 prevention studies going on now with microbicides and
23 diaphragms. All of them have male condoms that are
24 part of the drill.

25 DR. DUGGIRALA: Yeah, and so that is two

1 completely separate questions --

2 DR. PADIAN: Right.

3 DR. DUGGIRALA: And that's something for
4 the Panel to keep in mind in terms of their
5 evaluation of this device. Are we looking at
6 something that just enhances overall protection by
7 adding it to the mix or are we actually looking at --

8 DR. PADIAN: Right.

9 DR. DUGGIRALA: -- what is the effective of
10 FC1. And so that's something for the Panel to
11 consider in their discussion.

12 DR. PADIAN: Okay.

13 DR. CEDARS: Dr. Zenilman?

14 DR. ZENILMAN: I have two questions. One
15 is --

16 DR. CEDARS: Could you please use the
17 microphone?

18 DR. ZENILMAN: Okay. Sorry. Two questions
19 for the FDA. One is there was no mention in your
20 presentation on the use of surrogate markers, and
21 what your sense is on the value of a surrogate
22 markers. The two papers that were in the packet, one
23 of which was authored by Dr. Warner, suggests that
24 PSA is detectable in between 15 to 25 percent post-
25 coitally, which is actually substantially higher than

1 the male condom, which actually raises some issues.
2 Actually, we've done some studies in male condoms
3 which actually show that the rates are much lower
4 using a different marker.

5 The other question is actually regarding
6 not labeling but promotion. I think one of the -- in
7 all of the presentations this morning, we heard how
8 important this is for HIV prevention and STI
9 prevention, and, yet, there's no data to actually --
10 outside of maybe aspirational data, that it actually
11 works. And I know it's not specifically in the
12 label, but I'm wondering if you can comment on this.
13 I mean, my experience is more in drugs, and I know
14 that -- I'm not sure what the regulations are.
15 Actually, my experience from drugs was that the drug
16 reps can't mention anything that's not, you know,
17 that's not in the package insert. And I'm not sure
18 if the rules are different for devices.

19 MR. POLLARD: So your first question had to
20 do with other potential markers?

21 DR. ZENILMAN: Right, surrogate markers,
22 recognizing the difficulty in using STI --

23 MR. POLLARD: Right, like a semen biomarker
24 is what you were talking about?

25 DR. ZENILMAN: Right.

1 MR. POLLARD: And, you know, I think -- I
2 tried to touch on that a little bit at the very
3 beginning this morning where there is some very
4 interesting work going on in this arena, but when we
5 looked at it carefully, we didn't feel like the
6 methodology itself had been adequately validated at
7 this stage. People are continuing to work there, and
8 it does look promising.

9 The second question, can you just --

10 DR. ZENILMAN: The second question was, you
11 know, what are the rules in terms of promotion and
12 labeling for indications which aren't supported by
13 the data? I mean, the only -- there's an STI
14 indication, which is based on --

15 DR. CEDARS: Labeling is one of the issues
16 we're going to be discussing. Is that not after --

17 MR. POLLARD: Certainly, that's something
18 that I -- is that really what you're asking?

19 DR. PADIAN: Sort of related to mine --

20 DR. CEDARS: It's related to Nancy's
21 question.

22 DR. PADIAN: Yeah.

23 DR. ZENILMAN: Yeah.

24 DR. PADIAN: Which is you put it on the
25 label, but there weren't data?

1 MR. POLLARD: Yes, that's right. However,
2 I mean, you know, the labeling does say what we do
3 know, and we were making some inferential judgments
4 at that time.

5 DR. ZENILMAN: Um-hum.

6 MR. POLLARD: And, to be honest, as we were
7 very sort of clear about, you know, one of the
8 questions we're asking you, you know, maybe the key
9 question that this PMA's about is slippage and
10 breakage, invagination, misdirection. Those are the
11 failure modes that we recognize to the best of our
12 degree with female condoms. Isn't that enough? Do
13 you have to -- do you have to do a contraceptive
14 study?

15 DR. ZENILMAN: Um-hum.

16 MR. POLLARD: Do you have to do STI risk
17 reduction --

18 DR. ZENILMAN: Okay.

19 MR. POLLARD: -- studies to establish that
20 the product's safe and effective with reasonable
21 assurance.

22 DR. CEDARS: Dr. D'Agostino?

23 DR. D'AGOSTINO: The presentations, I
24 guess, were done in such a way that you didn't want
25 to let out how you feel about things and leave it all

1 to us, but I was surprised, for example, with the
2 dropout rate. Being so large, what did -- actually
3 in line with the questions that are being asked now,
4 in devices, you don't worry about the dropout? I
5 mean, it's 27 percent. Aren't you worried that they
6 may not be showing up because they're having failures
7 and they're really fed up with -- moving to other
8 things --

9 MR. POLLARD: Yeah, that's part of Panel
10 Discussion Question Number 2.

11 DR. D'AGOSTINO: So you didn't want to lead
12 us? And the other question -- another question I had
13 is that if you break it down to the per subject,
14 which is really where the exposure would be measured,
15 did you notice anything between the Phase 1 and Phase
16 2 of the crossover, because many times in crossovers,
17 after you do the Phase 1, going on to Phase 2 is just
18 useless because they've learned out to --

19 MR. POLLARD: I would suggest that get
20 directed to the Sponsor.

21 DR. D'AGOSTINO: Fine. Do they --

22 DR. CEDARS: Well, let's finish the FDA
23 questions first, and then we'll have the Sponsor come
24 back. Dr. Thomas?

25 DR. THOMAS: In designing these type of

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 studies and coming up with the different failure
2 modes, I mean, especially when you're comparing the
3 female condom potential failures to male condom, the
4 one area that wasn't mentioned that I think sometimes
5 is important when it comes to invagination is
6 leakage. Was leakage data captured? Was that looked
7 at in any way, shape or form, or it just wasn't
8 thought to be as important?

9 DR. CAREY-CORRADO: So let me just make
10 sure that I understand. Are we talking about leakage
11 of semen outside the condom? Okay. And it's our
12 understanding that those data were not collected in
13 this study, that the data that were collected
14 officially were all based on a interview and that, as
15 I understand it, there were no assays done or any
16 effort to collect data on what semen exposure might
17 have -- what the degree of semen exposure might have
18 been in the vagina. But, of course, I have to defer
19 to the Sponsor because they will be in a better
20 position to answer that definitively.

21 DR. CEDARS: Dr. Marzano [sic]?

22 DR. MARRAZZO: Oh, sure. I have another
23 question about feelings. Maybe it's not the right
24 way to ask it, but it goes back to both Nancy's and
25 Dr. D'Agostino's questions. So the proposed

1 indication for use does include the phrase "helps to
2 prevent HIV/AIDS, other STIs, and unintended
3 pregnancy." And I understand that the study that you
4 described, Colin, I think the Macaluso study was the
5 one that was done to address the evidence gap, and
6 that study did show the 70 percent reduction with the
7 caveats that you mentioned. What was the FDA's
8 feeling in response to that? Was that adequate? Was
9 there thought about getting more evidence to maintain
10 the indication for -- I mean, what -- how did the FDA
11 respond to that? It seems to me a critical question.

12 DR. CAREY-CORRADO: I can take a stab at
13 that, and I guess what I would say is that FDA
14 considers that the indication for use for the FC1 can
15 stand. However, at the same time, we didn't think
16 that data from any studies we've seen to date lead us
17 to believe that there's reasonable assurance that the
18 degree of STI risk reduction for the female condom is
19 as good as that of the male condom. And that's the
20 reason that our position has been that we believe the
21 four statements that occur on the FC1 labeling should
22 remain if this were to be approved; that is to say,
23 use a male condom. That should be your first choice.
24 However, if you're not, then we do believe that there
25 is some risk reduction that can be obtained from the

1 FC.

2 DR. CEDARS: Dr. Ramin?

3 DR. RAMIN: One question I had was for the
4 FDA is on the patient accountability slide, where you
5 look at the loss to follow-up. Do we know
6 specifically how many of the patients were randomized
7 to the FC2 -- we didn't get the follow-up data on?

8 DR. CAREY-CORRADO: That's a great
9 question, and, no, I do not know at this time. I
10 would have to look back into the PMA to figure out if
11 loss to follow-up -- as I recall, they started with
12 276, so they ended up with 233 at the first visit,
13 and that's 276 minus 233, I think is -- it's either
14 33 or 43, and then between the first and the second
15 follow-up, it was 233 minus 201, so that's an
16 additional 32. So a few more were lost to follow-up
17 between enrollment and the first follow-up visit, but
18 in terms of how that reflected distribution into
19 which they were going to use first, I do not know.

20 DR. CEDARS: And the last question for the
21 FDA, Dr. Stubblefield. There will be opportunity to
22 ask questions during the discussion phase of both the
23 FDA and the Sponsor. Dr. Stubblefield?

24 DR. STUBBLEFIELD: I don't recall any
25 discussion either by the Sponsor or by the FDA of the

1 viral studies, which, according to the FDA's
2 executive summary, show that the FC2 failure rate is
3 acceptable because it's comparable to that for male
4 condoms and it's lower than that for the FC1. The 5
5 percent was the failure rate for the FC2 in the male
6 condom and 15 percent for the FC1. It seems to me
7 that's important information to talk about.

8 DR. CEDARS: I think that we'll have the
9 Sponsor answer, but is that in any way reassuring to
10 the FDA in terms of STI protection? I mean, it's an
11 in vitro assay, but is that any way reassuring or do
12 you have any comparators that would make that
13 reassuring?

14 DR. CAREY-CORRADO: That wasn't my review
15 area, but I can say we definitely weigh the value of
16 well-designed bench studies that describe the, you
17 know, whether or not the material is permeable. Now,
18 again, this wasn't my review area, so I'm not sure if
19 that's specifically what Dr. Stubblefield is
20 referring to.

21 DR. CEDARS: Yes.

22 DR. CAREY-CORRADO: But permeability data,
23 bacteriophage data, yes, we absolutely look at that.
24 And it certainly does provide -- it does constitute
25 valid scientific evidence that can contribute to our

1 decisions.

2 MS. BLYSKUN: Yeah, and I would just add
3 the comparators, at least for male condoms, we
4 typically look for a male condom control for this
5 type of study.

6 DR. CEDARS: Okay. I'd like to thank the
7 FDA, and if we could have the Sponsor initially with
8 Dr. Taylor come back up to see if he found any
9 additional data about the loss to follow-up. And
10 then if there were some residual questions that are
11 important before we get started on the discussion
12 issues for the Sponsors, if we could ask those. And,
13 then, again, once we get started on the discussion
14 questions, there will be an opportunity to ask either
15 the FDA or the Sponsor additional questions.

16 DR. TAYLOR: All right. Thank you. I think
17 there were two questions. One was initially the
18 issue about the high loss to follow-up and whether --
19 I had mentioned something about comparing the rates
20 among people who completed both groups. I do not
21 have those data available, so I can't tell you what
22 the answer to that is.

23 DR. CEDARS: Did you look at in the --
24 where there was closer to 75 percent follow-up, and
25 this would get a little bit to Dr. D'Agostino's

1 question about which they used first, and since they
2 were randomly assigned for FC1/FC2, did you look at
3 the completion, FC1 versus FC2 at Visit 1?

4 DR. TAYLOR: Yeah, that's all in Volume 2
5 of the Panel pack, Table 6(a) and 6(b). Table 6
6 gives all the results overall. Table 6(a) gives the
7 results at the first follow-up visit, and Table 6(b)
8 gives all the results of the second follow-up visit.

9 DR. D'AGOSTINO: Can you remind us what it
10 says -- I mean, I --

11 DR. TAYLOR: If you turn the computer this
12 way and look, I was just trying to do that. The
13 total clinical failure rates, the 95 percent
14 confidence interval is, I'm guessing -- the upper
15 bound is 2.4 percent in the first follow-up, and it's
16 less than 1 in the second follow-up. But I really --

17 DR. CEDARS: The upper bound in the 95 is a
18 positive 2.5?

19 DR. TAYLOR: 2.43, yes.

20 DR. CEDARS: So it's over the cutoff of 2?

21 DR. TAYLOR: Well, 2 is -- for one, it's
22 half the study size, so you're going to expect wider
23 confidence intervals, and 3 is what the FDA had
24 mentioned for actually for female condoms. But, in
25 any case, it is over 2. It's 2.4. And for the

1 second period, it was under 2.

2 DR. D'AGOSTINO: I'm pondering the
3 generalizability of the study. There was counseling,
4 right?

5 DR. TAYLOR: I should put a caveat here.
6 My role in this study was to evaluate --

7 DR. D'AGOSTINO: Well, somebody there was
8 counseling, right?

9 DR. LEEPER: Mags --

10 DR. TAYLOR: Having to do with the study,
11 Mags is the person --

12 DR. D'AGOSTINO: Yeah, so there was
13 counseling. Then, you know, when did the failures
14 happen? And then they come back so -- and you switch
15 over. So I'm wonder, you know, just can -- did you
16 do so many things to these individuals that maybe we
17 can't generalize the study? I mean, are people going
18 to -- females in the States always going to get
19 counseling?

20 MS. BEKSINSKA: Well, I think in the study
21 context, people do get probably much more --

22 DR. D'AGOSTINO: I know. But then --

23 MS. BEKSINSKA: And in the clinic setting,
24 women are informed right at the start of use. They
25 get much more information on the female condom

1 because normally they haven't used them before and
2 it's a new device. So they do get a great deal more
3 information. But, obviously, in the study context,
4 they probably get -- in all the studies on female
5 condoms.

6 DR. D'AGOSTINO: Well, it would be very
7 interesting, you know, given advice, this counseling,
8 deliberate counseling, and in the first phase of the
9 crossover, that you exceed the non-inferiority
10 margin; then by the time you go to the second,
11 everybody is sort of trained and what have you and
12 doing well, and then the rate drops below the two,
13 the upper confidence bound. So it's very hard to
14 know if you can put all that together -- added that
15 to the fact that there's a lot of missing data of
16 people who probably -- my sense always when people
17 don't come is because things aren't happening the way
18 they want them to happen.

19 MS. BEKSINSKA: Yes, I understand.

20 DR. D'AGOSTINO: And so the rates may be
21 much higher.

22 MS. BEKSINSKA: I think it's natural to
23 assume that the women -- I mean, the women in the
24 acceptability section all say it gets easier with
25 practice, so I think that came up often that, you

1 know, if you use one, two, or three -- in fact, even
2 in the instructions available now, it says maybe try
3 a few before you actually even use them. So,
4 certainly, there's a practice effect.

5 DR. CEDARS: Thank you. If there are other
6 key questions? Dr. Katz? For the Sponsor?

7 DR. KATZ: Yes. To follow-up on your last
8 comment on acceptability, I wonder if you could
9 just -- I guess, Mags, this is probably for you. If
10 you could just summarize for us the salient findings
11 on acceptability.

12 MS. BEKSINSKA: Can you just give me a
13 minute, and I'll just pick a few key things out of
14 the paper I've got in front of me.

15 DR. KATZ: Okay.

16 MS. BEKSINSKA: Give me two minutes and
17 I'll --

18 DR. CEDARS: Dr. Padian?

19 DR. LEEPER: While she's looking at that,
20 Dr. Whang, if you go to in your PMA pack, Figure 1,
21 Page 208, and it shows a flowchart. Maybe you can
22 get --

23 DR. WHANG: It's in Volume 2, Tab 1.

24 DR. LEEPER: Thank you. Volume 2, Tab 1
25 says the number of screened women equaled 289. Then

1 the number of women enrolled was 276, and then it was
2 divided up in the two groups. You're going to find
3 it?

4 UNIDENTIFIED FEMALE SPEAKER: Yes.

5 DR. LEEPER: Okay. We're going to find it
6 and put it up on the screen. But basically -- let's
7 wait until it gets up on the screen.

8 DR. CEDARS: Dr. Padian, do you want to,
9 while they're looking for that, ask your question?

10 DR. PADIAN: Yeah, I think this is a pretty
11 easy question. I'd like to get back to the coital
12 log and multiple female condoms used on the same day.
13 Correct me if I'm wrong, the only way you could get
14 that information if you used multiple condoms on the
15 same day was from the coital log, is that correct?

16 DR. LEEPER: That's correct.

17 DR. PADIAN: And so it might be the case
18 that sex workers would be the ones that would be most
19 likely to use them, to use multiple ones, and those
20 are the very ones for whom we don't have the data.

21 DR. LEEPER: Multiple sex acts on a given
22 day --

23 DR. PADIAN: Yeah.

24 DR. LEEPER: Correct.

25 DR. PADIAN: Okay.

1 DR. LEEPER: And the students as well.

2 Also --

3 DR. CEDARS: So can Mags just clarify that?
4 Is that, in fact, true that the only way you got
5 information about multiple sex acts on the same day
6 was from the coital logs because that seemed to be in
7 conflict. I had a question about that as well, about
8 what you said.

9 MS. BEKSINSKA: Well, the multiple sex acts
10 were on the coital log, but in the questionnaire, we
11 didn't ask about multiple sex acts on the same day.

12 DR. CEDARS: So, again, it is true, the sex
13 workers who were most likely to have multiple coital
14 acts in the same day --

15 MS. BEKSINSKA: Multiple sex --

16 DR. CEDARS: -- who had no coital logs,
17 that data was not gathered?

18 UNIDENTIFIED FEMALE SPEAKER: But it can't
19 be just the sex workers --

20 UNIDENTIFIED FEMALE SPEAKER: It's the
21 students --

22 UNIDENTIFIED FEMALE SPEAKER: -- because
23 that was half of their total subjects that had more
24 than one coital act on the same day.

25 DR. PADIAN: No, correct, but the ones most

1 likely are probably the sex workers --

2 DR. CEDARS: And the students --

3 UNIDENTIFIED FEMALE SPEAKER: Well --

4 DR. CEDARS: Okay. Do we have -- so this
5 table -- can we -- is this the table you wanted?
6 Oh --

7 DR. WHANG: Can I just share that it's also
8 in the executive summary that the Panel members --

9 UNIDENTIFIED MALE SPEAKER: Yeah.

10 DR. WHANG: -- received on Page 38.

11 DR. CEDARS: Yay Dr. Whang. Okay, yeah,
12 but this has the total numbers. It doesn't have the
13 failure rates attached to it, which is what I think
14 people were asking about.

15 DR. WHANG: I think you can see -- I think
16 it indicates the difference of the loss to follow-up
17 depending on the original randomization, but I'll let
18 the Sponsor speak to that.

19 DR. LEEPER: Okay. Mags, do you have the
20 answer to that question?

21 DR. CEDARS: Okay. The issue about the
22 acceptability.

23 MS. BEKSINSKA: Okay. Just some key
24 issues. For 27 percent of women said in FC1 and in
25 FC2 that it got easier with practice. The ease of

1 insertion for FC1 was 58.7 percent as easy and 57.5
2 as easy for FC2. Difficult to insert, the opposite
3 end, was 4.6 in FC1 and 2.8 in FC2. But when we kept
4 asking these questions, often the response we got,
5 instead of easy, moderate, difficult, was everyone
6 was saying the first response was it gets easier with
7 practice. So it's actually quite difficult I find
8 with these questions because, you know, they'll say,
9 "Well, the first three were difficult, or I found the
10 first three not comfortable. Then the last few were
11 comfortable."

12 For the amount of lubricant, 60 percent
13 felt it was just right in FC1, and 62 percent felt it
14 was just right in FC2.

15 For the size, 26.1 percent of women for FC1
16 felt it was too big, and 73 percent felt it was the
17 right size. And for FC2, 28 percent felt it was too
18 big, and 71 percent felt it was the right size.

19 I don't know if you want -- the general
20 feel of the condom, that they liked the general feel
21 of the condom for FC1; 63.3 percent said they liked
22 it, and 33 percent said it was okay. For FC2, 60
23 percent said they liked it, and 36.1 said they felt
24 okay.

25 Many of the acceptability issues were

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 almost identical. In fact, some women actually said
2 at the end they couldn't tell the difference between
3 the two condoms. And definitely with the partners as
4 well. It was very similar for -- the similar issues,
5 partner issues.

6 So the partner said for FC1, 29 percent for
7 both felt it was natural. For the size and not too
8 tight, for FC1, 29 percent said that; 15 percent said
9 that for FC2. For the strength of the material, 16
10 percent of men felt it was strong in FC1; 22 percent
11 said it in FC2. So this was the open-ended
12 qualitative section.

13 DR. CEDARS: Thank you.

14 MS. BEKSINSKA: I don't know if that's
15 enough.

16 DR. CEDARS: Thank you. Ms. George, do you
17 have any questions?

18 DR. LEEPER: Can we go to this chart? We
19 never finished Dr. Whang's question. Mags, stay up
20 there. Are you finished with this chart,
21 Dr. D'Agostino? Did you want any -- does this help
22 you at all?

23 DR. D'AGOSTINO: No, well, it does in some
24 sense that you have 20 percent who started it off
25 with FC2 didn't finish the first period and only 11

1 percent who started off with FC1 finished the first
2 period. So, I mean, are the 10 percent dissatisfied
3 with FC2 and just didn't come back and tell you
4 anything about it?

5 DR. RAMIN: So I calculate 44 women did not
6 come back after the FC2, if you add them all up --

7 DR. D'AGOSTINO: What's that?

8 DR. RAMIN: Forty-four women.

9 DR. D'AGOSTINO: Yeah, but 133 were
10 assigned to FC2 --

11 DR. RAMIN: And 106 followed up.

12 DR. D'AGOSTINO: Only 106 came back to
13 report on it.

14 DR. RAMIN: Right, so that's 27. And then
15 if you go down to the left side --

16 DR. D'AGOSTINO: So that's 20 --

17 DR. RAMIN: -- 127 were assigned.

18 DR. D'AGOSTINO: That's 20 percent who
19 didn't finish the FC2.

20 DR. CEDARS: But at 0.1.

21 DR. RAMIN: At 0.1, right.

22 DR. D'AGOSTINO: Yeah.

23 DR. CEDARS: Okay. Thank you.

24 DR. LEEPER: Did you want --

25 DR. CEDARS: Do we have other questions for

1 the Sponsor, and then we need to go to the
2 discussion.

3 DR. LEEPER: I've got a couple --

4 DR. CEDARS: Yes?

5 DR. LEEPER: I would like to make a couple
6 clarifications at least just for myself. First of
7 all, Mags, do you want to talk any more about the
8 people who did not come back?

9 MS. BEKSINSKA: No.

10 DR. LEEPER: No? Okay. Then could we go
11 back to the question about multiple sex acts so that
12 I'm clear about what your concerns are about that?
13 When we talked about the multiple sex acts and we
14 looked at the coital logs, because on the coital
15 logs, they're recorded that they had multiple sex on
16 one day whereas if they just went back for the
17 interviews and they didn't use the coital logs, then
18 it just had to come out in the discussion as to
19 whether or not, you know, what the failures were.
20 And we went through the, you know, there was 194
21 failures, of which 84 had not been reported on the
22 coital log. I'm trying to figure out what -- I want
23 to answer the question around multiple sex acts, and
24 I'm sorry, I don't understand the specific question.

25 DR. CEDARS: Well, I think the concern was

1 that you were saying that multiple sex acts were only
2 encountered or only captured off the coital log, and,
3 yet, the people who were most likely to have multiple
4 sex acts didn't keep a coital log. And so the
5 question was, was there underreporting of events or
6 episodes in the people most likely to have multiple
7 sex partners and multiple sex acts in a given day?

8 DR. LEEPER: Right. And that would be the
9 same issue whether it was multiple sex acts on a
10 given day -- the issue goes back to was the failure
11 identified, whether it was a single sex act or a
12 multiple sex act day? The key question is was the
13 failure identified. And what our whole position has
14 been is that for those who did not use the coital
15 logs, which was 63 percent were commercial sex
16 workers, were we able to elicit whether or not they
17 had a failure? And we did that in the interview
18 process. And that's how, you know, that's how we
19 found out, yes, they did or no, they didn't. There
20 were 84 additional problems that were identified
21 through that interview process.

22 DR. CEDARS: Thank you.

23 MS. BEKSINSKA: Can I just make a comment
24 on the multiple sex acts. In our population, and if
25 you look at our sociodemographic characteristics, in

1 this study and other studies, most women are actually
2 not married or living with their partner. So when
3 you look at coital logs in our studies, you find that
4 sex especially is concentrated around the weekends.
5 Women don't have sex during the menstrual cycle, but
6 if they are not married or cohabiting, they tend to
7 see their partner on weekends, so we often find that
8 multiple sex acts are clustered for all women around
9 sort of Friday, Saturday, Sunday, and then there's
10 very little during the week. So that's just one
11 reason why we have so many sex -- especially with the
12 students as well. They see their partners at
13 weekends.

14 DR. CEDARS: Thank you. So one more
15 question from --

16 DR. DAVIS: Well, just my concern about
17 this goes back to Table 10, that there was much
18 more -- appeared to be much more breakage, nine
19 events, in the patients or the subjects with greater
20 than one condom used in a day than in FC2 compared to
21 FC1. The breakage was much -- appeared to be
22 greater.

23 DR. LEEPER: Which table is that? Sorry.

24 DR. DAVIS: Back to Table 10 again.

25 DR. LEEPER: On page what of what?

1 DR. DAVIS: Forty-three on ours.

2 DR. CEDARS: Oh.

3 DR. LEEPER: That's the FDA table? FDA
4 Table 10?

5 DR. CEDARS: That's the FDA data.

6 DR. DAVIS: Yeah. Oh, and
7 Nancy --

8 DR. CEDARS: Okay. Doug could you look at
9 that?

10 DR. DAVIS: That's why I was concerned
11 about these multiple acts.

12 DR. CEDARS: That's the FDA table.

13 UNIDENTIFIED FEMALE SPEAKER: Oh, the
14 executive summary?

15 DR. CEDARS: Yeah, they --

16 MS. BEKSINSKA: But if we look at the total
17 breakage, all the breakages, we find that there's
18 actually very few breakages where there's more than
19 one breakage. So we're talking about a handful of
20 women who actually had more than one breakage.

21 DR. CEDARS: Okay. Dr. Corrado, did you
22 want to add anything to that?

23 DR. CAREY-CORRADO: Right. I put the table
24 together. I compiled it from a response that the
25 company sent us when we were really trying to get

1 down into the issue of how many failures. The point
2 was that we were concerned that on days of multiple
3 acts, that the failure number had to be a minimum
4 because the coital log wasn't designed to put in a
5 number in those little boxes next to each failure.
6 So our question was how bad could it have been? If
7 this really was a problem, how bad might that problem
8 have been?

9 And so in Table 10, these data come
10 exclusively from the coital logs. And, yeah, I mean,
11 when you just look at the raw numbers, you do see
12 especially, for example, for break, three reported
13 for FC1, nine for FC2. The caveat that I had was
14 that there were some additional breaks in each of
15 those groups if you include breaks that occurred as
16 part of a combination of failures.

17 But, nevertheless, you know, this -- the
18 numbers in this table, you know, they stand. Now, I
19 also want to add that sometimes during the interview,
20 the data that was in a coital log might have been in
21 some way, and I'm using -- I'm thinking of the word
22 sensor -- that might not be exactly the right word.
23 But all of these failures that you see here, as
24 recorded on a coital log, might not necessarily have
25 made it into the questionnaire as I understand that

1 exercise. Again, just restricted to coital log and
2 doesn't include combination failures.

3 In the original PMA, actually, there is a
4 slightly different set of numbers, and non-clinical
5 breakage apparently skewed the numbers against the
6 FC2 in the PMA data. But, again, although the
7 numbers are small across all these databases, you
8 know, they're -- the numbers are very small, and it
9 might appear, you know, that there is an important
10 difference, and given the small numbers, I'm not so
11 sure if we can say that. So I don't know if that
12 helped or not, but I'm --

13 DR. CEDARS: Thank you. And I think
14 Dr. Taylor may have a response.

15 DR. TAYLOR: Yeah, I just want to mention
16 that there's also a Table 11 that looks at
17 essentially the same thing on events where a failure
18 occurred when only one sex act occurred on the day,
19 and you don't see that. So I'm not saying -- all I'm
20 saying is we really are digging pretty deep into the
21 data when we start looking at these types of things.
22 And, in fact, if you look at Table 10, the proportion
23 of people who -- because I don't have it in front of
24 me -- the overall failure rate is --

25 DR. CEDARS: Is equivalent --

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 DR. TAYLOR: -- lower in FC2 than FC1, and,
2 in fact, there's a big difference in I think the
3 invagination or is it the misdirection --

4 UNIDENTIFIED FEMALE SPEAKER: Invagination.

5 DR. TAYLOR: -- which flips the other way.
6 Now, which is more important, invagination or -- I
7 mean, I don't know. I'm just saying it is digging
8 very deep into the data, so we need to keep that in
9 mind.

10 DR. CEDARS: Thank you. Dr. Peterson, do
11 you have a comment before we go to the questions?

12 DR. PETERSON: Just one before the
13 discussion. It seems like there are two concerns the
14 FDA has raised that are related on reliance on the
15 interview and potential for underreporting. And it
16 wasn't explicit, but it seemed implicit that the
17 concern is not differential misclassification but
18 non-differential misclassification and biased toward
19 the null such that the lack of differences is because
20 of the underreporting. And the link with the
21 interview, then, seems that there's a question still
22 outstanding about whether or not the -- how the
23 interview and the coital logs were used.

24 And Dr. Leeper's remark just now helped me
25 in seeing that there's more of a link than I had

1 heard. And Dr. Beksinska said that the coital log
2 was used as a prompt in the interview. So could we
3 hear a little bit more about how -- when we see an
4 outcome, breakage, et cetera, what trumps what?

5 If there were three breaks on the coital
6 log and one on the interview, the coital log and the
7 interview, that would not be possible because the
8 interviewer would say, well, there are these three
9 breaks in the coital log. Let's talk about those
10 three breaks, versus the interviewer says, "Did you
11 have any breaks," and, "Yeah, I had one." And say,
12 "Well, gosh, the coital log has three. Can we talk
13 about" -- so how was the final outcome measure
14 determined?

15 MS. BEKSINSKA: Okay. Okay. So if someone
16 came with a coital log and they had a number of
17 failure events on it, the -- so if a woman put down
18 that she had had an invagination, for instance, she
19 was still asked the question about invagination
20 because, for instance, for invagination, we used a
21 question to break down whether it was partial or
22 full. And, in fact, there was one or two women who
23 said, well, the condom moved, and then we had to work
24 out if she didn't put it on the log, whether there
25 was an event that happened. And there were some

1 extra events from discussing with women in detail
2 that something did seem to have happened. So we
3 erred on the side of caution, and we actually
4 recorded some extra events that weren't on the log,
5 even women who came -- the log.

6 So, for instance, if we, you know, if she
7 had three breakages, we would discuss those
8 breakages, and for each breakage, she has a chart
9 where every single breakage -- we ask the detail on
10 those individuals breakages, whether it was clinical,
11 whether it was non-clinical, when it happened. So
12 even for non-clinical breakages, we know whether she
13 did it with her nails or whether she opened the
14 packet with a pair of scissors, when that breakage
15 was discovered, so, for instance, whether it was
16 during sex or whether it was after sex and on
17 removal.

18 And we erred on the side of caution. And
19 if she wasn't sure when it was broken, we
20 automatically put it as a clinical breakage, not a
21 non-clinical breakage.

22 DR. PETERSON: Can I just follow up?

23 DR. CEDARS: Yeah.

24 DR. PETERSON: So the interview was really
25 structured around the coital log to some extent?

1 MS. BEKSINSKA: Yeah.

2 DR. PETERSON: So it wasn't that she was
3 asked the question, "Did you have any breaks," in
4 isolation. The coital log was in front of both of
5 you, or the interviewer, and said, "Gee, I see you
6 had three breaks. Let's talk about those" --

7 MS. BEKSINSKA: Yes --

8 DR. PETERSON: -- as opposed to just not
9 looking at that and saying, "How many breaks did you
10 have," and then having to reconcile?

11 MS. BEKSINSKA: Yeah. So it was there and
12 so it was -- the questions were about that, but then
13 other things came out in the discussion, extra came
14 out.

15 DR. PETERSON: Okay.

16 DR. LEEPER: If we could look at this table
17 that we have up here on the chart, on the screen, if
18 you look at the first half -- can I have the pointer?
19 If you look at -- okay. If you look at this part,
20 over here is the total, and then it's broken down FC1
21 and FC2. What we tried to do is, okay, how many
22 problems were reported on the coital log. And then
23 they scrubbed out those problems on the coital log,
24 and they identified problems that were perhaps mis-
25 catalogued on the coital log. Like Mags just said,

1 well, maybe it really wasn't invagination. Maybe it
2 was misdirection. And so we pulled that -- we
3 identified the problems that were on the coital log
4 that were not correct. We added the problems that
5 were on -- that were not on the coital log but
6 identified through the interview process so that we
7 could nail down exactly what happened during the use
8 of the female condom during that sex act.

9 And in that process, we went through, and
10 you can see the totals on the bottom for each one of
11 the questionnaires. And there were basically a total
12 of 194 problems of which 84 of them were identified
13 through the interview process. And 34 of those were
14 identified through the interview process that had not
15 been on the coital log.

16 MS. BEKSINSKA: I'd also like to add there
17 were some problems noted on the coital log that we
18 then removed. So, for instance, for invagination, a
19 woman may tick that the condom was pushed inside, and
20 then you talked to her, and she says, "Well, you
21 insert it inside, so of course it's pushed inside."
22 Or we say to her -- we ask women to clarify then if
23 the ring was inside the vagina. So some women said,
24 no, the ring hadn't gone into the vagina, but the
25 condom felt it had pushed in a little bit. But it

1 was still outside. The ring was still outside the
2 vagina, but they felt it had been inserted a little
3 bit further, just the material. So there we actually
4 wrote down that this was not an invagination because
5 an invagination is when the condom is pushed into the
6 vagina.

7 So we actually had quite a difficult time.
8 We had, because of the definition, to clarify exactly
9 what happened. I don't know if you understand that,
10 but that's, you know, so we did actually take off
11 some things that we felt were not failures.

12 DR. CEDARS: Thank you. We need to cut
13 this discussion short. We get back to the coital
14 logs in Question Number 2, so we do need to move on
15 to the Panel discussions. So if I can have the
16 Sponsor go back to their seats, and if we can put
17 Question 1 up on the slide for the Panel members?
18 You have all the questions in your packet.

19 The first question has to do with whether
20 or not a contraceptive effectiveness study is done.
21 I won't read the whole question. But the Sponsor's
22 assertion is that the study shows FC2 is functionally
23 equivalent to FC1 and then therefore would be just as
24 effective in preventing pregnancy, HIV, et cetera,
25 and other STIs.

1 And so what we'd like the Panel to discuss
2 is whether the acute performance outcomes, breakage,
3 failure modes, et cetera, provides reassurance about
4 safety and efficacy for the female condom. I would
5 like to open that for discussion.

6 DR. STUBBLEFIELD: Can I ask a question by
7 way of beginning the discussion?

8 DR. CEDARS: Please.

9 DR. STUBBLEFIELD: I believe it says in the
10 executive summary that in the case of the male condom
11 we do -- FDA does go along with exactly what the
12 Sponsor is asking for this condom, for the female
13 condom; namely that a new condom has -- if it's shown
14 to be equivalent in terms of these tests, then we
15 accept that it is efficacious without insisting that
16 the new condom manufacturer undergo efficacy testing?

17 DR. CEDARS: I think that that's true --

18 DR. STUBBLEFIELD: Yes.

19 DR. CEDARS: That's my understanding. And
20 I think the difference may be that condoms are Class
21 II where as the female -- or male condoms are Class
22 II whereas the female condom is a Class III product,
23 device, is that correct? And that's why the question
24 is raised here. And so part of our discussion
25 question is should there be an equivalency between

1 those two. Is that correct from the FDA?

2 DR. WHANG: Right. So for this female
3 condom, as we understand it, is an acute performance
4 outcome study, that is, a failure mode study, is that
5 an adequate method for demonstrating a reasonable
6 assurance of safety and effectiveness for this female
7 condom?

8 DR. CEDARS: So I think that your first
9 opening question was exactly part of the issue that
10 we're trying to get at, whether we can use this in
11 the way that a male condom study would be done.
12 Dr. Warner?

13 DR. WARNER: I have a follow-up question to
14 that, and that is if we're asked to judge whether
15 this Class III device can be evaluated with Class II
16 criteria, what precedent does making that exception
17 have for other Class II or Class III devices?

18 DR. CEDARS: Well, or can I ask it another
19 way. What precedence would it have -- well, one is,
20 can you do that with a Class III device; and, two,
21 would it have precedence for other Class III devices
22 or would it have precedence for other female condoms?

23 DR. WHANG: I don't think we're calling
24 this a Class II type of study. I mean, it is a type
25 of study, a failure mode study that is currently used

1 for some Class II devices, male condoms. But if it
2 were acceptable here, this is still a Class III
3 device, and it's a type of study we would be deciding
4 is okay for some Class III devices. No, we are
5 deciding it for specifically for this female condom
6 if you were to recommend that a failure modes
7 analysis is adequate for this female condom. It
8 wouldn't necessarily have to apply to all female
9 condoms.

10 DR. WARNER: Or all Class III devices?

11 DR. WHANG: Correct.

12 DR. CEDARS: Okay. Thank you.

13 UNIDENTIFIED FEMALE SPEAKER: That is a
14 good question.

15 DR. CEDARS: Other questions, comments,
16 discussion for this issue because I think this is
17 perhaps the most critical. Questions 1 and 2 I think
18 are the most critical for discussion.

19 Dr. Gilliam?

20 DR. GILLIAM: I'm just struck by how
21 valuable the in vitro studies are here and how few
22 questions we have, and then when we look at the
23 actual use study, we are going a little bit out of
24 our minds trying to judge the quality of the data. I
25 could imagine if we were trying to look at STIs and

1 pregnancy, it would be even worse. So I think
2 this -- we get very good data in this way especially
3 from the --

4 DR. CEDARS: From the in vitro studies?

5 DR. GILLIAM: From the in vitro studies.

6 DR. CEDARS: Dr. Padian?

7 DR. PADIAN: I also agree with the comment
8 that -- I think it was Dr. Taylor that made this
9 point that, in fact, I think it's highly likely that
10 if we did a contraceptive -- with pregnancy as an
11 outcome, that a lot of that would be actually
12 attributed to non-use as opposed to failure, and it
13 might be difficult to separate that out.

14 DR. CEDARS: Dr. Katz?

15 DR. KATZ: I think if we look -- is this
16 on? Yes. I think if we look at the structure of the
17 decision-making exercise that we are going through,
18 we cannot escape the fact that neither the in vitro
19 nor the in vivo studies are definitive. There is a
20 correlation, and there's a precedent for correlation
21 between them that we use in many, many devices. And
22 this is the way we do design. I mean, ideally, in
23 rational design and evaluation of devices, you have
24 mechanistic relationships between the in vitro and
25 the in vivo, and you can -- and so you understand the

1 uncertainty better than we do, and this is our lot
2 working in this part of the body. We cannot escape
3 that.

4 So we're going to have to make judgments,
5 and we're going to have to make judgments based upon
6 not just the specific information we discussed in the
7 last five minutes but all that we know about how
8 devices work in the vagina and what behavior is like
9 sexually and what it's like in South Africa, in terms
10 of patterns of behavior versus what it's like, let's
11 say, in this country. So we're going to have to make
12 judgments.

13 And I, for one, would agree with
14 Dr. Gilliam that I think the in vitro data are strong
15 and they are informed by what we know about male
16 condoms, in terms of the natural history of what
17 happens in the vagina when semen is introduced.

18 So at the end of the day, I think we're
19 going to have to make value judgments, recognizing
20 that these are imperfect designs despite this, you
21 know -- inescapably, inescapably. And I do think
22 that the -- to me, the issue of acceptability is an
23 intriguing one because I think what we see is a hint
24 of greater -- of actually preference for FC2 over FC1
25 because it's softer and it's not as noisy. And could

1 that translate into a higher fidelity of usage of the
2 device once the decision has been made to use the
3 device.

4 So I think at the end of the day, we're
5 going to have to make these value judgments regarding
6 the meaning of the testing that's been done. And we
7 cannot escape the fact that FC1 was approved, that it
8 has particular labeling that is carefully
9 circumscribed to protect the user because we're not
10 going to be able to prove one way or another what the
11 protection is against STIs. And so at the end of the
12 day, we're going to have to make a value judgment
13 with the precedent formally for our proceeding that
14 FC1 is approved. And as Dr. Stubblefield has
15 reminded us, we have a lot of history in terms of how
16 we treated male condoms, and we should be informed by
17 that.

18 DR. CEDARS: Dr. Thomas?

19 DR. THOMAS: I guess I'm somewhat confused
20 because in going over the study --

21 DR. CEDARS: Can you speak into the mike a
22 bit?

23 DR. THOMAS: In going over the South
24 African study, the RHRU study, the one thing that
25 strikes me is -- or my element of confusion comes

1 down to the fact that, you know, most of this data
2 that was collected was supposedly subjective data
3 from the patient, or from the person using the study.
4 But now, there seems to be also this added in and
5 taken out data that was subjective of the
6 interviewer. There tended to be what sounds like
7 things that were placed that were misinterpreted
8 or -- and especially in going through this recall
9 data, that there were elements that were put into the
10 study, and then there were also elements that were
11 taken out of the study.

12 So the question is, you know, how -- there
13 just seems to be a lot of muddiness associated with
14 the subjectivity of the interviewer and the
15 interviewee coming up with what is finally purported
16 as elements of these coital diaries.

17 DR. CEDARS: Someone have comments? I
18 mean, I would think that, you know, potentially,
19 there could be bias, but that should be true across
20 both FC1 and FC2. And I do think that there are
21 certain situations, as were described, where that was
22 probably appropriate. Dr. D'Agostino?

23 DR. GILLIAM: May I just follow up on that
24 point? But I think it's a mixed method study with
25 qualitative data being used to validate quantitative

1 data, so rather than muddying, I think it's a
2 triangulation and clarification of data is the way
3 it's been presented.

4 And -- add to that, it's especially true
5 when the coital log variables or assessment terms are
6 so imprecise and imperfect for what we're trying to
7 find out. Clearly, the coital logs are, I think,
8 very difficult to use well in any setting, let alone
9 when you're trying to get women to describe something
10 that's very difficult to train them about and get
11 them to be consistent about. It's almost impossible.
12 So I think that you do need to combine those modes,
13 and that probably is more of a dynamic, less precise,
14 less objective kind of process.

15 DR. CEDARS: Yes?

16 DR. D'AGOSTINO: Yeah, I'm not sure I know
17 what I'm being asked here. Are we being asked
18 conceptually one doesn't have to -- given the FC1 and
19 its history and data on that for a new contraceptive,
20 we don't necessarily need to go through all of the
21 procedures for pregnancy and HIV. So are we being
22 asked a conceptual question or are we being asked if
23 this particular study works --

24 DR. CEDARS: I think we're being asked a
25 conceptual question --

1 DR. D'AGOSTINO: Because --

2 DR. CEDARS: -- of whether or not the
3 equivalency or non-inferiority of FC2 to FC1 in terms
4 of failure modes would in our minds be sufficient to
5 say that this was safe and efficacious?

6 DR. D'AGOSTINO: Because, I mean, I think
7 I'm comfortable saying that there's a reasonableness
8 for this surrogate. You've sort of covered all the
9 possible issues with the failures that you looked at.
10 There was not the leakage taken into account, but
11 maybe you could have something like that added to the
12 study. I'm not sure how they could do it with the
13 self-report. And so, conceptually, I think the idea
14 that you don't have to run to a pregnancy study or
15 have to have a pregnancy study for approval, given we
16 know a lot about FC1, but I'm very uncomfortable with
17 saying this particular study does the job.

18 DR. CEDARS: Dr. Warner, did you have --

19 DR. WARNER: I had two comments to add to
20 that, and the first is about the need for a
21 contraceptive study, and all the testimony in the
22 open hearing today, it's really about STI prevention.
23 And I realize that is, I guess, what the FDA had done
24 with the initial device back in 1993. But I do
25 question how relevant doing that type of study would

1 be in this case.

2 The second point I wanted to make goes back
3 to what Dr. Gilliam said regarding the design of
4 these trials. I just want to remind all of us that
5 it's just as difficult to design and execute these
6 studies for male condoms. And as a CC member, I've
7 had to do this for the last 12 years. I was there
8 for the NIH report back in 2000. It took a year to
9 write that report. It's taken eight years to still
10 sort through this evidence. So to think that the
11 male condom literature is immune from this is not
12 really the case.

13 DR. CEDARS: Dr. Gilliam, did you want to
14 add something?

15 DR. GILLIAM: I had a question. I
16 recollect that the FDA decided that some of the
17 partial slippages were not clinically relevant, and I
18 was just wondering how that decision was made and was
19 it reconciled with what the company's description of
20 a partial slippage was.

21 DR. WHANG: Can we bring Dr. Corrado to
22 answer that?

23 DR. CEDARS: I was going to say I don't
24 think that was the FDA that said that. I thought
25 that was the Sponsor that said that.

1 DR. GILLIAM: It was in the executive
2 summary, so I didn't know where that came from. I
3 thought that was an FDA --

4 DR. CEDARS: I think that was a Sponsor
5 comment.

6 DR. GILLIAM: Okay.

7 DR. CEDARS: If you could address that,
8 please?

9 MS. BEKSINSKA: I think the issue is that
10 the invagination has never been defined as to exactly
11 what is part of the condom is pushed inside. It's
12 just being pushed inside. And we tried to break it
13 down into partial and full, as in if the inner
14 ring -- if the outer ring is pushed inside fully or
15 partially. We feel, and at the WHO review, it was
16 felt that both partial and full were clinical
17 failures. And so invagination, any point of the
18 outer ring going inside the vagina was a risk.

19 In studies that have been done so far, we
20 believe that they have also in there pushed inside
21 included both full and partial invagination as one
22 because the coital logs I've seen don't break it
23 down. And so when a woman says pushed inside, the
24 usual result is she'll say it's some or all. But
25 what we were trying to get to, and I think it will

1 help uses in the future is trying to work out which
2 of the problems is greater, the partial or the full,
3 which will help us counsel women in the future in how
4 to stop this failure mode.

5 DR. CEDARS: Dr. Whang?

6 DR. WHANG: Yeah, in response to
7 Dr. D'Agostino's question about what we're looking
8 for here, we've tried to set up the discussion
9 questions to sort of walk through the issues that we
10 would like your comments on. And in terms of the
11 details of how the failure mode study was conducted
12 or the findings of it, we'd like you to discuss those
13 with Questions 2 and 3. So this question is really
14 focused on this conceptual question as to whether a
15 failure mode study is adequate for this female condom
16 as opposed to a contraceptive or an STI study.

17 DR. CEDARS: And if I can summarize for you
18 what I've taken from the discussion, and then if
19 there are differences after I do so, please let me
20 know. I think that the Panel acknowledges the
21 importance and the validity of the in vitro data
22 which, as Dr. Gilliam said and Dr. Stubblefield
23 brought up, we perhaps have not discussed very much,
24 that we should be informed by the male condom data
25 and how comparator studies are done and that the FC1

1 was approved with careful labeling.

2 And so in terms of equivalency between the
3 two, my sense is that there would be a general
4 consensus from the Panel that that's been ascertained
5 and that the conceptual question of does there need
6 to be a contraceptive study, I think the answer would
7 be no. Is that -- yes? Is that agreed?

8 DR. STUBBLEFIELD: I agree. I would throw
9 in one more thing. Ultimately, we're supposed to be
10 making risk/benefit decisions.

11 UNIDENTIFIED MALE SPEAKER: Right.

12 DR. STUBBLEFIELD: And in terms of risk of
13 this device, there aren't any. No one has yet died
14 from one, and the potential benefits, we've heard
15 several. We already have the FC1 on the market. The
16 FC2 might be a little bit better. It's not any
17 worse. And it may increase the number of sex acts
18 that are protected. And the main problem with all of
19 these barriers is people don't use them. So there
20 are a lot of reasons to think that there is
21 significant benefit.

22 DR. CEDARS: Well, I think the potential
23 risk would be if this were used instead of a male
24 condom, which is why I think the labeling of FC1 is
25 important to maintain.

1 DR. STUBBLEFIELD: Yeah.

2 DR. CEDARS: Because that would be the
3 potential risk, would be the assumption that this was
4 equivalent to a male condom, which I don't think we
5 have data on.

6 DR. STUBBLEFIELD: Agreed.

7 DR. CEDARS: Okay. Can we move to Question
8 2, and, again, you have this in your packet. And
9 this gets to some of the issues about the study
10 design and the coital log, and we've asked a lot of
11 questions about that. And what the FDA would like is
12 for us to discuss the impact of these study design
13 concerns, the dropout rate, the coital log, those
14 issues that we've discussed to this point on data
15 reliability and whether or not this data as presented
16 constitutes valid scientific evidence to provide
17 reasonable assurance of safety and effectiveness. So
18 I'd like to open that question up for discussion.
19 Dr. Padian?

20 DR. PADIAN: I had one -- so if I ask a
21 question --

22 DR. CEDARS: They can come up.

23 DR. PADIAN: Oh, okay. So I had one
24 lingering question -- I'm obsessed by the coital
25 logs. And that is were there any instances where you

1 found a failure in a coital log but did not have it
2 substantiated in the interview?

3 MS. BEKSINSKA: No, none. No, in fact, we
4 just had -- there was one woman who broke four
5 condoms, for instance, and one with non-clinical and
6 three with clinical, and she was one of the few
7 people who couldn't work out how it broke. So, in
8 fact, some women took the condom out and they felt
9 that there'd been some leakage, and it maybe wasn't
10 even a breakage, but we counted it as a breakage.

11 DR. PADIAN: Yeah, but I just want to be
12 sure. The specific question is, were there any
13 instances where you counted something as a failure on
14 a coital log where you didn't have it validated on an
15 interview?

16 MS. BEKSINSKA: No. We counted --

17 DR. PADIAN: I'm just trying to --

18 MS. BEKSINSKA: I think I know what --

19 DR. PADIAN: I'm trying to work out the
20 fact that the data were collected -- there was
21 differential ascertainment depending on whether you
22 had a coital log or not.

23 MS. BEKSINSKA: Yeah.

24 DR. PADIAN: And I'm just trying to sort
25 out in my mind whether that would have made a

1 difference. So --

2 MS. BEKSINSKA: Okay.

3 DR. PADIAN: But that's helpful. Thanks.

4 DR. CEDARS: Other questions about -- we
5 discussed this a fair amount with both the Sponsor
6 and the FDA. Dr. Peterson?

7 DR. PETERSON: It may be the coital log, it
8 may be other ways of getting the information with the
9 interview, but the one thing that we've touched on a
10 little bit but not talked about much is the -- if we
11 look at the Table 7 and the executive summary, the
12 real outlier relative to the other five studies is
13 invagination, so that the FC1 is, you know,
14 strikingly lower than most of the other studies. So
15 the others are two to ten times greater. And now
16 we're comparing that FC1 to the FC2.

17 And on the FC2, as Dr. Taylor pointed out,
18 there's just with the point estimates, and these are
19 statistically significant differences, the point
20 estimate for invagination is higher for the FC2 than
21 the FC1; just the reverse for misdirection.

22 MS. BEKSINSKA: Sorry, is this for the
23 total invagination?

24 DR. PETERSON: Yeah.

25 MS. BEKSINSKA: Okay.

1 DR. PETERSON: Well, I'm just going from
2 the executive summary on Table 7. So the rates for
3 slipping and invagination -- I assume that's total
4 ranged from --

5 MS. BEKSINSKA: No, I think for the
6 invagination, it's only the complete invagination.

7 DR. PETERSON: Okay.

8 DR. CEDARS: Actually, if --

9 DR. PETERSON: What the FDA has said, that
10 the comparable invagination rate is 0.52, so is that
11 correct --

12 MS. BEKSINSKA: No, it's not correct.

13 DR. PETERSON: Okay.

14 MS. BEKSINSKA: The full invagination was 3
15 percent and 2.98.

16 DR. PETERSON: So, in fact, that --

17 MS. BEKSINSKA: Yeah, this is the complete
18 invagination. But we've actually --

19 DR. PETERSON: Okay.

20 MS. BEKSINSKA: -- found and the WHO have
21 stated that both partial and full invagination is the
22 complete -- is a failure. And the other studies,
23 when they've just put one invagination, they have
24 also included partial. It's just that we broke it
25 down, and I think there's been some confusion.

1 DR. PETERSON: Right. That'd be important
2 to reconcile. Could the FDA help make sure we get an
3 apples to apples comparison on that because 3 percent
4 sounds directly in line with the other studies, and
5 0.5 percent is very different. So --

6 UNIDENTIFIED FEMALE SPEAKER: Yeah, that
7 was what my question was, and in the executive
8 statement on 35, it says that the FDA's review does
9 not focus on partial invagination because they don't
10 think it's clinically relevant. So I was asking the
11 FDA how that choice was made, and I think that's why
12 the tables are different.

13 DR. CEDARS: While the FDA is putting
14 together the answer to that question, are there other
15 discussion points from the Panel? Yes, Dr. Marrasso?

16 DR. MARRAZZO: I have a question on the
17 purportedly low rates of follow-up. So this design
18 is similar to the male condom studies that have been
19 used to prove comparativeness. Are those rates of
20 follow-up comparable or notably low relative to the
21 male studies that have been brought forward as
22 evidence for comparability?

23 DR. CEDARS: Do people who work with the
24 male condom have an answer to that? In other words,
25 the dropout rate, is it comparable to a male condom

1 study?

2 DR. WARNER: Well, the dropout rate here
3 was, what --

4 DR. MARRAZZO: Twenty-four percent at the
5 first interview and 73 percent for the second.

6 DR. WARNER: I think that's comparable.

7 DR. MARRAZZO: Comparable? Okay. So the
8 question is, I think, should we hold this study
9 accountable to a standard that is different than what
10 we use or has traditionally been used for male condom
11 study comparativeness?

12 DR. D'AGOSTINO: What do you do with the
13 dropout in the male condom studies?

14 DR. WARNER: What do we do? Well, in
15 the --

16 DR. D'AGOSTINO: Just ignore it?

17 DR. WARNER: No, I mean, in the crossover
18 studies, well, you take the observations from the
19 condoms you have and evaluate those. So in the
20 Macaluso one, for example, they crossed the male
21 condoms for, I think, five or maybe it was ten uses,
22 five by the female condoms, and they analyzed those
23 condoms that they had.

24 UNIDENTIFIED FEMALE SPEAKER: Yeah.

25 UNIDENTIFIED FEMALE SPEAKER: You mean --

1 do you look --

2 DR. WARNER: I mean, in a crossover study,
3 it's a little bit different.

4 DR. CEDARS: Are you asking whether you
5 compare the people who dropped out --

6 DR. D'AGOSTINO: Do you impute?

7 DR. CEDARS: Yeah.

8 DR. D'AGOSTINO: I mean, most studies will,
9 most drugs, for example, they'll ask you to impute
10 missing data or do a sensitivity analysis to talk
11 about what would happen if the people who dropped out
12 were counted and they had this type of event versus
13 that type of event?

14 DR. WARNER: Well, I mean, in the few
15 crossover studies that have been done of male and
16 female condoms, and I think Dr. Zenilman could speak
17 to this as well with the biomarkers, I believe they
18 just exclude those and include those events which
19 they had collected data on. For most of the male
20 condom studies, though, they have to look at a cohort
21 of users and non-users and follow them over time.
22 And you don't have that luxury with the female condom
23 studies by the simple fact you have to recommend male
24 condom use. And, in those cases, that's where you
25 generally get the 80 percent I was talking about.

1 But those studies I think, like Dr. Peterson was
2 saying, they're not quite the same.

3 UNIDENTIFIED FEMALE SPEAKER: Right.

4 DR. CEDARS: Dr. Stubblefield, did you have
5 a comment?

6 DR. STUBBLEFIELD: Yeah, I was just
7 thinking about the comparison of the event rates
8 between the South Africa study and the others and
9 that, in general, the other studies, the event rates
10 are higher. But is it not true that the South
11 African team that conducted this study was quite
12 experienced with the FC1 and therefore perhaps are
13 better able to counsel, better able to train the
14 trainers who were training the patient? So it's not
15 unreasonable to expect that event rates might be
16 less.

17 DR. D'AGOSTINO: I mean, I thought that was
18 one of the issues, that was one of the issues I was
19 trying to raise, that there's a lot of counseling
20 going on here, probably very good counseling, so can
21 you generalize the results? What will happen when
22 you're dealing with this and there's not the same
23 level of counseling?

24 DR. STUBBLEFIELD: Well, I just say we have
25 that problem, certainly, with all contraceptive

1 methods. We know that failures are higher in the
2 first year. I suspect we have the same problem with
3 other chronic diseases.

4 UNIDENTIFIED FEMALE SPEAKER: And it's true
5 with any intervention study, any biomedical
6 intervention study, all the HIV prevention studies.

7 DR. CEDARS: Well, any study where people
8 are well cared for, the placebo group does better.
9 So, Ms. George, did you have a comment?

10 MS. GEORGE: No.

11 DR. CEDARS: Any other discussions? Does
12 the FDA have a response?

13 DR. CAREY-CORRADO: So as I understand it,
14 the question has to do with a statement in our
15 executive summary that reads as follows. The quote
16 from our executive summary is:

17 "The Sponsor also obtained data on non-
18 clinical breakage, partial invagination,
19 and partial slippage. FDA's review does
20 not focus on these outcome measures as
21 they are unlikely to be associated with
22 true clinical risk, again, as they are
23 unlikely."

24 And the best thing I can say about that is
25 that our review is ongoing. As we've continued to

1 look at the data, look at the risk of invagination
2 that results from an asymmetrical placement of the
3 condom on the perineum, that now I would say at least
4 my opinion is probably changed on that, that I do
5 think that the partial invaginations are potentially
6 important.

7 And I just want to go back to one slide
8 that I showed. I'm sorry. I'm going to try to find
9 it. I'm going to try to show you the slide where we
10 talked about partial and complete invagination. That
11 was on a per-subject basis. Let me go back on
12 partial and complete on a per-condom basis. So I'm
13 trying to circle around to where Dr. Beksinska just
14 ended up, I think.

15 So if we looked at per-condom use, we see
16 the number of complete displacements. Those are the
17 acute outcomes that you actually saw in the table in
18 the PMA that compared the two condoms. That didn't
19 include partial. There are a whole lot more partial
20 displacements than there are complete displacements.

21 The statement in the executive summary I
22 would say I would back off on now and say that now,
23 you know, now we've had another couple months to look
24 at the data, to review the documents, and especially
25 given that there is an issue with displacers, per se,

1 on a per-subject level, we do think that the partials
2 are important. On a per-condom basis, the rate of
3 displacement, if you combine the two, 3.14 on the one
4 group, 2.98 in the other group, you know, it looks
5 relatively benign until you get to the actual
6 individuals and that there are individuals who tend
7 to have a problem with displacement. And that's why
8 we are going to focus on and include both.

9 DR. CEDARS: Dr. Peterson?

10 DR. PETERSON: Could you just go stay with
11 this line? We've got a 3 percent total displacement
12 and a 0.5 percent complete. And if we go back a
13 couple of slides earlier, there were five -- six
14 other studies that we were comparing these data to,
15 and they range from 1 percent to --

16 DR. CEDARS: Five percent.

17 DR. PETERSON: Five percent. And are we
18 saying now that instead of what was in the executive
19 summary earlier, that the 0.5 is not the relevant
20 comparison but the 3 percent is, or are those other
21 studies that are cited there only complete, in which
22 case the 0.5 would be the appropriate comparison
23 group?

24 DR. CAREY-CORRADO: I would have to go back
25 and look at each one of those studies to understand

1 better exactly what the definition of invagination
2 was in those studies. But without going into each
3 one individually, right now I am not prepared to say
4 for each one what was the precise definition. But,
5 clearly, you know, there is a spectrum of rates of
6 this failure mode in this study compared to what is
7 in the literature, and I think the definition of what
8 constitutes that failure contributes to it, but it
9 might not be the entire story.

10 DR. PETERSON: Yeah.

11 DR. CEDARS: And I think it's also
12 important to remember that really what we're
13 addressing in particular is this study, which, at
14 least, whether you look at complete or partials, FC1
15 and FC2 were comparable.

16 DR. WARNER: I think we were told by our
17 Sponsor that the answer is yes.

18 DR. CEDARS: And I was going to give the
19 Sponsor an opportunity to just respond to the FDA
20 comment --

21 MS. BEKSINSKA: Right. I was just going to
22 say in all those other studies, there was only one
23 definition, and the definition was pushed inside,
24 invagination.

25 UNIDENTIFIED MALE SPEAKER: Right.

1 MS. BEKSINSKA: So there was no breakdown
2 of the two. But, also, I know that people have been
3 collecting -- from discussing this at the WHO review,
4 people have been collecting both, but just as one.
5 They haven't broken down. And, also, our study was
6 done back in 2004. And in those days, the
7 definitions were still very much evolving.

8 DR. CEDARS: Thank you. Dr. Peterson?
9 Dr. Taylor, did you have something else to --

10 DR. TAYLOR: Yeah, I just wanted to add one
11 other comment, which is although the failure rate,
12 invagination rate per condom was 3 percent, if you
13 ask a woman to use eight or ten, that translates into
14 a 20 percent chance of ever having experienced one.
15 So although that 20 percent number might seem high,
16 they're using them ten times, and you're going to get
17 that type of ever having experienced the event rate.

18 DR. CEDARS: Additional discussion
19 before -- Dr. Warner?

20 DR. WARNER: One quick one about the sex
21 workers, which has come up repeatedly. I just want
22 to give -- my view is I don't see that as a problem.
23 I think this was mentioned earlier that this is
24 actually the exact population who you might want to
25 be using the female condom who's not in a position to

1 insist on male condom use.

2 I think the concern that had been expressed
3 was that commercial sex workers may have low rates of
4 failure, but you could also make the same case for
5 people who are married, based on the male
6 contraceptive literature, or among people who have a
7 lot of experience with female condoms. So there are
8 other groups that I think CSWs are just a marker as
9 far as experience and obtaining a low failure rate.

10 DR. CEDARS: So, Dr. Whang, if I can
11 summarize the Panel's discussion for Question 2,
12 while there were some concerns regarding data
13 reliability, it is felt that the data are comparable
14 to other studies in this somewhat complex area to
15 study of contraception and that, secondly, the data
16 should be considered sufficient.

17 DR. WHANG: Thank you.

18 DR. CEDARS: Any other discussion?

19 DR. D'AGOSTINO: Where did you get off with
20 this should be sufficient.

21 DR. CEDARS: Well, the question is can this
22 be -- constitute valid scientific evidence to provide
23 reassurance. That's the second part of the question.
24 And so if --

25 DR. D'AGOSTINO: I mean, I think there's a

1 lot of issues, and we've raised them, and I didn't
2 know if we necessarily -- I think your summary was
3 probably reasonable, but I -- because other studies
4 do a bad job with dropouts doesn't mean we should
5 continue with accepting a large dropout. If I did
6 some quick computations, and it looks like the FC2
7 has a significantly higher dropout on the first phase
8 of the study than the FC1, I think there's some
9 issues which may mean that had they come in, the
10 results could have gone in the other direction. I
11 think there is a lot of issues with this study and
12 with the data that we maybe haven't talked enough
13 about. I think we have, but I'm not so sure your
14 summary is capturing certainly --

15 DR. CEDARS: So would those concerns that
16 you have regarding that logistical issue in terms of
17 dropout compromise your ability to accept the data,
18 because all we have is the data that's presented.

19 DR. D'AGOSTINO: Well, exactly. But I
20 think the dropout -- I think the completeness of the
21 question, yeah, I think there's a lot of reliability
22 problems. We don't really know how reliable this
23 data is. I mean, we talked about it and so forth to
24 come up with an answer or a statement that, well,
25 there are some problems with it, but they're all

1 right because it's what's in every other study. I
2 don't -- I mean, the studies I'm involved with don't
3 have such poor questionnaires --

4 DR. CEDARS: Well, the question is -- but
5 are the studies that you're involved with studies
6 that have to do with sexual activity?

7 DR. D'AGOSTINO: Yeah. I was involved all
8 the way back with the -- in the '80s with
9 contraceptions, and so forth, the oral
10 contraceptions, progesterones, and so forth. I mean,
11 I've seen a lot of those studies. I've served five
12 or six years on the, well, what is now the OB/GYN
13 panel. And, you know, I think we had a little higher
14 standards in terms of asking people what they did and
15 so forth than we see here.

16 DR. CEDARS: Dr. Katz?

17 DR. KATZ: I think we -- I just want to
18 urge some -- I think we have to be very careful about
19 extrapolating quantitative standards to qualitatively
20 different types of behavioral as well as biological
21 situations.

22 DR. CEDARS: Dr. Padian?

23 DR. PADIAN: Well, actually, one question
24 is I don't think I really got what your point is, but
25 that wasn't what I was --

1 DR. CEDARS: What's the bottom line --

2 DR. KATZ: Well, what I was saying is I
3 think there are many contexts in reproduction where
4 low dropout rates are much more easily achievable
5 than the context within which we work today with
6 HIV/AIDS. And clinical studies in which
7 transmission, sexual transmission is an issue, of HIV
8 is an issue in participation in the study.

9 DR. PADIAN: And what I was just going to
10 say is I think that studies that rely on methods that
11 are coitally dependent are I think a slightly
12 different kettle of fish than what you were just
13 talking about --

14 DR. KATZ: Right.

15 DR. D'AGOSTINO: Absolutely. And I'm
16 agreeing with that.

17 DR. PADIAN: Yeah. I --

18 DR. D'AGOSTINO: Yeah, but what I'm
19 concerned about is that somebody reading this
20 transcript may say, hey, Lloyd, let's run a sloppy
21 study; we already have approval, already have, you
22 know, precedent for it. I think that what we want is
23 just, you know, give an impression that there are
24 better ways of getting interview data. There are
25 better ways of chasing down the dropouts and so