

1 these studies. You're expressing to the
2 division at least what your concerns are; that
3 we can look at, the agency can address
4 bringing back to you, because that's what
5 you're telling us -- you want us to come back
6 to you -- with a look at what the co-morbidity
7 populations are in the ADH, which is the large
8 off label use population, and these other
9 things.

10 And we'll have to sit down with
11 these and figure out. We also know you want a
12 followup report on the extrapyramidal type of
13 effects. You want us to look at that more
14 closely over time. We'll have to figure out
15 how to do that in a way that's meaningful.
16 Okay?

17 CHAIRPERSON RAPPLEY: Okay. So how
18 about if I divide this then into two
19 questions? We'll take a vote on this
20 statement, and then the next will be our
21 consensus about the recommendations we give to
22 the Committee.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So the FDA will continue its
2 standard ongoing safety monitoring for oral
3 risperidone. All those in support of that,
4 please raise their hand.

5 And all those who oppose that,
6 please raise their hand.

7 DR. PENA: So just as a procedural
8 point, just to get it on the record, we'll
9 probably just go around and if you can say,
10 you know, yes or no.

11 MS. CELENTO: Amy Celento, opposed.

12 DR. CNAAN: Avital Cnaan opposed.

13 DR. D'ANGIO: Carl D'Angio opposed.

14 DR. DURE: Leon Dure opposed.

15 DR. HUDSON: Melissa Hudson
16 opposed.

17 DR. KOCIS: Keith Kocis opposed.

18 DR. MOTIL: Kathleen Motil opposed.

19 DR. NOTTERMAN: Daniel Notterman
20 opposed.

21 CHAIRPERSON RAPPLEY: Marsha
22 Rappley opposed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ROSENTHAL: Geoff Rosenthal
2 opposed.

3 DR. RAKOWSKY: Alex Rakowsky
4 opposed.

5 DR. VINING: Elaine Vining opposed.

6 DR. PENA: And, Mark, you're
7 voting, Mark.

8 DR. HUDAK: Mark Hudak opposed.

9 DR. MURPHY: And Lisa wanted me to
10 point out that you're rejecting that this be
11 all that we do.

12 CHAIRPERSON RAPPLEY: Correct.

13 DR. MURPHY: But clearly if we
14 think it's --

15 CHAIRPERSON RAPPLEY: It's a
16 minimum.

17 DR. MURPHY: -- appropriate to
18 bring other information back to you because
19 you heard yesterday about the agency always
20 has a way of looking at all of these products,
21 they're going to continue that.

22 CHAIRPERSON RAPPLEY: Yes, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 continue the usual practice.

2 DR. MURPHY: Right.

3 CHAIRPERSON RAPPLEY: And now in
4 addition to your usual practice, we recommend
5 to you the statement that Carlos just read.

6 Yes, Keith.

7 DR. KOCIS: Can I just throw one
8 other thing on top of that list at least
9 potential for discussion? I'm not sure, at
10 least in my mind, I'm not sure I need to wait
11 another year or two to get additional
12 information before we reconsider the current
13 labeling. So I guess that would be the one
14 question.

15 And then tied into that would also
16 be what risk mitigation program, information
17 one could consider. I could think of lots of
18 things. Again, I don't use this drug. So I
19 don't really want to say. I simply want to
20 offer that up at this time as to whether
21 strengthening the label, and I don't want to
22 dismiss that it's completely inadequate. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think it just doesn't emphasize some of the
2 concerns that I and the other people on this
3 Committee apparently have.

4 And then to address secondarily
5 some of those issues proactively is to
6 consider risk mitigation either with
7 information to the patient and the parent
8 and/or other things that we've discussed
9 yesterday that we could consider.

10 CHAIRPERSON RAPPLEY: So we could
11 ask the agency to also come back to us with
12 some ways that would be compatible with the
13 agency's mission and meet that concern. Does
14 that make sense, Keith?

15 Melissa?

16 DR. MURPHY: I don't think, Keith,
17 as we learned yesterday, that it has to be
18 new, that you're not recommending a risk
19 around, right? That's not what you're
20 recommending, or was it?

21 Because remember you heard
22 yesterday it has to be a new adverse event and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 has to have all of those criteria. So I just
2 want to make sure what you're saying here.

3 CHAIRPERSON RAPPLEY: You know, I
4 think the Committee needs some guidance from
5 the agency about how are ways that within the
6 mission of the agency that these concerns can
7 be addressed, and if the agency itself cannot
8 address these concerns due to limitations on
9 the agency, then we as a group need to think
10 about other ways to other mechanisms that we
11 could address this.

12 But we, I think, pretty strongly
13 feel that to whatever extent it is compatible
14 and within the limitations of the agency's
15 ability to make statements we would like to do
16 so in the strongest fashion allowable.

17 DR. MURPHY: Okay. Because he
18 started talking about labeling. So are you
19 talking about just labeling now? Because
20 remember the ways of communicating are not
21 just in the label. So that's why I'm asking
22 for more clarity here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. KOCIS: I don't want to be
2 specific, but I also want to not say no to any
3 of those things that you just posed to me. In
4 fact, I want to consider all options at our
5 disposal either through the FDA and through
6 the specific avenues we have as an option now
7 or in future when new indications are coming
8 up for approval, and then likewise to consider
9 options that extend beyond this Committee and
10 our own circles.

11 DR. MURPHY: And the message of
12 these, or the concern about the inappropriate
13 use of this product in areas where it has not
14 been studied.

15 DR. GOLDSTEIN: Not just
16 inappropriate use, but the cumulative and
17 long-term effects --

18 DR. MURPHY: Right, right.

19 DR. GOLDSTEIN: -- on patients who
20 are on maintenance for the approved
21 indications.

22 DR. MURPHY: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON RAPPLEY: Melissa.

2 DR. HUDSON: In that regard, I
3 mean, I really think this label is pretty
4 clear. These adverse events are listed in
5 warnings and precautions, and within the
6 sections and special populations and pediatric
7 population it clearly states the long-term
8 effects on growth and development, sexual
9 maturation, bone density, you know, have not
10 been established.

11 I'm not sure what else they can do
12 at this point. We're asking for something
13 beyond a population that they can really
14 legitimately inform the label.

15 DR. MURPHY: I'm glad you said that
16 because I actually was going to say this is
17 really an enormous amount of safety
18 information, very specific, large text areas
19 for these in a label.

20 I mean, I think, I don't know if
21 you guys have any other products that have --
22 maybe you do -- as much safety information in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them as these products do. So irrespective,
2 it's a lot. You're right. So that's why
3 we're struggling with, you know, exactly how
4 the focus message of what you're concerned
5 about because this is an enormous amount of
6 safety information already.

7 CHAIRPERSON RAPPLEY: Dr.
8 Notterman.

9 DR. NOTTERMAN: I would say that my
10 principal concern, and I think some of my
11 colleagues over the potential adverse effects
12 has been amplified by an uneasiness that we
13 don't understand the complexities or the scope
14 of the unlabeled usage, and so my suggestion
15 would be to defer any potential change or
16 increment or escalation of notification and
17 communication with practitioners until we've
18 received the report that we just requested,
19 with the understanding that it would be
20 forthcoming in a reasonable amount of time.

21 And at that point the Committee
22 could discuss with FDA whether, based on what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we've just learned, further action is
2 necessary or recommended, I should say.

3 CHAIRPERSON RAPPLEY: And I would
4 like to close with that statement this
5 discussion. If there are further new comments
6 to be brought forward?

7 DR. MURPHY: Okay. So at this
8 point, I'm just going to repeat it, because
9 we've got a number of recommendations from you
10 which requires bringing back additional
11 information to the Committee. In the meantime
12 though, the Committee is concerned about a
13 number of adverse effects, and particularly
14 the large off label use in populations that
15 aren't defined as the benefit.

16 You're willing to not pursue asking
17 the agency to communicate in any other way
18 until we get that additional information back
19 to you, and then you will consider the data
20 and decision about what need to be
21 communicated. Is that fair?

22 Lisa, do you have any thoughts on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that? Tom?

2 Okay. Thank you.

3 CHAIRPERSON RAPPLEY: Thank you.

4 DR. MURPHY: You can see why
5 standards come to you sometimes.

6 CHAIRPERSON RAPPLEY: Right. Now,
7 I would like to say that we could repeat, as
8 Dr. Farrar pointed out, much of this
9 discussion when we consider olanzapine. So if
10 we could give the message now that we have
11 these concerns for this class of medication
12 and then not repeat ourselves around this
13 particular medication so that our comments can
14 be focused in on things that are pertinent to
15 olanzapine and not general to the class, is
16 that acceptable to the committee?

17 (Off-mic comments.)

18 CHAIRPERSON RAPPLEY: Okay. Thank
19 you.

20 DR. COLLINS: Okay. Now, I'm
21 pleased to be able to present to you the one-
22 year post exclusivity adverse event review for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 olanzapine.

2 Oral Zyprexa, or olanzapine, is an
3 atypical antipsychotic for which Eli Lilly is
4 the drug sponsor. Original market approval
5 occurred on September 30th, 1996, and
6 pediatric exclusivity was granted on January
7 10th, 2007.

8 Prior to the pediatric exclusivity
9 studies, oral Zyprexa was indicated for acute
10 and maintenance treatment of schizophrenia in
11 adults and acute and maintenance treatment of
12 mixed or manic episodes associated with
13 Bipolar I Disorder in adults.

14 The next two slides provide
15 information about the use of olanzapine in
16 out-patient settings. Four million oral
17 olanzapine prescriptions were dispensed for
18 all age groups during the 12-month pre and
19 post exclusivity period. 2.5 percent of these
20 prescriptions were for adolescents 13 to 17
21 years old, and 1.8 percent were for children
22 zero to 12 years old.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There was a five percent decrease
2 in oral olanzapine prescriptions for all age
3 groups between the 12-month pre and post
4 exclusivity periods with an eight percent
5 decrease for the pediatric population.

6 Psychiatry was the top prescribing
7 specialty during the post exclusivity period.

8 All psychiatrist prescribed 52.6 percent of
9 all oral olanzapine prescriptions, with child
10 psychiatrists prescribing 4.9 percent of all
11 prescriptions. Pediatricians prescribe 0.7
12 percent of all oral olanzapine prescriptions,
13 and child neurologists prescribe 0.1 percent
14 of all prescriptions.

15 The top diagnosis codes associated
16 with oral olanzapine use were depressive
17 disorder for patients 13 to 17 years old and
18 anxiety states in early child psychoses for
19 patients zero to 12 years old.

20 On November 30th, 2001, the FDA
21 issued a written request for studies of oral
22 olanzapine in the acute treatment of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 schizophrenia and the acute treatment of mania
2 in Bipolar I Disorder in adolescent patients
3 13 to 17 years old. The resulting pediatric
4 exclusivity studies included one
5 pharmacokinetic study and two efficacy and
6 safety studies that utilize flexible dosing
7 ranging from 2.5 to 20 milligrams per day.

8 The pediatric exclusivity studies
9 demonstrated a statistically significant
10 effect of olanzapine for the proposed uses in
11 adolescents. However, the Division of
12 Psychiatry products concluded that additional
13 safety information was needed to adequately
14 describe the relevant risk information for
15 adolescents in the labeling, specifically in
16 the areas of weight gain, hyperglycemia and
17 hyperlipidemia.

18 To date, olanzapine has not been
19 approved for the studied uses in pediatric
20 patients. However, safety data from the
21 pediatric exclusivity studies have been
22 incorporated into the drug labeling.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Based on the results from the
2 pediatric exclusivity studies, several
3 modifications were made to the warning section
4 of the currently distributed drug labeling.
5 The weight gain section was modified to
6 include a monotherapy in adolescent
7 subsection. This subsection notes that, one,
8 the average adolescent weight gain during a
9 three-week median exposure was 4.6 kilograms
10 for the olanzapine treated group versus
11 negative 0.3 kilograms for the placebo treated
12 group.

13 And, two, the percentage of
14 adolescent patients gaining at least seven
15 percent of their baseline body weight during a
16 four-week median exposure was 40.6 percent for
17 the olanzapine treated group versus 9.8
18 percent for the placebo treated group.

19 The hyperglycemia section also was
20 modified to include a monotherapy in
21 adolescent subsection noting that the mean
22 change in fasting glucose was 2.68 milligrams

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 per deciliter for the olanzapine treated group
2 versus negative 2.59 milligrams per deciliter
3 for the placebo treated group.

4 Lastly, the hyperlipidemia section
5 was modified to include a monotherapy in
6 adolescent subsection. This subsection notes
7 that, one, the percentage of patients with
8 fasting triglycerides that increase by greater
9 than or equal to 50 milligrams per deciliter
10 was 37 percent for the olanzapine treated
11 group versus 15.2 percent for the placebo
12 treated group.

13 Two, the percentage of patients
14 with fasting total cholesterol that increased
15 by greater than or equal to 40 milligrams per
16 deciliter was 14.5 percent for the olanzapine
17 treated group versus 4.5 percent for the
18 placebo treated group.

19 And, three, the percentage of
20 patients with fasting LDL cholesterol that
21 increased from borderline to high was 48.3
22 percent for the olanzapine treated group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 versus zero percent for the placebo treated
2 group.

3 Moving now from the exclusivity
4 studies to post marketing reporting, this
5 table describes the adverse event reports sine
6 marketing approval.

7 For pediatric patients, there were
8 949 adverse event reports which comprised 4.4
9 percent of the total reports. Of these
10 reports, there were 60 death reports with 41
11 being U.S. cases

12 Of the 60 crude count pediatric
13 death reports identified since marketing
14 approval, 14 reports were duplicated and two
15 were miscoded adult reports. Of the 44 unique
16 pediatric cases, 12 cases involved drug
17 exposure during pregnancy, and eight cases
18 involved an indeterminate cause of death.
19 The remaining 24 cases includes six suicide,
20 five metabolic, four cardiac, five unusual use
21 of olanzapine, and four other death cases.

22 After reviewing the 44 unique

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pediatric death cases, the safety reviewer did
2 not identify any new safety concerns.

3 There are multiple sections of the
4 drug labeling that are relevant to the
5 pediatric death cases. The warning section of
6 the drug labeling includes a subsection on
7 hyperglycemia associated with diabetes
8 mellitus, ketoacidosis and/or coma, and the
9 precaution section includes a subsection on
10 suicide.

11 The adverse reaction section of the
12 drug labeling includes cardiac adverse events,
13 such as bradycardia, atrial fibrillation, and
14 heart arrest.

15 The next several slides provide
16 more details for the 24 death cases, and you
17 will note that unlabeled events have been
18 underlines. Three of the six suicide cases
19 involved adolescents who ingested unknown
20 amounts of olanzapine and were not known to
21 have an olanzapine prescription.

22 The other three cases involved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adolescents with depression, agitation and/or
2 anxiety who committed suicide within two
3 months of initiating olanzapine treatment or
4 increasing the dose.

5 The five metabolic cases involved
6 adolescents who experienced diabetic
7 ketoacidosis and/or coma with known olanzapine
8 doses ranging from five to 15 milligrams.

9 Three of the four cardiac cases
10 involved males who experienced cardiac
11 arrhythmia or rest while on olanzapine. In two
12 of the cases, death occurred four to eight
13 days after increasing the olanzapine dose to
14 ten or 30 milligrams. The fourth cardiac case
15 involved an 11 year old male who experienced
16 myocardial infarction two and a half years
17 after initiating olanzapine therapy.

18 For the five unusual use of
19 olanzapine cases, the first case involved a
20 two year old female who, according to the
21 medical examiner, died possibly due to a drug
22 interaction between olanzapine and atomoxetine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 used to treat hyperactivity and possible
2 bipolar disorder.

3 The second case involved a 15 year
4 old male who drowned while on olanzapine and
5 dextroamphetamine. These medications had been
6 prescribed for the treatment of Asperger's
7 Syndrome and Attention Deficit Hyperactivity
8 Disorder.

9 Cases three, four and five involve
10 children who experience fatal injuries
11 inflicted by their parents when they were
12 asphyxiated after being given olanzapine to
13 sleep and morphine or hydromorphone or killed
14 by other means.

15 As you will recall, there were four
16 other death cases. The first case involved a
17 14 year old male with a history of asthma who
18 experienced an acute asthma attack while
19 taking olanzapine.

20 The second case involved a 16 year
21 old who experienced a possible drug
22 interaction and hepatic steatosis and was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 found dead after initiating olanzapine
2 treatment.

3 The third case involved a 15 year
4 old male who died from necrotizing
5 pancreatitis within three months of initiating
6 olanzapine therapy. Of note, the patient was
7 also on carbamazepine, paroxetine, and
8 valproate, and each of these medications has a
9 labeled association for pancreatitis.

10 And the last case involved a 12
11 year old female who died from unknown causes
12 within one month of discontinuing olanzapine
13 and initiating quetiapine therapy. She was
14 diagnosed with diabetes and ketoacidosis three
15 months prior to death and had multiple other
16 diagnoses.

17 Going back to the table describing
18 adverse event reports since marketing approval
19 for pediatric patients, there were 631 serious
20 adverse event reports with 444 being U.S.
21 reports. You will note again that the
22 definition of a serious adverse event that was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 used when identifying these cases is noted in
2 the footnote.

3 Looking at the post exclusivity
4 period for pediatric patients, there were 69
5 serious adverse event reports with 42 of these
6 being U.S. cases. Of the 69 crude count
7 pediatric serious adverse event reports
8 identified during the post exclusivity period,
9 three of these reports were duplicates. Of
10 the 66 unique reports, seven were excluded
11 because they were miscoded for age or the
12 adverse event occurred prior to the use of
13 olanzapine.

14 Of the 59 unique pediatric cases,
15 11 were excluded because they related to drug
16 exposure during pregnancy. For the 48
17 remaining cases, the safety reviewer did not
18 identify any new safety concerns.

19 Once again, there are multiple
20 sections of the drug labeling that are
21 relevant to the serious adverse event cases.
22 The warnings and precautions section of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 drug labeling include subsections on
2 hyperglycemia, weight gain, hyperlipidemia,
3 and Neuroleptic Malignant Syndrome.

4 The precaution section of the drug
5 labeling includes a subsection on seizures and
6 the adverse reaction section mentioned
7 leukopenia. Of the remaining 48 pediatric
8 serious adverse event cases during the post
9 exclusivity period, there were 27 metabolic
10 effect cases, including cases with increased
11 weight, hyperglycemia, diabetes mellitus,
12 diabetic ketoacidosis, diabetic coma, elevated
13 triglycerides and/or metabolic syndrome.

14 Four nervous system cases,
15 including three seizure cases and one
16 Neuroleptic Malignant Syndrome case, three
17 blood dyscrasia cases, including two cases of
18 leukopenia and one hemolytic anemia case, and
19 14 other cases that did not fall into any of
20 these categories.

21 You will note that out of the cases
22 described on this slide, hemolytic anemia is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the only one that is not included in the drug
2 labeling.

3 This chart describes the various
4 combinations of metabolic serious adverse
5 events reported in pediatric patients. You
6 will note that there are nine groups of
7 reports for diabetes alone or diabetes
8 combined with another metabolic adverse event.

9 For the 14 other serious adverse
10 event cases, there were eight cases with
11 labeled events, including three pancreatitis
12 cases and five single case reports. Of note,
13 one of the three pancreatitis cases was
14 confounded by concomitant use of quetiapine
15 and risperidone, both of which are labeled for
16 an association with pancreatitis.

17 For the six cases with unlabeled
18 events, all of the cases involved a single
19 case report. Once again, the safety reviewer
20 did not identify any new safety concerns.

21 This completes the one-year post
22 exclusivity adverse event reporting. At

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 present olanzapine is not approved for use in
2 any patient under 18 years of age, and safety
3 data from the pediatric exclusivity trials
4 have been incorporated into the drug labeling.

5 In view of the potential metabolic
6 effects with the use of olanzapine, especially
7 in pediatric patients, FDA will continue to
8 evaluate the safety of olanzapine and will
9 decide if any additional risk management
10 regulatory action is needed.

11 Does the Advisory Committee concur
12 is the question for the group.

13 And in closing, again, I'd like to
14 acknowledge the assistance of numerous folks
15 throughout the FDA in the Office of
16 Surveillance and Epidemiology, the Division of
17 Psychiatry Products, the Office of Clinical
18 Pharmacology, the Office of Pediatric
19 Therapeutics, and the Pediatric and Maternal
20 Health Staff.

21 Thank you.

22 CHAIRPERSON RAPPLEY: Discussion?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Goldstein.

2 DR. GOLDSTEIN: Given that this
3 same issue seems to occur in this drug as the
4 other one in terms of metabolic syndrome, and
5 I think your statement before was that there
6 wasn't a differentiation between Type 1 or
7 Type 2 diabetes, but you had thought that most
8 of the cases were Type 1. Is there a
9 mechanism and is it possible to differentiate
10 in these adverse event reports whether or not
11 this is onset of Type 1 or a new onset of Type
12 2?

13 I think that information would be
14 helpful, particularly given the epidemic we're
15 seeing of Type 2 in children, in elucidating
16 what the safety effects are of these drugs.

17 DR. LAUGHREN: Someone from OC
18 would have to comment on that. I mean, I
19 think we are limited by what we have in those
20 reports.

21 DR. McMAHON: I would like to ask
22 Dr. Diak who did the review to comment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. DIAK: Hi. I'm Ida-Lina Diak.
2 The reports unfortunately, due to
3 the AERS reports, don't have enough
4 information. So I have specified actually in
5 my review, which I believe you have copies of,
6 not all of the reports did state whether it
7 was Type 1 or Type 2 and whether it was new
8 onset or a preexisting condition.

9 CHAIRPERSON RAPPLEY: But given the
10 information we received yesterday about the
11 new data sets that are now available and right
12 now you're just learning how to use those and
13 learning what information actually is
14 available there, it might be possible to have
15 more specificity than about diagnoses, not
16 from the spontaneous reporting system, but
17 through some of these surveillance data sets.

18 DR. McMAHON: Yes, I think if we
19 were to get more specificity about Type 1
20 versus Type 2 time to onset data when it
21 occurred versus when a person started using
22 the drug, all of that information, it would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very helpful. I think it's pretty safe to say
2 that the AERS database is not going to
3 reliably give that.

4 So We will have to turn to other
5 sources for that.

6 CHAIRPERSON RAPPLEY: Dr. Kocis.

7 DR. KOCIS: I'm not going to repeat
8 anything I already said. Two comments on
9 this. One, they didn't use the structured
10 label as we had seen previously and the like,
11 and when you look at the label here -- and,
12 again, I find it less than ideal that under
13 pediatric use safety and effectiveness in
14 pediatric patients have not been established,
15 although when you read through and you go
16 through the different subsections integrated
17 into the adult and the specific side effects
18 that we're looking at, there is included that
19 adolescent data.

20 So I think moving this towards the
21 structured form, it would likely address that
22 concern about it being varied because there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information and we should use that when we
2 make decisions about using this drug.

3 The second thing, I didn't get to
4 make this comment to Dianne, and it's similar
5 here in the sense that, you know, throughout
6 the years we are asked to look at these drugs
7 one year after pediatric exclusivity, and when
8 already many of the decisions have been made
9 about risk mitigation and labeling and things,
10 and then we're also told that, well, we can't
11 really do that now or, you know, that
12 opportunity was lost and that was a year ago
13 in the sense that we weren't involved in the
14 initial approval for the indications and
15 stuff.

16 So it just becomes unsettling to us
17 because I think had we seen this data or at
18 least in some circumstances we might have been
19 able to impact at that time rather than now, a
20 year later, saying now that we review this
21 data, we're looking at this and what can we do
22 about that, and I don't think we should stop

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trying to do what we think is in the best
2 interest of the children and the utilization
3 of the drug in the kids.

4
5 CHAIRPERSON RAPPLEY: Well, again,
6 I know it's frustrating for you all because
7 you're not involved in the approval process
8 where they are limited to the studies. Okay?

9 And as you know, this one -- you saw the
10 letter -- didn't get the approval. So I don't
11 know if the division wants to make anymore
12 comments about that, but the point as you
13 heard yesterday of why we're doing post
14 marketing follow-up is because, you know,
15 normally after something gets out in the
16 market or you see that there's a new
17 indication for pediatrics, the potential for
18 it being used more and having more problems.
19 That doesn't always work because there's so
20 much off label use, and we understand that.

21 But the concept that we want to be
22 able to have a post-marketing assessment, so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that's why you end up getting this data that
2 you then have to try and apply. It's not
3 really a retroactive fit. It's just, okay,
4 this is what we knew at the time of approval
5 or in this situation non-approval. Here is
6 what we see in the post-marketing.

7 Now, is there anything that that
8 post-marketing informs us that we should be
9 doing differently than what was already in the
10 label? That's really what the question is.

11 DR. KOCIS: And there's two things,
12 and certainly as we talked about, we learned
13 new things in the first year, and that's
14 certainly what we're most interested in, but
15 yet -- and again, I don't want to use a
16 specific to this drug or this morning, but
17 over the meetings of the years I've been here,
18 there has been information in the studies that
19 were done that at least in my mind some of
20 those drugs and some of that information we
21 knew at the time of approval, and we didn't
22 learn anything more during the year. We just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reemphasized -- continued to see what we knew
2 at that time, and again, it's just unsettling
3 at this point to then say, well, we're
4 handcuffed in what we can do because yadda-
5 yadda-yadda.

6 CHAIRPERSON RAPPLEY: Well, you're
7 not handcuffed. I mean, you can make a
8 recommendation that you think that the
9 information was there, and it still looks like
10 that information is there, and we still need
11 to do additional emphasis or focus on the
12 pediatric part of it.

13 Now, in this one, I think they
14 really made a point of going in and putting
15 the pediatric safety into the label. So it is
16 there.

17 Your point about -- and I think
18 what he's saying, Tom -- is that having
19 something more in the pediatric subsection
20 because when it's not approved, the approach
21 now is to try to put that information off, and
22 they refer them back to the clinical trials

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 part so that it would be helpful to have
2 something there. Okay?

3 And then, Lisa, I want you to add
4 to your statement. Again, now all of these
5 products before they have an action are coming
6 to an internal review. The pediatric group
7 does have an opportunity to make
8 recommendations before that action is taken.
9 The pediatric group is not always involved in
10 a line-by-line discussion with the labeling.
11 They are frequently, but I think you can speak
12 to that.

13 But, I mean, it's not always at the
14 same level is what I'm trying to say when it
15 comes to the PeRC as it would be in a lengthy
16 negotiating meeting.

17 DR. MATHIS: You are right, and I
18 actually think that this labeling change
19 happened prior to the PeRC and prior to a lot
20 of our thoughts about consolidating
21 information in that section of labeling.

22 But you absolutely are correct, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think that that's a really helpful
2 suggestion and something that we'll address in
3 the future PeRCs as well.

4 CHAIRPERSON RAPPLEY: Dr. Hudak.

5 DR. MURPHY: So we can fix that.

6 DR. HUDAK: Yes. I guess I'd just
7 like to ask a general informational question,
8 and from what I understand you had a meeting
9 yesterday that might have spoken to this and
10 you can cut me off at any point if that's the
11 case.

12 But with respect to all of these
13 reports and so forth, especially when we
14 consider these drugs that are similar classes
15 or similar indications, is there any way you
16 can glean from the database information that
17 would allow you to normalize some of these
18 complications.

19 In other words, I have no idea
20 looking at these two drugs now whether, you
21 know, these complications which I think are
22 very significant complications from a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 metabolic standpoint are more or less frequent
2 in a particular drug. I mean, I don't know if
3 you have information about the number of
4 prescriptions, whether you can break it down
5 by duration of therapy because some of these
6 things, I think the side effects are
7 idiopathic and acute and some may be sort of
8 more likely to occur with a cumulative drug
9 exposure, but I find the numbers fairly
10 unsatisfying in terms of being able to really
11 get my hands around the meat of the risk
12 issue.

13 If your interest is in getting
14 comparative safety information across drugs in
15 the class, which would be something that we,
16 of course, like to have, I think you'd almost
17 have to have head-to-head comparisons in a
18 controlled setting, for example, to look at
19 metabolic risk.

20 But, again, it always comes down to
21 who is going to take on a study like that. I
22 mean, it would have to be an agency like NIH.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I don't think you're going to learn that very
2 well from post-marketing reports.

3 DR. HUDAK: Well, I guess I can't
4 say that I wouldn't learn anything without
5 looking at what the information might be. If
6 you have, you know, a drug that has ten times
7 higher complication of metabolic issues than
8 another drug, I mean, that's pretty powerful.

9 DR. LAUGHREN: You know, it may be
10 that there are some other databases and maybe
11 some of these newer databases that are
12 becoming available to FDA - Sentinel and so
13 forth - could give us access to large cohorts
14 that might allow you to get at some of those
15 kinds of things.

16 MS. McMAHON: Ann McMahon, OSE.

17 I just would agree that it's going
18 to be very difficult using passive
19 surveillance systems to do any kind of
20 comparison that would be very believable as
21 far as rates of adverse events because there
22 are so many different issues that go into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether someone happens to report a particular
2 adverse event for a particular drug in a
3 particular population. It's going to be very
4 hard to do anything with the passive surround
5 system in that regard, but I would also say
6 that it probably would need to be a head-to-
7 head type comparison. I would agree with that
8 because even in a system, a large database, if
9 it's not a randomized situation, you still
10 could have all kinds of problems with
11 interpreting the data. That would be my
12 guess.

13 Certainly as far as this passive
14 surround system, it's going to be really hard
15 to make direct comparisons.

16 CHAIRPERSON RAPPLEY: And that
17 would be something we could include in a
18 recommendation to the BPCA, to let that be
19 part of the thing that they set out as
20 important to look at for NIH funding.

21 Dr. Rakowsky. Then Dr. Goldstein.

22 DR. GOLDSTEIN: This is to Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Murphy and Dr. McMahon, and if this was
2 covered yesterday, again, please stop me.

3 Given that for the approval
4 process, the pediatric age groups between zero
5 and 17 are broken up into four or five
6 different subgroups. I can't remember off the
7 top of my head what they are.

8 Would it make sense when you're
9 reporting safety data to follow those same age
10 group demarcations?

11 As this data was being presented, I
12 commented to Dr. Farrar, you know, it's
13 unlikely a newborn is going to be given this
14 particular drug, and of course, the next two
15 slides had a one year old and a two year old.

16 (Laughter.)

17 DR. GOLDSTEIN: But that data in
18 and of itself, if you can see to my mind this
19 may be a mechanism to see potentially some age
20 related, at least some safety issues. If
21 there's only an n of one or two in the two
22 year old population with this drug and both of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them had significant safety issues, that may
2 be some relatively low hanging fruit for
3 safety information that could be gleaned from
4 this type of subcategorization.

5 CHAIRPERSON RAPPLEY: Dr. Dure.

6 DR. DURE: Yes, I just had a
7 question for Dr. Collins. Those are two nice
8 presentations. The second though is a drug
9 that is not approved in childhood, and so I'm
10 just curious because your bullet here, "decide
11 if any additional risk management regulatory
12 action is needed."

13 What are you thinking about?

14 DR. COLLINS: And that I'd have to
15 defer to the division.

16 DR. LAUGHREN: Well, obviously,
17 we've already included even though the drug is
18 not approved in pediatric use yet, we have
19 included a lot of safety information, in
20 particular the metabolic information in the
21 warning section.

22 So I guess the question is beyond

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, is there anything that you -- I mean, I
2 just want to point out as context that we
3 obviously do include information and labeling
4 for off label use if we think it's important
5 for prescribers to know about that. That's
6 what we've done here.

7 So is there anything else that I
8 guess you can recommend that we might do to
9 highlight this?

10 CHAIRPERSON RAPPLEY: Dr. Cnaan.

11 DR. CNAAN: Yes. I wanted to go
12 back to the concept of rates and usage because
13 it struck me, too, when I was looking at
14 these. We cannot calculate rates. We don't
15 have denominators. There's no question about
16 it, and it is passive surveillance.

17 What has been brought to us
18 typically and at least helped me as I've
19 looked at these over the years are the usage
20 reports because what the usage reports gives
21 us and now yesterday you introduced to us a
22 new database that would also get the mail

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 order usage reports in which we don't have
2 now. What it gives us is how many were
3 prescribed and at least some context if not of
4 rates at least relative rates between --
5 they're not absolute rates by any means, but
6 they're relative rates between the various
7 drugs.

8 And I would suggest that in looking
9 at the few atypical antipsychotics we actually
10 look at those numbers when we come back to
11 this, whenever it is we come back, because it
12 will give us something as long as we remember
13 that we're looking at relative and not
14 absolute.

15 CHAIRPERSON RAPPLEY: Dr. Kocis.

16 DR. KOCIS: You know, I think this
17 drug since it's not approved, we have an
18 opportunity to look at pediatric safety and
19 what we may require upon approval or in the
20 risk mitigation process that follows.

21 Again, this is not what I do for a
22 living. There's a lot of smarter people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 around the table and elsewhere who could give
2 you probably an exhaustive list of things, but
3 certainly the things that pop into my head to
4 require would be things like hemoglobin A1c to
5 look along with weight and glucose to see what
6 is the chronic exposure that we can evaluate,
7 to look at the impact of hyperglycemia over
8 time.

9 Obviously, I think the sponsor
10 would want to know whether the drugs that are
11 being used will induce or predispose children
12 to developing a chronic, debilitating, life
13 shortening disease. I think that's who would
14 be interested in funding these studies to have
15 that knowledge, and again, at the time of
16 approval, you know, putting in some additional
17 risk management things, the movement
18 disorders, again, from the neurology
19 standpoint to begin to look at that
20 prospectively in that first year, and to be
21 able to gather that data along with the
22 passive surveillance to move this forth since

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we have a lot of concern about the class of
2 drugs and as these new drugs are coming out,
3 to begin to refine what we know and learn more
4 as time goes on.

5 And finally, you know, with the
6 labeling and the negotiation of the labeling,
7 you know, I assume that FDA can say you're
8 saying there's no safety or efficacy data in
9 pediatrics. That section is empty on this
10 label. Well, what can we have?

11 We have concerns about X, Y and Z.
12 Do you have that data or should you get that
13 data? And, again, incorporating that into
14 what happens after approval. So there's just
15 a few idea.

16 CHAIRPERSON RAPPLEY: So I'd like
17 to --

18 DR. LAUGHREN: Just one follow-up
19 on that. This label that you have in front of
20 you is in the old format. This is going to be
21 reformatted into the new format, and a lot of
22 those problems will be fixed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: And just to point to
2 Dr. Kocis that this is your opportunity to
3 tell the division because obviously they're
4 going to be doing some additional labeling
5 what you think needs to go into that because
6 we've obviously heard your concern.

7 So I think what we're hearing is
8 just what you said, some additional concerns
9 about these areas, and I won't repeat them all
10 that you all have said.

11 CHAIRPERSON RAPPLEY: Dr.
12 Notterman.

13 DR. NOTTERMAN: Just a brief
14 comment to follow up on Dr. Kocis. I think
15 that in terms of the various elements of the
16 metabolic burden and the weight gain, it might
17 be appropriate for the division to specify or
18 suggest some mitigating activities.
19 Monitoring of hemoglobin A1c might be
20 appropriate or have to be studied, attention
21 to diet, nutritional counseling. The average
22 weight gain, I think, was over five kilograms,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which is substantial, and it might be possible
2 to mitigate that through appropriate
3 anticipatory guidance and perhaps those
4 elements could be specified.

5 CHAIRPERSON RAPPLEY: So the
6 Committee needs to vote. The statement is
7 that the FDA should continue to evaluate the
8 safety of olanzapine and decide if any
9 additional risk management regulatory action
10 is needed.

11 So those who would support this
12 statement, please raise your hand -- oh, a
13 question. Yes.

14 DR. CNAAN: How does our statement
15 from the previous summary fit into this?

16 CHAIRPERSON RAPPLEY: Yes, I think
17 we could then make an additional comment that
18 we'd like those recommendations that we made
19 about risperidone to apply to olanzapine
20 because it is in the same class of medication.

21 DR. GOLDSTEIN: Well, they may have
22 to be addended because this is not approved,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whereas Risperdal is.

2 CHAIRPERSON RAPPLEY: Right. Good
3 point.

4 DR. MURPHY: I think if it's
5 acceptable with the Committee what we will do
6 is we're going to take the class issue that
7 you mentioned before, and I'd like us to focus
8 just on this product because it is in a
9 different stage, as Dr. Goldstein pointed out,
10 and have the Committee make sure you
11 articulate what you're telling the division as
12 they go forward.

13 CHAIRPERSON RAPPLEY: So you would
14 like us to restate recommendations pertinent
15 to olanzapine, in particular.

16 DR. MURPHY: Yes, pertinent to
17 olanzapine in particular.

18 CHAIRPERSON RAPPLEY: Okay. So
19 then this --

20 DR. MURPHY: Because they're
21 telling you that --

22 CHAIRPERSON RAPPLEY: I understand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 why. So I just haven't formulated it as
2 succinctly as I did with the risperidone.

3 DR. DURE: Well, in this case they
4 want us to say whether they should continue to
5 evaluate the safety, and then does FDA decide
6 any additional risk management regulatory
7 action.

8 CHAIRPERSON RAPPLEY: Well, that is
9 their -- they do that. That's what they do
10 and they take recommendations for us about
11 that. So I think what we need to recommend to
12 them now is the specific areas we'd like you
13 to attend to as you do this continuing review.

14 DR. MURPHY: Right. The question
15 in view of the discussion is, again, a little
16 disconnected, if you will, because what it's
17 saying is do you agree that we're going to go
18 ahead and decide if any additional risk
19 management regulatory action, and what in
20 essence as you have already said is that we
21 agree that there needs to be additional risk
22 management, and here are our thoughts about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it.

2 CHAIRPERSON RAPPLEY: So we will
3 take a vote on this question, but then we will
4 recommend to the agency that as they continue
5 to evaluate the safety of olanzapine, they
6 consider in particular the metabolic syndrome
7 and mitigation of risk in the pediatric
8 population. Is that acceptable to the
9 Committee?

10 DR. RAKOWSKY: Can we also add that
11 if it gets approved or if it starts being used
12 more in the pediatric population that they
13 also break it out by age groups and more
14 specificity like we asked for.

15 CHAIRPERSON RAPPLEY: Does the
16 agency have that recommendation? Did you get
17 that, Carlos?

18 DR. McMAHON: That's a request to
19 break down the drug use data then or the
20 adverse event data or both?

21 DR. RAKOWSKY: I think at this time
22 the drug use in pediatrics is so low you get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so few granularities there, but if it would
2 increase, to start breaking it down to more
3 specificity.

4 CHAIRPERSON RAPPLEY: So we could
5 say break down into the use data and the
6 safety data according to age groups as much as
7 feasible with the database.

8 DR. GOLDSTEIN: "Stratify" might be
9 a better term.

10 CHAIRPERSON RAPPLEY: I think
11 that's a good point. We've got lots of really
12 capable epidemiologists on the staff. So as
13 we misstate some of these things, you all
14 substitute the appropriate, I think, terms for
15 that.

16 DR. MURPHY: Yes. I mean, you all
17 indicated clearly it's a futile act that we
18 won't do it. Okay.

19 CHAIRPERSON RAPPLEY: So then the
20 Committee, given those recommendations to the
21 agency, continue to evaluate the safety of
22 olanzapine and decide if any additional risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 management regulatory action is needed.

2 Those who support that, please
3 raise your hand.

4 Any opposed?

5 So that is a consensus support of
6 that statement.

7 Are there any other safety issues
8 or ongoing issues with these last two
9 medications that the agency is working with or
10 sponsors are working with the agency on that
11 we should be aware of?

12 (No response.)

13 DR. MURPHY: I think that it's
14 clear that the agency is working on this and
15 we'll take your recommendations into
16 consideration as they move forward with this.

17 CHAIRPERSON RAPPLEY: Thank you.

18 I would like for us to take our
19 break now, and then when we return we'll start
20 with Levaquin. Because we have spent a lot of
21 time on this, I'd like us to take a ten-minute
22 break if the Committee is okay with that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Thank you. So reconvene in ten
2 minutes.

3 (Whereupon, the above-entitled matter went off
4 the record at 10:34 a.m. and
5 resumed at 10:48 a.m.)

6 CHAIRPERSON RAPPLEY: Okay. We
7 would like to resume.

8 DR. COPE: Dr. Durmowicz, would you
9 introduce yourself and background to start?

10 CHAIRPERSON RAPPLEY: Thank you.

11 DR. COPE: Thank you.

12 DR. DURMOWICZ: Good morning. I'm
13 Beth Durmowicz. I'm a general pediatrician
14 with an interest in children and youth with
15 special health care needs, and I'm a member of
16 the Pediatric and Maternal Health staff.

17 I have the pleasure to present the
18 adverse event review for Levaquin or
19 levofloxacin. My presentation will include
20 background drug information, drug use trends,
21 information from the pediatric exclusivity
22 studies, labeling changes secondary to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pediatric exclusivity studies, and additional
2 relevant safety information and labeling,
3 adverse events, and I'll conclude with a
4 summary.

5 Levaquin or levofloxacin is an
6 antibacterial in the fluoroquinolone class.
7 The sponsor is Ortho McNeil. The oral table
8 in injectable formulations were approved
9 originally on December 20th, 1996, and the
10 oral solution was approved on October 21st,
11 2004.

12 Pediatric exclusivity was granted
13 on March 14th, 2007, and the labeling changes
14 secondary to the exclusivity studies occurred
15 on September 11th, 2007.

16 Levaquin is approved in adults for
17 multiple bacterial infections. No pediatric
18 indication was approved related to the
19 pediatric exclusivity studies.

20 Of note, in May 2008, Levaquin was
21 approved for inhalational anthrax post
22 exposure in pediatric patients greater or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 equal to six months of age.

2 This slide presents the information
3 on the drug use trends for oral levofloxacin
4 in the out-patient setting during the three-
5 year period April 1st, 2005 to March 31st,
6 2008. This represents the period two years
7 prior and one year after the granting of
8 pediatric exclusivity in March of 2007.

9 Overall the pediatric use of
10 levofloxacin is decreasing, approximately 17
11 percent over this three-year period. Patients
12 zero to 18 years of age represented
13 approximately 1.2 percent of the total
14 projected patients who filled a prescription,
15 and this equates to approximately 112,000
16 patients in the one-year post exclusivity
17 period. And patients zero to 18 years of age
18 represented approximately one percent of the
19 total dispensed prescriptions. This is
20 approximately 130,000 prescriptions per year
21 over the three-year period. Ninety-three
22 percent of these prescriptions were prescribed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for patients 12 to 18 years of age.

2 General practice, family medicine,
3 doctors of osteopathy was the top prescribing
4 specialty, and the top diagnosis code in
5 patients zero to five years was urinary tract
6 infection; six to 11 years, cellulitis; and in
7 patients 12 to 18 years, chronic sinusitis.

8 A written request was issued for
9 studies of levofloxacin in June of 2006. The
10 pharmacokinetic studies showed that systemic
11 exposure at ten milligrams per kilogram per
12 day twice a day in patients less than five
13 years and ten milligrams per kilogram daily in
14 patients greater or equal to five years both
15 orally and intravenously were not equal to
16 adult exposure.

17 The clinical studies were Phase 3
18 studies in patients six months to 17 years and
19 four studies were submitted. Two of the
20 studies were active controlled, the first a
21 community acquired pneumonia study in patients
22 six months to 16 years, the second a study of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 acute otitis media in patients six months to
2 five years.

3 The third study was a uncontrolled
4 study of acute otitis media, and the fourth
5 study was a long-term, one-year prospective
6 surveillance study of musculoskeletal
7 disorders in patients six months to 16 years.

8 Tendinopathy, arthritis,
9 arthralgia, and gait abnormality were the
10 adverse events of interest in this study.

11 Results of the studies showed that
12 efficacy was comparable and not inferior to
13 the comparators. However, no indication for
14 community acquired pneumonia or acute otitis
15 media was sought or approved secondary to the
16 musculoskeletal events.

17 I will now briefly discuss the
18 safety data from these four studies. The
19 first study was the controlled study of
20 community acquired pneumonia. Seven hundred
21 twelve subjects were available for safety
22 evaluation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Two deaths occurred in this study,
2 both within the levofloxacin group, but
3 neither were thought to be treatment related.

4 The first death report or death case of the
5 study was a 13 and a half year old with
6 multiple foci pneumonia, with pneumatocele,
7 fever, and respiratory distress. This patient
8 suffered a cardiorespiratory arrest on day
9 three of the study five minutes after
10 bronchoscopy. The patient had been being
11 treated with levofloxacin 250 milligrams twice
12 a day for three days.

13 The second death case was a 2.2
14 year old who died after presentation to the
15 emergency department with a febrile illness
16 associated with virulent laryngitis,
17 leukocytosis, airway trapping, and respiratory
18 distress. The patient had completed a ten-day
19 course for pneumonia and had been considered
20 to be clinically cured.

21 Serious adverse events occurred in
22 33 or six percent of the levofloxacin treated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group versus eight or four percent of the
2 comparator treated subjects.

3 Musculoskeletal disorders occurred
4 in two percent of the levafloxisin treated
5 patients versus one percent in comparator
6 treated subjects.

7 The second controlled study, the
8 acute otitis media study, had 1,607 subjects
9 available for safety evaluation. This study
10 was actually not requested in the written
11 request but provided for safety data.

12 No deaths occurred in this study.
13 There were ten serious adverse events in the
14 levofloxacin treated group versus 13 in the
15 comparator treatment group. Most of these
16 serious adverse events were considered
17 doubtfully related or not related to the study
18 drug.

19 The incidence of musculoskeletal
20 events was higher in the levofloxacin treated
21 subjects, and the difference between the
22 treatment groups was significant with a P

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 value of 0.02.

2 The uncontrolled acute otitis media
3 study had 204 subjects available for safety
4 evaluation. This study also is not requested
5 in the written request but submitted for
6 safety data.

7 No deaths occurred. Seven subjects
8 reported eight serious adverse events: a
9 maculopapular rash with dehydration was
10 reported in two subjects with a possible
11 relationship to the study drug, and one
12 subject developed bloody diarrhea, and the
13 relationship of this was felt to be very
14 likely. Musculoskeletal adverse events
15 occurred in six subjects.

16 The long-term surveillance study
17 results are presented in this slide. Two
18 thousand three subjects were available for
19 safety evaluation after the one-year period or
20 at the one-year period. Musculoskeletal
21 disorders were reported more frequently in the
22 levofloxacin treated subjects over the one-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 year period, and the incidence of the
2 musculoskeletal disorders are presented in
3 this table.

4 And as you can see, levofloxacin
5 had a statistically higher incidence of
6 musculoskeletal disorders than the comparator
7 group at the 60-day period after first dose
8 and the one-year period after first dose. The
9 most frequently occurring musculoskeletal
10 disorder was arthralgia.

11 Labeling changes secondary to the
12 pediatric exclusivity studies occurred in
13 September 2007 to reflect that levofloxacin is
14 not indicated for pediatric patients, to
15 describe musculoskeletal adverse events and to
16 provide information on the clinical studies in
17 adverse event profile. Changes to the
18 highlight sections were in the use and
19 specific population, pediatrics, and provided
20 the following information.

21 Pediatrics, musculoskeletal
22 disorders, arthralgia, arthritis, tendinopathy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and gait abnormality seen in more Levaquin
2 treated patients than in comparator, shown to
3 cause arthropathy and osteochondrosis in
4 juvenile animals.

5 In subsections from the warnings
6 and precautions, use of specific populations
7 and nonclinical toxicology are referenced.
8 Information included in the full prescribing
9 information under Section 5, warnings and
10 precautions, musculoskeletal disorders in
11 pediatric patients and arthropathic effects in
12 animals. Labeling states that levofloxacin is
13 not indicated in patients less than 18 years
14 due to increased musculoskeletal disorders,
15 and the pediatric use section is referenced,
16 and the animal studies are described.

17 Under Section 6 of labeling,
18 serious otherwise important adverse reactions,
19 the musculoskeletal disorders in pediatric
20 patients are discussed in greater detail, and
21 warnings and precautions is again referenced.

22 Within the use in specific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 populations, pediatric use subsection,
2 labeling states that levofloxacin is not
3 indicated. The clinical trials are described,
4 including a table with a musculoskeletal
5 disorder incidence which I projected earlier.

6 There have been additional labeling
7 changes since the changes associated with
8 pediatric exclusivity. Of note, in May 2008 a
9 new indication was approved for inhalational
10 anthrax post exposure in pediatric patients
11 greater or equal to six months of age and the
12 dosage is provided for the patients. And this
13 dosing is based on a model to determine the
14 proper kinetics.

15 In addition, a boxed warning and
16 medication guide were added to provide
17 information on the risk of tendon rupture in
18 tendinopathy in October of 2008.

19 This is the boxed warning that was
20 added on October 3rd, 2008, to labeling.
21 Additional relevant safety labeling
22 information is included in the warnings and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 precaution section and includes tendinopathy
2 and tendon rupture, hypersensitivity
3 reactions, other serious and sometimes fatal
4 reactions, hematologic and renal toxicities,
5 hepatotoxicity, central nervous system
6 effects, including convulsions anxiety,
7 confusion, depression, and insomnia,
8 Clostridium difficile, associated diarrhea or
9 colitis peripheral neuropathy, prolongation of
10 the QT interval and isolated cases of torsade
11 de pointes, musculoskeletal disorders in
12 pediatric patients and arthropathic effects in
13 animals, light glucose disturbances,
14 photosensitivity and phototoxicity, and the
15 development of drug resistant bacteria.

16 Levofloxacin is a Category C
17 pregnancy medication, and other important
18 adverse events listed include hypotension
19 after rapid of bolus intravenous infusion,
20 crystalluria or cylindruria, and the other
21 adverse events are all discussed in the
22 warnings and precautions sections.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So moving on from the exclusivity
2 studies to the post marketing reporting of
3 adverse events, this table presents the crude
4 counts of adverse events since marketing
5 approval in December 1996 for patients zero to
6 16 years of age. As you can see, there are a
7 total of 116 reports, 89 from within the
8 United States, 100 serious adverse events, 77
9 from the United States, and three reports of
10 death.

11 This slide presents information
12 about the three deaths since marketing
13 approval. The first report was of a 13 year
14 old male with cerebral palsy, mental
15 retardation, and seizures treated for
16 bronchopneumonia who died of an unknown cause
17 while on levofloxacin. Note this patient was
18 on multiple concomitant medications.

19 The second patient is a 12 year old
20 male with reactive airways disease and
21 allergies who developed dyspnea and
22 anaphylaxis six to ten minutes after taking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 levofloxacin, benzydamine hydrochloride, which
2 is an anti-inflammatory agent, and
3 cromoglicate sodium, which is a mast cell
4 stabilizer for acute pharyngitis. This
5 patient became comatose and died eight days
6 after the event.

7 The third case is a 12 month old,
8 and we did double check the age on this
9 report. This report is a 12 months old with a
10 complex past medical history, including
11 colectomy, ileostomy, ulcerative colitis, and
12 rheumatoid arthritis, who developed a pelvic
13 collection and sepsis. This patient was
14 treated with levofloxacin and metronidazole
15 while on multiple concomitant meds. The
16 patient developed metabolic acidosis,
17 deteriorated and died of a myocardial
18 infarction.

19 As mentioned in the table there
20 were 100 serious adverse events reported in
21 pediatrics, and we took a particular focus on
22 musculoskeletal events as well as central

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 nervous system events. As you can see, 39
2 percent of the serious adverse events were
3 musculoskeletal in nature. The reports
4 include 21 reports of arthralgia or
5 arthropathy, 13 reports of bone or tendon
6 symptoms, five of those being tendon rupture,
7 five reports of myalgia or myopathy.

8 The top diagnosis for patients who
9 reported a musculoskeletal event was
10 sinusitis, and the most common age was 12 to
11 16 years from which 82 percent of the reports
12 were received.

13 There were 19 central nervous
14 system events, and I reported the events, more
15 than one. So five reports of seizure, four
16 reports of abnormal behavior or confusion,
17 three reports of hallucination, and two
18 reports of panic attack. The diagnosis seized
19 where the patients had a central nervous
20 system event or sinusitis and unknown.

21 So in summary, no new safety
22 signals were identified after completed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pediatric focused safety review on the use of
2 levofloxacin. A boxed warning and medication
3 guide were added to labeling October 3rd, 2008
4 to strengthen the existing warnings about the
5 increased risk of developing tendinitis and
6 tendon rupture in patients of all ages.

7 At this time FDA does not recommend
8 any additional labeling changes. FDA
9 recommends to continue routine ongoing post
10 marketing safety monitoring. Does the
11 Committee concur?

12 CHAIRPERSON RAPPLEY: Thank you.

13 Before we go on to discussion,
14 would you like to introduce your new member at
15 the table?

16 Thank you.

17 DR. BELEN: Dr. Ozlem Belen from
18 Division of Special Pathogens and Transplant
19 Drug Products. I'm a pediatric infectious
20 disease specialist. I've been in FDA for the
21 past seven years and with the division for the
22 past three years.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON RAPPLEY: Thank you.

2 And just to recognize that we have
3 five standard reviews, including this one, to
4 complete before lunch, so if we can keep our
5 questions as focused and comments as focused
6 as possible.

7 Dr. Goldstein.

8 DR. GOLDSTEIN: Just very short,
9 very minor. On page 208 under the
10 musculoskeletal adverse event reports, the
11 second paragraph notes that there were twice
12 as many females reported with musculoskeletal
13 symptoms, but the reviewer was unaware of any
14 biologic reason that would make girls more
15 susceptible to these events.

16 My understanding is that there
17 actually are biomechanical reasons that
18 adolescent females are more susceptible to
19 these types of events and so it's just a
20 clarification that I wanted to bring up.

21 DR. NOTTERMAN: I noticed that
22 also. I agree, particularly with ACL

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 injuries.

2 The other question I was going to
3 ask pertains to the box warning and to some of
4 the other material where it's indicated that
5 the risk of tendon rupture and tendinitis is
6 particularly great over the age of 60, and I
7 just want to make sure I understand that that
8 is a true biological susceptibility and isn't
9 an ascertainment bias that reflects the fact
10 that the drug is not prescribed to a large
11 extent under the age of, say, 12 or 16,
12 according to the data you provided.

13 DR. BELEN: Before the approval of
14 the black box warning and the medication guide
15 as well, an extensive review other than the
16 OSE review within our division evaluated the
17 populations at risk.

18 And so although we identified that
19 overall there is an increase relative risk of
20 tendinitis and tendon rupture in all ages, the
21 elderly population as well as concomitant
22 steroid users, as well as transplant patients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were identified specifically having higher
2 relative risk.

3 This was basically based on mostly
4 literature search, not based on the OSE review
5 that was provided to us, but maybe they can
6 provide more input if they have more
7 information relating to those patients
8 specifically.

9 DR. NOTTERMAN: My only concern
10 would be making sure that practitioners don't
11 take this age delimiter as indicating that
12 perhaps it's relatively safer to use it in
13 younger patients, particularly older
14 adolescents.

15 DR. BELEN: I would like to point
16 out specifically we added in all ages. That
17 concern was discussed within the division,
18 with other divisions, as well as the Pediatric
19 Division as well. So when you look at the
20 black box warning, it says this happens in all
21 ages, but the risk is further increased.

22 So I want to point out that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 risk is actually beyond whatever is there for
2 this age group. So that was important for us
3 to let the geriatric practitioners to know
4 that this risk is there for when they
5 prescribe it to elderly population because
6 this population is at greater risk when they
7 are debilitated.

8 CHAIRPERSON RAPPLEY: Dr.
9 Rosenthal.

10 DR. MURPHY: And in our
11 discussions, you know, there is that Section
12 5.6 which does talk about pediatrics
13 specifically because we were actually
14 concerned when we saw the black box. It did
15 sort of take away. I mean, if you weren't
16 familiar with the field, you could read it,
17 but I think by having that in there and
18 because of the fact that there was an actual
19 increased relative risk in the elderly that
20 the pediatrics is still, I hope, clear that
21 they do have this risk, too, in the labeling.

22 CHAIRPERSON RAPPLEY: Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Rosenthal.

2 DR. ROSENTHAL: My comment is
3 actually not necessarily specific to Levaquin,
4 but Levaquin provides a vehicle for making the
5 observation. In the warnings and cautions
6 section of the label under prolongation of the
7 QT interval, there is a sentence which I think
8 is a great sentence, boilerplate sentence. It
9 says Levaquin should be avoided in patients
10 with known prolongation of the QT interval,
11 patients with uncorrected hypokalemia and
12 patients receiving Class 1A and Class 3 anti-
13 arrhythmic agents.

14 I would just add to that that some
15 additional phrase or wording that would
16 include in that list other agents known to
17 prolong QT because, you know, as this
18 Committee has discovered and as the work of
19 many in the room have shown, there are agents
20 that aren't included in this list that are
21 important prolongers of the QT interval and
22 increased arrhythmic risk, particularly when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 taken with other drugs that also prolong QT.

2 CHAIRPERSON RAPPLEY: Can you bring
3 up the slide again that has the direct
4 question on it for the Committee?

5 DR. DURMOWICZ: Yes.

6 CHAIRPERSON RAPPLEY: So no new
7 safety signals, a boxed warning and medication
8 guide have been added as recently as October.

9 At this time the FDA does not recommend any
10 additional labeling changes. FDA recommends
11 to continue routine, ongoing post marketing
12 safety monitoring.

13 Does the Committee concur? Do you
14 wish -- go ahead.

15 DR. NOTTERMAN: Just to follow up
16 on that last point, there are drugs for which
17 FDA has placed a black box warning concerning
18 QT interval change, and those black box
19 warnings refer generally to the concomitant
20 use of other drugs such as Levaquin which
21 prolong or may prolong QT intervals.

22 So it would be good if there was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some harmonization between this Section 5.8
2 and the black box warning, for example, on
3 drugs such as ziprasidone, which is a very
4 broad warning about the use of any drug that
5 could produce QTc interval lengthening.

6 DR. BELEN: Simply when you're
7 making decisions regarding the black box
8 warning, we have to look at the benefit-risk
9 profile of the drug as well. So I have to
10 look into all of the drugs which contain
11 fluoroquinolones, for example, and look at
12 that ratio.

13 So, therefore, you're right. We
14 have to have harmonization, but we have to
15 also look at certain risk for the certain drug
16 as well.

17 DR. NOTTERMAN: I'm not suggesting
18 a black box warning for QT interval here. I'm
19 just suggesting that 5.8 mentioned the class
20 of drugs that has a black box warning already
21 for use with drugs like Levaquin. It's the
22 same point that we just heard from Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Rosenthal.

2 DR. BELEN: Yes. Thank you.

3 CHAIRPERSON RAPPLEY: So with that
4 recommendation then to the agency, how many
5 affirm that the FDA continue routine, ongoing
6 post marketing safety monitoring? Please
7 raise your hand.

8 Any opposed?

9 So we support that by consensus.

10 DR. MURPHY: Okay. So you're
11 supporting this statement with the addition to
12 the bullet that there is an additional
13 labeling change as stated concerning --

14 CHAIRPERSON RAPPLEY: That we seek
15 harmonization around the caution of
16 prolongation of QT to include other agents
17 that are known to cause QT prolongation.

18 DR. MURPHY: Right, in 5.8. So I
19 just want to make clear --

20 CHAIRPERSON RAPPLEY: Five, point,
21 eight.

22 DR. MURPHY: -- for Carlos and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 minutes that it's adoption of this concurrence
2 with the recommendation.

3 CHAIRPERSON RAPPLEY: With that
4 recommendation, yes. Thank you. Very good.

5 Dr. Collins.

6 DR. COLLINS: Okay. Good morning
7 again, everyone. I'm now pleased to be able
8 to present to you the one-year, post
9 exclusivity adverse event review for
10 lamotrigine.

11 Lamictal, or lamotrigine, is an
12 anti-epileptic drug, or AED, for which
13 GlaxoSmithKline is the drug sponsor.

14 Original market approval occurred
15 on December 27th, 1994, and pediatric
16 exclusivity was granted on February 14th,
17 2007.

18 Lamotrigine's current indications
19 include adjunctive therapy for partial
20 seizures, the generalized seizures of Lennox-
21 Gastuat Syndrome, and primary generalized
22 tonic-clonic seizures in adults and pediatric

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients two years and older, and conversion
2 to monotherapy in adults with partial seizures
3 who are receiving treatment with
4 carbamazepine, phenytoin, phenobarbital,
5 primidone or valproate as a single anti-
6 epileptic drug.

7 In addition, lamotrigine also is
8 indicated for bipolar disorder maintenance
9 treatment to delay the time to occurrence of
10 mood episodes in adults treated for acute mood
11 episodes with standard therapy.

12 The next two slides provide
13 information about the use of lamotrigine in
14 out-patient settings. Since lamotrigine is
15 not approved for pediatric patients younger
16 than two, I have highlighted the use data for
17 that age group in yellow.

18 7.2 million lamotrigine
19 prescriptions were dispensed for all age
20 groups during the 12-month pre and post
21 exclusivity period. Nine percent of these
22 prescriptions were for pediatric patients zero

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to 16 years old, and 0.02 percent of these
2 prescriptions were for pediatric patients less
3 than two years old.

4 There was a 22 percent increase in
5 the lamotrigine prescriptions for all age
6 groups between the 12-month pre and post
7 exclusivity periods and an 11 percent decrease
8 for pediatric patients younger than two years
9 old.

10 Psychiatry was the top prescribing
11 specialty during the post exclusivity period.

12 Psychiatrists prescribed 50.4 percent of all
13 lamotrigine prescriptions. Neurologists
14 prescribed 18.3 percent, and pediatricians
15 prescribed 1.1 percent.

16 The top diagnosis codes associated
17 with lamotrigine use in patients zero to 16
18 years old were diagnoses related to epilepsy
19 at 51 percent and diagnoses related to bipolar
20 disorder at 34 percent.

21 Of note, prior to the written
22 request for pediatric exclusivity studies,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lamotrigine already had a box warning for
2 serious, life threatening, and fatal rashes in
3 adult and pediatric patients.

4 In addition, lamotrigine already
5 had an approved pediatric indication for
6 adjunctive therapy for the generalized
7 seizures of Lennox-Gastuat Syndrome in
8 pediatric patients two years and older.

9 On December 17th, 1998, the FDA
10 issued a written request for studies of
11 lamotrigine as adjunctive therapy for partial
12 seizures in pediatric patients one month to 16
13 years old. The resulting pediatric
14 exclusivity studies were broken into two
15 groups. For pediatric patients two years and
16 older there was one efficacy, short-term
17 safety, and pharmacokinetic study.

18 For pediatric patients of one to 24
19 months, there was one efficacy, short-term
20 safety, and PK study, and one longer term
21 safety and PK study.

22 For pediatric patients two years

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and older, the pediatric exclusivity study
2 demonstrated efficacy for adjunctive treatment
3 of partial seizures. In the safety analysis
4 serious rashes, including one rash related
5 death, were seen in pediatric patients
6 receiving adjunctive therapy.

7 For pediatric patients one to 24
8 months old, the Division of Neurology Products
9 was unable to determine that lamotrigine is
10 safe and effective for adjunctive treatment of
11 partial seizures. Protocol specified analyses
12 fail to detect a statistically significant
13 treatment difference between adjunctive
14 lamotrigine versus adjunctive placebo therapy,
15 and adverse event data needed reanalysis using
16 coding scheme more appropriate for a pediatric
17 population unable to communicate symptoms.

18 Based on the findings of the
19 pediatric exclusivity studies for patients two
20 years and older, lamotrigine was approved for
21 the studied use, and safety data were
22 incorporated into the drug labeling.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 For pediatric patients one to 24
2 months old, lamotrigine was not approved for
3 the studied use. No labeling change was made
4 as labeling of negative pediatric studies was
5 not required when these studies were reviewed.

6 However, the Division of Neurology Products
7 acknowledges that labeling the study data for
8 one to 24 month olds would be consistent with
9 the 2007 reauthorization of the Best
10 Pharmaceuticals for Children Act.

11 This slide lists all of the
12 labeling sections that were changed based on
13 the results of the pediatric exclusivity
14 studies. Changes were made to the box
15 warning, clinical pharmacology, clinical
16 studies, indications and usage, warnings,
17 precautions, and adverse reactions sections of
18 the drug labeling.

19 The next several slides provide
20 details of the safety labeling changes. The
21 box warning section was changed to update the
22 pediatric serious rash data. After the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pediatric exclusivity studies, the incidence
2 of serious rash in pediatric patients
3 receiving adjunctive therapy was 0.8 percent,
4 and one rash related death had been reported
5 out of 1,983 pediatric patients on adjunctive
6 therapy.

7 The clinical pharmacology section,
8 age in pediatric patients subsection, was
9 changed to note that, one, lamotrigine
10 clearance was influenced predominantly by
11 total body weight and concurrent anti-
12 epileptic drug therapy;

13 Two, oral clearance was higher on a
14 body weight basis in pediatric patients
15 weighing less than 30 kilograms than in
16 adults;

17 And three, patients weighing less
18 than 30 kilograms may need an increase of as
19 much as 50 percent in maintenance doses based
20 on clinical response.

21 The warning section, serious rash
22 in pediatric population subsection, updated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the incidence of serious rash associated with
2 lamotrigine in the prospectively followed
3 pediatric cohort, including the occurrence of
4 the one rash related death.

5 In addition, the revised labeling
6 included data supporting the increased risk of
7 rash with concomitant use of valproate acid.

8 The acute multi-organ failure
9 subsection noted the updated number of
10 pediatric fatalities associated with multi-
11 organ failure and various degrees of hepatic
12 failure. This subsection also noted the fact
13 that the majority of these deaths occurred in
14 association with other serious medical events.

15 The adverse reaction section,
16 adjunctive therapy in pediatric patient
17 subsection, was updated to include the most
18 common adverse events seen in pediatric
19 adjunctive therapy trials.

20 In addition, the subsection was
21 changed to include information on the rate of
22 discontinuations due to adverse events, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the most commonly reported adverse events
2 leading to discontinuation in pediatric
3 placebo controlled trials, and in the larger
4 group of pediatric placebo controlled and open
5 label trials.

6 Lastly, the incidence and
7 controlled adjunctive trials in pediatric
8 patient subsection was changed to include
9 updated treatment emergent adverse event data.

10 Moving now from the exclusivity
11 studies to post marketing reporting, this
12 table describes the adverse event reports
13 since marketing approval. For pediatric
14 patients, there were 1,787 adverse event
15 reports, which comprised 12.5 percent of the
16 total reports. Of these reports, there were
17 106 death reports, with 30 being U.S. reports.

18 Out of the 106 crude count
19 pediatric death reports identified since
20 marketing approval, 23 reports were
21 duplicates, resulting in 83 unique pediatric
22 cases. Of these unique cases, there were 38

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cases of expected epilepsy complications, 16
2 cases of labeled warnings and precautions, 19
3 cases of adverse events with a high background
4 rate in the general population, but
5 lamotrigine cannot be excluded as a
6 contributing factor, and ten other cases.

7 After reviewing the 83 unique
8 pediatric death cases, the safety reviewer did
9 not identify any new safety concerns.

10 There are multiple sections of the
11 current labeling that are relevant to the
12 pediatric death cases. Serious rashes in
13 pediatric patients are discussed in the box
14 warning, and the warning section of the drug
15 labeling.

16 The precaution section includes
17 sudden unexplained death in epilepsy and
18 status epilepticus, and the adverse reaction
19 section of the drug labeling mentions
20 infection and pancreatitis.

21 The next several slides provide
22 more details for the 83 unique pediatric death

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cases identified since marketing approval, and
2 you will note that unlabeled events have been
3 underlined.

4 Of these cases, there were 19 cases
5 of seizure, prolonged seizure or status
6 epilepticus, 19 cases of patients found dead,
7 death, or sudden death, and 16 cases of rash,
8 Stevens Johnson Syndrome, or toxic epidermal
9 necrolysis. All of these events are
10 consistent with the current drug labeling.

11 Again, there were 19 adverse events
12 that have a high background rate in the
13 general population, but lamotrigine cannot be
14 excluded as a contributing factor. Of these
15 cases, nine involved in utero exposures, four
16 involved pulmonary events, such as pneumonia,
17 pulmonary infection, or aspiration
18 pneumonopathy, and there was one case of each
19 of the six events noted at the bottom of this
20 slide.

21 Of note, pulmonary infection,
22 sepsis and Varicella infection are not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specifically mentioned in the drug labeling,
2 but infection in broad terms is listed as an
3 adverse event.

4 The ten other death cases are
5 described in greater detail on the next five
6 slides. Overall, an association of these
7 deaths with lamotrigine is unclear, because
8 the cases include concomitant medications,
9 underlying medical conditions and/or
10 insufficient details.

11 There were four cardiac cases. The
12 first case involved a ten year old male on
13 lamotrigine monotherapy for four and a half
14 years who was found unconscious and could not
15 be revived. Autopsy showed signs of
16 myocarditis.

17 The second case involved a 13 year
18 old male who experienced increasing seizures
19 over three years of lamotrigine treatment.
20 Topiramate was added. Two months later, he
21 was admitted to the hospital for an
22 unspecified reason, and he died suddenly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Autopsy found acute myocarditis.

2 The third case involved a 16 year
3 old who experienced cardiac arrest one month
4 after initiating lamotrigine and oxcarbazepine
5 treatment for unknown indications. He was
6 hospitalized, and died one week later.

7 And the fourth case involved an
8 eight year old female who was found dead six
9 months after initiating lamotrigine therapy to
10 treat epilepsy. Autopsy found cardiac
11 insufficiency and generalized inflammation of
12 the respiratory tract.

13 The two pulmonary cases included a
14 three year old male with encephalopathy and on
15 oxygen treatment who developed respiratory and
16 cardiac failure after 18 months of lamotrigine
17 therapy, and a four year old male with global
18 developmental delay, and on lamotrigine for
19 one and a half months to treat seizures, who
20 experienced fever and vomiting, a 30 minute
21 seizure and respiratory arrest, and died.

22 The first hepatic case involved a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one year old male who developed an unspecified
2 cerebrovascular disorder, hepatic abnormality,
3 and purpura, after one year valproate sodium,
4 and two weeks lamotrigine treatment for
5 epilepsy.

6 The second hepatic case involved a
7 15 year old female who experienced rash and
8 discontinued lamotrigine after three weeks of
9 treatment for blackouts. The rash resolved,
10 blackouts continued, occasional vomiting
11 developed, and phenobarbital was started.

12 Two days later, which was two and a
13 half weeks after lamotrigine was stopped, she
14 was diagnosed with liver failure. A few days
15 later, she had brain edema and death occurred.

16 The occurrence of Reye's Syndrome also was
17 considered.

18 The last two other cases involved
19 an eight year old female on two years of
20 lamotrigine and two months of topiramate
21 therapy who developed hemorrhagic pancreatitis
22 and died within 20 hours, and a ten year old

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 male with multiple disabilities on lamotrigine
2 for ten months who developed renal failure and
3 died. Amphotericin and acyclovir, both of
4 which are associated with renal failure, were
5 started two days before the onset of the
6 adverse event.

7 Going back to the table describing
8 the adverse event reports since marketing
9 approval, for pediatric patients, there were
10 1,250 pediatric serious adverse event reports,
11 with 635 being U.S. reports. You will note
12 again that the definition of a serious adverse
13 event that was used to identify these reports
14 is provided in the footnote.

15 Looking at the post exclusivity
16 period for pediatric patients, there were 172
17 serious adverse event reports, with 105 of
18 these being U.S. reports.

19 Of the 172 crude count pediatric
20 reports from the post exclusivity period, 398
21 adverse events were identified in three or
22 more reports. Of these 398 events, 285 were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 labeled, 57 were unlabeled, and 56 were events
2 inappropriate for labeling because they can
3 occur with all drugs, for example, the adverse
4 event report of a drug being ineffective.

5 Once again, the safety reviewer did
6 not identify any new safety concerns during
7 her review of these serious adverse events.

8 There are multiple sections of the
9 drug labeling that are relevant to the 285
10 labeled serious adverse events. The box
11 warning section of the drug labeling discusses
12 serious rash, including toxic epidermal
13 necrolysis. The warning section discusses
14 serious rash, including Stevens Johnson
15 Syndrome, angioedema, fever, and
16 lymphadenopathy, hypersensitivity reactions,
17 including generalized hypersensitivity,
18 disseminated intravascular coagulation, and
19 lymphadenopathy, multi-organ failure,
20 including hepatic failure, disseminated
21 intravascular coagulation, and elevated
22 transaminases, and blood dyscrasias, including

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thrombocytopenia.

2 In addition, there are 33 different
3 serious adverse events included in the post
4 marketing reports which are noted in the
5 adverse reaction section of the drug labeling
6 as indicated on this slide.

7 The 57 unlabeled pediatric serious
8 adverse events identified during the post
9 exclusivity period are characterized on this
10 slide. They included eight abnormal behavior
11 events, six aggression events, four events
12 each for blister, candidiasis, coagulopathy,
13 and septic shock, and three events each for
14 abnormal feces, anuria, blood pressure
15 decrease, coordination abnormal, dysmorphism,
16 hypotension, jaundice, lactose intolerance,
17 and mucosal inflammation.

18 The safety reviewer did not
19 identify a safety signal in these unlabeled
20 serious adverse events.

21 Moving from the post marketing
22 adverse event reports to FDA's risk management

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 activities, on January 31st, 2008, the FDA
2 issued an alert that patients on anti-
3 epileptic drugs should be closely monitored
4 for behavior indicating suicidal thoughts or
5 behavior or depression. This alert was based
6 on FDA analyses of reports of suicidal
7 behavior or ideation from placebo controlled
8 studies of 11 anti-epileptic drugs in which
9 the rate of suicidality was 0.43 percent for
10 patients on anti-epileptic drugs, versus 0.22
11 percent for patients on placebo. Results were
12 generally consistent among the 11 drugs.

13 The Division of Neurology Products
14 has given presentations on this topic during
15 prior Pediatric Advisory Committee meetings.

16 The 11 anti-epileptic drugs
17 included in the analyses are listed on this
18 slide. FDA is working to include information
19 on the risk of suicidality in the labelings of
20 all anti-epileptic drugs used for maintenance
21 therapy.

22 The FDA's risk management

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 activities also have included a review of
2 Lamictal medication errors related to name
3 confusion. Lamictal tablets are primarily
4 confused with Lamisil tablets, and this name
5 confusion is well documented, and known to
6 impact both adult and pediatric populations.

7 However, reported medication errors
8 for Lamictal in pediatric patients have not
9 increased since pediatric exclusivity was
10 granted.

11 Interventions implemented to
12 minimize medication errors due to name
13 confusion include, one, listing the name pair,
14 Lamictal and Lamisil, on the Institute for
15 Safe Medication Practices Confused Drug Names
16 List;

17 Two, the current ongoing, extensive
18 educational campaign developed by the Lamictal
19 sponsor to alert patients and health care
20 professionals about the errors involving
21 Lamictal and Lamisil name confusion;

22 And three, RxSafety Advisor, which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is a software program that alerts pharmacists
2 to potential look alike and sound alike names
3 by displaying a warning message prior to a
4 claim being made, and after the claim is
5 accepted. And overwrite code must be entered
6 to bypass the message, and unlike many
7 pharmacy warning systems, this message cannot
8 be paged through.

9 The Lamictal sponsor has been
10 working to help pharmacies implement this
11 technology since 2007. In the future, the FDA
12 will continue to monitor medication errors by
13 assessing the communication programs developed
14 by the Lamictal sponsor monitoring the
15 effectiveness of the RxSafety Advisor, and
16 monitoring for name confusion.

17 This completes the one-year post
18 exclusivity adverse event reporting. At
19 present, lamotrigine is not approved for use
20 in patients under two years of age. Safety
21 data from the pediatric exclusivity trial for
22 two to 16 year olds have been incorporated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 into the drug labeling, and the Division of
2 Neurology Products is planning to include
3 inflammation on the one to 24 month old study
4 in labeling.

5 The safety review did not reveal
6 any new safety concerns for lamotrigine. FDA
7 is working to include suicidality data in the
8 labelings of 11 anti-epileptic drugs,
9 including lamotrigine. FDA also will continue
10 to monitor medication errors related to name
11 confusion, and FDA will continue its standard
12 ongoing safety monitoring for lamotrigine.

13 And the question to the Committee
14 is does the Committee concur with this
15 approach?

16 And in closing I just would like to
17 acknowledge the assistance I received from FDA
18 staff in the Office of Surveillance and
19 Epidemiology, the Office of Clinical
20 Pharmacology, the Division of Neurology
21 Products, the Office of Pediatric
22 Therapeutics, and the Pediatric and Maternal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Health staff.

2 Thank you.

3 CHAIRPERSON RAPPLEY: Thank you.

4 Dr. Murphy, would you like to
5 introduce the new people at the table?

6 DR. MURPHY: I'll ask each of the
7 individuals from the Division to please
8 introduce themselves, and a little bit about
9 your background.

10 DR. HERSHKOWITZ: Hi. I'm Dr.
11 Norman Hershkowitz. I'm a team leader in the
12 Division of Neurology Products. I have
13 trained as an adult neurologist. I'm also
14 trained as a pharmacologist. I have a Ph.D.
15 in pharmacology.

16 DR. SHERIDAN: I'm Dr. Phil
17 Sheridan. I'm a medical officer with the
18 Division of Neurology Products. I'm a
19 pediatrician and pediatric neurologist.

20 CHAIRPERSON RAPPLEY: Thank you.

21 So open for discussion. Dr. Cnaan.

22 DR. CNAAN: Since there don't seem

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to be questions in lamotrigine itself, I have
2 a generic question for the division. In
3 this --

4 DR. HERSHKOWITZ: Could I ask you
5 to speak up?

6 DR. CNAAN: In the suicidality
7 report, it included 11 drugs because they were
8 the only drugs that had good controlled
9 randomized clinical trials, et cetera. There
10 were several drugs that were not included,
11 because they're mostly too old, and didn't
12 have this quality of studies.

13 Are there any plans to do anything
14 about the labeling of those older drugs that
15 were not included in this suicidality analysis
16 just to inform that this is an issue in the
17 same vein?

18 DR. HERSHKOWITZ: I'll refer you to
19 the Advisory Committee, and the Advisory
20 Committee voted that the division should
21 include labeling for these other drugs, and I
22 think legally -- I don't think I can tell you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what we're doing now, but I'll refer you to
2 what the Advisory Committee recommended.

3 CHAIRPERSON RAPPLEY: Other
4 questions or comments?

5 I would like to make a comment that
6 it seems to me on hearing this presentation
7 that, in this particular medication, the
8 process worked really well, and what was
9 accomplished here was exactly what was set out
10 to be accomplished with the changes that have
11 brought pediatric issues to people's
12 attention.

13 One, you identified the very unique
14 communication issues of people who are zero to
15 two years of age, and I think that's important
16 to acknowledge, and to create new mechanisms
17 to determine signs and symptoms in that age
18 group.

19 Two, we got new clearance data, and
20 looked at new dosing requirements for this
21 medication in children, in particular.

22 And three, some alerts were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 generated in response to signals detected
2 during the post exclusivity analysis that led
3 to generalizations relevant to the entire
4 class.

5 So it seemed to me that the intent
6 of legislation and special act, and all of
7 your extra workload, and our extra workload,
8 resulted at least in this case in exactly the
9 things we wanted to accomplish. So I commend
10 the division for that.

11 DR. MURPHY: I think a
12 clarification from the division was that
13 you're basically agreeing or anticipating that
14 they are going to put some information in, but
15 you're reading this as saying that they will
16 get that additional information in the label.

17 So I can tell you that we had a
18 number of discussions about the wording of
19 this. So because the agency cannot talk
20 about, you know, any activities that are
21 ongoing, so I think basically if you have a
22 recommendation, because that's what you were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 saying, and if others on the Committee agree
2 with you, that you think that the division
3 should include the information on the one to
4 24 month old study in the labeling, which of
5 course, I can predict what your response is,
6 but I just think for the record that if that's
7 what you think should happen, then you need to
8 go on the record to say that.

9 CHAIRPERSON RAPPLEY: So the
10 Committee would need to concur that that
11 information should be included in the
12 labeling.

13 DR. HERSHKOWITZ: I didn't catch
14 what you said. If it was a question, I'm a
15 little --

16 DR. SHERIDAN: The answer is yes.

17 CHAIRPERSON RAPPLEY: So my own
18 personal comments --

19 MR. HERSHKOWITZ: I have a little
20 Meniere's disease, and my tinnitus is very
21 high today.

22 CHAIRPERSON RAPPLEY: I can relate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701