

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

**PERIPHERAL AND CENTRAL NERVOUS SYSTEM DRUGS  
ADVISORY COMMITTEE**

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

Friday, January 28, 2000

8:07 a.m.

Gaithersburg Hilton  
Gaithersburg, Maryland

ah

Committee Members Present:

Sid Gilman, M.D., Chairman  
Claudia H. Kawas, M.D.  
Richard D. Penn, M.D.  
Gerald Van Belle, Ph.D.  
James C. Grotta, M.D.  
Ella P. Lacey, Ph.D., Consumer Representative  
LaRoy P. Penix, M.D.

Sandra Titus, Ph.D., Executive Secretary

**Oncology Consultants:**

Sandra Swain, M.D.  
Bill Dahut, M.D.

**MS Consultants:**

Jerry Wolinsky, M.D.  
Howard Weiner, M.D.

**Neurology Consultants:**

Richard Lipton, M.D.  
Michael Grundman, M.D., M.P.H.

**FDA Staff:**

Russell Katz, M.D.  
Gerald Boehm, M.D., M.P.H.  
Robert Temple, M.D.

## C O N T E N T S

<u>AGENDA ITEM</u>	PAGE
<b>Call to Order, Introductions</b> Sid Gilman, M.D., Chair, PCNS	4
<b>Conflict of Interest Statement</b> Sandra Titus, Ph.D., Executive Secretary, PCNS	5
<b>FDA Overview of Issues</b> Russell Katz, M.D. Director, Neuropharmacological Drug Products	8
<b>Immunex Presentations:</b>	30
<b>Introduction</b> Ann Hayes, M.D. Senior Vice President, Medical Development	30
<b>Efficacy and Safety</b> Richard Ghalie, M.D. Senior Director, Clinical Development	40,167
<b>Conclusion</b> Fred Lublin, M.D. Professor of Neurology, MCP Hahnemann University	227
<b>Open Public Hearing</b>	157
Statement of Frank Vanik	157
Statement of Mary Elizabeth McNary	160
Statement of Patricia Redondo	163
<b>Committee Discussion and Deliberation</b>	253
<b>Adjournment</b>	323

P R O C E E D I N G S

1  
2 DR. GILMAN: Good morning. My name is Sid Gilman,  
3 and I am the chair of this committee.

4 I would like to go around the table and have  
5 people introduce themselves, and would the FDA also  
6 introduce any other members of the Department who are here  
7 that you would like to recognize.

8 Let's start with Dr. Katz.

9 DR. KATZ: Russ Katz, Division of  
10 Neuropharmacological Drug Products.

11 DR. BOEHM: Gerry Boehm, Medical Safety Reviewer,  
12 Division of Neuropharmacological Drug Products.

13 DR. GROTTA: Jim Grotta. I am a neurologist at  
14 the University of Texas in Houston.

15 DR. LIPTON: Richard Lipton. I am a  
16 neurologist/epidemiologist at Albert Einstein and Innovative  
17 Medical Research.

18 DR. PENIX: LaRoy Penix. I am a neurologist at  
19 Moorehouse School of Medicine.

20 DR. TITUS: Sandy Titus. I am the Executive  
21 Secretary for this committee.

22 DR. GILMAN: And I am a neurologist at the  
23 University of Michigan Medical Center.

24 DR. KAWAS: Claudia Kawas. I am a neurologist at  
25 Johns Hopkins School of Medicine.

1 DR. WOLINSKY: Jerry Wolinsky. I am a neurologist  
2 at University of Texas-Houston.

3 DR. VAN BELLE: Gerald Van Belle. I am  
4 biostatistician at the University of Washington in Seattle.

5 DR. PENN: Richard Penn. I am a neurosurgeon at  
6 Mount Sinai Hospital in New York.

7 DR. LACEY: I am Ella Lacey, Professor Emeritus,  
8 Souther Illinois University at Carbondale. I am a consumer  
9 representative.

10 DR. SWAIN: Sandra Swain, medical oncologist from  
11 the National Cancer Institute

12 DR. GRUNDMAN: Michael Grundman, neurologist,  
13 University of California, San Diego.

14 DR. DAHUT: Bill Dahut, a medical oncologist from  
15 the Medicine Branch of the National Cancer Institute.

16 DR. GILMAN: Would the sponsor like to introduce  
17 any of your team?

18 DR. HAYES: I am going to do that in my  
19 introductory talk.

20 DR. GILMAN: That's just fine. You are perfectly  
21 free to do that.

22 Let me just make a few opening remarks. It is a  
23 pleasure to see you all here and to welcome the new members  
24 of the panel and the consultants to the panel.

25 We will be discussing NDA 21-120, Novantrone,

1 Immunex proposal for the treatment of multiple sclerosis.

2 In the course of your presentations, I ask both  
3 the FDA and the sponsor to present your material in any way  
4 you would like, but please, let us ask you questions along  
5 the way. As you have seen in Dr. Katz' narrative, a number  
6 of issues have been raised, and we will want to have you  
7 address some of those issues, so please answer immediately  
8 if you possibly can--respond to the question' don't put it  
9 off. It is much more helpful if you will do that.

10 And for the panel, please signal to me that you  
11 wish to ask a question or make a comment so that we can have  
12 an orderly meeting.

13 With that, I will introduce Sandra Titus, who will  
14 read the Conflict of Interest Statement.

15 DR. TITUS: The following announcement address the  
16 issue of conflict of interest with regard to this meeting  
17 and is made a part of the record to preclude even the  
18 appearance of such at this meeting.

19 Based on the submitted agenda and information  
20 provided by the participants, the Agency has determined that  
21 all reported interests in firms regulated by the Center for  
22 Drug Evaluation and Research present no potential for a  
23 conflict of interest at this meeting.

24 We would, however, like to note that one of our  
25 consultants has had an interest related to Novantrone that

1 we believe should be disclosed. FDA believes that it is  
2 important to acknowledge the participant's involvement so  
3 that her participation can be objectively evaluated.

4 Dr. Sandra Swain previously participated as a  
5 principal investigator on a study of Novantrone for use in  
6 the treatment of breast cancer.

7 With respect to FDA's invited guests, Dr. Howard  
8 Weiner and Dr. Jerry Wolinsky have reported interests which  
9 we believe should be made public to allow the participants  
10 to objectively evaluate their comments.

11 Dr. Weiner would like to disclose that he is a  
12 consultant to Teva/Marion on Copaxone. Further, Dr.  
13 Weiner's employer, Brigham and Women's Hospital's Center for  
14 Neurological Diseases, will be participating in a trial of  
15 Copaxone.

16 Dr. Wolinsky would like to disclose that he served  
17 as a consultant to Immunex for two years. In addition, he  
18 is the principal investigator on a trial sponsored by  
19 Teva/Marion.

20 In the event the discussions involve any other  
21 products or firms not already on the agenda for which an FDA  
22 participant has a financial interest, the participants are  
23 aware of the need to exclude themselves from such  
24 involvement, and their exclusion will be noted for the  
25 record.

1 With respect to all other participants, we ask in  
2 the interest of fairness that they address any current or  
3 previous financial involvement with any firm whose products  
4 they may wish to comment upon.

5 That ends the formal announcement. Dr. Gilman is  
6 going to informally respond to a statement that has been  
7 made to him.

8 DR. GILMAN: I'd like to inform the sponsor that I  
9 consulted with Biogen Company when they were preparing their  
10 presentation for the Food and Drug Administration  
11 approximately 8 years ago. My consultation was after the  
12 trials had been completed, and they wanted some help in  
13 determining how they would present their material to the  
14 Food and Drug Administration. I have had no contact with  
15 them since that time.

16 All right. Are there any other comments about  
17 this?

18 [No response.]

19 DR. GILMAN: If not, we'll ask Dr. Katz to make  
20 his presentation.

21 DR. KATZ: Thank you, Dr. Gilman.

22 I'd like to welcome the committee back again.  
23 Thank you very much for braving the cold and coming here. I  
24 would particularly like to thank a few of our invited guests  
25 for coming--Dr. Lipton, Dr. Wolinsky and Dr. Grundman, and



1 particularly, Dr. Swain and Dr. Dahut, who are oncologists,  
2 as you have heard, and have experience with the product  
3 under discussion today.

4 [Slide.]

5 What I thought I would do in my presentation is  
6 really to just give you an overview of the issues that we  
7 would like you to think about before you vote on the formal  
8 questions that I'll pose at the end of my talk.

9 These are issues that have occurred to us in the  
10 course of our review of the NDA, and of course, if there are  
11 any other issues that you feel need discussion, obviously,  
12 we would love to hear those as well.

13 As you know, we are here to discuss NDA 21-120,  
14 which was submitted by Immunex Corporation last June for  
15 Mitoxantrone, known as Novantrone and anthracene dion [ph.].

16 The proposed indication from the sponsor is: "to  
17 slow progression of neurologic disability and reduce the  
18 relapse rate in patients with progressive multiple  
19 sclerosis." It is important to keep that in mind throughout  
20 your deliberations.

21 [Slide.]

22 The drug was approved in the United States in 1987  
23 first for the treatment of acute non-lymphocytic leukemia,  
24 and then again in 1996 had additional indication for  
25 treatment of pain related to hormone-refractory prostate

1 cancer. And it is approved, I believe, worldwide in over 50  
2 or so countries for various other types of cancers.

3 [Slide.]

4 The application contains the results of two  
5 randomized controlled trials which I will very briefly go  
6 over, and you will hear much more about it in detail from  
7 the sponsor, and safety data in about 600 patients. There  
8 were 145 patients in the two controlled trials, and there  
9 are about 450 patients in a retrospective German cohort.  
10 We'll talk a little bit about what methodological problems  
11 there were with that cohort, but nonetheless, that was the  
12 database submitted, as well as reference to the fact that  
13 the drug has been marketed for quite some time.

14 [Slide.]

15 Study 01 was a randomized controlled trial  
16 comparing 5 mg per meter squared, 12 mg per meter squared,  
17 and placebo, given every 3 months for 2 years.

18 The primary outcome was a complicated multivariate  
19 measure which combined results on several scales, including  
20 functional measures, the EDSS, a commonly used scale in drug  
21 trials of MS, the Ambulation Index, a scale called the SNS,  
22 which as far as I know was not used prior to this study, and  
23 two measures related to relapse--I think it was time to  
24 first relapse necessitating steroid treatment and the number  
25 of relapses necessitating steroid treatment.

1 [Slide.]

2 Study 02--this slide is just wrong in its  
3 description of the trial, so I will correct it--was a  
4 randomized controlled trial which compared 25 mg of drug  
5 plus methylprednisolone versus methylprednisolone alone, and  
6 these patients were treated monthly for 6 months, a very  
7 different dosing regimen and duration of trial than the  
8 first one.

9 Here, the primary measure was an MRI measure which  
10 is the proportion of patients with no new gadolinium-  
11 enhancing lesions. So the primary outcome here was an MRI  
12 measure, not a clinical measure.

13 [Slide.]

14 In Study 01, the patients enrolled had either  
15 secondary progressive MS or relapsing progressive MS, and  
16 they were about split evenly between those two diagnoses.  
17 There were about 190 patients or so in this trial.

18 The functional measures were assessed by a blinded  
19 neurologist, but the relapse diagnoses were not. Now, in my  
20 memo in the booklet that you have, I say in there that at  
21 the time I wrote that, I believed that the relapse-related  
22 diagnoses and treatments were made by a blinded assessor;  
23 that we have learned from the sponsor that that is not true,  
24 that the diagnosis of relapse and decision to treat the  
25 relapse with steroids were made by an unblinded treating

1 physician.

2           There were presumably criteria laid out  
3 prospectively against which the relapse diagnosis was to be  
4 made, but the diagnosis itself was made by an unblinded  
5 rater.

6           [Slide.]

7           Study 02, patients were enrolled who had what the  
8 protocol called "severe" MS, which was ill-defined, and  
9 "active" MS, which was defined as at least two relapses in  
10 the year prior to enrollment, or an increase in the Kurtzke  
11 score of two points after a relapse, I believe. This is  
12 also slightly incorrect.

13           Actually, though, this was the inclusion criteria.  
14 Actually, 75 percent of patients were diagnosed with  
15 relapsing remitting MS. And here, the MRI was reviewed  
16 blind.

17           I should also say that in Study 01, MRI was done  
18 in a subset of patients, and those MRIs were read by a  
19 blinded panel. Here, the MRI was reviewed by a blinded  
20 reviewer, but again, the clinical events which were  
21 measured, EDSS and relapses as well in this study, were made  
22 by an unblinded clinician who knew treatment assignment.

23           [Slide.]

24           Before I go into the issues that we would like you  
25 to discuss and that I have outlined in the briefing package,

1 I want to briefly go over the standard in law for a finding  
2 of effectiveness. It will hopefully be a review for some of  
3 you, but for some of you, it may be new, and I think it is  
4 important to understand what the rules are while you are  
5 deliberating and trying to address some of the issues I have  
6 raised.

7           The sine qua non for approval is a finding of  
8 substantial evidence of effectiveness, as described in the  
9 law. This ordinarily comes from at least two adequate and  
10 well-controlled clinical trials. The law says "adequate and  
11 well-controlled investigations, including clinical  
12 investigations, and that has routinely been interpreted as  
13 meaning more than one investigation. So this really just  
14 incorporates the bedrock scientific standard of independent  
15 replication of a finding or corroboration or what-have-you.  
16 So that's the traditional definition of substantial  
17 evidence.

18           [Slide.]

19           But in November 1997, the law was amended to  
20 permit the Agency to make a finding of substantial evidence  
21 on the basis of a single adequate and well-controlled trial  
22 with what was called "confirmatory evidence."

23           [Slide.]

24           Neither the law itself nor the legislative history  
25 gave any guidance as to when the agency should rely on a

1 single trial or what "confirmatory evidence" is.

2 [Slide.]

3 Nonetheless, the Agency has written a document  
4 which provides guidance to some extent on the matter of when  
5 would one study be adequate and what might "confirmatory  
6 evidence" be. And ordinarily, a single adequate and well-  
7 controlled trial with confirmatory evidence would be relied  
8 upon in a setting where there was an effect on mortality or  
9 irreversible morbidity or some serious outcome and in which  
10 the finding could not be replicated for ethical reasons more  
11 often than not--in other words, the trial really could not  
12 be repeated, and we would be left with just one trial.

13 And it talks a little bit about the sorts of  
14 things that might constitute what you would call  
15 confirmatory evidence. In a multi-center trial, for  
16 example, all the centers going in the same direction, with  
17 some of the centers yielding statistically significant  
18 results by themselves, very low p values, constant findings  
19 across various subgroups enrolled in the trial--in other  
20 words, people with advanced disease, people with mild  
21 disease, and the same sorts of findings--findings on  
22 disparate outcomes--a clinical outcome plus perhaps some  
23 radiographic outcome, which are somewhat independent, might  
24 be the sorts of things that could serve as confirmatory  
25 evidence in this setting of only one trial having been

1 performed.

2 I think it is important for you to know and  
3 remember these definitions of substantial evidence, because  
4 I think the issues I have raised relate to the nature of  
5 substantial evidence in this application.

6 [Slide.]

7 Let me outline briefly what the issues are that we  
8 would like you to think about. First, again, is the  
9 question of replication and has the sponsor provided the  
10 replication of the findings in the proposed population.  
11 Now, remember, the population for which the drug is being  
12 proposed is patients with progressive MS.

13 In Study 01, as we talked about, all the patients  
14 had progressive MS--half had secondary progressive, half had  
15 relapsing progress, but they all seemed to have progressive  
16 by diagnosis. But in Study 02, the vast majority of  
17 patients had relapsing remitting disease.

18 [Slide.]

19 So we have to ask whether or not there is  
20 sufficient information or substantial evidence of the  
21 finding in the population for which the drug is being  
22 proposed to be used. Now, there certainly are precedents  
23 for a lack of replication for a particular population which  
24 have been approved, and I will call these potentially  
25 relevant, because they are slightly different from the

1 situation that we have here, but they give an idea of the  
2 ways we have thought about similar problems in the past.

3 For example, most anti-convulsants are initially  
4 approved as a treatment for partial seizures, and they are  
5 usually approved on the basis of at least two adequate and  
6 well-controlled trials showing an effect on partial  
7 seizures. But then sponsors may want to get a claim for  
8 primary generalized seizures. In that case, they would  
9 ordinarily only need to do a single trial in that setting.

10 Similarly, some Parkinson's drugs have been  
11 approved on the basis of two trials, one done as adjunctive  
12 therapy in late Parkinson's disease and one done in early  
13 Parkinson's where the investigational drug is used as  
14 monotherapy. But if they do one trial in each setting, they  
15 can get a claim--a global claim--as an anti-Parkinson's  
16 treatment.

17 These approvals are based on our view that the  
18 diseases being treated are very similar to each other, and  
19 the conditions or symptoms being treated are similar enough  
20 to each other so we can gain strength from what we know  
21 about one indication and let it support the second  
22 indication, even though in the second indication, there may  
23 be only be one trial.

24 [Slide.]

25 So we have to ask the question here, are the two



1 types of MS that patients had in these individual trials  
2 sufficiently close to each other so that we can say that  
3 there is a replicated finding in patients with progressive  
4 MS. There are experts in MS who feel that these may just be  
5 on a continuum of disease, and there are other experts who  
6 feel that these may be disparate, pathologically different  
7 entities. So we would like very much to hear what the  
8 committee thinks about that.

9 [Slide.]

10 That's the population that the drug is being  
11 proposed for, but there is a specific claim being proposed  
12 as well, and that is to slow progression of disability and  
13 prevent relapses. So we have to see if there are replicated  
14 findings for each of these claims.

15 Ordinarily, the use of the word "progression" in a  
16 proposed indication implies to us an effect on the  
17 underlying pathophysiology or pathology of the disease as  
18 opposed to a symptomatic treatment. The studies that were  
19 done were not directly designed to examine the question of  
20 whether or not the drug has an effect on progression. Such  
21 a design would usually involve some variant of withdrawing  
22 the drug or a randomized withdrawal and seeing if the  
23 patients who had been on drug but now are on placebo in a  
24 withdrawal phase, whether or not their condition approaches  
25 that of patients who were on placebo from the beginning.

1 And if they do tend to approach each other, we would  
2 generally tend to think that the drug has not had an effect  
3 on the underlying structure of the disease, but just simply  
4 a symptomatic effect, and when the drug is removed, the  
5 patients get back to where they would have been had they  
6 never been on the drug in the first place.

7           These trials, of course, were not designed to look  
8 at things in that way. It should be noted that one of the  
9 approved treatments for MS, Avanex [ph.], I believe, has a  
10 claim for progression of disability--I believe that's the  
11 claim, or something very close to it--and I believe that was  
12 granted on the basis of a finding on the EDSS, I believe--  
13 which again, there is here in at least one of these studies.

14           So we have to think about whether or not it is  
15 appropriate nonetheless, even given that precedent, to use  
16 language like "progression" in the face of the particular  
17 trials that we have done here.

18           [Slide.]

19           Now we come to the question of relapse. As I have  
20 mentioned, in both trials, relapses were counted, and they  
21 were assessed, but in both trials, we now know that the  
22 diagnosis of relapse, the decision to treat with steroids  
23 and all relapse-related phenomena, were made by unblinded  
24 clinicians, the physician who knew the treatment assignment.  
25 So we have to ask the question whether or not we think there

1 is a bona fide finding on relapse in either of these studies  
2 and certainly whether or not there is a replicated finding  
3 on the relapse rate.

4 [Slide.]

5 Then we come to the issue of the reliance on MRI  
6 as a primary measure of effectiveness. It was the protocol  
7 stated primary outcome in the second study, as you know, and  
8 in its strictest reading, one can at least make the case  
9 that it is the only reliable evidence in that second study  
10 because the clinical was unblinded in that study; and one  
11 could make the case that all clinical data coming out of  
12 that second trial may be unreliable because of the  
13 unblinding. Again, as I say, there were criteria specified,  
14 presumably prospectively, about what would constitute a  
15 relapse, so the physician would theoretically make the  
16 diagnosis consistent with those criteria. But nonetheless  
17 they were made in an unblinded way. So in the second study,  
18 you could say that MRI is really all we have that is  
19 reliable.

20 The Division has never, as far as I know, relied  
21 on a nonclinical measure to approve a drug, but certainly  
22 the agency has in many cases--in a number of cases, anyway.  
23 Certainly cancer drugs are approved, as I understand it, on  
24 the basis of effects of tumor burden which are assessed by  
25 radiographic techniques and the like, and that is done

1 routinely.

2           And in betaseron, while the approval wasn't based  
3 on an effect primarily on MRI, I believe it is fair to say  
4 that the committee--this committee, actually--took the MRI  
5 findings very much into account when they were deciding  
6 about whether or not they would recommend the drug be  
7 approved. There were clinical findings as well in the one  
8 trial in which that approval was based, but nonetheless MRI  
9 was the basis. Technically, that approval was granted by  
10 the Center for Biologics--we are the Center for Drugs--and  
11 we in the Neuro Division in Drugs have never done so.

12           [Slide.]

13           Certainly the reliance on surrogate markers, which  
14 are nonclinical measures that are not directly measures of  
15 clinical effect--certainly, the Agency permits it, as I  
16 said. There is certainly precedent. It has been in the  
17 regulations under what is called the "accelerated approval"  
18 provisions or a number of years, and recently the statute  
19 was amended to include the provision that the Agency could  
20 determine that substantial evidence has been shown when  
21 there are effects shown on surrogate markers. A surrogate  
22 marker, though, in that case if it is not completely  
23 validated would have to be shown to be reasonably likely to  
24 predict a future clinical benefits. Of course, "reasonably  
25 likely" isn't well-defined, but it talks about reasonably

1 likely based on epidemiologic or pathophysiologic data or  
2 some sort of evidence that would to an expert suggest that  
3 it would be reasonably likely to predict a clinical outcome  
4 of interest.

5 [Slide.]

6 There are issues, though, that one has to think  
7 about when deciding whether or not it is reasonably likely  
8 that a finding on a surrogate marker like the MRI would  
9 predict clinical benefit. One would be, for example--this  
10 is not an exhaustive list--but one would be, for example,  
11 whether or not the treatment applied has any interaction  
12 with the measurement itself so that it is really not  
13 affecting anything that you would care about  
14 pathophysiologically, but it just interacts with the  
15 measurement system and shows an effect--which would, of  
16 course, not really be particularly useful.

17 Certainly there are many examples where effects on  
18 surrogates have been misleading. A treatment may have an  
19 effect on a surrogate but have no effect on the underlying  
20 condition of interest, or in fact could have a deleterious  
21 effect and still have an apparently beneficial effect on the  
22 surrogate itself. So there can be a disconnect there, and  
23 it is very difficult to know if that's going to be the case  
24 in any given case, and then you have to think about, for  
25 example, whether or not an effect that you see on a

1 surrogate in a relatively short study will actually persist  
2 in time and therefore predict the clinical benefit out in  
3 time.

4           So there are certainly problems and pitfalls in  
5 the use of surrogates in general.

6           [Slide.]

7           The use of the MRI in this case, however, can be  
8 seen as a different type of surrogate as well. As opposed  
9 to one that predicts clinical benefit in the future, it  
10 could be seen as one that reflects the underlying pathology  
11 at the time the scan is done. I would say that there is  
12 probably general agreement in the MS community as far as I  
13 know that MRI is probably an accurate reflection of the  
14 underlying pathology. I would be interested to hear today  
15 what those data are for the particular MRI measure that we  
16 are talking about here, which is for the most part  
17 gadolinium-enhanced lesions. But nonetheless I think there  
18 is a general view that it is a reflection of the underlying  
19 pathology and therefore that any effect on the MRI will  
20 accurately reflect on the underlying brain disease and  
21 therefore must be good, because less pathology is presumed  
22 to always be better than more pathology.

23           Of course, it would be interesting to know what  
24 the specific pathology of the particular MRI parameter that  
25 we're looking at reflects, if it does, and here, as I say,

1 we are talking mainly about gadolinium-enhanced lesions, and  
2 we would be interested to hear the discussion of what people  
3 think that that represents in terms of the underlying brain  
4 event.

5           And then, of course, we have to think about what  
6 the relationship of any effect on that surrogate, on  
7 gadolinium enhancement, means for clinical purposes. Again,  
8 any effect on a surrogate in order for it to be useful  
9 presupposes that it, either at the time contemporaneously or  
10 in the future, will have a clinical benefit. If a drug  
11 doesn't have any clinical benefit, there is really no point  
12 in approving it.

13           So when we talk about this sort of use of the MRI  
14 as a contemporaneous surrogate, we also have to think a  
15 little bit about the size of the treatment effect.  
16 Ordinarily, in a typical trial where we have a clinical  
17 outcome that we use, seizure counts or whatever it is, we  
18 take a face-valid clinical outcome, and any change from  
19 placebo, if it is statistically significant, we make the  
20 assumption that that is clinically meaningful, and that  
21 would be the basis for a typical approval.

22           But when we are talking about a sensitive  
23 radiographic technique, we have to ask whether any change we  
24 see on it in facta reflects something that could possibly be  
25 useful to the patient either now or later. For example--and

1 I think I mentioned in the briefing book--if the test were  
2 so sensitive that it picked up damage to 10 neurons, it is  
3 hard to believe that that could ever be clinically  
4 meaningful to a patient, so we have to ask questions about  
5 treat effects, size--the questions we ordinarily don't ask  
6 in a typical clinical setting.

7 [Slide.]

8 Another issue has to do with the appropriate  
9 dosing regimen. The sponsor is proposing a particular  
10 dosing regimen that comes from one of the trials, but the  
11 two trials use widely disparate dosing regimens, and we have  
12 to ask under the heading of replication or corroboration is  
13 there sufficient information, given the findings in the  
14 study with the dosing regimen that they are not proposing,  
15 whether there is substantial evidence that this particular  
16 dosing regimen will have the effect claimed by the sponsor.

17 There is one other issue which I don't have a  
18 slide for, before I get to safety, and that has to do with  
19 the fact that the two studies were entirely foreign. The  
20 first study was done in Germany, Belgium, Hungary and  
21 Poland, and the second study was done in five centers in  
22 France. There is no requirement that a sponsor submit  
23 studies performed in the United States in order to gain  
24 approval--certainly, approval could be granted on the basis  
25 of entirely foreign data.



1           We are entitled, though, to ask for a trial in the  
2 United States if we think it is important to do so, and the  
3 committee should think about this, I believe, in its  
4 deliberations. Were the patients diagnosed in these places  
5 the way patients are diagnosed in this country? Did those  
6 patients receive the sorts of typical care that patients  
7 would receive in this country? And are the results from  
8 those particular foreign sites relevant for the United  
9 States population?

10           [Slide.]

11           There are the safety considerations which you will  
12 need to think about, of course. As we mention in the  
13 documents, we didn't see anything in the population studied  
14 that would in our view preclude approval if you found that  
15 effectiveness had been established. But we know that there  
16 are risks associated with increasing cumulative dose of  
17 Novantrone. I believe that somewhere, the literature  
18 suggests that there is a risk of about 2 percent of hear  
19 failure up to a cumulative dose of about 120 mg per meter  
20 squared, and that risk rises when the dose goes to about 160  
21 mg per meter squared or thereabouts, although there aren't  
22 many patients described well in the literature at those  
23 higher doses.

24           This drug if approved would be to treat a chronic  
25 disease that goes on for years and years and years, and one

1 has to ask the question as to whether or not these sorts of  
2 cumulative risks for these sorts of events are acceptable  
3 for a disease to be treated chronically. We have few, if  
4 any, precedents for this sort of thing in the neurological  
5 world. So I'd like the committee to think about that in its  
6 deliberations as well.

7 [Slide.]

8 Those are the issues that occur to us. The Agency  
9 is not going to present the specific safety and  
10 effectiveness data--the company will do that--because there  
11 is largely agreement between the Division review team and  
12 the company about the results of the trial. We believe that  
13 they have met the particular specified primary endpoints,  
14 and again, you will hear the details. But it is the  
15 interpretation of those results that we bring to you today.

16 [Slide.]

17 So the first question we have is: Has the sponsor  
18 submitted substantial evidence of effectiveness to support  
19 their proposed indication? Here again, I would ask you to  
20 think about whether there is substantial evidence of  
21 effectiveness for the particular dosing regimen that is  
22 being proposed as well. That's the first question; it's the  
23 usual first question.

24 [Slide.]

25 But if you find that they haven't submitted

1 substantial evidence of effectiveness for the particular  
2 indication that they are proposing, we would ask you if you  
3 think there is any reasonable indication in any well-defined  
4 MS population that the data do support effectiveness for.  
5 And if the answer to this question is yes, we would very  
6 much appreciate your letting us know what specific  
7 indication you think that is.

8 [Slide.]

9 And the third question: Do you believe that the  
10 safety data presented and available from its previous  
11 approvals support the safety of the drug and appropriate  
12 labeling?

13 There is another safety-related question that I'd  
14 like to ask which is not up here, which you don't  
15 necessarily have to vote on, but we would like you to  
16 discuss, and that has to do with whether you think any  
17 restrictions need to be imposed on this use. It is a toxic  
18 drug; it is more toxic, I would say, than the drugs that we  
19 are used to dealing with in neurology. And right now, the  
20 drug does have a boxed warning which says that only  
21 physicians who are experienced in the use of these sorts of  
22 agents should use it. We would like to know whether you  
23 think the use should be restricted to oncologists or  
24 neurologists in conjunction with oncologists or particular  
25 centers, or those sorts of things, or what sorts of

1 monitoring you think might be appropriate either in the  
2 short-term or in the long-term if the drug were approved.

3 Those, as I say, are probably just a subset of the  
4 issues that will be discussed today, but those are the ones  
5 that occur to us, and I think I'll turn it over to the  
6 company, or back to Dr. Gilman, if there are no questions.

7 DR. GILMAN: Thank you very much.

8 That was a very nice overview of the material that  
9 I know the sponsor has had access to and the committee has  
10 had access to.

11 There are a couple of other issues, a couple of  
12 which Dr. Katz did have in his narrative, that you didn't  
13 mention here. One is that the software that was used for  
14 the analysis was not a software well-known to the FDA--in  
15 fact, it was unknown to the FDA; it was produced in Germany,  
16 and apparently, not a lot is known about that software. I  
17 gather that is not a particular issue for you, though, Dr.  
18 Katz. Is that right?

19 DR. KATZ: Yes. The company will talk a little  
20 more about the details of that, but yes, it was unknown to  
21 us, and we had no experience with it. But again, as I  
22 alluded to, the initial assessment was done in this  
23 complicated--I'll call it complicated; it was to me--  
24 multivariate sort of an outcome measure consisting of these  
25 five other individual measures. But when you look at the

1 five individual measures by themselves, they are quite  
2 statistically significant on their own, so that certainly  
3 provided us with a great deal of comfort about what that  
4 meant and about the findings.

5 DR. GILMAN: The other issue that you touched on  
6 here, but let me just make it explicit, is the question of  
7 what total dose in the life of a patient should be  
8 permitted. Should there be some sort of limit set on that  
9 total dose? And also, I am wondering what is the total  
10 duration of a single infusion of this agent. In other  
11 words, can the patient look forward to an effect that will  
12 last 3 months, 6 months, a year, a lifetime? Is there  
13 evidence bearing on that question? That has to do with the  
14 total dose that you might want to restrict a patient to over  
15 the patient's lifetime, incidentally.

16 The other question that occurred to me is has the  
17 SNS been validated in some time? That is not a scale that I  
18 had previously been familiar with, and I hope you can  
19 address that question along the way--reliability, validity  
20 between individual examiners.

21 I am curious to know also why you used five  
22 measures as your primary endpoint. That's rather an unusual  
23 approach, and it is interesting, but I am wondering how you  
24 arrived at that decision to do that.

25 After we had our introductions around the table,

1 Dr. Temple came in, so I'd ask you, Bob, to introduce  
2 yourself.

3 DR. TEMPLE: I am Bob Temple. I direct the Office  
4 of Drug Evaluation I.

5 DR. GILMAN: Thank you.

6 Are there any issues that committee members would  
7 like to let us know about before the sponsor begins?

8 [No response.]

9 DR. GILMAN: All right. The sponsor has read Dr.  
10 Katz' narrative and is familiar with the questions, and of  
11 course, we are all familiar with the questions, so I hope  
12 that in your presentations you will address those questions  
13 specifically.

14 Let me introduce Dr. Ann Hayes, Senior Vice  
15 President for Medical Development, who will introduce the  
16 sponsor's team.

17 DR. HAYES: Thank you, Dr. Gilman.

18 Good morning. This morning I will provide you  
19 with a brief overview of Mitoxantrone, and then we will  
20 introduce the principal investigators of the studies and the  
21 consultants we have with us today.

22 [Slide.]

23 As has been indicated, Mitoxantrone has been  
24 marketed in the United States and in Europe since 1987, and  
25 we are pleased to be here today to present data in support

1 of an additional indication which we believe demonstrates  
2 that Mitoxantrone can produce significant clinical benefit  
3 for a population of patients within the area of multiple  
4 sclerosis.

5 [Slide.]

6 Mitoxantrone is currently approved for two  
7 indications. It was approved in 1987 for the induction of  
8 remission for acute myelogenous leukemia and was approved  
9 for the treatment of hormone refractory prostate cancer in  
10 1996 based on its ability to provide palliation of pain with  
11 a manageable and acceptable side effect profile.

12 [Slide.]

13 Since its initial approval, well over 180,000  
14 patients in the United States have been treated with  
15 Mitoxantrone, with more than 400,000 patients treated  
16 worldwide.

17 Mitoxantrone is administered in different doses  
18 and schedules depending on what the clinical indication is.  
19 For acute myelogenous leukemia, the dose is 12 mg per meter  
20 squared, but it is delivered daily times 3 every 4 to 6  
21 weeks for the induction of remission. In solid tumors, the  
22 dose of 12 mg per meter squared, or between 8 and 14,  
23 depending on the studies, is delivered every 3 to 4 weeks  
24 either for induction of remission or actually for a fairly  
25 long period of time to maintain remission.

1 Mitoxantrone is also delivered in high-dose  
2 chemotherapy for both AML and in proliferative regimens for  
3 bone marrow transplant at doses up to 80 mg per meter  
4 squared as a single dose.

5 In oncology, obviously, the drug is most  
6 frequently delivered in combination, and in actual fact, its  
7 other approvals in AML are in combination with cytosine  
8 arabinoside and in prostate cancer in combination with  
9 steroids.

10 Twelve years of postmarketing experience with this  
11 product have shown that the acute side effects of the drug  
12 are quite manageable and of short duration. The long-term  
13 cumulative effects such as the potential for cardiotoxicity,  
14 although dose-limiting over time--and we will discuss this  
15 in fair detail today--can also be managed quite safely with  
16 proper precautions and monitoring.

17 [Slide.]

18 Mitoxantrone is a synthetic anthracene dion [ph.]  
19 for intravenous use only. It affects both dividing and  
20 nondividing cells by inhibiting DNA synthesis and the repair  
21 mechanisms for DNA. The two major mechanisms are  
22 intercalation within DNA and the inhibition of DNA  
23 topoisomerase II [ph.].

24 [Slide.]

25 The proposed mechanism of action for Mitoxantrone



1 in MS involves forth its antiproliferative effects and its  
2 immunomodulatory effects. This agent is antiproliferative,  
3 and it does lead to decreases in B-cells, to a less extent  
4 T-cells and also macrophages.

5 Its immunomodulatory acclivities, other than its  
6 effect on the cells involved, can also act indirectly by  
7 decreasing antigen presentation and by decreasing the  
8 production of the cytokines such as TNF-alpha, IL-2, and  
9 Interferon-Gamma.

10 Mitoxantrone has been shown to be active in the in  
11 vivo EAE model, a model, as you know, frequently used to  
12 screen drugs for multiple sclerosis. And conclusions from  
13 those studies and also the proposed mechanism of action in  
14 studies done both in vivo and in vitro led to the human  
15 clinical trials in multiple sclerosis that Dr. Ghalie will  
16 discuss with you today.

17 [Slide.]

18 As you are all aware, multiple sclerosis certainly  
19 can be a debilitating disease and afflicts about 350,000  
20 Americans, of which about 140,000 have a progressive form of  
21 the disease for which there is no currently approved  
22 treatment.

23 [Slide.]

24 Just a brief history of our interactions with the  
25 FDA in terms of this product for MS. The data from the

1 registration trials was first presented to the FDA at the  
2 end of a Phase III meeting in November. The application was  
3 further discussed with the Division in April of 1999, and  
4 based on these discussions, the NDA was filed in June of  
5 1999. The application was then reviewed and received  
6 priority review status due to the lack of approved other  
7 therapies in progressive forms of MS, and orphan drug  
8 designation was granted in August of 1999 for both secondary  
9 progressive and progressive relapsing forms of the disease.

10 [Slide.]

11 The data that Dr. Ghalie will present to you today  
12 and which you have in your briefing documents, we feel  
13 supports the expanded indication for Mitoxantrone. We are  
14 requesting approval for the use of Mitoxantrone to slow the  
15 progression of neurologic disability and to reduce the  
16 relapse rate for patients with progressive forms of MS  
17 excluding primary progressive disease.

18 [Slide.]

19 We will have two further presentations this  
20 morning. Dr. Richard Ghalie will present the efficacy and  
21 safety data supporting the indication and also will  
22 specifically address each of the concerns that Dr. Katz has  
23 brought up with data that we feel supports our filing.

24 Dr. Fred Lublin from Hahnemann University will  
25 then conclude with a summary of the patient populations

1 which he feels may benefit from Mitoxantrone and also a  
2 summary of current treatment opportunities for these  
3 patients.

4 [Slide.]

5 We also have with us today the principal  
6 investigators from Europe of these studies who are here to  
7 answer questions that you may have concerning the studies.

8 Professor Hartung was the principal investigator  
9 of the Phase III trial. Professor Edan was the principal  
10 investigator of the MRI supporting trial, and Professor  
11 Erich Mauch is the medical director of the clinic in Germany  
12 which has provided us with over 500 patients for safety from  
13 his clinic.

14 [Slide.]

15 Dr. Hill Panitch and Dr. Craig Smith are also with  
16 us as physicians here in the United States who have used  
17 this product in their patients, and also for their expertise  
18 in MS, and Dr. David Alberts from the University of Arizona  
19 Cancer Center, who is a recognized expert in the use of  
20 Mitoxantrone in oncology is also present with us today to  
21 help address your questions concerning this drug.

22 I would like now to introduce Dr. Richard Ghalie,  
23 who will do the presentation on efficacy and safety of  
24 Mitoxantrone.

25 DR. GILMAN: Dr. Hayes, before you leave, I wonder

1 if you could answer one question. In cancer patients, has  
2 there been any total dose limitation over time with this  
3 drug?

4 DR. HAYES: Yes. The recommendation for the  
5 delivery of Novantrone in cancer patients is that patients  
6 have a baseline cardiac evaluation, and then, either based  
7 on any clinical evidence there may be cardiac problems or  
8 when they get to a total dose of approximately 140 mg per  
9 meter squared, that the patients be evaluated prior to each  
10 course for left ventricular ejection fraction function.

11 It is really a physician decision of whether they  
12 go to a higher dose because as Dr. Katz indicated, once you  
13 get beyond about 140 or 160, you do get an increase in rate  
14 of cardiac involvement.

15 The thing is that in oncology, this drug is  
16 delivered on a much more frequent basis, and if we have  
17 questions later about this, Dr. Alberts can address it, but  
18 there certainly is a dose intensity both in dose and  
19 frequency of delivery that does bear upon the incidence of  
20 cardiac problems with this drug.

21 DR. GILMAN: I didn't see this in your proposal  
22 for the package insert, but would you have some sort of  
23 guideline for the physician about the total dose that you  
24 would recommend?

25 DR. HAYES: Yes. I can address it right now. Our

1 recommendations would be that the patient do have a baseline  
2 left ventricular evaluation before they start; that when  
3 they get to around 100 mg per meter squared that they then  
4 start having it prior to each course. And our  
5 recommendation will be in multiple sclerosis--because we  
6 don't know if you give it every 3 months what the long-term  
7 effects will be, and with time, we will be evaluating that--  
8 that MS patients not receive more than 140 mg per meter  
9 squared.

10 DR. GILMAN: Thank you.

11 Dr. Penix?

12 DR. PENIX: In regard to the oncologic indication,  
13 are there specific recommendations about the monitoring  
14 frequency, the frequency of monitoring the hematology  
15 parameters and also the echo?

16 DR. HAYES: Yes. For hematology, in oncology, the  
17 normal practice would be that CBCs with white blood count  
18 differentials are done prior to each course. Now, it is  
19 being given every 3 weeks, so they want to make sure the  
20 counts are high enough to give the next course.

21 Our recommendations for the delivery of this drug  
22 at any time would be that a blood count be done prior to the  
23 delivery of the course to make sure that their counts are  
24 within normal range, and between courses, the most likely  
25 time that you are going to have a hematologic dip in your

1 counts with Mitoxantrone is between 7 and 14 days, maybe up  
2 to 21. So if in that period of time a patient has fever or  
3 feels ill or develops an infection or a cold, they should  
4 have their blood counts checked to make sure they don't have  
5 a low count.

6 DR. PENIX: Are there guidelines in the package  
7 insert that indicate when--

8 DR. HAYES: We are proposing that there will be  
9 guidelines, yes. Right now, I believe the package insert  
10 just reads that frequent blood counts should be obtained  
11 prior to dosing. But we would propose definite guidelines  
12 before each course, and if a patient develops a fever  
13 between courses, especially in the window of time where one  
14 would suspect the blood counts--yes.

15 DR. GILMAN: Dr. Temple?

16 DR. TEMPLE: Is there any information about the  
17 possible impact of dexrazoxene [ph.] on cardiac toxicity  
18 with this drug?

19 DR. HAYES: I believe there is. Dr. Alberts--may  
20 I defer to Dr. Alberts to answer that question, please?

21 DR. GILMAN: Yes. Dr. Alberts?

22 DR. ALBERTS: Yes. There actually have been some  
23 in vivo and in vitro studies. Our group at Arizona showed  
24 actually showed that Xenocar [ph.] really did not affect  
25 Novantrone cardiotoxicity, but the same experiments

1 published in the European Journal of Cancer clearly showed  
2 that--of all things--a thiol [ph.] prevents cardiotoxicity  
3 from Novantrone. I think that what that points out is that  
4 the mechanism of cardiotoxicity is quite different between  
5 those two drugs. We all know that doxorubicin's toxicity is  
6 considerably greater and has a much more destructive  
7 cumulative long-term effect.

8 DR. HAYES: Does that answer your question?

9 DR. SWAIN: I have another question for Dr.  
10 Alberts.

11 What is the proposed mechanism of cardiotoxicity  
12 of Mitoxantrone? I know it looks like there is no free  
13 radical formation with the drug. Do you have any idea?

14 DR. ALBERTS: Well, it seems to affect the  
15 sarcoplasmic reticular endothelial [ph.] system and affects  
16 energy metabolism in the mitochondria. There is evidence  
17 that this drug is actually an antioxidant as opposed to  
18 doxorubicin, which is a known pro-oxidant. So it is very,  
19 very different from what has been shown. It has also been  
20 shown that it affects calcium transport.

21 So it still is in somewhat of a black box exactly  
22 how it does this.

23 DR. GILMAN: There was some mention of leukemia as  
24 a consequence in a small percentage of patients. Is that  
25 dose-related at all?

1 DR. HAYES: The probability of a second malignancy  
2 following treatment with Novantrone is clouded by the fact  
3 that it is rarely given as a single agent. It is usually  
4 always given in conjunction with other agents which are  
5 known to also have an incidence of second malignancy.

6 So that's a very hard question to answer. And as  
7 far as we know, this is not dose-related, but it is a very  
8 low incidence with Novantrone, and as I say, it is clouded  
9 by the fact that it is always given with cyclophosphamide  
10 [ph.] or cytosine erabincide [ph.] or VP-16, which is  
11 notorious for causing second malignancies.

12 DR. GILMAN: Are there any other questions?

13 [No response.]

14 DR. GILMAN: Thank you very much, Dr. Hayes.  
15 We'll move on, then.

16 DR. GHALIE: Good morning. My presentation today  
17 will be divided into two parts. First, I will present  
18 efficacy data from two randomized trials in multiple  
19 sclerosis and then safety data from these two trials and a  
20 single-center retrospective study. I will also provide  
21 information on Mitoxantrone safety from the 12 years' worth  
22 of experience with this agent in cancer patients.

23 The second part of my presentation will consist of  
24 a benefit-and-risk assessment of the use of Mitoxantrone in  
25 patients with multiple sclerosis. I will conclude by



1 providing answers, or at least Immunex' perspective, to the  
2 issue that Dr. Katz raised in his introduction.

3 I trust that this presentation will demonstrate  
4 that Mitoxantrone fulfills an unmet medical need in patients  
5 with progressive forms of multiple sclerosis. We exclude in  
6 our minds here primary progressive MS, for which we have no  
7 data in the clinical trials. These are disease forms that  
8 currently have limited therapeutic options.

9 [Slide.]

10 The efficacy of Mitoxantrone in animal EAE models  
11 which Dr. Ann Hayes alluded to earlier led to the conduct of  
12 a number of open-label studies and randomized studies that  
13 are shown on this slide.

14 [Slide.]

15 Data from five dose-finding studies and one  
16 randomized placebo-controlled trial have been published in  
17 English language journals. These studies involved a total  
18 of 100 patients who received Mitoxantrone at doses ranging  
19 from 8 to 14 mg per meter squared with intervals between  
20 courses that went from every 3 weeks to every 3 months.

21 The aggregate of these studies led to the  
22 conclusion that Mitoxantrone when used at the same dose that  
23 is used in cancer patients--that is, 12 mg per meter  
24 squared--was also well-tolerated by patients with multiple  
25 sclerosis and therefore that further controlled trials in

1 patients with multiple sclerosis were indicated.

2 [Slide.]

3 As a result, two randomized studies were  
4 conducted--study designated 901, which was a Phase III  
5 randomized placebo-controlled study, and Study 902, a Phase  
6 II randomized corticosteroid controlled trial. These two  
7 studies serve as the basis for the Immunex filing.

8 In addition, in our filing, we have added Study  
9 903, which is a single-center respective analysis of the  
10 experience of a single center experience with Mitoxantrone  
11 and multiple sclerosis.

12 Taken together, these represent data on 689  
13 patients, of whom 603 had received Mitoxantrone.  
14 Collectively, in our opinion, these data provide evidence of  
15 Mitoxantrone's effectiveness and safety in patients with  
16 multiple sclerosis.

17 So I will first present the design and efficacy  
18 results from the two randomized trials, and I will begin  
19 with Study 901.

20 [Slide.]

21 Study 901 was a Phase III randomized placebo-  
22 controlled trial. It was conducted in 17 centers in four  
23 European countries. It enrolled 194 patients. IT was co-  
24 chaired by Professor Hartung, who is here, and Professor  
25 Gonsette from Belgium. The German regulatory agency, BfArM,

1 approved the design and the conduct of that study. The  
2 first patients were enrolled in June 1993, and the study  
3 concluded in July 1997. These data were presented at some  
4 meetings with Dr. Hartung in the last 2 years.

5 [Slide.]

6 The main inclusion for that study are listed here,  
7 and they include patients age 18 to 55; diagnosis of  
8 multiple sclerosis according to Poser's criteria; and a  
9 diagnosis of secondary progressive or remitting progressive  
10 multiple sclerosis. Again, this study was designed before  
11 the 1996 classification, so I would like to explain what is  
12 meant by "remitting progressive" multiple sclerosis. Those  
13 are patients who have relapsing remitting disease with a  
14 residual deficit after an attack. When Dr. Lublin comes up  
15 at the end, he will also have some slides to describe how  
16 this fits in the continuum of patients with multiple  
17 sclerosis.

18 Additional inclusion criteria consisted of active  
19 disease as defined by an EDSS progression by at least one  
20 point in the 18 months that preceded enrollment in the  
21 study; and finally, EDSS ranging from 3 to 6.

22 [Slide.]

23 To illustrate to you what is an EDSS between 3 and  
24 6, this is a graphic description. The EDSS scale is a 10-  
25 point scale that describes disability in patients with

1 multiple sclerosis. An EDSS of 3 represents moderate  
2 disability in one functional system or a mild disability in  
3 three or four functional systems. An EDSS of 5 or greater  
4 indicates an ambulation of impairment. An EDSS of 6--the  
5 upper limit for enrollment in this study--indicates a  
6 patient who requires intermittent or constant unilateral  
7 assistance to walk--cane, crutch, and so on. An EDSS of 7  
8 represents a patient who requires a wheelchair.

9 [Slide.]

10 The main exclusion criteria are listed here, and I  
11 would like to point to the fact that patients with benign MS  
12 or primary progressive MS were excluded from this study.

13 [Slide.]

14 Patients were randomized in this trial to one of  
15 three groups--placebo group; Mitoxantrone 5 mg per meter  
16 squared; and Mitoxantrone 12 mg per meter squared.  
17 Treatments were given intravenously by 5-minute short  
18 infusions, and they were repeated every 3 months for a total  
19 of 8 courses or 24 months. In addition, patients were  
20 examined at month 36, which is one year after receiving the  
21 last dose of study drug, to evaluate disease activity and  
22 any delayed toxicity. I will present the data also on month  
23 36.

24 The interval of 3 months between courses was  
25 decided based on the promising data that were published in

1 pilot studies by Professor Mauch in the early nineties. The  
2 dose of 5 mg per meter squared that was tested in this trial  
3 was added as an exploratory dose to see whether a lower dose  
4 may also have an effect on patients with multiple sclerosis.

5 [Slide.]

6 A number of precautions were taken to reduce the  
7 risk of bias in the interpretation of the disability  
8 assessment in the study as well as the MRI results, and  
9 these are listed here.

10 Methylene blue was used to mimic the color of  
11 Mitoxantrone which, as you imagine, is blue, and it served  
12 as a placebo to mask patients. The evaluators of neurologic  
13 disability--and these are the scales that I will describe to  
14 you in a moment--were masked to study drug, and they were  
15 not involved in patient management.

16 The physicians who evaluated the MRI scans--and I  
17 will explain that a little bit later--were also masked to  
18 study drug and also to clinical outcomes. They did not know  
19 the patients' response to treatments.

20 The treating physicians who were responsible for  
21 study drug administration were not masked to study drug;  
22 they knew what they were giving their patients. They were  
23 also responsible for patient management, for assessment of  
24 adverse events and the safety profile and also for  
25 assessment of relapses and deciding when to treat relapses.

1 [Slide.]

2 The protocol defined one primary efficacy  
3 criterion and multiple secondary efficacy criteria. So I  
4 will guide you now through this primary efficacy criterion  
5 that Dr. Katz had difficulty understanding.

6 It was a single multivariate test in one  
7 hypothesis of five variables. Three variables assessed  
8 treatment effect on disability using three complementary  
9 scales--the EDSS scale, the Ambulation Index scale, and the  
10 standard neurologic disability status score. Two other  
11 variables assessed treatment effect on relapses, which is  
12 time to treated relapse and the number of treated relapses.

13 The primary analysis in this protocol was defined  
14 as a comparison between placebo and the test dose of 12 mg  
15 per meter squared with an alpha equal to 0.05.

16 Now, if this multivariate test was significant  
17 with  $p$  less than 0.05, then each of these variables were to  
18 be tested sequentially in a predetermined order that was  
19 defined in the protocol and that is listed here, that is,  
20 beginning with EDSS and going down to the SNS score.

21 There were very strict rules on when to stop doing  
22 these comparisons. For example, just to give you an  
23 example. If, for example, Variable 3 was not significant  
24 with  $p$  greater than 0.05, then Variable 4 and Variable 5  
25 were automatically declared not significant, and that is how

1 they were sequentially tested.

2 The number of patients needed for that study was  
3 estimated to be 60 patients per group. That provided a 90  
4 percent power with alpha equal to 0.05, as I mentioned.

5 So as you had requested before, I will review for  
6 you now the three disability scales that were used in this  
7 study--and copies of these scales were attached to the back  
8 of the briefing document that we submitted to you.

9 [Slide.]

10 This is the Kurtzke Expanded Disability Status  
11 Scale, the EDSS scale. It is a 10-point scale with 0.5  
12 point increments--so 0.5, 1.0, et cetera, until 10. It is  
13 based on the evaluation of seven functional systems listed  
14 here and something called "Other." EDSS scores of 4.5 and  
15 lower are based on these functional scores with specific  
16 criteria how to determine scoring. EDSS scores of 5.0 or  
17 higher are really based on ambulation impairment.

18 [Slide.]

19 The second disability scale used in this study was  
20 the Ambulation Index scale, which is again a commonly  
21 evaluated scale that is used in the U.S. and other  
22 countries, and it is a 10-point scale with one-point  
23 increments. It is really focused on ambulation impairment.  
24 An Ambulation Index greater than 3 indicates ambulation  
25 impairment.

1           The third and last scale used in this study is the  
2 Standardized Neurologic Status score scale, or SNS. To make  
3 it easier, I will say "SNS" from now on. It was developed  
4 in Germany by Professor Mauch, in fact, who is here, and it  
5 has been used there for over 10 years to assess neurologic  
6 disability. It is a 99-point scale with one-point  
7 increments, so it is more refined in its increment points  
8 than the other two scales.

9           It evaluates five functional systems which are  
10 listed here, but 50 of these 100 points are weighted by  
11 supraspinal evaluations. I must say that this scale has not  
12 been used in the U.S. but is commonly used in Germany, where  
13 it was defined, and it was included in the study design.

14           [Slide.]

15           I will now present to you patient disposition and  
16 demographics. One hundred ninety-four patients were  
17 enrolled in the three groups. Three patients were found to  
18 be ineligible after randomization and before they had  
19 received any study drug. These three patients were  
20 withdrawn from the study and never received study drug, and  
21 they are not included in any of the safety or efficacy  
22 analyses I will present today.

23           Three other patients received a single dose of  
24 study drug and then decided to leave the study before  
25 undergoing the Month 3 evaluation. Therefore, we have no



1 efficacy data on these patients, and we did not include  
2 these patients in the efficacy analysis; we did include them  
3 in the safety analysis.

4           As a result, there were 188 patients total who  
5 were evaluable for efficacy--64 in placebo, 64 in  
6 Mitoxantrone 5 mg per meter squared, and 60 in Mitoxantrone  
7 12 mg per meter squared. Thirty-nine patients shown here  
8 discontinued study drug before completing the 2 years of  
9 treatment and all associated procedures that were required  
10 for that study. Overall, if you follow the patient  
11 disposition, 73 percent of the patients randomized to  
12 placebo, 84 percent of patients randomized to Mitoxantrone 5  
13 mg per meter squared, and 80 percent of patients randomized  
14 to Mitoxantrone 12 mg per meter squared compared the 2 years  
15 of treatment and all the tests that were required for these  
16 2 years of treatment.

17           [Slide.]

18           This slide and the next one present patient  
19 demographics and show that really, there were no differences  
20 between the three groups in patient demographics--female,  
21 age, and type of MS.

22           A typical patient was a 40-year-old patient with a  
23 disease history of 10 years. This population had an average  
24 of 1.3 relapses in the year preceding enrollment, and EDSS  
25 increased by 1.6 points in the 18 months preceding

1 enrollment.

2           Mean baseline EDSS at enrollment was roughly 4.7  
3 in the three groups, and an EDSS of 4.7 represents a patient  
4 who had imposed full limitation to full activity or where  
5 minimal assistance to walk was required.

6           [Slide.]

7           I will now turn to the efficacy results of the  
8 study. Again, as the protocol defined, the primary  
9 comparison between placebo and Mitoxantrone 12 mg per meter  
10 squared, for all the efficacy results I will present now,  
11 the p values on these slides correspond to this comparison--  
12 12 mg per meter squared versus placebo. We did put on the  
13 slides the data from 5 mg per meter squared to really  
14 demonstrate a dose response effect in the study.

15           [Slide.]

16           Let me begin first with the primary efficacy  
17 criteria. And again, this was mentioned earlier in the  
18 study. As you see here, it was met with p less than 0.0001.  
19 As a result, each of the five primary efficacy variables was  
20 tested sequentially as described in the protocol, and as can  
21 be seen here, each of these variables was significant with p  
22 less than 0.05.

23           I will now show you each of these variables on a  
24 different slide so you can look at them individually.

25           [Slide.]

1           This slide shows the mean EDSS change between  
2 month 24, the end of study, and baseline in the three  
3 groups. To help you read the slides, since the EDSS scale  
4 as it increases means worsening in the EDSS scale, a  
5 positive number indicates worsening in the EDSS scale  
6 whereas a negative number indicates improvement.

7           There was a significant difference in favor of  
8 Mitoxantrone 12 mg per meter squared with p listed here at  
9 0.0194.

10           [Slide.]

11           Let's look now at the second disability scale, the  
12 Ambulation Index. It follows the same rule--a positive  
13 number means worsening. Again, we are comparing month 24 to  
14 baseline. Here again, there was a significant difference in  
15 favor of Mitoxantrone 12 mg per meter squared, with the p  
16 listed here of 0.0306.

17           [Slide.]

18           I will now turn to the third disability scale, the  
19 SNS score. Again, this follows the same rule--a positive  
20 number indicates worsening, a negative number indicates  
21 improvement, comparing month 24 to baseline.

22           Here again, there was a significant difference in  
23 favor of Mitoxantrone 12 mg per meter squared, p equals  
24 0.0269.

25           [Slide.]

1 I will now present the two primary efficacy  
2 variables that assessed treatment effect on corticosteroid-  
3 treated relapses.

4 There was a 69 percent reduction in the total  
5 number of treated relapses with Mitoxantrone 12 mg per meter  
6 squared compared to placebo; 24.1 total number of treated  
7 relapses versus 76.8, with p listed here at 0.0002.

8 [Slide.]

9 And if we look now to the time to first treated  
10 relapse on the study, here again, there was a significant  
11 difference in favor of Mitoxantrone 12 mg per meter squared,  
12 shown in yellow, compared to placebo in gray, with p shown  
13 here at 0.0004.

14 [Slide.]

15 This busy slide, which is also reproduced in the  
16 briefing document, presents the results of secondary  
17 efficacy variables defined in the protocol. As can be seen,  
18 Mitoxantrone 12 mg per meter squared was consistently better  
19 than placebo for all of these variables.

20 There are two places where you see "Not  
21 significant," but again, when you look at the results, they  
22 were better than placebo, albeit not significant.

23 Of all these results, I will present to you first  
24 EDSS data, and then I will present data on relapses.

25 [Slide.]

1           This graph shows the mean EDSS change from  
2 baseline at each evaluation from month 3 through month 24.  
3 Mean EDSS improved in the Mitoxantrone groups in yellow and  
4 blue; mean EDSS increased in the placebo group.

5           [Slide.]

6           Now I present to you another way to look at EDSS,  
7 and this is the number of patients who have a one-point EDSS  
8 increase that was sustained for 6 months. Neurologists  
9 consider a one-point EDSS that was confirmed 6 months later  
10 as a clear indication of progression of disability.

11           Here again, there was a 64 percent reduction with  
12 Mitoxantrone 12 mg per meter squared in the proportion of  
13 patients who had EDSS progression by one point confirmed at  
14 6 months compared to placebo, with  $p$  equal 0.045.

15           [Slide.]

16           Another measure of EDSS that is also commonly  
17 looked at by neurologists is categorized EDSS change by one  
18 point--that is, patients whose EDSS increased by one point  
19 or greater, who remained stable within the more or less one  
20 point, or improved by one point.

21           Here again, one can see the benefit achieved with  
22 Mitoxantrone 12 mg per meter squared. There was a  
23 significant difference in the proportion of patients who had  
24 deteriorated by one point--8 percent in Mitoxantrone versus  
25 25 percent with placebo,  $p$  equals 0.013.

1 [Slide.]

2 I will now move from disability scales to talk  
3 about treatment effect on relapses. Fifty-seven percent of  
4 the patients who are randomized to Mitoxantrone 12 mg per  
5 meter squared did not have any relapse--they were free of  
6 relapse during the 2 years of treatment--compared to 36  
7 percent of patients who were randomized to placebo, and the  
8 p value here is 0.021.

9 If one looks at the calculated annual relapse rate  
10 for Year 1, for Year 2, and for both years combined, and if  
11 we look at them compared to the baseline, again, there was a  
12 significant effect in favor of Mitoxantrone 12 mg per meter  
13 squared, and I will not go through the point values here  
14 because they are shown on the slide.

15 [Slide.]

16 As I mentioned earlier, patients were evaluated  
17 one year after completing study treatment, at Month 36. I  
18 will present to you now the data of the third-year  
19 evaluation. I must say right now that the protocol  
20 permitted unmasking patients on a per-site basis when they  
21 had completed the 24 months of treatment and all associated  
22 procedures.

23 [Slide.]

24 Let's look first at the disability scales, the  
25 EDSS, Ambulation Index, and SNS scores.

1 All three scales used to measure disability showed  
2 that patients who had been randomized to Mitoxantrone 12 mg  
3 per meter squared had less disability progression than  
4 patients who were randomized to placebo. I will take a  
5 moment with this slide because it is a little bit complex.

6 For EDSS--this is Mitoxantrone 12 versus placebo--  
7 that's a 36-month period of follow-up--this is the  
8 Ambulation Index, and this is the SNS score. Again, keep in  
9 mind the Ambulation Index and the EDSS are 10-point scales;  
10 the SNS a 100-point scale. That may also explain some of  
11 the differences in the bar graphs.

12 [Slide.]

13 Now let's look at relapse at month 36. The rate  
14 of relapse and the rate of the treated relapse during the  
15 third year of follow-up--so this is Month 24 to Month 36--  
16 was also lower in Mitoxantrone groups compared to placebo.

17 [Slide.]

18 Then, the last slide I will show about efficacy  
19 shows the time to first treated relapse, and looking at time  
20 to first relapse from baseline, because it is a Kaplan-Meyer  
21 [ph.] curve. Here again, it showed that patients randomized  
22 to Mitoxantrone had a longer time to first treated relapse  
23 than patients randomized to placebo.

24 The median time to first treated relapse was still  
25 not reached at Month 36 in the Mitoxantrone 12 mg per meter

1 squared, as you can see. This is 50 percent; it still  
2 wasn't reached there. So one can tell that there is at  
3 least 21 months longer time to first relapse in the  
4 Mitoxantrone 12 mg per meter squared group compared to  
5 placebo--that's a minimum of 21 months.

6 [Slide.]

7 So in conclusion, for Study 901, we showed that  
8 Mitoxantrone significantly slows the progression of  
9 neurologic disability; it decreases the relapse rate, and  
10 this is in patients with progressive forms of multiple  
11 sclerosis.

12 We can also tell from the data at Month 36 that  
13 there is no disease rebound one year after discontinuing  
14 treatment.

15 Mitoxantrone 12 mg per meter squared was  
16 significantly better than placebo for all five primary  
17 variables. Mitoxantrone 5 mg per meter squared--I do not  
18 show this data--was significant in placebo for two of these  
19 primary endpoints.

20 Therefore, in our opinion, this shows a dose  
21 response effect which supports the biologic activity of  
22 Mitoxantrone in this disease.

23 [Slide.]

24 The next series of slides I will present to you  
25 today are the MRI results of the study. Let me describe to



1 you the MRI protocol.

2 T1-weighted scan with gadolinium enhancement--or,  
3 for brevity, I will say "Gd enhancement," since most of you  
4 are familiar with the phrase "Gd enhancement"--and T2-  
5 weighted scans were performed following the guidelines  
6 published by Miller in the early nineties. Scans were  
7 obtained at baseline, the end of Year 1 and the end of Year  
8 2, and they were obtained in a predetermined subset of 110  
9 patients who were enrolled in the study. These patients  
10 were enrolled in sites that had expertise in doing MRI  
11 scans.

12 The review of the MRI scan was done at the end of  
13 the study concurrently for all the scans, by two  
14 investigators who were experienced in reviewing MRI scans  
15 and who were blinded, as I mentioned, to the treatment the  
16 patients were randomized to as well as the clinical  
17 outcomes. And to make it consistent with the clinical  
18 results, I will now present to you the results of  
19 Mitoxantrone 12 mg per meter squared and placebo.

20 [Slide.]

21 This is a slide that shows patients who have Gd-  
22 enhancing lesions on their scans, comparing baseline, end of  
23 Year 1 and end of Year 2. At the end of Year 2, there were  
24 fewer patients with Gd-enhancing lesions with Mitoxantrone  
25 12 mg per meter squared compared to placebo--3 percent

1 versus 16 percent.

2 Also at the end of Year 2, no patients had new Gd-  
3 enhancing lesions in the Mitoxantrone 12 mg per meter  
4 squared compared to 16 percent of patients who were  
5 randomized placebo.

6 [Slide.]

7 The trend observed in the two sets of data I  
8 presented to you today suggest that Mitoxantrone reduces  
9 inflammatory lesions of multiple sclerosis in the central  
10 nervous system.

11 This graph shows the mean change in total lesion  
12 load for T2-weighted scans, so now we are moving to T2-  
13 weighted scans. T2-weighted lesions were scored from 1 to 5  
14 using a scale that we had in the briefing document. As one  
15 can see on the slide, lesion load was relatively unchanged  
16 in Mitoxantrone 12 mg per meter squared for the 2-year  
17 period. T2-weighted lesion load increased in the placebo  
18 group.

19 [Slide.]

20 In conclusion, the MRI results in this study  
21 confirmed Mitoxantrone's ability to inhibit the inflammatory  
22 process associated with multiple sclerosis, and it can  
23 possibly be said that it inhibits the degenerative process  
24 associated with multiple sclerosis.

25 These MRI results in our opinion support the

1 clinical findings of the study.

2 [Slide.]

3 Let me turn now to the Phase II Study 902.

4 DR. GILMAN: Can I interrupt here for a question?

5 In the narrative that Dr. Katz provided concerning  
6 MR scanning, on page 4 of his narrative, he gave a base  
7 number of gadolinium-enhancing lesions of 0.44 in the  
8 placebo group, and in the 5 mg per meter squared group,  
9 3.23, and in the 12 mg per meter squared group, 1.88. So  
10 baseline mean number of gadolinium-enhancing lesions was  
11 very much smaller in the placebo group than in the others.

12 I just wondered about that finding. It struck me  
13 in looking at these data. I wonder if you could address  
14 that issue or one of the other members, if not now, maybe  
15 later--I know I'm asking a very detailed question here.

16 DR. GHALIE: I would like to have Slide B-89,  
17 which will allow everybody to see what Dr. Gilman is  
18 alluding to. It is the number of lesions at baseline. It  
19 is shown here for the placebo at 12 mg per meter squared,  
20 where it was somewhat consistent between the two groups for  
21 the number of lesions. It is true that the 5 mg per meter  
22 squared group, just by randomization, has had much more  
23 lesions at baseline. Therefore, we felt that they were  
24 different from the other two groups we put in the  
25 comparison, and that's why we did not include them in the

1 comparison.

2 DR. GILMAN: Well, it's that the placebo group had  
3 0.44. I don't know if that's significantly different from  
4 the 1.88 in the 12 mg per meter group, but you are quite  
5 right, the 5 mg per meter group did have a much higher  
6 number at base.

7 DR. GHALIE: Right. These patients, as you  
8 remember, were randomized not taking into account the MRI  
9 scans. There were no stratifications based on MRI. And as  
10 you may know, taking this into consideration, it is not  
11 surprising that there were some differences among the three  
12 groups with baseline value. This is why you may have  
13 noticed that we are talking about subset analysis, and we  
14 did not put p values on this slide, because we recognized  
15 this as being a subset analysis.

16 DR. GILMAN: Yes, Dr. Grundman?

17 DR. GRUNDMAN: Just a quick comment and then a  
18 quick question. As an oncologist, one thing that is  
19 interesting is that you could have patients on for 2 years,  
20 where 73 percent of the placebo group remains on study,  
21 while with an indication of prostate cancer, the median  
22 survival rate is only 10 months. So it shows you a dramatic  
23 difference between the two diseases.

24 Secondly, I was wondering what the criteria were  
25 for treating relapse. Was there a standardization or strict

1 criteria as to what happened in oncology based on  
2 progression?

3 DR. GHALIE: In this study--and the same will be  
4 true for Study 902, so I will address these two at the same  
5 time--the protocol specifically defines what is a severe  
6 relapse, what are the criteria for severe relapse, and also  
7 when a patient can be treated. This is when a patient has a  
8 severe MS relapse. Those are using standard definition of  
9 severe relapses. And the treatment for severe relapse is,  
10 again, a very standardized regimen which is high-dose  
11 methylprednisolone--corticosteroid--500 mg to 1 g daily for  
12 3 to 5 days. So the protocol had a very strict and specific  
13 definition of what is a severe relapse and when it can be  
14 treated, and that was true for both studies.

15 DR. GRUNDMAN: Just a clarification. Which of the  
16 outcome measures were performed by a blinded rater and which  
17 were performed by the clinician who know the treatment and  
18 was treating the patients?

19 DR. GHALIE: The three disability scales which  
20 assessed neurologic impairment--that is, the EDSS, the  
21 Ambulation Index and the SNS scores--were done by the masked  
22 neurologic evaluators. These physicians were trained at the  
23 onset of the study about how to do the EDSS assessment, the  
24 AI assessment and the SNS assessment, and those physicians  
25 had no contact with the patients as far as management; they

1 had no access to the patients' records, and they were not to  
2 talk to the treating physician about the patient's  
3 condition. They just came and did the visibility  
4 assessment, and that was it.

5 The physicians who were treating the patients,  
6 deciding when to treat relapse were unblinded to study drug.

7 DR. GILMAN: Dr. Kawas?

8 DR. KAWAS: In that regard, there is a lot of  
9 unblinding in this study as well as Study 902, and in many  
10 cases, some of the measures, including time to treating  
11 first relapse, obviously were being determined by people who  
12 knew whether or not the patients were on drug. The patients  
13 in some of these studies apparently knew. And all of your  
14 36-month measures were also unblinded for the most part with  
15 regard to patients as well as physicians.

16 Why was that decision made, and what were the  
17 advantages of having so much unblinding done in these  
18 studies?

19 DR. GHALIE: Let's summarize briefly what is blind  
20 and what is unblinded. What was masked for study drug was  
21 the neurologic disability for Months 0 to 24. What was  
22 unmasked or unblinded was assessment and treatment of  
23 relapses. Treatment of relapses and assessment, although  
24 unblinded, were very well-defined and gone through in the  
25 protocol. The primary measure was Month 24, so let's focus

1 now first on the first 24 months.

2           The decision to have the treating physician  
3 treating relapses was made from the outset for practical  
4 reasons. As you may know, relapse in multiple sclerosis can  
5 occur at any time; it doesn't come at specifically  
6 predetermined periods. So it was logical to have the  
7 treating physician who knew the patients, who had access to  
8 the patients, to be the one who made the decision about  
9 treating relapse. This is very commonly used in trials in  
10 multiple sclerosis.

11           The question now is why the treating physician was  
12 unblinded to begin with, and that again is a decision that  
13 was made early on based on the fact that this is a  
14 chemotherapy, and it was felt--and I would like to have Dr.  
15 Hartung address this issue in a moment when I am finished--  
16 that it was for the patients' safety to have the treating  
17 physician, who was delivering the new investigational  
18 chemotherapy, be aware of what treatment the patient was on.

19           The patients were masked--they were receiving a  
20 placebo that was blue--so technically, they didn't know  
21 whether they were receiving Mitoxantrone or placebo; it is a  
22 5-minute infusion, it is a blue infusion, the urine turns  
23 blue in both cases, so it was hard for them to tell what  
24 they were on. If they were to know what they were on--that  
25 was strictly said in the protocol--they were asked not to

1 tell the physician during the neurologic assessment what arm  
2 they thought they were on--they never knew what they were  
3 on, but if they were to know or thought they knew what they  
4 were on, they were to keep this information to themselves  
5 and not tell it to the blinded neurologic disability  
6 assessor.

7           For Month 36, it is slightly different, but before  
8 we get to that, I would like to have Professor Hartung  
9 comment on the fact of why they decided to unmask the  
10 treating physician in this study.

11           DR. HARTUNG: I can just reiterate that our  
12 foremost motivation to do so was to put the safety of the  
13 patient first, considering that we were dealing with a drug  
14 which had previously been used in a cancer population with  
15 potentially serious side effects and that the treating  
16 physician obviously had to have knowledge of lab count, et  
17 cetera.

18           Nevertheless, as mentioned, there were clearly  
19 laid down regulations, stipulations, as to what was  
20 considered a relapse, standard definition, a severe relapse,  
21 and a relapse necessitating steroid treatment. And  
22 adherence to these guidelines was verified by the audit  
23 procedure.

24           I think that looking at the magnitude of the  
25 effects on relapse, the consistent effects seen in looking



1 at all relapses, those that were considered to require  
2 treatment, make us confident that we were in actual fact  
3 looking at true effects of the drug.

4 DR. GHALIE: Thank you, Dr. Hartung.

5 DR. GILMAN: Dr. Penix?

6 DR. PENIX: I am still not exactly clear what the  
7 specific assessment criteria for the treating physicians  
8 were, but were you able to take that data and correlate it  
9 with the assessments of the blinded examiners?

10 DR. GHALIE: The blinded examiners looked only at  
11 disability; they did not look at relapse. So comparing  
12 disability and relapse are really two different outcomes,  
13 and therefore, trying to correlate the two was not  
14 necessarily something we could do.

15 What I would like to show you now is a slide that  
16 shows all the evaluations we did on relapse and how they are  
17 all consistent throughout the evaluations that were done.  
18 That will be Slide B-77, please.

19 [Slide.]

20 DR. GHALIE: If one looks at any type of relapses  
21 that were treated, relapses that were determined as being  
22 severe, relapses that were seen by the treating physician or  
23 relapses seen by physicians at home, and the treated relapse  
24 that was the primary endpoint, if one looks at all of them,  
25 there were really consistency of results with Mitoxantrone

1 that were highly significant compared to placebo.

2 So this magnitude of effect gives us some level of  
3 confidence of the robustness of the relapse assessment--  
4 again, comparing relapse to EDSS may become extremely  
5 difficult, because these are really two different endpoints  
6 in MS progression.

7 DR. PENIX: Since there were two different relapse  
8 assessments that were made by the blinded--the time to  
9 relapse and the number of relapses--and also the treating  
10 physicians determined whether there was relapse or not--was  
11 there any correlation between those two groups or those two  
12 situations?

13 Dr. GHALIE: It is the same individual who  
14 determined the type of relapse and therefore when the first  
15 relapse had occurred, which gave us the time to first  
16 relapse. That was the treating physician who was unmasked  
17 to study drug.

18 Again, the treating physician was the one who was  
19 in charge of patient management and who decided when relapse  
20 occurred. And when we talk about time to first relapse,  
21 that was when did this first occur in each patient.

22 DR. GILMAN: Dr. Lipton, then Dr. Temple, then Dr.  
23 Wolinsky.

24 Dr. Lipton?

25 DR. LIPTON: Given that you have several endpoints

1 that were assessed by a blinded rater than two endpoints  
2 that were assessed unblinded, why did you choose to combine  
3 ratings that would be relatively easy to have a lot of  
4 confidence in and ratings where knowledge and treatment  
5 effects might influence the assessment of the endpoint?

6 DR. GHALIE: This study, as you recall, was  
7 started in the early nineties, and at that time, it was  
8 unclear whether Mitoxantrone affected disability  
9 progression, relapse, or both. And as you know, when  
10 patients with multiple sclerosis have medical problems, they  
11 can have either disability progression or relapse. So it  
12 was unclear whether one needed to focus on one, the other,  
13 or both. Therefore, this is why it was decided to look at  
14 all these variables early on as the primary endpoint.

15 Now, it is true that there was a combination of  
16 endpoints that were masked and some that were unmasked, and  
17 this is where the primary multivariate test took into  
18 account the combination of the five variables. But if you  
19 look at them sequentially, the first two variables were the  
20 ones that were done by the masked neurologic disability  
21 assessor. So the two first ones are the primary ones. If  
22 those were not significant, the comparison could not have  
23 continued. The comparison would have stopped at one or two.

24 So the two primary variables of efficacy were the  
25 ones that were done by the masked evaluators, and they were

1 significant.

2 DR. GILMAN: Dr. Temple?

3 DR. TEMPLE: A comment and a question. You  
4 obviously could have protected the patients by having  
5 someone like the local oncologist or someone take care of  
6 the drug administration and the monitoring of white counts  
7 and things like that, and have the neurologist be actually  
8 blind. I am sure in retrospect you might wish to have done  
9 that.

10 I have another question about the relapses. Did  
11 this involve just taking the neurologist's word for it, or  
12 did you actually review the description and make your own  
13 judgment about whether it looked obvious, borderline, or  
14 whatever? Have you rated the relapses in any way other than  
15 just yes, there was one, and we treated it?

16 DR. GHALIE: It seems like this is a question that  
17 a number of panel members are interested in, so I would like  
18 to have the slide that shows the definition of relapse--I  
19 believe that is Slide A-12--in which it spells out in the  
20 protocol for that study what is a severe relapse and  
21 therefore, the one that needs to be treated.

22 So it spells out it is a patient who--I can read  
23 it, or you may want to read it--they have to have new  
24 symptoms lasting more than 48 hours, two or more functional  
25 scores, or--I am not going to read it. So these patients

1 really had to have something that was clearly obvious as  
2 being a relapse.

3           There have been audits of that study since it was  
4 conducted, first by the auditors in Germany who did the  
5 study, then by Immunex, who had sent auditors to review the  
6 study, and then, finally, by the Agency's auditors, who were  
7 sent there also, at least, to some sites to audit the data.  
8 And there was no evidence from this audit that the  
9 definition of relapse was not followed, and whether the  
10 patients were treated erratically or not.

11           So we are confident that the definition of relapse  
12 was followed and that treatment for this relapse was done  
13 accordingly based on these three series of audits that we  
14 have mentioned.

15           DR. GILMAN: Could we have the slide back again,  
16 please?

17           So there had been a deterioration of functional  
18 status score, I presume, from what you have said there.  
19 Does that indicate that the patient had a new neurological  
20 abnormality on examination or just a change in functional  
21 status?

22           DR. GHALIE: I would like to ask Dr. Hartung, who  
23 was one of the investigators on that study and who had to  
24 make this determination, to answer this question.

25           DR. HARTUNG: Could I just ask you to repeat the

1 question, please?

2 DR. GILMAN: Yes. The change in functional status  
3 score of two points, did that require that the patient have  
4 a new neurological abnormality--in other words, an up-  
5 growing toe where there previously was not one; a change in  
6 spasticity that was obvious--what did you need to see?

7 DR. HARTUNG: A new abnormality, with an increment  
8 by two.

9 DR. GILMAN: You used just the scale; you did not  
10 require a new neurological abnormality.

11 DR. HARTUNG: Yes.

12 DR. GILMAN: That was my question. And could you  
13 tell us, then, what you mean by "severe"? It's not clear  
14 from that slide what "severe" means.

15 DR. HARTUNG: "Severe" was defined as an increase  
16 in the score of at least or greater than two points, or a  
17 deterioration of previously existing symptoms of more than  
18 one point.

19 DR. GILMAN: Then, would you define "relapse"--not  
20 severe, just relapse--please.

21 DR. HARTUNG: Yes. The occurrence of a new  
22 episode of neurologic symptoms or deficits last at least 24  
23 hours in the absence of fever or other precipitants of a  
24 pseudo attack.

25 DR. GILMAN: Then, it was just the history that

1 gave the definition of a relapse?

2 DR. HARTUNG: History in the case when a patient  
3 who was not close to the MS clinic that participated in the  
4 trial was seen by a local neurologist, examination in these  
5 centers where we did see the patient.

6 DR. GILMAN: Dr. Wolinsky?

7 DR. WOLINSKY: The question I had intended before,  
8 I'll try to come back around to, but this turns out to be an  
9 interesting area to further explore.

10 So one of the first questions I have is that when  
11 we speak about EDSS, it turns out that there are various  
12 flavors. There is the one that Kurtzke described, where  
13 patients might or might not have been required to walk;  
14 there is the one that Ludwig Kapos [ph.] has a variation of;  
15 there are ones which were used in the Lindamide [ph.] trial,  
16 and they all have slightly different variations. One of the  
17 most important variations in terms of the total EDSS score  
18 is whether the patients are observed to walk a certain  
19 distance, and that becomes very critical as the patients get  
20 into this lower-mid portion of the EDSS score.

21 What EDSS definitions did you use?

22 DR. GHALIE: Dr. Hartung, please.

23 DR. HARTUNG: We did use Kurtzke's definitions as  
24 laid down in the neurology paper. And we did require the  
25 evaluating physicians to observe the patient walking and to

1 also time the walking.

2 DR. WOLINSKY: The next question--and this comes  
3 back to the issue of relapses--is was the evaluating  
4 neurologist required to examine the patient when a relapse  
5 was felt to possibly be occurring, and did the treating  
6 neurologist then look at the evaluating neurologist's scores  
7 to determine the relapse, or did the treating neurologist  
8 have his own scoring set and use those to determine whether  
9 the relapse was confirmed?

10 DR. HARTUNG: The latter is true.

11 DR. WOLINSKY: And if the relapse occurred distant  
12 from one of the sites and possibly was treated distant from  
13 one of the sites, how did you know whether or not the  
14 relapse was actually consistent with this definition using  
15 the EDSS and the functional scores to help you define it?

16 DR. HARTUNG: In those few instances where the  
17 patients were unable to visit the center, we did contact the  
18 local neurologist who thought that the relapse was severe  
19 [inaudible] and we checked whether these criteria were  
20 fulfilled.

21 DR. WOLINSKY: So did the neurologists distant  
22 from the sites undergo some kind of a training session to  
23 allow you to be sure that they were actually assessing  
24 patients in the same way that you would have at the study  
25 sites?



1 DR. HARTUNG: They had not undergone training  
2 sessions, but they were experienced in the treatment and  
3 assessment of multiple sclerosis patients.

4 DR. WOLINSKY: And when you say that this was a  
5 low number of attacks that were actually not confirmed at  
6 the study sites, could we actually see those numbers?

7 DR. GHALIE: Certainly. I would like to go back  
8 to the slide which shows all the relapses, B-77.

9 [Slide.]

10 DR. GHALIE: So these are the relapses that were  
11 treated by the treating physician.

12 DR. WOLINSKY: But these means that almost an  
13 equal number of relapses were not actually observed by the  
14 treating physician?

15 DR. GHALIE: No, not here. These are the total  
16 treated relapses, and these are the relapses seen by the  
17 treating physicians--treated relapses, in fact.

18 DR. WOLINSKY: But the number of relapses, which  
19 is the first level--

20 DR. GHALIE: Yes.

21 DR. WOLINSKY: --is higher than any of the other  
22 levels.

23 DR. GHALIE: Yes, for the total relapses. So  
24 there were some relapses that were seen by the physician  
25 near home, let's call it.

1 DR. WOLINSKY: So for example, for the placebo  
2 patients--that was almost 50 relapses--were not seen and  
3 confirmed in the centers?

4 DR. GHALIE: For general relapses. But for those  
5 who were treated--and unfortunately, I don't have it on that  
6 slide--most of the relapses that were treated were treated  
7 on the participating site. And I agree, it is not on that  
8 slide. This is all relapses.

9 DR. GILMAN: So we don't have the number of  
10 patients with relapses seen by their local physicians; you  
11 don't have that information for us, I gather. Is that the  
12 case?

13 DR. GHALIE: Well, actually, this slide shows the  
14 number of patients who had a relapse diagnosis at the  
15 instigation site, and total number of relapses. So the  
16 balance, indeed the difference, is what is seen by the local  
17 doctor. What I don't have here indeed is the number of  
18 treated relapses by the local doctor. I don't have it on  
19 this slide. But again, Dr. Hartung says--I don't have the  
20 data here, but our statistician may comment on that as well--  
21 -that these number was a minority of those treated relapses.

22 DR. GILMAN: Please keep the slide on.

23 So--Jerry, you can figure it out--it is, what, 50  
24 placebo cases, for example?

25 DR. WOLINSKY: It looks like--and my math was

1 never very good--

2 DR. GILMAN: It looks like about half.

3 DR. WOLINSKY: --about 50 of the placebo attacks  
4 and about 30 of the 5 mg per meter squared and roughly 18 of  
5 the Mitoxantrone attacks that contribute to the database  
6 were never confirmed at the site, as best I can deduce from  
7 this data.

8 DR. GHALIE: This is relapse in general. What we  
9 have looked at primarily was the treated relapses, and those  
10 are not seen here, that were seen by the doctor near home,  
11 and this is what Dr. Hartung is going to comment on right  
12 now.

13 DR. HARTUNG: May I just add that I think the  
14 crucial and critical point is those relapses considered to  
15 be severe and those that, according to the protocol,  
16 necessitated treatment, and you can see in Columns 2, 3, and  
17 4 that there were marginal differences between those that  
18 were treated and seen by the treating physician at the  
19 center and the total number--66 versus 63.

20 Relapses, as you know, in many protocols, or the  
21 occurrence of relapse, can be by historical information  
22 alone.

23 DR. WOLINSKY: I'm not going to disagree with that  
24 at all; I am just trying to understand the data. So that  
25 when we talk about the time to the first treated relapse, we

1 are talking about the time to all of those relapses which  
2 are below the first line; is that correct?

3 DR. GHALIE: Yes, correct.

4 DR. WOLINSKY: So the Kaplan-Meyer curve, for what  
5 it's worth, is not contaminated by these locally reported  
6 relapses--in general.

7 DR. GHALIE: Correct.

8 DR. GILMAN: Dr. Hartung?

9 DR. HARTUNG: Well, you know, the treating  
10 physician--and that may be the complication or  
11 misunderstanding generated by this slide--the treating  
12 physician saw most of the relapses but decided not to treat  
13 them because they were not considered severe. So that is  
14 the larger difference that you see here in the slide.

15 DR. WOLINSKY: If I could change the questions a  
16 little bit because I'd like to understand what kind of  
17 patients we are treating and what the treatment effect looks  
18 like for different kinds of patients. So do you have a  
19 subgroup analysis that would let me see what happened to the  
20 change in the disability scores, the EDSS, et cetera, in  
21 those patients who never had attacks in trial by treatment?

22 DR. GHALIE: Certainly. We have, as you would  
23 imagine, looked at all of these subset analyses, and they  
24 are subset analyses based on whether they were defined as  
25 secondary progressive or remitting progressive, whether they

1 were defined as having had or not relapsed prior to  
2 enrollment and other variables. We have looked at that for  
3 the five primary endpoints in the three groups as well as  
4 for the two primary groups, and I have a number of slides  
5 that can look at that.

6 I would like to have Slide B-28.

7 [Slide.]

8 DR. GHALIE: This slide shows the five primary  
9 endpoints taking into account patients who had a relapse in  
10 the year prior to enrollment and patients who did not have a  
11 relapse in the year prior to enrollment in the three groups.  
12 That is the primary variable that I show here. We didn't put  
13 p values here, obviously, since those are subset analyses,  
14 but one can see that for each of these primary variables, it  
15 was always in the right direction. Again, negative values  
16 mean it is getting better, positive values mean it is  
17 getting worse. Mitoxantrone 12 and Mitoxantrone 5--that is  
18 placebo--is for EDSS in patients who had prior relapse. And  
19 if we look at no prior relapse in the preceding year, 0.13  
20 as the mean change, 0.67 for EDSS. And if we go down the  
21 list for the other three disability scales, we have the same  
22 direction.

23 DR. TEMPLE: I don't think that's what the  
24 question was. The question was if you look at people who  
25 had no relapses during treatment, what was their disability

1 outcome. That is even a worse subset analysis. That is not  
2 even based on baseline characteristics. So--

3 DR. GHALIE: Therefore, we did not look at subset  
4 analysis.

5 DR. TEMPLE: Well, that's very prudent, but it  
6 still would be interesting.

7 DR. GHALIE: I am sure that we can do that, and  
8 Dr. Hartung would probably be interested in looking at that  
9 in his manuscript. We'll check it on our things to do.

10 DR. WOLINSKY: I think I can pass the microphone  
11 for a while.

12 DR. GILMAN: Dr. Swain?

13 DR. SWAIN: Well, Dr. Wolinsky asked a couple of  
14 my questions, but I had another question. In the FDA safety  
15 review, it was mentioned that I think 52 percent of the  
16 placebo patients were on other drugs for symptomatic relief,  
17 whereas only in the 30 percent range, the patients on  
18 Mitoxantrone were on other medications, suggesting to me  
19 that the placebo group was much more symptomatic at baseline  
20 and that it was very biased and that the randomization did  
21 not work.

22 Can you comment on that?

23 DR. GHALIE: Well, these patients were randomized,  
24 so if there was any different at baseline, again, these were  
25 not stratified based on symptomatic treatment.

1           What we have looked at in our analysis is what are  
2 the concomitant medications given while on treatment, and  
3 there was really no major difference among the three groups.  
4 Most of these symptomatic treatments that were used in  
5 patients with MS are antispasmodic or treatment for bladder  
6 or vitamins, minerals, herbs, things like that. So really,  
7 they did not think this would in any way affect the  
8 assessment of disability and relapse as we have seen in the  
9 study.

10           Maybe Dr. Hartung would also like to comment on  
11 what kinds of concomitant medication patients tended to use  
12 in this study and if he has an opinion as to whether this  
13 would in any affect assessment of treatment.

14           DR. HARTUNG: Well, again, I can only reiterate  
15 what you have already listed--the usual antispasmodics, the  
16 drugs to treat bladder problems, and alternative  
17 medicine/vitamins or whatever.

18           I am not aware that there was a significant  
19 difference in the usage of these symptomatic therapies in  
20 the various treatment arms.

21           DR. SWAIN: Well, that's not what the FDA review  
22 has. I think it has 51.6 percent placebo and 36.7 in the 12  
23 mg, so there was quite a bit of difference in that.

24           DR. GHALIE: Slide C-42 in fact gives data per  
25 patient, so we can make the percentage and give the same

1 information. It is already here. So really, the patient on  
2 placebo had more myorelaxant and vitamins and antispasmodic-  
3 -but again, you can see the difference is minor.

4 I would like to see if any of our consultants can  
5 make a comment that myorelaxants are likely to affect when  
6 we talk about treatment effect on EDSS and relapse.

7 Dr. Hartung, Dr. Panitch, would you like to  
8 comment based on your experience in patients with MS?

9 DR. PANITCH: Well, concurrent/concomitant  
10 medications to symptomatically treat MS are universally  
11 allowed in clinical trials, and I think that the number of  
12 patients that we have here is small enough that I don't know  
13 if these differences are significant, but I certainly would  
14 not expect the commonly used antispasmodic drugs--for  
15 example, xanoflex [ph.], to have an effect on the underlying  
16 progression of the disease. They might affect the EDSS, and  
17 one usually attempts to maintain a patient--if the patient  
18 is taking the drug at the beginning--to maintain the drug  
19 and the dose throughout the trial so that you don't change  
20 the results during the trial.

21 But these kinds of things are so generally  
22 accepted in treating MS that I certainly would not expect  
23 them to make a major difference.

24 DR. GILMAN: Dr. Swain, do you have anything  
25 further?



1 DR. SWAIN: No. I mean, I agree that they are  
2 used, and that's really not the point. The point is that  
3 there are more patients on the placebo receiving them,  
4 indicating that they have more severe symptoms, and also,  
5 since the time to relapse is not blinded, it is a very  
6 biased endpoint, and I have a real problem with using that  
7 endpoint and really trusting it.

8 DR. GILMAN: Dr. Katz first, then Dr. Temple, then  
9 Dr. Grotta.

10 DR. KATZ: A couple of things. One question. I  
11 note that the criteria for defining a severe relapse were  
12 either a new disability and a greater than 2-point increase  
13 in the Kurtzke, I gather, or a worsening of pre-existing  
14 symptom, and the worsening had to be of at least one point  
15 on one of four, or however many it was, functional scales.

16 I am wondering if we have a breakdown of how many  
17 of these severe relapses by drug group were defined by the  
18 first criterion versus by the second criterion?

19 DR. GHALIE: The data was not collected. The data  
20 was collected such that did the patient suffer from severe  
21 relapse or not, and whether treatment was given and what  
22 type of treatment. They did not collect data about what  
23 defined a relapse. It may be available in the patient's  
24 record, but it was not collected in the case record form,  
25 and it is not available to us.

1 DR. KATZ: So, then, we don't know the nature of  
2 the disability, or let's say you don't know the nature of  
3 the disability that someone called a severe relapse; you  
4 just have a box that was checked that said "severe relapse"?

5 DR. GHALIE: Right.

6 DR. KATZ: Okay, so we don't know what the  
7 patients looked like clinically.

8 I had one other sort of statistical question. The  
9 protocol, as you said, first required that the multivariate  
10 analysis be done, or multivariate measure be assessed, and  
11 if that was significant, then you went sequentially down,  
12 and you only went down as long as the one before was  
13 significant, nominally significant.

14 I am wondering if blind-breaking was at work here.  
15 Let's just for argument's sake say that there was a problem  
16 there. When you looked at the multivariate analysis that  
17 too would have incorporated that bias, because part of that  
18 was a result of blind-breaking. So I am wondering from a  
19 statistical point of view what is the appropriateness of  
20 relying on a measure to determine whether you can do  
21 subsequent tests of the individual measures when that  
22 initial measure might be subject to the bias introduced by  
23 potential blind-breaking.

24 DR. GHALIE: The data analysis was conducted by a  
25 CRO who was hired specifically for that task, and when they

1 did the analysis, they were still blinded to treatment arm.  
2 And it was only when all the analyses were completed that  
3 the unblinding was performed.

4 DR. KATZ: I don't follow. You said the analysis  
5 was done at the end of the study.

6 DR. GHALIE: Yes.

7 DR. KATZ: That doesn't get at the problem, I  
8 don't think, that I am raising, which is that the data are  
9 biased--allegedly, just for argument's sake, assume--so that  
10 when those data go into the multivariate analysis, the first  
11 screening analysis, if you will, those results might be  
12 unreliable. And it is only the basis of a particular result  
13 on that analysis that permits you to go down the list.

14 So I am just raising it as a possible concern. I  
15 don't know--Dr. Van Belle, does that strike you as being  
16 relevant?

17 DR. VAN BELLE: I think what you are saying is  
18 that the overall analysis, the global analysis, could have  
19 had a p value that was larger than you would expect because  
20 of the unblinding of the third, fourth and fifth outcomes  
21 where there was unblinding. Given that the first two blinded  
22 outcomes are also significant, I would not judge that to be  
23 a very important point.

24 DR. GILMAN: Dr. Temple?

25 DR. TEMPLE: Nothing further.

1 DR. GILMAN: Dr. Grotta?

2 DR. GROTTA: I am just a stroke neurologist, but  
3 to my knowledge there have only been a few drugs that have  
4 been shown by evidence to affect the number of relapses, so  
5 I just need to be sure that none of the patients in this  
6 trial received any drugs that were either experimentally  
7 evaluated at that time or that have subsequently been  
8 approved to affect the number of relapses.

9 DR. GHALIE: This study and Study 902, which I'll  
10 present to you later, were both conducted before the  
11 Interferon and Latrimeris [ph.] data were approved in  
12 Europe, and therefore, none of these patients had received  
13 prior and definitely not while on the study any of these  
14 agents. So they were Interferon and Latrimeris [ph.]--and  
15 remained throughout the study.

16 DR. GROTTA: And that was true of both studies?  
17 We might as well get that out of the way for the next one.

18 DR. GHALIE: Yes, correct; for both studies.

19 DR. GILMAN: Dr. Penix?

20 DR. PENIX: Going back to the issue of concomitant  
21 medications, my understanding is that the patients who were  
22 on study drug were treated with the anti-nausea drug  
23 ondansetron, whereas the placebo patients were not given  
24 this--they were given a placebo agent. So what was the  
25 decision not to treat the nausea for the placebo group with

1 the same drug, and particularly since 76 percent of the  
2 patients on the 12 mg dose of the study drug had nausea and  
3 only 20 percent--a significant number of the patients  
4 actually got the treatment for nausea whereas the placebo  
5 patients did not. What was the choice for that?

6 DR. GHALIE: As you know, at the time when the  
7 study was conducted, mitoxantrone had been used in oncology  
8 experience for many years; it is known that one of the  
9 effects of chemotherapy is to produce nausea that can last a  
10 day or two. So to protect those patients who were going to  
11 receive the chemotherapy, the decision was made to give them  
12 an anti-emetics. The decision was also made at this time  
13 that patients who were going to receive placebo did not need  
14 an additional drug that they were going to have side effects  
15 from, and they decided to go for the matched placebo.

16 DR. PENIX: Even if they did have nausea--I mean,  
17 you were treating the nausea for that, so 20 percent of  
18 those patients did have nausea--that was not treated?

19 DR. GHALIE: I would like to ask Dr. Hartung to  
20 comment on that, whether patients who were randomized on  
21 placebo and did have nausea were still treated with an anti-  
22 emetic beyond the first day. But the bottom line I would  
23 like to come back to before I pass it to Dr. Hartung is that  
24 these patients received the anti-emetic for the day of the  
25 treatment, and it is extremely unlikely that ondansitron

1 given on day one would have any effect on effect on days 7,  
2 10, 15, 21, et cetera.

3 But I'd like to have Dr. Hartung comment on  
4 whether patients who were on placebo and still had nausea  
5 eventually got some anti-emetic versus just the placebo.

6 DR. HARTUNG: They certainly did, but it was the  
7 idea, of course, that we didn't want to expose patients who  
8 were on placebo to a drug with possible side effects. That  
9 so many placebo patients in actual fact did have nausea was  
10 a little bit astonishing to us, but we know this from other  
11 placebo-controlled trials.

12 But yes, if they did become nauseated, they did  
13 receive symptomatic therapy.

14 DR. GILMAN: So this was not given prospectively,  
15 before the infusion.

16 DR. HARTUNG: No.

17 DR. GILMAN: It was given only with the symptom.

18 DR. HARTUNG: No. Initially, it was given  
19 prospectively for those who were randomized to receive  
20 mitoxantrone, and ondansitron placebo for the placebo  
21 patients. Only if those placebo patients did become  
22 nauseated did they receive symptomatic therapy.

23 DR. GILMAN: So that's a potential clue that  
24 patients that the patients being treated with real drug--I  
25 think that's your question as well--

1 DR. PENIX: It appears that the placebo patients  
2 were given a placebo drug for that. My concern is that I  
3 doubt if there was a treatment effect of taking this drug,  
4 but the 76 percent of your patients who were on the 12 mg  
5 dose did receive a drug that the placebo patients did not.  
6 Therefore, I just wonder if these was any concern about  
7 that--and is that typical for MS trials?

8 DR. GHALIE: I would like to clarify; I think I  
9 see where there is confusion here. All patients who were in  
10 this study got a pill before getting mitoxantrone or  
11 placebo. Before getting study drug, they all get something.  
12 The patients who were randomized to mitoxantrone 12 mg per  
13 meter squared or 5 mg per meter squared got ondansetron.  
14 Patients who were randomized on placebo got a pill that was  
15 a placebo, that looked identical to the Odansitron.

16 The protocol also allowed to repeat a dose of  
17 anti-emetic 8 hours after the dose of mitoxantrone  
18 administration or placebo administration, and that second  
19 dose was also either Odansitron for the mitoxantrone group  
20 or a matched placebo for the placebo group.

21 Beyond that, if a patient continued to have  
22 nausea, or particularly if the placebo patient had nausea,  
23 then they were to receive anti-emetics, no longer placebo.

24 So everyone got a pill before getting the study  
25 drug, and again, that's to continue the masking. Now,

1 again, as Dr. Hartung said, the rationale to give patients  
2 randomized to placebo a placebo pill as opposed to an active  
3 agent was based on why give active agents when we can give a  
4 placebo to the placebo patients. So it was a decision early  
5 on for the patients' safety not to give them Odansitron.

6 Now, we all know--and the oncologists around this  
7 table can mention it--that Odansitron is used extremely  
8 commonly in cancer patients. It is a very potent and active  
9 anti-emetic.

10 DR. PENIX: But in a cancer trial, would they also  
11 give the same drug to the placebo group?

12 DR. GHALIE: I am an oncologist by background, and  
13 I don't think I have ever seen a placebo-randomized trial in  
14 cancer patients.

15 DR. SWAIN: We have many placebo-randomized  
16 studies, but I think that normally, you would not give the  
17 active anti-emetic.

18 Do you agree, Bob? I have never really seen that  
19 done. I understand your point, though, because it could  
20 have some activity.

21 I have one quick question. How as the  
22 randomization done?

23 DR. GHALIE: The randomization lists were  
24 generated by computer program, and the randomization was  
25 done on a per-site basis to ensure balance within each site



1 and the number of patients assigned to these three groups.

2           The study was done in 17 centers in four  
3 countries, and for the mechanics of it, there were really  
4 two randomization centers--they all had the same lists--one  
5 in Germany and one in Belgium. For the Belgium centers, the  
6 randomization center was in Belgium; for the German,  
7 Hungarian and Polish centers, it was done in Germany. They  
8 would call there, they would get the patient's number  
9 assignment, and they would assign the patients.

10           DR. SWAIN: So the pharmacists were blinded at all  
11 the centers except the two randomization centers?

12           DR. GHALIE: I'll let Dr. Hartung speak to that.  
13 I presume the pharmacists knew what they were administering,  
14 because they knew it was placebo versus mitoxantrone.  
15 Again, the person who was blinded was the person who  
16 assessed disability--and the MRI, obviously.

17           DR. GILMAN: Dr. Grundman first, then Dr.  
18 Wolinsky, then Dr. Dahut.

19           DR. GRUNDMAN: With respect to the blinding of the  
20 person who assessed the disability, 60 percent of the  
21 patients were reported to have alopecia and 70 percent were  
22 reported to have nausea. Were there any measures taken to  
23 prevent the so-called blinded rater from noticing that the  
24 patient's hair was--

25           DR. GHALIE: There were no measures taken

1 especially to put a cap or a hat or something like that.  
2 However, I must say right now--and we'll get back to this  
3 when we talk about safety--alopecia in this trial was really  
4 not a complete hair loss as one would imagine with  
5 chemotherapy. It is really hair thinning. So that although  
6 one could tell maybe that a patient was on one arm or the  
7 other one, really, the alopecia may not have been by itself  
8 a clue.

9 As far as the nausea, as I mentioned earlier, if  
10 patients knew that they had a lot of nausea, they were asked  
11 not to tell the blinded neurologic disability assessor that  
12 they thought they were on chemotherapy.

13 And lastly, just to conclude my point,  
14 chemotherapy is given one day; neurologic disability  
15 assessment was done 3 months later for that cycle. So by  
16 that time, anything having to do with nausea, et cetera,  
17 would probably have completely resolved by that time.

18 DR. GRUNDMAN: Given that most of the patients who  
19 were receiving the active drug knew what they were on, or a  
20 good portion of them had that suspicion, is it possible that  
21 that might have tainted their effort in performing these  
22 ambulation studies?

23 DR. GHALIE: I don't know how we can tell that  
24 patients thought they were on chemotherapy or not. I'm not  
25 sure that this was always obvious to them. As you will see

1 in the safety profile, the drug was [inaudible] acutely, so  
2 it may have been that they were really masked to treatment.  
3 They were getting methylene blue, so they had the same kind  
4 of blue environment that I can describe about what happens  
5 when someone receives mitoxantrone.

6 Now, as far as can a patient have a different EDSS  
7 assessment based on the fact that they may or may not have  
8 inactive treatment, I would like to have our neurologic  
9 expert comment on that. Maybe Dr. Panitch would like to  
10 comment on that and then maybe Dr. Lublin or any of the  
11 study chairs also could comment on that.

12 DR. PANITCH: I think it is possible for patients  
13 to make very variable efforts in cooperating with  
14 neurological examination, but don't forget, these were all  
15 trained examiners who had been instructed in how to perform  
16 the EDSS, who were experienced in it, and should rely on the  
17 objective areas of the EDSS in scoring the patients.

18 A certain amount of variable effort is possible,  
19 but when this and the Ambulation Index are performed and  
20 performed correctly, I think that that is minimized.

21 DR. KATZ: Could I just ask a clarifying question?  
22 Which measures of the EDSS are objective in the sense that  
23 they don't require patient motivation or participation?

24 DR. PANITCH: Well, they almost require motivation  
25 and participation. Even performance of tendon reflexes can

1 vary depending on how a patient is feeling and how much  
2 coffee he has had that morning. But the ones that are  
3 generally considered the most objective are peramital,  
4 cerebellar, the brainstem, and one other--visual, I guess,  
5 although that requires a patient to read an eye chart--as  
6 opposed to sensory and bowel and bladder, which are more by  
7 patient report. So generally, more weight is placed on  
8 those.

9 DR. KATZ: Thank you.

10 DR. GILMAN: Dr. Wolinsky had some questions, then  
11 Dr. Dahut.

12 DR. WOLINSKY: A small point. Odansitron,  
13 apparently by some, is believed to be useful in symptomatic  
14 treatment for tremor. I assume all of the EDSS scores were  
15 done before the administration of the drug, so you will  
16 easily assure me of that?

17 DR. GHALIE: Yes. The answer is yes.

18 DR. WOLINSKY: The second is a little bit more  
19 bothersome, and I just think there is no way to get around  
20 this, and I'm not sure I'm very uncomfortable with it. But  
21 I assume that all the patients signed a consent form to  
22 participate in this study, and I'm sure that the consent  
23 form must have stated side effects which were well-known for  
24 mitoxantrone at the time. And I'm sure that many of the  
25 women in this study would have been able to figure out

1 whether they had thinning of their hair, even if the men  
2 couldn't, that they would have known if they were developing  
3 amenorrhea--although the men might have had a hard time--and  
4 that some of these side effects are undoubtedly unblinding  
5 of the patients. I think we just have to accept that.

6 DR. GHALIE: That's correct. This is why the  
7 protocol and the investigators agreed and explained to the  
8 patients that if they knew what arm they were on--and I  
9 agree with you they may have known--at least that was to be  
10 kept to themselves and not inform the investigators who  
11 evaluated neurologic disability.

12 DR. GILMAN: Dr. Dahut?

13 DR. DAHUT: I have a quick question about the  
14 EDSS. The main change in EDSS was a worsening in placebo  
15 group of 0.23 and an improvement in the mitoxantrone group  
16 by 0.13, so a total change of about 0.36, I guess. Is that  
17 a clinically important difference between neurologists, and  
18 would variability among the tests explain some of that?

19 DR. GHALIE: It is a difference and a significant  
20 difference--

21 DR. DAHUT: Statistically, but is it a clinical  
22 difference or just a statistical difference?

23 DR. GHALIE: It is a mean, so it includes patients  
24 who got better, who did not get better, who got worse. This  
25 is always why the mean gets to be so hard to separate. But

1 that was what was decided early on in the study design to do  
2 as primary endpoint. That was the early nineties study  
3 design.

4 But if you look at all the other EDSS measurements  
5 that I presented to you, the one-point change, the mean  
6 change over time every month, every 3 months until the end,  
7 they were also significant in favor of mitoxantrone and that  
8 gives us more confidence that really, there was an effect on  
9 disability which at least for some patients was real.  
10 Again, some people may like to talk about the EDSS one-point  
11 change that was confirmed at 3 months and 6 months. I  
12 presented at 6 months, but 3 months presented the same data.  
13 The proportion of patients who had a worsening of one point  
14 was significantly different in mitoxantrone, much lower than  
15 in placebo.

16 So although the mean change of 0.5 may not be  
17 clinically large, by all the other measures that looked at  
18 larger EDSS changes were also in the same direction,  
19 significantly in favor of mitoxantrone.

20 I don't know if other physicians would like to  
21 comment. Dr. Panitch would like to add to that.

22 DR. PANITCH: Your point is well-taken, and as a  
23 neurologist--I'm not going to speak for other neurologists  
24 here--a change of half a point on EDSS for an individual  
25 patient is not significant and is not replicable either by

1 the same examiner or by some other examiner. But if we're  
2 talking about group data and we're talking about statistics,  
3 then it becomes more relevant and, in my view, more  
4 compelling.

5           Nevertheless, even for group data like this, half  
6 a point is not a lot; it is when it is put in the context of  
7 all the other trends and significant outcomes that it  
8 becomes convincing.

9           DR. GILMAN: Dr. Ghalie, it is now 10:15. With  
10 your permission, can we take a 15-minute break and then come  
11 back to Study 02?

12           DR. GHALIE: Certainly grant this permission; I  
13 would love that.

14           DR. GILMAN: We'll reconvene in 15 minutes for the  
15 open public hearing.

16           [Short break.]

17           DR. GILMAN: Since the time of the break, we have  
18 been joined by Dr. Howard Weiner, who will introduce  
19 himself.

20           DR. WEINER: My name is Howard Weiner. I am at  
21 the Multiple Sclerosis Center at the Brigham and Women's  
22 Hospital, Massachusetts General Hospital in Boston, at the  
23 Harvard Medical School.

24           DR. GILMAN: Thank you, Dr. Weiner.

25           Let's resume, then. Dr. Ghalie, would you

1 continue on, please?

2 DR. GHALIE: I will continue my presentation where  
3 we left off by presenting now the data for Study 902,  
4 beginning first with the design and efficacy results.

5 [Slide.]

6 Study 902 is a prospective randomized  
7 corticosteroid-controlled trial. It was conducted in five  
8 centers in France. It enrolled 44 patients. Professor  
9 Edan, who is with us here today, designed and chaired the  
10 study.

11 The first patients were enrolled in April of 1992,  
12 and the study closed in March of 1995.

13 [Slide.]

14 The main inclusion criteria are listed on this  
15 slide and consist of patients age 18 to 45; a disease  
16 history of less than 10 years; and what is defined in the  
17 protocol as a "highly active disease" as defined by an EDSS  
18 progression of at least 2 points or at least two relapses in  
19 the 12 months preceding enrollment. So that's a highly  
20 active or rapidly deteriorating MS. Also, the baseline EDSS  
21 had to be 6 or less.

22 [Slide.]

23 The exclusion criteria as listed in the protocol  
24 are shown on this slide as well.

25 [Slide.]



1           Let me now describe the study design. Patients  
2 eligible for the study based on clinical criteria--meaning  
3 the 2 EDSS point progress or the two relapses or a  
4 combination thereof--underwent a 2-month triage lead-in  
5 period which is shown here. During this period, they  
6 received methylprednisolone one gram intravenously every  
7 month for two courses, and they underwent MRI evaluation  
8 before each of these two courses of methylprednisolone.  
9 Only patients who had active gadolinium-enhancing lesions  
10 detected on these two MRI scans were then allowed to be  
11 randomized into the trial. So to be enrolled in the  
12 randomized part of the study, patients had to have active  
13 disease based on both clinical criteria and active MRI  
14 gadolinium-enhancing lesions.

15           Patients were randomized either to continue on  
16 methylprednisolone alone, continuing on the same schedule,  
17 one gram intravenously every month, or to receive  
18 methylprednisolone at the same dose plus mitoxantrone.  
19 Mitoxantrone was given at a fixed dose of 20 mg per course.

20           For those of you who are not familiar with the  
21 shift from meter squared to fixed dose, a dose of 20 mg in  
22 an average adult is very similar to 12 mg per meter squared.

23           Randomized treatments were given monthly for a  
24 total of six courses.

25           [Slide.]

1 Patients underwent monthly evaluations, both  
2 clinical and MRI evaluations. Dr. Miller in London reviewed  
3 all the MRIs of this study, and as you know, his center is  
4 the reference center for European MRI evaluation, and in  
5 1991, he published "Guidelines for Conducting MRI Scans for  
6 Patients with Multiple Sclerosis."

7 During his review, Dr. Miller was masked both to  
8 study drug and to patients' clinical outcome. He just had a  
9 list of patient numbers and the MRIs that he reviewed.

10 In this study, the physicians were responsible for  
11 patient management, study drug administration, assessment of  
12 safety evaluations, as well as assessment of neurologic  
13 disability and relapse. These patients were unmasked to  
14 study drug.

15 [Slide.]

16 The goal of this study was to assess treatment  
17 effect on inflammatory lesions in the CNS using MRI scan as  
18 the marker. These scans were done monthly in this study, as  
19 I have mentioned.

20 The primary MRI efficacy endpoint as defined in  
21 the protocol was a comparison between the two groups and the  
22 number of patients who had new gadolinium-enhancing lesions  
23 on monthly MRI scans from Month 1 to Month 6. So that was  
24 the primary endpoint--patients with new Gd-enhancing  
25 lesions.

1 A secondary MRI endpoint was defined as a  
2 comparison between the two groups and the number of new Gd-  
3 enhancing lesions. So the first primary endpoint was  
4 patient, and we're now talking about new Gd-enhancing  
5 lesions, again on monthly MRI scans from Month 1 to Month 6.  
6 And there were also secondary clinical efficacy endpoints as  
7 measured by the EDSS scale that we described earlier and  
8 relapses.

9 The number of patients required for the study was  
10 21 per group, and that was again based on published data  
11 from Miller et al. for an MRI-based study design such as  
12 this one. With these 21 patients per group, it was  
13 estimated to be possible to see a 50 percent difference in  
14 the number of patients with new Gd-enhancing lesions during  
15 this treatment period.

16 DR. GILMAN: May I ask a question at this point?  
17 When you talk about the number of new Gd-enhancing lesions  
18 monthly, do you mean relative to the previous month or  
19 relative to any of the previous months? What do you mean by  
20 "new"?

21 DR. GHALIE: "New" in this protocol and in the  
22 analysis I will present to you today is relative to the  
23 preceding month. Clearly, we have done evaluation now for  
24 any Gd-enhancing lesions, so it really doesn't take account  
25 compared to the previous month or to baseline. And I have

1 all this information available.

2 DR. GILMAN: So it is relative to the previous  
3 month only?

4 DR. GHALIE: Right. It is new compared to the  
5 previous month because one can conceive of patients have MRI  
6 lesions at one month, disappearing and coming back. In this  
7 case, it is considered something not good, so it is a new  
8 Gd-enhancing lesion.

9 DR. GILMAN: Please, Dr. Grotta.

10 DR. GROTTA: Just one quick methodological  
11 question. So the patients would come in, they assumed they  
12 would get their MRI scan before they were dosed with the  
13 study drug and steroids?

14 DR. GHALIE: Correct. And it was specified in the  
15 protocol to be done before the corticosteroid to be sure  
16 that it does not affect the MRI scan that would be performed  
17 at that time.

18 DR. GILMAN: Dr. Grundman?

19 DR. GRUNDMAN: Again, just a methodological  
20 question. The person who was looking at the MRIs did not  
21 look at the MRIs independently; is that correct--he had  
22 access to the previous month, so a whole series of those  
23 MRIs were collected and given to the rater.

24 DR. GHALIE: Yes. These scans were all done in  
25 these five centers in France, and they were all sent to