

1 their opinion, there is no increased incidence of toxicity  
2 due to the fact that they had received Interferon  
3 previously.

4           Having said that, as a company, we have been  
5 approached already by investigators wanting to study  
6 Mitoxantrone in patients who had failed Interferon therapy.  
7 So there are two ongoing studies right now, pilot studies,  
8 safety studies, very carefully designed to look at safety.  
9 I do not have the data yet. These studies are currently  
10 ongoing.

11           DR. WEINER: The second part of my question is is  
12 there any theoretical or pharmacological reason to believe  
13 that patients on Interferon would have more likelihood of  
14 having toxicity from Mitoxantrone?

15           DR. GHALIE: I am not aware of any theoretical  
16 reason, but I would like to ask any of our consultant  
17 experts who have used Interferon.

18           Dr. Lublin, you have used Interferon--please.

19           DR. LUBLIN: No relationship to this.

20           DR. GILMAN: He has nothing to say.

21           DR. GHALIE: Dr. Alberts has something to say.

22           DR. ALBERTS: I can't comment on betaseron, but  
23 with alpha-Interferon, there are large databases in patients  
24 with multiple myeloma who have had high doses of  
25 doxorubisone followed by long periods of alpha-Interferon,

1 and that also applies to non-Hodgkins lymphoma, and there  
2 has not been any known evidence of an interaction between  
3 alpha-Interferon and the anthracyclines.

4 DR. GHALIE: Dr. Smith, who has some patients who  
5 have been treated, would like to comment as well.

6 DR. SMITH: We have had two patients from Alaska  
7 who were started on Mitoxantrone and told us after  
8 initiation therapy that their betaseron had been continued  
9 until we found that out and stopped it, and there was no  
10 difference in their hematologic profile. That was after 2  
11 months in one patient and 3 months in the other patient. So  
12 we do have some experience with combined therapy.

13 DR. GILMAN: Dr. Lacey?

14 DR. LACEY: You propose if this drug is approved  
15 to cap the cumulative dosage of Mitoxantrone. In the United  
16 States, considering how medicine is practiced, where both  
17 physician and patient are quite mobile, do you expect to do  
18 anything other than the patient package insert to help to  
19 facilitate this capping of the drug with patients?

20 DR. GHALIE: As you rightfully mentioned, in the  
21 U.S., the physician decides how and when they want to treat  
22 their patients. The best we can do as a company is to bring  
23 forth the information we have in the package insert and the  
24 educational material. In addition, there will be  
25 postmarketing databases or studies that will be performed by

1 Immunex to try to collect postmarket information on safety,  
2 and we will be able to tell, if there are some patients who  
3 go beyond that dose, what will occur to them if anything.  
4 So that will be something that we will be proactively  
5 looking at.

6 DR. LACEY: I guess I am more concerned with the  
7 preventive aspect of it going beyond the 140.

8 DR. GHALIE: The best we can do as a company is  
9 make it very clear in the package insert as well as in  
10 educational material and publications that will be  
11 published.

12 Dr. Mauch has a comment here.

13 DR. MAUCH: I would like to make a comment on this  
14 very important question you have asked. We manage our  
15 patients giving them a sort of "passport." At the start of  
16 Novantrone therapy, the patient is handed a passport, and  
17 every dosage is registered in this passport, and even if the  
18 patient changes to another doctor, he is quite informed  
19 about the dosage, about the last leukocyte count, about ECG  
20 information, or if echocardiography is executed. And in  
21 Germany, the patients are not so mobile as your patients  
22 are, but I think this would also be a good idea to manage  
23 your patients.

24 DR. LACEY: So this is something that could  
25 possibly be considered as a recommendation.

1 DR. GILMAN: Yes.

2 DR. GHALIE: Dr. Ann Hayes, the senior executive  
3 in the company, would also like to add her comment to that.

4 DR. HAYES: Yes, I think I would like to comment  
5 that we are trying to be proactive on putting a cap on this  
6 to try to indicate that you should not go above this in  
7 these patients in our opinion. We fully realize that  
8 physicians make a choice and patients make a choice, but I  
9 think that as a company, we will certainly try through the  
10 MS Society and the various branches to make sure patients  
11 are educated, too, on the potential dangers of  
12 cardiotoxicity if they go above these doses. So it is not  
13 just physician education, it is also going to be patient  
14 education.

15 DR. GHALIE: Dr. Alberts, please.

16 DR. ALBERTS: Just briefly, I think it is  
17 remarkable that etched in the minds of oncologists because  
18 of educational programs are the doses for doxorubicin and  
19 Mitoxantrone not to go beyond because of cardiotoxicity  
20 issues. So that educational program, at least in oncology,  
21 I think is very, very successful.

22 DR. GILMAN: A question from Dr. Lipton, then Dr.  
23 Katz, then Dr. Temple, and then Dr. Van Belle.

24 DR. LIPTON: Given the long survival of people  
25 with MS and given the toxicity that we have been talking

1 about and given the benefit of the 5 mg per meter squared  
2 dose in your first study where, if anything, the benefits  
3 were numerically superior on EDSS with the lower dose, why  
4 did you choose to recommend the higher rather than the lower  
5 dose?

6 DR. GHALIE: This is indeed one of the questions  
7 that Dr. Katz raised, and were obviously ready to answer  
8 that. You are right to mention that the EDSS evaluation for  
9 the 5 mg per meter squared for the results appears to be  
10 better than 12 mg per meter squared; although there was no  
11 significant differences between the two, they were both  
12 better than placebo. That was the only evaluation in fact  
13 EDSS where 5 looked better than 12.

14 If you look at the five primary efficacy  
15 endpoints, all five of them were significantly better with  
16 Mitoxantrone 12 compared to placebo. Number two, two of the  
17 five were significantly better with Mitoxantrone 5. So we  
18 recommend now going to 12 mg per meter squared for the  
19 following reasons.

20 One, that was a dose that was always significantly  
21 better than placebo. Two, it is the test dose in this  
22 pivotal trial. The 5 mg was an exploratory dose; it was not  
23 sized for that dose. Three, this is also the dose that was  
24 tested in Study 902. As I mentioned, 20 mg fixed dose or 12  
25 mg per meter squared are very similar, so we have

1 information from two independent studies that this dose  
2 worked. We don't have information from independent studies  
3 for the 5 mg. And finally, the majority of the experience  
4 in cancer patients is based on doses around 12 mg per meter  
5 squared. So that is why we recommend 12 as the dose for  
6 that indication at present.

7 DR. LIPTON: The thing that strikes me, though, is  
8 that the variables where the 12 mg per meter squared dose  
9 has the greatest difference relative to the five are the  
10 variables that were rated by an unblinded rater who could be  
11 influenced by the greater adverse event profile of the drug.  
12 So that is at least part of the context in which I look at  
13 these data.

14 DR. GILMAN: Dr. Katz?

15 DR. KATZ: Yes, one comment and one question. The  
16 sponsor was beginning to discuss some possible ways if the  
17 drug is approved to prevent its use beyond 140 mg per meter  
18 squared--labeling, education, that sort of thing. The  
19 Committee will just have to discuss, if you think it is  
20 approvable from the effectiveness point of view, whether or  
21 not those sorts of warnings and educational efforts will  
22 actually prevent its use above 140.

23 Obviously, we have a number of examples where  
24 labeling has been excruciatingly clear about how a  
25 particular drug shouldn't be used, and then, of course, it

1 is used in that way. So that is something that we'd like to  
2 hear what the Committee thinks about.

3 Then I had a question about this so-called  
4 passport which you use which accompanies the patient. I  
5 just wonder if you have any evidence about how successful it  
6 is in informing another physician when the patient does  
7 move? You said it works out well--the patient takes the  
8 passport, and the next physician is well-informed. Do we  
9 know if that's true?

10 Dr. MAUCH: We try to prevent the patient moving  
11 among several doctors. If a patient comes far from our  
12 clinic, we try to see that he has a certain doctor and only  
13 one doctor who continues therapy. This passport is mainly  
14 for information between our clinic and the outside  
15 physician, and it is not the intention to let the patient  
16 move among a lot of doctors.

17 DR. KATZ: Okay. So presumably, the success of  
18 this passport system is not so much dependent upon the  
19 existence of the passport but the fact that the patients are  
20 fairly restricted in whom they go to and in terms of the  
21 number of physicians they go to.

22 DR. MAUCH: It does not have the power to restrict  
23 a change in doctor; it is intended to have very valid and  
24 complete information about therapy, and it is intended that  
25 this information is only between treating doctor outside and

1 the clinic.

2 DR. GILMAN: Dr. Temple?

3 DR. TEMPLE: One can imagine a registry system,  
4 depending upon how serious one is going to be about this,  
5 that actually tried to accumulate dose and perhaps  
6 interacted with a patient passport record. Those are  
7 probably all things that one should talk about.

8 I just want to make one observation on the low  
9 dose effects. There aren't nominal p-values given for the  
10 low dose placebo comparison, but my look at it would say  
11 that only the EDSS would be statistically significant if you  
12 actually did look. The others are leaning in the right  
13 direction, and it is tempting to think that, at least for  
14 some people, the lower dose might work. But if one is  
15 looking for at least--do we have nominal value--sorry--so  
16 the actual values show what I guessed from looking at it--  
17 only the EDSS is nominally significant--AI is not so far.

18 DR. GILMAN: Dr. Van Belle?

19 DR. VAN BELLE: I was going to discuss the same  
20 point mentioned by Dr. Lipton.

21 Could you put up Slide M-40 for us, please?

22 DR. GHALIE: Yes. M-40, please.

23 [Slide.]

24 DR. VAN BELLE: Just one small point. The 22  
25 percent associated with improved should actually be 28



1 percent. There is a mistake in that table. So that  
2 certainly, the Mito-5 looks at least as good as the 12 dose.

3 The other thing is that, as was already mentioned  
4 before, this index plus the Ambulatory Index, which if you  
5 look at the data is virtually identical to the 12 dose, I  
6 don't see on the basis of these two blinded outcomes  
7 anything to choose between Mito-5 and Mito-12.

8 DR. GHALIE: We did an additional analysis to  
9 determine the dose-response effect, the John Curry [ph.]  
10 test, which I do not even want to try to explain, but our  
11 statistician will be happy to discuss with you. He  
12 explained to me that comparing placebo 5 and 12, there is a  
13 trend in the dose-response effect when looking at all  
14 patients. That is why we are feeling more confident in  
15 recommending a dose of 12 mg per meter squared.

16 DR. VAN BELLE: Then, I assume that that test was  
17 not significant for this particular outcome, for the EDSS?

18 DR. GHALIE: It was done for the EDSS valuable,  
19 and Mike Butan [ph.] may want to comment further on that.

20 MR. BUTAN: Actually, it was significant for this  
21 variable also. I think that that is driven, though, by the  
22 low placebo rate. So you are smoothing out that response due  
23 to a placebo group. So you have a significant test even  
24 though the 5 was numerically higher than the 12.

25 DR. LIPTON: But I assume you didn't test for

1 differences between the lower active and higher active dose.

2 MR. BUTAN: Oh, yes, we did. That was non-  
3 significant.

4 DR. LIPTON: All of them were non-significant?

5 MR. BUTAN: A few of them were significant,  
6 occasionally--I don't recall specifically; we have done so  
7 many analyses. I think perhaps one of the time to relapse  
8 was significant, but by and large, they were non-  
9 significant. We are seeing consistently strong results for  
10 12 versus placebo, and we are seeing very good results for 5  
11 versus placebo, but not nearly the magnitude. So we do see  
12 consistent dose response throughout all endpoints.

13 DR. GILMAN: Dr. Wolinsky?

14 DR. WOLINSKY: Isn't the time to attack in terms  
15 of the dose response very heavily driven by the few attacks  
16 which occurred very early in that group, and then the curves  
17 are very parallel?

18 MR. BUTAN: I believe a log ranked [ph.] test is  
19 actually going to be more sensitive to sensoring later on in  
20 the curve, whereas the Wilcoxin [ph.] would be more  
21 sensitive to early ones.

22 DR. GILMAN: All right. Let's move on to risk-  
23 benefit, then, please, Dr. Ghalie.

24 DR. GHALIE: Yes. I am done with the data, so now  
25 it is going to be more a benefit and risk assessment of the

1 use of Mitoxantrone in patients with multiple sclerosis.

2 [Slide.]

3 The acute and long-term adverse events of  
4 Mitoxantrone are well-characterized and manageable. I will  
5 present guidelines, some of which we have discussed so far,  
6 on how to monitor these effects.

7 Mitoxantrone is effective in a well-defined subset  
8 of patients with multiple sclerosis which also I will  
9 describe. Overall, we believe that Mitoxantrone's benefits  
10 outweigh its risks in patients with progressive forms of  
11 multiple sclerosis who have limited therapeutic options.

12 [Slide.]

13 The adverse events of Mitoxantrone given at 12 mg  
14 per meter squared were well-characterized in the two  
15 randomized trials and in thousands of patients with cancer  
16 who received this agent.

17 In general, mild or moderate nausea and/or emesis  
18 may occur in about two-thirds of the patients. They do not  
19 happen with each course of therapy. That is important to  
20 know.

21 Number two, they tend to resolve a day or two  
22 after Mitoxantrone administration. This is not something  
23 that persists for the 3 months between courses. They can be  
24 managed with standard emetics, and we mentioned the  
25 ondansetron before as a potential treatment.

1           Severe alopecia is not seen, as we have already  
2 earlier mentioned, and alopecia usually consists of hair  
3 thinning and in many patients resolves after treatment is  
4 discontinued.

5           Severe leukopenia, based on what we have seen in  
6 Study 902, occurs in less than 50 percent of patients, and  
7 when we have looked in 902 on a weekly basis, we can tell  
8 you that leukopenia usually occurs between Days 7 and 14 and  
9 tend to resolve by Day 21. During this window of time,  
10 based on the two randomized trials we showed you, the risk  
11 of neutropenic fever is low. However, it is not impossible  
12 to develop neutropenic fever when there is a neutropenia  
13 nadir.

14           [Slide.]

15           We recommend that patients undergo serum chemistry  
16 before each course of therapy, including liver function  
17 test, as mentioned by Dr. Swain. We also recommend that a  
18 hemogram be performed before each course of therapy. In  
19 addition, we recommend that the hemogram be performed at the  
20 time of expected leukocyte nadir, meaning anywhere between  
21 Day 7, 14 or 21, in a patient who may have evidence, or at  
22 least clinic signs or symptoms, suggesting an infection.  
23 This is very similar to what the recommendation and  
24 experience is in cancer patients.

25           [Slide.]

1           Let's now discuss what we are talking about with  
2 cardiac toxicity in patients with multiple sclerosis. This  
3 risk was well-evaluated in the two randomized trials I have  
4 presented to you today. There were no cases of congestive  
5 heart failure at doses up to 100 mg per meter squared. We  
6 have no evidence and there is no evidence that the risk of  
7 congestive heart failure is going to be greater in patients  
8 with multiple sclerosis compared to cancer patients.

9           So a conservative recommendation in our opinion is  
10 as follows. We recommend doing a baseline LVEF evaluation  
11 and another LVEF evaluation when the patient reaches 100 mg  
12 per meter squared, which represents 2 years of treatment  
13 with a 3-month schedule.

14           [Slide.]

15           DR. GHALIE: Again, as I said, we recommend doing  
16 a baseline LVEF examination, and then, when the dose reaches  
17 100 mg per meter squared, which is 2 years of treatment with  
18 a 3-month schedule.

19           Based on the oncology setting we discussed  
20 earlier, it may be possible to go beyond this dose.  
21 Continuing dosing should be addressed on an individual  
22 patient basis, and for each patient, weighing the risk of  
23 benefits of continuing therapy and the potential risk of  
24 cardiac events.

25           In this situation, as a company, we recommend

1 repeating LVEF before each course of therapy. Continuing  
2 therapy in patients who have an LVEF that declined by more  
3 than 15 percent from the baseline should also be determined  
4 on an individual basis. In other words, to state it  
5 differently, if you have a patient whose LVEF declined by  
6 more than 15 percent, before the next course of therapy, we  
7 recommend doing an LVEF evaluation and then deciding whether  
8 to continue treatment.

9 We recommend as a company to discontinue  
10 Mitoxantrone in two conditions--if the LVEF declined to less  
11 than 50 percent and when the cumulative dose reaches 140 mg  
12 per meter squared. We also recommend excluding from  
13 therapy--and this was discussed by Dr. Alberts--patients who  
14 already have cardiac dysfunction to begin with, who are  
15 above the age of 70, who have received chest radiation or  
16 doxorubisone, which I recognize the latter two are going to  
17 be rare in patients with multiple sclerosis.

18 [Slide.]

19 As already indicated in the package insert,  
20 Mitoxantrone should not be used in patients who are pregnant  
21 or are attempting to become pregnant. That is on the label,  
22 and that needs to be known by the physician and by the  
23 patient.

24 [Slide.]

25 I will now turn to the benefits of Mitoxantrone in

1 patients with multiple sclerosis. Study 901, the Phase III  
2 study, shows that Mitoxantrone slowed the progression of  
3 neurologic disability as shown by an EDSS progression  
4 reduction by 64 percent compared to placebo. It decreases  
5 the number of treated relapses by 69 percent compared to  
6 placebo. It also decreases gadolinium-enhancing lesions on  
7 the early MRI scan.

8 [Slide.]

9 Study 902 in our opinion supports the findings of  
10 Study 901. It shows that Mitoxantrone significantly slowed  
11 one-point EDSS progression by 83 percent, compared to the  
12 control arm, decreased the relapse rate by 77 percent, and  
13 decreased the number of patients with gadolinium-enhancing  
14 lesions by 86 percent compared to the control arm.

15 Study 903 indicates that with appropriate  
16 monitoring, it is possible to use Mitoxantrone in a clinical  
17 practice setting.

18 I would now like to present Immunex' perspective  
19 on the question raised by Dr. Katz in his introduction. We  
20 have already addressed some of those before, but I will go  
21 through them again, one by one.

22 [Slide.]

23 First, to the question asked by Dr. Katz, were the  
24 two studies presented today adequate and well-controlled,  
25 our answer is yes. Study 901 was a randomized, placebo-

1 controlled trial. It had prospectively-defined entry  
2 criteria, efficacy endpoints, study size, and statistical  
3 analyses, all prospectively defined.

4 All five primary efficacy variables consisted of a  
5 well-characterized disability scale and standard definition  
6 of relapses.

7 MRI evaluations were prospectively defined to be  
8 done in a subset of patients enrolled in the study.

9 [Slide.]

10 Study 902 was also a randomized, controlled trial.  
11 It had prospectively-defined entry criteria and efficacy  
12 endpoints. It had the typical design of an MRI-based trial.  
13 It also included evaluation of clinical endpoints, including  
14 the EDSS scale and relapse.

15 [Slide.]

16 The second question that was raised by Dr. Katz  
17 was is there evidence that Mitoxantrone slows the  
18 progression of neurologic disability.

19 DR. GILMAN: Could I interrupt for a second? I  
20 actually wanted to stop you there, anyway. But you would  
21 agree no doubt that Study 902 was unblinded, and therefore,  
22 there has to be some question about the objectivity of those  
23 data--clinical data, that is.

24 DR. GHALIE: In Study 902--to indeed follow up  
25 your question--the primary endpoint was masked. The EDSS



1 and the relapse were unmasked. In our opinion, these  
2 results are robust despite this unmasking for the following  
3 reasons.

4           Number one, the magnitude of effect was quite  
5 large to be just a coincidence or a bias. Number two, they  
6 are consistent with the MRI results, which was a blinded  
7 assessment. And number three, if we see the two studies  
8 together, the magnitude of effect of Mitoxantrone in both  
9 studies is very similar--in fact, the effects on EDSS and on  
10 relapse were about the same magnitude--which in our opinion  
11 indicates that Study 902 clinical endpoints are also robust,  
12 albeit unblinded.

13           DR. GILMAN: Dr. Katz?

14           DR. KATZ: Yes. Maybe you will get to this, and  
15 maybe I should wait, but the first two slides you showed  
16 were not in response to any question I had asked.

17           Just to clarify, the first question I asked was  
18 whether or not there was replication or substantial evidence  
19 of effectiveness in a particularly well-defined population--  
20 progressive MS patients. Maybe you will get to that. But I  
21 think we thought that the two trials were adequate and well-  
22 controlled. There were certainly clearly blinding questions  
23 that we need to talk to you even more about, but as far as  
24 their meeting the primary outcome, it wasn't really a  
25 question for us.

1 DR. GHALIE: Indeed, I will be getting to the  
2 issue of the patient population.

3 [Slide.]

4 The third question to address is is there evidence  
5 that Mitoxantrone decreased the relapse rate, since it was  
6 done by unmasked physicians. And our answer, based on the  
7 two sets of data, is also yes. Now, we recognize, and we  
8 have discussed this before, that the treating physician was  
9 unmasked to study drug in both studies. However, as I  
10 mentioned, despite this unmasking, we believe that the data  
11 on relapse is robust, and I have already said that a minute  
12 ago, but I will repeat it.

13 First, the definition of relapse in the two  
14 studies, particularly the severe relapse that required  
15 treatment, was well-defined, and there is no evidence that  
16 the physician did not follow the definition of relapse.

17 Second, the effect of Mitoxantrone on the number  
18 and time to first relapse treated with corticosteroids was  
19 highly significant, so it is unlikely that it will be biased  
20 just by knowing the arm for which the patients were  
21 randomized to.

22 Third, and as I mentioned earlier for the EDSS,  
23 there were very consistent results between the two studies  
24 on effect on relapse, which again lends some robustness to  
25 the results in both studies.

1           And lastly, no matter how we look at relapse,  
2 whether it is treated relapse, severe relapse, relapse seen  
3 by the physician in the clinic, or relapse seen by the  
4 physician near home, all the results show Mitoxantrone being  
5 better than placebo.

6           So it is the combination of all the data on  
7 relapse that in our opinion provides the robustness to the  
8 interpretation of the relapse data in these two studies.

9           DR. GILMAN: Dr. Temple, a question?

10          DR. TEMPLE: Do I recall correctly--you don't  
11 actually have the descriptions of patients at the time they  
12 supposedly had a relapse, so that although you indicated  
13 there were criteria, you cannot say on your own--you can  
14 trust your investigators, but you can't say on your own--  
15 that you know whether the criteria were followed; is that  
16 right?

17          DR. GHALIE: This is always true in a clinical  
18 design. We have to trust the investigators to do the right  
19 assessment--

20          DR. TEMPLE: No, no, that isn't--I am not  
21 unsympathetic to your point of view necessarily, but what  
22 you just said it not true. You could have them fill out a  
23 little form explaining how they decided to treat, how it met  
24 the criteria; they could check whether the criteria were  
25 met. So please don't say that all studies have this lesion.

1 Yours does.

2 DR. GHALIE: No, no. I'm going to add to that.  
3 I'm sorry.

4 DR. TEMPLE: Okay.

5 DR. GHALIE: I hadn't finished my sentence. I was  
6 going to say that in addition, there was an audit that was  
7 done to determine whether the relapses that were called  
8 severe relapse or other were also documented by what we find  
9 in the patient case record form.

10 Thirdly--and this is something that Dr. Hartung  
11 would like to describe now--there was a form that was filled  
12 out that addressed the description of the relapse in  
13 patients randomized in his studies.

14 So, Dr. Hartung, please clarify how we can be  
15 confident with the relapse data.

16 DR. HARTUNG: All the relapses examined by the  
17 treating physician--or the treating physicians in each and  
18 every instance recorded the EDSS and then, based on the  
19 stipulated criteria, whether this is a severe relapse or not  
20 a severe relapse, ticked a box in the CRF.

21 So the EDSS data is available.

22 DR. TEMPLE: Now, this was the treating  
23 physician's EDSS?

24 DR. HARTUNG: Treating.

25 DR. TEMPLE: So that would be different from the

1 blinded one that was done. But you are saying it could  
2 serve to show that the nominal criteria were met.

3 DR. HARTUNG: Yes.

4 DR. GILMAN: But it doesn't address the situations  
5 in which the physician treating the patient at a long  
6 distance was not similar to the treating physician. And we  
7 saw there were a fair number of cases in that category.

8 DR. TEMPLE: No, it doesn't do that. It answers  
9 one of the possible questions, namely, that there were  
10 standards and that you can say, well, the apparent standards  
11 were met. If you don't look, you don't even really for sure  
12 know that, although you might believe it because you trust  
13 your investigators. That's a different answer, though.

14 DR. GHALIE: Next, I will discuss the MRI findings  
15 in this filing.

16 [Slide.]

17 In Study 901, MRIs were performed in a subset of  
18 patients who were randomized under the study. Patients were  
19 not stratified based on baseline MRI. That was a decision  
20 made early on. And the study was not sized to correlate MRI  
21 findings with clinical findings as we have already discussed  
22 earlier.

23 However, despite these limitations, there is a  
24 clear reduction in the number of patients with gadolinium-  
25 enhancing lesions as well as the number of lesions that are

1 gadolinium-enhancing in this study, and these results were  
2 similar, in the same direction and consistent with the  
3 clinical findings of the study.

4           Taken together, the clinical findings and the MRI  
5 findings suggest a biologic effect of Mitoxantrone on the  
6 inflammatory process in the CNS in patients with multiple  
7 sclerosis.

8           [Slide.]

9           Let's look now at Study 902, which had the design  
10 typical that we see today for MRI-based clinical trials.  
11 The results of the MRI data were highly significant, and  
12 they were consistent, as I mentioned, with the clinical  
13 results of the study. And the magnitude of the MRI results  
14 in both Study 901 and Study 902 are very similar.

15           So taken together, Studies 901 and 902, in our  
16 opinion, provide further support to the activity of  
17 Mitoxantrone in multiple sclerosis.

18           DR. GILMAN: Well, there is the question about the  
19 differences in the cases. In 902, you had 15 relapsing  
20 remitting cases and 6 progressive cases; whereas in 901,  
21 most and essentially all were progressive cases. So there  
22 is a difference.

23           DR. GHALIE: There are differences in the patient  
24 eligibility and the type of patients enrolled, and this is  
25 what Dr. Lublin is going to discuss in fact imminently. I

1 will discuss the dose and maybe I can go into the target  
2 patient population and why we believe those data are  
3 supportive. Is it possible, or would you like me to--

4 DR. GILMAN: Dr. Grundman?

5 DR. GRUNDMAN: I have a question regarding the MRI  
6 results. Can I refer you to Table 6.1.2.a on page 27 of the  
7 FDA review? It is in section 4.

8 The question has to do again with the  
9 comparability of the two groups with respect to their entry  
10 into the study. One can see that at the month prior to  
11 baseline, the standard deviation of the number of lesions,  
12 as well as the median range of the lesions, was much greater  
13 in the methylprednisolone-alone group compared to the  
14 Mitoxantrone-plus-methylprednisolone group.

15 I am just wondering to what extent subjects who  
16 had many lesions on their MRI, or the lack of many lesions  
17 on their MRI, contributed to the mean response that you have  
18 seen. Did you make any attempt to stratify the results in  
19 terms of the number of lesions that were present either at  
20 Month Minus-1 or at Month 1?

21 DR. GHALIE: This study was not designed to  
22 stratify patients based on baseline MRI. Again, that was a  
23 42-patient study, and stratification would have been  
24 difficult. And when we look retrospectively at the data, we  
25 looked at the data. We have not looked at this analysis

1 based on the number of lesions at baseline.

2 Dr. Edan, who designed and conducted this study,  
3 has a comment here.

4 DR. EDAN: We perfectly know that there is a great  
5 variation between patients concerning the number of lesions  
6 on MRI. This is the reason why the primary endpoint was not  
7 a reduction of new MRI lesions, but the percentage of  
8 patients with no MRI lesions at all, month after month.  
9 What impressed us when we saw the results was that it was  
10 month after month that the number of patients without any  
11 new activity of MRI increased in the group of patients with  
12 Mitoxantrone.

13 So it is not only the result of mean number of  
14 lesions, but we took the most robust primary endpoint for  
15 MRI analysis, which was patients with not one MRI lesion,  
16 which is much more difficult to reach.

17 DR. GILMAN: Please continue.

18 DR. GHALIE: Finally, I will address the issues  
19 raised by Dr. Katz about the dose and the target population.

20 [Slide.]

21 We propose that the approved dose of 12 mg per  
22 meter squared, which is approved in cancer patients, also be  
23 approved in patients with multiple sclerosis. The proposed  
24 schedule in multiple sclerosis is clearly different than  
25 cancer patients; it is going to be once every 3 months



1 compared to once every 3 weeks, as used in cancer patients.  
2 And as I mentioned to you, the rationale for proposing the  
3 dose of 12 mg per meter squared is as follows. It was the  
4 test dose in the Phase III study; all five primary endpoints  
5 and the secondary endpoints were significantly better with  
6 this dose compared to placebo. The fixed monthly dose of 20  
7 mg that was tested in Study 902 is essentially identical to  
8 12 mg per meter squared. Again, as I mentioned, this is  
9 the dose that we have the largest safety experience with in  
10 patients with cancer.

11 [Slide.]

12 Based on the results of Study 901, Mitoxantrone in  
13 our opinion was shown to be effective in patients with  
14 progressive forms of multiple sclerosis excluding primary  
15 progressive MS, and they were not tested in this trial.

16 Dr. Lublin will now address in his remarks current  
17 thought about the continuum of patients with multiple  
18 sclerosis and the rationale for this proposed patient  
19 population. As you know, Dr. Lublin was the lead author in  
20 1996 for the new classification of multiple sclerosis in  
21 these four disease categories.

22 I will come back at the end of Dr. Lublin's  
23 presentation to conclude.

24 DR. GILMAN: Well, again, I have to comment on  
25 this last slide. Still, Study 902 consisted primarily of

1 patients with relapsing remitting.

2 DR. GHALIE: And I believe this is where Dr.  
3 Lublin will be able to shed some information on that  
4 specific question that you have.

5 DR. GILMAN: Thank you very much, Dr. Ghalie.  
6 Dr. Lublin?

7 DR. LUBLIN: Good afternoon.

8 In 1993, this panel recommended and subsequently  
9 this Agency approved the first treatment for relapsing  
10 remitting multiple sclerosis. Since then, two additional  
11 agents have been approved for relapsing remitting and  
12 relapsing forms of multiple sclerosis. There is currently  
13 no approved agent for progressive forms of MS, including the  
14 50 percent of relapsing remitting patients that are expected  
15 to convert to the secondary progressive form, especially for  
16 those in the higher disability scores.

17 Multiple sclerosis is a disease that can be  
18 categorized into several different clinical course subtypes.  
19 These have been derived by a consensus from a survey of  
20 physicians specializing in research and treatment in  
21 multiple sclerosis and published in 1996. We have heard a  
22 bit about this during the course of the day, but let me run  
23 through the types for you.

24 [Slide.]

25 The commonest course of presentation is relapsing

1 remitting. This is acute flare-ups, exacerbations of  
2 multiple sclerosis followed by a period of disability,  
3 followed by improvement which can be either complete, as in  
4 the top part here, or incomplete. If the improvement is  
5 incomplete, then there is stepwise accrual of disability.

6 Over time, approximately 50 percent of this group  
7 will then convert to the secondary progressive form. The  
8 difference between relapsing remitting and the progressive  
9 forms of disease is in the baseline between attacks. In  
10 relapsing remitting disease, there is a stable baseline  
11 between attacks, and in all of the progressive forms, there  
12 is gradual worsening.

13 The secondary progressive form is an outcome from  
14 primary progressive where they go into a gradual progressive  
15 form either with superimposed exacerbations or without.  
16 They start out as relapsing remitting; they are then  
17 secondary progressive.

18 The least common form in this categorization is  
19 the progressive relapsing form. They start out as gradual  
20 progressive disease punctuated by occasional clear-cut  
21 exacerbations, so they have some relapses.

22 In the primary progressive group, which has not  
23 been tested in any of the studies mentioned today, there are  
24 no acute exacerbations, just gradual progression, worsening  
25 of disease, which may occur at a variable rate.

1           It is important to not that there are no reliable  
2 radiologic, immunologic or biologic markers to distinguish  
3 these different types of multiple sclerosis. Some feel that  
4 primary progressive may in fact be a distinct subtype, but  
5 this is not yet proven. Most recent clinical trials have  
6 utilized these designations to obtain better homogeneity of  
7 their experimental groups.

8           Currently-approved therapy for MS is limited to  
9 relapsing remitting form or relapsing forms of MS and  
10 provides partial benefit as measured by reduction in relapse  
11 rate and/or lessened disability. There is no approved agent  
12 for progressive forms of disease.

13           [Slide.]

14           Worsening of disability in MS occurs via two  
15 mechanisms. There is stepwise worsening in the relapsing  
16 remitting form which is characterized by incomplete recovery  
17 from successive attacks. And then there is the gradual  
18 progressive worsening that occurs independent of relapses in  
19 the progressive forms of disease. The latter is generally  
20 considered to be the greater contributor to patient  
21 disability although it is still unclear whether the  
22 mechanisms of worsening are actually distinct or not.

23           [Slide.]

24           If one looks at the progressive phase of the  
25 disease, we don't actually know whether it is truly gradual

1 progressive disease or rather the summed effect of multiple  
2 mini exacerbations, each one of which is not necessarily  
3 expressed as clinical disease.

4 [Slide.]

5 Despite the lack of proven agents for the more  
6 devastating forms of MS, many patients are subjected to  
7 treatment with potentially toxic therapies without the  
8 benefit of supporting, well-designed, randomized clinical  
9 trials. These treatments are administered by well-  
10 intentioned clinicians who are confronted with patients who  
11 have entered an aggressive phase of deterioration. This is  
12 especially troubling for patients who have already failed  
13 one of the currently approved therapies such as Interferon-  
14 beta and gluteiramir acetate [ph.].

15 [Slide.]

16 In this slide, we show the groups of patients that  
17 would be appropriate for more aggressive forms of therapy  
18 and correspond with the groups of patients who are presented  
19 here today--that is, patients with relapsing remitting  
20 disease who are undergoing stepwise deterioration, patients  
21 with secondary progressive disease anywhere along this  
22 course, and even patients with progressive relapsing disease  
23 which wasn't named until 1996, so it is hard for me to tell,  
24 looking back into the database of patients, whether any of  
25 these were there or not, but they fit in our hands into a

1 similar pattern as this. But clearly, these two groups of  
2 patients have been included in the studies today, and both  
3 are undergoing worsening of their disease.

4 [Slide.]

5 The data presented today are consistent and  
6 robust. They demonstrate that Mitoxantrone is an effective  
7 treatment for slowing disability and reducing relapse rate  
8 in patients who are accruing disability and are in the  
9 higher range of EDSS. There is no approved, proven  
10 effective agent for this group of patients, and thus,  
11 Mitoxantrone provides a very reasonable therapeutic option.

12 Thank you.

13 DR. GILMAN: Thank you.

14 Dr. Wolinsky?

15 DR. WOLINSKY: I wonder if Dr. Lublin could  
16 enlighten the Committee as to what kinds of patients were  
17 actually included in the pivotal trial for Betaseron, the  
18 pivotal trial for Avanex, and the pivotal trial for  
19 gluteramir acetate. That is, how would you distinguish the  
20 proportion of patients in those trials who were relapsing  
21 remitting without accumulated disability, relapsing  
22 remitting with some accumulated disability, and relapsing  
23 remitting with some amount of progression in between?

24 DR. LUBLIN: In the Betaseron group, it was all,  
25 at least at the start, relapsing remitting, as you will

1 recall. And they were from--

2 DR. WOLINSKY: Did we have the wisdom of your  
3 definitions then?

4 DR. LUBLIN: No. In fact, for none of those  
5 studies were the current definitions. But the definition  
6 that was employed for relapsing remitting disease would have  
7 excluded patients who had progressive disease in the  
8 Betaseron study.

9 In the Avanex study, that was not the case, and in  
10 fact, when presented here, it was mentioned that there were  
11 in fact some patients who they thought could have had  
12 progressive disease in addition to relapses, and I think  
13 that that in fact affected the labeling. Copaxone, again,  
14 the definition was such that I would be confident that those  
15 were relapsing remitting patients.

16 How many ended up accruing disability--they all  
17 did. If you look at the Kaplan-Meier curves, over time, the  
18 patients were getting worse in all groups, just less worse  
19 in some groups.

20 DR. GILMAN: Dr. Katz?

21 DR. KATZ: So as I understand it, your assertion  
22 is that the patients in Study 902 were relapsing remitting,  
23 but with an accumulating deficit that wasn't resolved. Is  
24 that it?

25 DR. LUBLIN: This again comes from conversations

1 with Dr. Edan. When you look at his definition, his  
2 definition is active relapsing remitting patients having two  
3 or more attacks over a year's time, or progressive patients  
4 that have increased by two points on the EDSS. HE tells us  
5 that in fact in the relapsing remitting group, they were  
6 stepwise accruing deficits, but that wasn't necessarily in  
7 the definition.

8 DR. KATZ: No, no. I know it wasn't in the  
9 definition, but the assertion at this point after the fact  
10 is that in fact they were patients who, although you would  
11 still call them relapsing remitting, in fact had an  
12 accumulating deficit; they might have been stable between  
13 attacks, but--

14 DR. LUBLIN: That's absolutely correct. That is  
15 one of the mechanisms of worsening.

16 DR. KATZ: I understand that. I'd like to know  
17 what the evidence is for that assertion.

18 DR. LUBLIN: For which assertion?

19 DR. KATZ: For the fact that these patients were  
20 relapsing remitting with an accumulating deficit. As I  
21 understand, you're trying to make the point that in some  
22 sense, even these patients, even the relapsing remitting  
23 patients in Study 902, were progressive. And I am trying to  
24 just see what the evidence is to support that.

25 DR. GHALIE: If i might intervene here, Dr. Edan,



1 could you repeat again in your protocol the definition of  
2 enrollment in the study, which again was written long before  
3 the 1996 classification. And as you will hear from Dr.  
4 Edan, the way the eligibility criteria was, it will include  
5 the patients Dr. Katz has asked about.

6 DR. EDAN: Yes. We include a category of patients  
7 who within the previous 12 months have had at least two  
8 relapses with sequelae, and these patients reached the high  
9 level of handicap. If you remember, the levels they had  
10 after 5 years of the disease, the irreversible EDSS at that  
11 time had been at least one month after relapse, were at 4.5,  
12 which is very high for a relapsing population 5 years after  
13 onset of the disease. So it is clear from my experience and  
14 from the study we did that the population who were treated  
15 with monthly Mitoxantrone were mostly relapsing remitting  
16 patients, with sequelae.

17 DR. GILMAN: Now I am confused. I thought earlier  
18 you said these were all relapsing remitting patients,  
19 period, without accumulating disease burden. There were 15-  
20 -

21 DR. EDAN: No, no. They were with accumulative  
22 disability within the 12 previous months after each relapse.

23 DR. GILMAN: All of the 15 that were called  
24 relapsing remitting.

25 DR. EDAN: Yes, yes.

1 DR. GILMAN: All of them were relapsing  
2 progressive. Is that what you're saying now?

3 DR. LUBLIN: No. We like to avoid that term, Dr.  
4 Gilman.

5 DR. EDAN: It is confusing, the term  
6 "progressive," I understand, but there was worsening of the  
7 EDSS after the relapses.

8 DR. LUBLIN: Just for clarification, they worsened  
9 their EDSS after relapses, and they did not go back.

10 DR. EDAN: Yes, that's right.

11 DR. LUBLIN: So they stayed worsened, and then  
12 they were--

13 DR. EDAN: Yes.

14 DR. LUBLIN: Can I have my first slide?

15 [Slide.]

16 DR. LUBLIN: There it is. This group here. This  
17 is still relapsing remitting, because the baseline between  
18 attacks is stable. But if they don't return to their prior  
19 baseline, they are accruing disability. This is what used  
20 to be called relapsing progressive by some, but then some  
21 also called this relapsing progressive, and that's why we  
22 did away with it.

23 DR. WOLINSKY: Can I again have a little bit more  
24 clarification, then, because if we stick to this  
25 religiously, then patients who are the top blue line could

1 only get into a study if, between attacks, they were EDSS  
2 zero, and we would only have--this is the way it's shown--

3 DR. TEMPLE: No. The second line are relapsing  
4 remitting, but they don't get back to zero.

5 DR. WOLINSKY: I understand that they are  
6 relapsing remitting with accumulated disability between  
7 attacks with stable periods. What I am trying to drive at--  
8 and I think this is not trivial, because I actually have a  
9 very sympathetic feeling for what I believe the  
10 investigators treated in Europe, but I think in terms of  
11 trying to help my colleagues in the field, when or if they  
12 have this drug, they need to know what to treat. So my  
13 problem is that, at least in my own mind, the only way I can  
14 really be sure how these groups are different is to know how  
15 I cut them by EDSS score and the fact that they at one time  
16 or another had enough attacks to get into trial.

17 DR. LUBLIN: You may very well be right. This is  
18 a very common form of MS. Any patients who comes into study  
19 with relapsing remitting disease of 1, 2, or 3 has been in  
20 here. They haven't returned to a baseline of zero at some  
21 point. So you are right, one has to know more than just  
22 relapsing remitting disease with accrual of deficit. It is  
23 perfectly reasonable to say what else do you have to qualify  
24 that with to have aggressive forms of therapy. If that is  
25 the point you are getting at, I agree with you.

1 DR. WOLINSKY: It is very much the point, because  
2 the potential extension--and it may be absolutely where we  
3 should go; I don't know the answer to this--is to take a  
4 patient at first diagnosis and begin Mitoxantrone. But we  
5 will hit our cap fairly quickly.

6 DR. GILMAN: Dr. Temple, do you want to ask your  
7 question now?

8 DR. TEMPLE: I guess for the certified non-  
9 neurologist here, to look at those lines between  
10 exacerbations and try to figure out whether they are ever so  
11 slightly rising or absolutely flat seems a very daunting  
12 task. Does everybody really believe you can do that?  
13 Again, I know nothing about this; that is important to  
14 realize.

15 DR. GILMAN: I wonder if Dr. Lublin wants to  
16 answer that.

17 DR. LUBLIN: Well, I see some heads shaking no,  
18 but yes, I absolutely think you can. We follow these  
19 patients, especially the ones on therapy now, so we follow  
20 patients a lot more closely, and you have a pretty good  
21 idea--even a very good idea--from month- or 3-month to 3-  
22 month period whether the patient is changing or not. I  
23 don't think this is that difficult a task.

24 DR. GILMAN: Dr. Grotta?

25 DR. GROTTA: I guess maybe I'm missing something,

1 but I don't really see the confusion. I recognize that  
2 people in that second line are included in this study, but  
3 not all of them. They had to have had more than two  
4 relapses in the last 12 months or they had to have had an  
5 EDSS progression of greater than or equal to two points. So  
6 not everybody on that second line would have gotten into  
7 that. It would have been the relapsing remitting patients  
8 who didn't return to normal between attacks who had  
9 particularly frequent episodes of relapse. So I don't think  
10 it's all that complicated--plus the other patients who were  
11 in the study were those who were progressing, who had the  
12 progressing baseline in between attacks.

13 So I think that that should be the guideline. I  
14 think that what has been proposed is that that group of  
15 patients, then, would benefit from this treatment and did  
16 seem to benefit from this treatment in Study 902 by MR  
17 criteria.

18 DR. GILMAN: Dr. Lipton?

19 DR. LIPTON: Yes, but granted what you said, here  
20 is my problem as someone who is far from an MS expert. We  
21 are being asked to use the evidence in the 902 study to make  
22 a judgment about whether or not this treatment works in  
23 progressive MS, and some proportion of the patients enrolled  
24 in that study have something that we would now call  
25 progressive MS, and some of them don't, and in order for me

1 to apply the data in 902 to the judgment we are being asked  
2 to make, I feel the need to know how treatment works in a  
3 group that we would call progressive MS, not looking at  
4 those who have something else. It sounds like a subgroup  
5 analysis to me based on reclassification of patients blind  
6 to treatment group.

7 DR. GILMAN: When I read the narrative that Dr.  
8 Katz and the Division prepared, it sounded as if there were  
9 15 patients in Study 902 who had relapsing remitting, and it  
10 was undisclosed whether they were the cases that you would  
11 see on the first line or on the second line. We now hear  
12 that all 15 would match the second line, if I am  
13 understanding what the sponsor is telling us. Therefore, it  
14 sounds as if all the patients in 902 had a progressive form,  
15 even though 15 out of the 21 had relapsing remitting  
16 symptoms also.

17 DR. GHALIE: That is correct. I probably should  
18 have been clearer when I presented the patient population  
19 and enrollment criteria. Patients, to be enrolled, if they  
20 had relapsing remitting, they had to have--as Dr. Edan said,  
21 and it was spelled out in the protocol as well--they had to  
22 have sequelae, meaning EDSS progression. So they do fit  
23 under blue line number two, correct. And this is why as a  
24 company we propose the words "progressing forms of MS,"  
25 which is patients who have the orange line as well as the

1 second blue line or the yellow line as well, and we clarify  
2 excluding primary progressive. So that is really the  
3 patient population enrolled in 901 and 902.

4 DR. GILMAN: Dr. Weiner?

5 DR. WEINER: Could you put back on Slide M-112?

6 [Slide.]

7 DR. WEINER: Okay. I guess I'd like to make a  
8 couple points and then ask a question for those who have  
9 used the drug. If you look at the forms of MS that one  
10 wants to use the Mitoxantrone for, the top one, which is  
11 relapsing remitting, and the second one, which is secondary  
12 progressive with attacks would actually qualify for  
13 treatment with Betaseron or Avanex or Copaxone, because  
14 these are people with relapses and remissions, even the  
15 progressive relapsing form on one level.

16 So I am just thinking about the label and the  
17 claim that this is just for progressive forms, because those  
18 two actually would fit if you wanted to prescribe something  
19 for relapsing remitting patients.

20 The next question that I'd like to ask those who  
21 have used the drug--and in this case, what Dr. Wolinsky said  
22 in terms of where it really is effective--and I do believe  
23 the drug is effective, and I hope it can be approved, and  
24 we'll talk about that later, for the appropriate population--  
25 -but I get the feeling that the patients that it really is

1 effective in are the blue line, the relapsing remitting, and  
2 the top line of the secondary progressive people who are  
3 having relapses and that the patients who are more slow-  
4 chronic-progressive, that secondary progressive, may not be  
5 helped as much by this drug. I would be interested in the  
6 comments of the physicians who have used it and put people  
7 into the studies and what their comments are and the  
8 response of the patients in the top two lines and the third  
9 line, which is a lot of patients.

10 DR. LUBLIN: Let me just stress your first issues,  
11 Howard. That is why, when I made this slide, I avoided the  
12 word "progressing" disease and used "worsening," because I  
13 don't know that there is any real difference biologically or  
14 any other way between this kind of worsening and this kind  
15 of worsening. If someone is ending up with a walker or a  
16 wheelchair or whatever, I am looking for something that is  
17 going to halt that. So I like "worsening" forms, because it  
18 doesn't lock you into anything.

19 DR. GHALIE: I'd like to address Dr. Weiner's  
20 question which was in two parts. We presented earlier this  
21 morning a slide that looked at the five primary endpoints  
22 including EDSS, Ambulation Index, and the SNS score, based  
23 on whether patients had relapsed or not prior to going into  
24 the study and whether they were classified as relapsing  
25 remitting or secondary progressive. And again, looking at



1 subset analysis--I did not want to present p-values--these  
2 slides show that Mitoxantrone patients were better than  
3 placebo whether they had or had not relapsed prior to  
4 enrollment. So that is to provide information for you about  
5 which one of these patient lines fit.

6 I'd now like to address and indeed direct my  
7 question what you had wanted to hear from physicians who  
8 have used this drug in patients to elaborate on how they see  
9 which patients fit. So I would first like to have Dr.  
10 Hartung, who did Study 901, and after that, Dr. Smith, who  
11 is a practicing physician who saw that data and is making  
12 decisions now about when to use this treatment in his  
13 patients.

14 DR. HARTUNG: Well, my answer is in two parts.  
15 First, the data that I can oversee that has been collected  
16 in the trial, and this was both patients in fact with active  
17 disease, whether they had relapses or not, but active  
18 progressive disease with deterioration.

19 Second, in my experience with some 30 or so  
20 patients who were treated outside the context of the trial,  
21 I got the impression that patients with active disease  
22 whether or not, again, they had superimposed relapses  
23 benefitted from the therapy.

24 So in my opinion, I think this is a therapeutic  
25 option that we should be able to offer patients with any

1 type of active progressive disease so as to hopefully  
2 stabilize them by time--3 years, 4 years, whatever--and  
3 perhaps make them responsive again to other kinds of  
4 immunomodulatory therapy, although I would very sincerely  
5 hope that you can agree that there is a place for  
6 Mitoxantrone in the treatment of multiple sclerosis.

7 DR. WOLINSKY: Peter, if you wouldn't mind  
8 elaborating a little bit before we go on to the next. I  
9 think I heard using this drug for patients who fail--and I  
10 know these are very difficult questions, but of all the  
11 people who are going to talk to us, I think you and Dr. Edan  
12 have the most experience, practically. So do you see this  
13 as your first choice for what kind of patient and your first  
14 choice for what other kind of patient? Could you give that  
15 to us?

16 DR. HARTUNG: Again, I think I have to  
17 differentiate in my elaborations whether or not I'm talking  
18 about data obtained in the trial or experience I collected  
19 over the years with the drug. I think we cannot right now,  
20 based on the data, say that this drug is also useful in  
21 patients who fail to respond to established therapy because  
22 we have not tested that in the trial.

23 However, I have in the meantime seen patients who  
24 received--since Beta-Interferon is approved in Europe for  
25 the treatment of secondary progressive MS, other than here

1 in the United States--that patients who did not respond  
2 either initially or after wide Beta-Interferon did receive  
3 benefit from Mitoxantrone therapy.

4           So I see in actual fact two scenarios. In  
5 patients with very active disease, rapidly progressive, I  
6 would consider or at least provide the physician as well as  
7 the patient with the option to use Mitoxantrone as a kind of  
8 induction and also would consider its use, if you like, as a  
9 rescue therapy in those patients--and they are certainly not  
10 few--who may have responded initially to Beta-Interferon or  
11 any other immunomodulatory therapy but fail to do so. And  
12 also, as you know, there are probably nonresponders from the  
13 beginning where this would also be an alternative to be  
14 considered.

15           DR. GILMAN: Dr. Penn?

16           DR. PENN: I think we are getting to the slipper  
17 slope at this moment, because what we are asking our  
18 colleagues to do is to tell us how they might use this  
19 outside of the data that we have for the drug. If we get  
20 into this, it will be extremely interesting, but it won't  
21 answer the question we have to address as a regulatory  
22 advisory committee, and that is: Do we have the data now to  
23 approve this drug on the basis of its efficacy and safety  
24 for what now, at least in my mind, is becoming fairly clear  
25 the clinical situation in which it has been tested.

1           So I think all of this is what we would do with  
2 the drug later on, but we should stick to the point because  
3 we can start talking about all sorts of experience, and then  
4 we're going to have trouble.

5           DR. GILMAN: Dr. Katz?

6           DR. KATZ: That's pretty much what I was going to  
7 say. If you do recommend approval, the indication will  
8 describe or be closely related to the population that was  
9 studied once we think we can define that, and then, as far  
10 as second line, if you recommend that it be approved for  
11 progressive forms of MS, there is nothing else in this  
12 country approved for those forms, so it can't possibly be  
13 second line in that case, because once a drug is out there,  
14 as you say, people can use it for anybody they want--of  
15 course, it is out there, as it turns out, but you get the  
16 point.

17          DR. GILMAN: Dr. Penix?

18          DR. PENIX: Clearly, there is a problem with  
19 semantics. It appears that the sponsor has proposed to have  
20 the drug approved for the indication to slow the progression  
21 of neurologic disability and reduce the relapse rate in  
22 patients with progressive multiple sclerosis. That second  
23 progressive is the thing that bothers me, certainly. When I  
24 look at the testimonials of the three patients, each of  
25 these patients has secondary progressive MS, and two of the

1 patients have indicated their interest in having a drug, if  
2 we agree with the reported claims, to be approved for  
3 secondary progressive MS.

4           Again, I think that certainly, that was my initial  
5 concern, and my recommendation would be for us to maybe  
6 either get rid of that second progressive or to change it to  
7 something like "moderate" or "severe," because clearly, my  
8 impression from the patients is that they are thinking that  
9 this is an indication for secondary progressive MS.

10           DR. GILMAN: I'm not sure we're going to be able  
11 to change that issue right now.

12           Dr. Katz?

13           DR. KATZ: Also, half the patients in the first  
14 study were diagnosed with secondary progressive MS, if I am  
15 remembering correctly. The other half had something else.

16           DR. GILMAN: All right, then. Can we turn to Dr.  
17 Ghalie for his final comments?

18           DR. GHALIE: I really essentially concluded my  
19 presentation of the data and our view of the role of this  
20 agent in the treatment of multiple sclerosis.

21           What I have tried to present to you today is that  
22 we have two studies. They were not identical; they were  
23 designed with different endpoints in mind. One has clinical  
24 endpoints that it met, and the MRI data supported that. One  
25 had an MRI endpoint that it met with the clinical data that

1 went in the same direction. You have discussed at length  
2 what kind of patient population might fit in this disease  
3 category.

4 But in our opinion, we have two well-designed,  
5 randomized trials that were conducted that show Mitoxantrone  
6 effectiveness and that it is usually well-tolerated in  
7 patients with multiple sclerosis.

8 Based on the data for the Phase III study, when  
9 Mitoxantrone is given by short intravenous infusion at a  
10 dose of 12 mg per meter squared every 3 months, it slowed  
11 progression of neurologic disability, it reduced relapse  
12 rate--and that is in patients, not to go into nomenclature,  
13 who have progressive forms of multiple sclerosis.

14 With this dose and schedule, Mitoxantrone can be  
15 given for about 2 to 3 years based on the dose that we have  
16 decided to propose as a cap.

17 In our opinion, this will still provide a  
18 substantial clinical benefit for patients who have a disease  
19 with no therapeutic option available to them and who are  
20 suffering from a serious illness.

21 Thank you. I am ready to take any additional  
22 questions you may have.

23 DR. GILMAN: Dr. Temple?

24 DR. TEMPLE: The data here are a cornucopia of  
25 things you'd like to know but don't yet know. Could you

1 elaborate a little on any of your future study plans--in  
2 particular, the cap at 140 is obviously fairly onerous for  
3 people whose lives go on and whose disease goes on. That is  
4 potentially susceptible to study, with close monitoring of  
5 cardiac function. Do you plan that, or can you say anything  
6 about that?

7 DR. GHALIE: I can tell you about the studies that  
8 are currently ongoing and what we have proposed to the  
9 agency, in fact, to Dr. Katz' group, about what we intend to  
10 do in the future.

11 We have currently three studies. One was  
12 initiated about a year ago when the first of these data were  
13 available to investigators. That is a study conducted  
14 currently in patients with primary progressive MS. It is a  
15 pilot study, and we have no data to share with you.

16 The other two studies are pilot studies that are  
17 conducted in patients who have--

18 DR. TEMPLE: Does "pilot" mean no control group?  
19 Is that what that means?

20 DR. GHALIE: It is a placebo-controlled study. It  
21 is not powered as a Phase III study, but it is placebo-  
22 controlled.

23 DR. TEMPLE: Okay.

24 DR. GHALIE: It is a methylene blue, as a matter  
25 of fact, controlled.

1           The other two studies are single-arm studies in  
2 patients who have failed Interferons. As you know, there  
3 are two Interferons currently available, and each of these  
4 studies look at patient populations that have failed  
5 Interferon, the first one and the second one. Those studies  
6 are really focusing on safety data first, but they include  
7 frequent MRI evaluation based on what we have learned from  
8 the experts in the field, to try to look at whether there is  
9 also efficacy assessed there. There will also be EDSS  
10 evaluation.

11           The other currently ongoing study--and it is  
12 presented in the briefing document as well as in our  
13 discussion with Dr. Katz' group--as a company, we are  
14 willing to do a post-marketing registry to collect data  
15 long-term on several hundred patients who receive  
16 Mitoxantrone in clinical practice, collect safety  
17 information on these patients long-term while on  
18 Mitoxantrone or after they completed Mitoxantrone. That  
19 hopefully will provide further safety information on this  
20 drug as you wish.

21           DR. TEMPLE: What about doses beyond 140? I think  
22 Dr. Alberts said there was some suggestion that a protectant  
23 athiol [ph.] might be beneficial. Does that seem like an  
24 area you plan to pursue? We may ask you the same question  
25 in other fora, but I wonder if you want to say anything



1 about it now.

2 DR. GHALIE: Certainly as a company we are  
3 interested in looking at potential ways to decrease this  
4 cardiotoxicity if we can. These studies, as you know, are  
5 very complex to do. Dr. Swain is really the expert here,  
6 and she knows how long it takes to be able to collect that  
7 information. This is not something we can provide right  
8 away. This is something we may have to do prospectively.  
9 And that is again something that we are willing to discuss  
10 with you as you wish.

11 DR. TEMPLE: Actually, the candidate group would  
12 be people who are getting near their limit, and they  
13 probably exist. At that point, you need to randomize to  
14 continue treatment, or continue treatment with athiol, or  
15 something. But the candidate population doesn't have to be  
16 collected; it's out there for you.

17 DR. GHALIE: As you know, in the U.S., very few  
18 patients currently with multiple sclerosis have been treated  
19 with Mitoxantrone, so they are not at that point yet. We  
20 may go to Europe and try to find out if this patient  
21 population exists.

22 Dr. Alberts has a comment, I understand,  
23 presumably about athiol, which is his expertise.

24 DR. ALBERTS: I would just very briefly reiterate  
25 what I said before, that there is a very large chance that

1 one could go well beyond 140 mg per meter squared,  
2 obviously, in a research setting. In this population of  
3 people where you exclude people with pre-existing cardiac  
4 dysfunction, any prior radiation to the chest, older age,  
5 and of course, prior anthracyclines, if you in fact use the  
6 population that should be treated, and knowing also--  
7 something that I didn't comment on--that dose intensity is  
8 involved in the cardiac dysfunction situation with  
9 anthracyclines and anthracene dions [ph.], so the point I'd  
10 make is that there is every reason to feel that you could go  
11 beyond this, and in fact, I think studies need to be  
12 designed to look at that. And yes, I think athiol has a  
13 real potential role in this area.

14 DR. GHALIE: Thank you.

15 DR. GILMAN: Are there any other questions for Dr.  
16 Ghalie or the sponsor?

17 DR. WEINER: I'd just like to ask a question of  
18 Dr. Swain. If you--and I don't know if you can answer this  
19 or not--but if you took 10,000 people, MS patients, between  
20 the ages of 20 and 30, who had no cardiac history or  
21 problems, and you treated them with 140 mg per meter squared  
22 of Mitoxantrone, how many of those would you expect to get  
23 into any cardiac problems?

24 DR. SWAIN: Well, all I can do is base a number on  
25 the information that was presented by Dr. Ghalie. It would

1 probably be in the one percent range. You're still going to  
2 see it even at low doses. You'll occasionally see it  
3 idiosyncratically, but it is not going to be high. As Dr.  
4 Alberts said, the older age group is more susceptible--  
5 although I would have to make a comment that your patients  
6 that you treated with this compound, I am sure none or very  
7 few of them had radiation, they were not supposed to have  
8 low ejection fractions. They didn't have any of the risk  
9 factors that he mentioned. So really, this is the group you  
10 are going to be treating. It will be present, but it is  
11 going to be very low.

12 DR. GILMAN: All right. Let me ask the sponsor if  
13 you have anything further that you would like to present to  
14 the Committee.

15 DR. GHALIE: Not at present, but in case some  
16 questions come up later and you would like me to come back,  
17 I would be happy to do so.

18 DR. GILMAN: Let me ask the Division if there is  
19 anything further that you would like to tell the Committee.

20 DR. KATZ: No.

21 DR. GILMAN: All right. For the Committee, then,  
22 there are three questions laid out that we will be voting  
23 on; they are in the sheets before you with the agenda. Dr.  
24 Katz has posed a series of questions, and I think it is best  
25 for the Committee to go back over those questions and see if

1 we can at least succinctly respond to those issues that were  
2 raised.

3 Yes, Dr. Weiner?

4 DR. WEINER: I am a non-voting member. If I have  
5 comments that I want to make regarding my view of  
6 everything, is this the time to say that before we are  
7 voting, or will there be a time later?

8 DR. GILMAN: You are certainly welcome to comment  
9 at any time during these deliberations. You are a  
10 consultant to the Committee and as such, we would hope you  
11 would participate in the discussion. You will not  
12 participate as a voting member, however.

13 DR. WEINER: Right. Are we going to vote now?

14 DR. GILMAN: No, we are not going to vote now. We  
15 are going to go through each of the questions that Dr. Katz  
16 wanted us to deliberate.

17 DR. WEINER: Right. I just want to be able to  
18 give some views about it before the voting.

19 DR. GILMAN: You are certainly welcome to do that.

20 DR. KATZ: You won't have an opportunity after the  
21 voting, so speak now, please.

22 DR. WEINER: So, do you want me to speak now or  
23 wait? I'm just asking that question.

24 DR. GILMAN: I think it's best if we go through  
25 each of the questions that Dr. Katz has posed. We would

1 like to hear your views if you have any about those  
2 questions, and then, when we come to the vote on the three  
3 questions before us, perhaps you'd like to make additional  
4 comments if you wish, and anybody around the table among the  
5 consultants can do so.

6 Dr. Van Belle?

7 DR. VAN BELLE: Could we take a short break?

8 DR. GILMAN: Dr. Van Belle has asked for a short  
9 break. It is 25 minutes of 4, and I know there are a lot of  
10 airplanes that are going to be taking off shortly that some  
11 of our members need to be on. Maybe we should have a very  
12 short, 5-minute break. I don't want you to be uncomfortable  
13 during the deliberations.

14 Let's take a very fast break, and please, be back  
15 here in 5 minutes.

16 [Recess.]

17 DR. GILMAN: Let us start again, please.

18 Dr. Katz has laid out a series of issues which I  
19 have abstracted, and we'll go back through, and we'll take  
20 these one at a time.

21 Of course, he wants to know what our opinion is  
22 regarding the controlled trials supporting the sponsor's  
23 claim--that is, does Novantrone slow the progression of  
24 neurologic disability and reduce the relapse rate in  
25 progressive multiple sclerosis.

1 He expressed the concern that Study 902 included  
2 mostly relapsing remitting multiple sclerosis patients, not  
3 progressive multiple sclerosis patients, and therefore, he  
4 wanted to know whether there are two independent trials that  
5 demonstrate efficacy.

6 So that's a question for the Committee. Now, in  
7 light of what we have heard, I believe there are two trials  
8 that showed an effect in progressive patients. I am now  
9 hearing that 902 cases, even though they are called  
10 relapsing remitting, those 15 cases were in fact showing  
11 progression.

12 Is that a debatable question before the Committee,  
13 Dr. Katz?

14 DR. KATZ: Yes. Just a clarification. You said  
15 that there are two studies that show an effect in patients  
16 with progression. I don't think we're up to the point yet  
17 where we know there is an effect--just in terms of keeping  
18 things in order.

19 DR. GILMAN: Thank you.

20 DR. KATZ: I was first concerned about whether  
21 there was an appropriate population enrolled so that a  
22 claim, if one was granted, could be made in that population.

23 DR. GILMAN: Thank you. I didn't say it right.  
24 It now appears that in both studies, the patients had  
25 progressive multiple sclerosis, and that's the question

1 before the Committee. Is there a disagreement about that  
2 question?

3 [No response.]

4 DR. GILMAN: Seeing no objection--good--let's  
5 continue on.

6 Dr. Katz wanted to know whether relapsing  
7 remitting and chronic progressive multiple sclerosis  
8 constitutes a continuum of disease, or are they distinct  
9 pathophysiologically and in their responses to treatment.

10 You will recall that in the Beta-Interferon  
11 studies, the cases were limited to relapsing remitting, and  
12 as far as I know, there are no studies as yet of the Beta-  
13 Interferons in chronic progressive cases. All the same--

14 DR. WOLINSKY: I think that's not exactly right,  
15 Sid.

16 DR. GILMAN: Okay. Let's hear about that, then.

17 DR. WOLINSKY: If what we mean by chronic  
18 progressive is secondary progressive, then the answer is  
19 that there are studies which are complete and reported,  
20 studies which are complete and in the pipeline for  
21 reporting, and studies which are complete and under  
22 analysis, and studies which are in progress.

23 DR. GILMAN: Are the results available to us now?

24 DR. WOLINSKY: The only studies which are in the  
25 published public realm that I am aware of at the moment are

1 the studies in secondary progressive that have been done in  
2 primarily Europe and I think with a few Canadian centers  
3 with Betaferon, which is basically the European brand name  
4 for Betaseron, and those show the now well-expected  
5 reduction in attack rate, reduction in MRI activity, and a  
6 modest slowing of disease progression.

7           The studies from Rebif [ph.], which is similar to  
8 some other drugs, have been publicly presented in a number  
9 of forums but have not yet been published, and those show  
10 similar things in terms of relapse rate and in terms of MRI  
11 activity but failed as an overall to reach their primary  
12 goal of slowing progression. They did show some benefits in  
13 subgroups. The other two studies are not available.

14           DR. GILMAN: Thank you. That is helpful to my own  
15 way of thinking. But I'd like to hear further about the  
16 question of whether these are different entities, relapsing  
17 remitting and the various forms of progressive disease,  
18 primarily primary progressive.

19           I wonder if we could have some discussion about  
20 those questions?

21           DR. WEINER: I think there is a continuum, but I  
22 think there is a differential between the relapsing  
23 remitting forms and the progressive types of disease that do  
24 have either relapses or regular progression with them. And  
25 I think another point that helps distinguish them--and we



1 didn't talk about it--is that if you're looking  
2 immunologically, there are certain changes that occur in the  
3 immune system in terms of chemokine [ph.] receptor  
4 expression, interferon-gamma secretion in IL-12. It is seem  
5 more in the progressive forms of the disease than in the  
6 relapsing remitting forms, which would imply that the  
7 progressive form from an immunologic standpoint is also more  
8 active and may represent a different form or type of the  
9 disease.

10           So I think there is evidence that there are two  
11 different broad forms.

12           DR. GILMAN: Jerry?

13           DR. WOLINSKY: I could argue it the other way  
14 around.

15           DR. GILMAN: Suffice it to say there is not  
16 universal agreement.

17           DR. WOLINSKY: There is no question, at least in  
18 my mind, that as one gets into patients who have increased  
19 amounts of clinical disability, they have increased burdens  
20 of disease on their MRI, less of the acute measures of  
21 activity that we are used to seeing, like gadolinium  
22 enhancement and easily-defined clinical attacks, and  
23 probably--my bias--have accumulated more easily-found  
24 abnormalities in their immune system.

25           DR. GILMAN: Does any other Committee member or

1 consultant want to address that question?

2 [No response].

3 DR. GILMAN: Well, Dr. Katz, you have heard the  
4 two sides of this.

5 DR. KATZ: Yes. In my view, anyway, I think the  
6 question takes on a little less urgency given that the  
7 Committee has answered the first question in the  
8 affirmative. In other words, the question about how close  
9 they are pathophysiologically was designed to get at the  
10 question of if these were two different patient populations  
11 studied, could we take strength from one, from the relapsing  
12 remitting, to make an overall claim for progressive  
13 patients. But I gather the Committee thinks that both  
14 studies included progressive patients, which was the primary  
15 question I was concerned about.

16 If I could just back up to that question, if I  
17 could just hear some discussion about what the evidence is  
18 on which you would base your conclusion that the patients in  
19 902 were progressive, whether you call it relapsing  
20 progressive or--well, they would be called relapsing  
21 remitting--but is it the EDSS at baseline?

22 DR. GILMAN: As I heard the presentation by the  
23 sponsor, these patients had an EDSS that increased, that  
24 worsened over time. In other words, these patients did not  
25 return to baseline and therefore would be viewed as having

1 progression--worsening.

2 DR. WOLINSKY: I think it's fair to say that these  
3 patients have aggressive disease in the mid-portion of the  
4 EDSS scoring range. It is very hard to differentiate beyond  
5 that in group data.

6 DR. WEINER: I would think that one could easily  
7 argue and be confident that there was a progressive  
8 component to the 902 as well when you define progression as  
9 accumulated disability in a disease that is moving in the  
10 individual patient, causing more neurologic impairment. And  
11 that's what progression is.

12 DR. GILMAN: Dr. Temple?

13 DR. TEMPLE: I'm sorry--I thought I had learned  
14 that there was a difference between people who go up, up,  
15 and stay up, and people who inch up slowly. You are saying  
16 that a) that is hard to discover and b) it may not matter so  
17 much?

18 DR. WEINER: I am saying that obviously, there is  
19 a semantic issue here, and obviously, you are in a  
20 transitional phase, and because you are in a transitional  
21 phase, it is not going to be all one or all the other. But  
22 if you are talking about treating an MS patient, and you are  
23 talking about the fact that the problem with the disease is  
24 that it is a progressive accumulation of neurologic  
25 disability, even though we may argue about the semantics of

1 an attack and coming back or whatever, in my view, the  
2 people in the 902 are progressive in the sense that they are  
3 getting a progressive neurologic accumulation disability.

4 DR. TEMPLE: So they are transitional.

5 DR. WEINER: They are worsening.

6 DR. TEMPLE: And we don't want them to worsen.

7 DR. GILMAN: Dr. Grotta?

8 DR. GROTTA: But at the same time, I am also  
9 hearing that this treatment is not advocated for the patient  
10 who has a relapsing and remitting--not all patients who have  
11 a relapsing and remitting course who have accumulated an  
12 abnormal Kurtzke score--in other words, the patient who has  
13 a hemiparesis and doesn't completely return to normal--there  
14 has to be some amount of disease activity over the last year  
15 in the sense of multiple attacks.

16 To me--and I guess that's what I am struggle with,  
17 is whether that needs to be spelled out--and to me, the key  
18 conceptual slot is the one that Dr. Lublin presented where  
19 he was showing the fact that the progressing slope can be  
20 either an intermittent one or a progressive one, but it is  
21 sort of the fact that patients are deteriorating or  
22 progressing, and I think we are struggling, or at least I am  
23 struggling, with how to get the proper wording for that.

24 DR. GILMAN: Dr. Temple?

25 DR. TEMPLE: My understanding was that half of the

1 people in 901 did not in fact have exacerbations in the last  
2 year, although they were in one way or another worsening or  
3 had achieved a state where they were reasonably bad off, but  
4 they didn't actually have attacks. Isn't that correct--that  
5 you could divide it into two groups--although the mean  
6 number of attacks was in the neighborhood of one-and-a-half,  
7 half of the population didn't not have any during the  
8 preceding year?

9 DR. GROTTA: But they were worsening.

10 DR. TEMPLE: Oh, yes.

11 DR. GROTTA: In other words, they were going up on  
12 a steady way, or they were going stepwise.

13 DR. TEMPLE: Right, right.

14 DR. GROTTA: But the fact is that over the last  
15 year, they were getting worse.

16 DR. TEMPLE: Yes. I thought I heard you say that  
17 they had had exacerbations, but if you didn't, forget it.

18 DR. GILMAN: Dr. Penix?

19 DR. PENIX: The study was performed with specific  
20 exclusion of patients with primary progressive MS. There is  
21 evidence that some patients with relapsing remitting MS may  
22 benefit from this. And again, I hate to belabor this, but I  
23 am having a problem with this indication in patients with  
24 progressive multiple sclerosis. Perhaps, since we have a  
25 subtype of MS that is called secondary progressive MS, and

1 it appears that these patients will benefit from the drug, I  
2 just wonder if there will be confusion--obviously, there may  
3 be some confusion from the patients who presented their  
4 testimonials, because they specifically say that they want  
5 us to look at this drug for treatment of secondary  
6 progressive MS. And again, you say that you excluded  
7 patients with primary progressive MS.

8 So again, perhaps patients with worsening MS would  
9 help solve some of these issues.

10 DR. GILMAN: Dr. Katz:

11 DR. KATZ: Well, yes. What I wanted to hear was  
12 whether or not, whatever you call these patients, there is  
13 general agreement that the studies looked at the population  
14 that was worsening or progressive. Knowing that, we can  
15 work on the actual--assuming that you recommend approval and  
16 that we approve it--we can work on the specific language.  
17 But we will try to make clear in labeling who these patients  
18 were.

19 DR. GILMAN: All right. The next question is  
20 whether these two trials show slowing of progression. And  
21 again, Dr. Katz is referring to a design that specifically  
22 shows slowing of progression, that is, by having an effect  
23 upon the fundamental pathology in the disease, in which case  
24 one would expect to see a trial that went on after cessation  
25 of drug, with an evaluation of the patients at endpoints

1 post-drug administration, to determine whether the placebo  
2 group ran in parallel to the drug-treated group, or did the  
3 two connect very quickly after cessation of the trial,  
4 suggesting a symptomatic treatment alone, or symptomatic  
5 effect alone. Let's have some discussion about that from  
6 our consultants.

7 Dr. Penn?

8 DR. PENN: Yes. I feel moderately comfortable  
9 with that data, but clearly, if we were going to look  
10 carefully at that, it would take more patients and a study  
11 design that, as far as I am concerned, can't be done very  
12 easily. That leaves us with a clear-cut dilemma of do we  
13 say on the basis of not really solid facts, just on one  
14 study, whether or not looking at that one-year period that  
15 we have, that is enough for us to feel that that is the  
16 case.

17 My general impression is that, yes, I would be  
18 willing to give them that, but I think we're going to vary  
19 in the Committee about our judgments on that.

20 DR. GILMAN: Dr. Lipton?

21 DR. LIPTON: The evidence that we have before us  
22 that speaks to that issue is the evidence from the blinded  
23 assessments of the disability scales, the evidence that  
24 following open-label withdrawal, there wasn't exacerbation,  
25 and if we accept the MRI as a surrogate marker, the evidence

1 that accumulation of MRI lesions was slowed.

2 The one thing I would want to see that is missing  
3 is blinded withdrawal as opposed to open-label withdrawal  
4 from treatment, and the evidence is sufficient for me,  
5 although I would like to see that blinded withdrawal piece.

6 DR. GILMAN: Yes. The MRI will be another set of  
7 questions for us, actually.

8 Dr. Temple?

9 DR. TEMPLE: I'm not sure how critical that  
10 question is, so you are hearing a slight disagreement  
11 between us. The question of whether you change the course  
12 of the disease has arisen principally when there was a  
13 pharmacologic effect that made some sense as a possible  
14 source of the improvement.

15 Treating someone's lymphocytes doesn't make a  
16 really persuasive case for improving the neurologic symptoms  
17 of something. So I am not as obsessed with that as I  
18 usually am.

19 I do want to observe, though, that the fact that  
20 there is a limitation on dose currently at 140 gives a  
21 perfect opportunity to test this very question, because we  
22 don't know yet whether it's better to keep treating after  
23 140 or not, so you get a chance to see something about the  
24 persistence of effects afterward, the possible benefits of  
25 continued therapy, and a whole raft of things.



1           So I want to push again for the possibility that  
2 that is something that can be explored rigorously.

3           DR. GILMAN: Dr. Katz?

4           DR. KATZ: Yes, there probably is a little bit of  
5 a disagreement between Dr. Temple and myself, because as I  
6 said earlier, I am hesitant to make a claim for a particular  
7 phenomenon, let's say progression, based on what we think we  
8 understand about how the drug is working, because we never  
9 really understand that very well, and that's why I would  
10 prefer some sort of an operational definition, some sort of  
11 a study design that will just get at that question  
12 empirically, without having to rely on assumptions that we  
13 can have a test. So perhaps there is a disagreement.

14           I think the question of the progression is an  
15 important one, because it will have an important effect on  
16 how the thing gets labeled if it is approved and subsequent  
17 marketing and everything else.

18           DR. GILMAN: I have a similar view to Dr. Katz,  
19 but perhaps after we discuss MRI as a surrogate marker, we  
20 could get back to this question, because I think the  
21 evidence is pretty good that MRI is a good surrogate marker,  
22 and we are finding an effect upon MRI. Therefore, it does  
23 appear that there is an effect upon disease progression as  
24 indicated from the MRI. But I think we should next turn to  
25 the MRI.

1 Dr. Dahut?

2 DR. DAHUT: I too would agree with Dr. Katz,  
3 especially when you have a drug which at this point we  
4 believe we can only give for a fixed period of time at a  
5 fixed dose. If, 2 to 3 years after the drug is stopped,  
6 regardless of what therapy people went on, if people came  
7 back to the same point, it would be tough to justify using  
8 the drug, particularly--although the toxicity profile in  
9 this study was very good--we have to remember the patients  
10 were young; 45 was the top of one group, 55 in the other--  
11 and eventually, there will be older patients who will want  
12 the drug. In the prostate trials, there was 20 percent  
13 incidence of congestive heart failure; in an older  
14 population, I understand. So if, 2 to 3 years after the  
15 drug, basically, the curves came together at that point, any  
16 type of lifelong toxicity would be tougher to justify. So I  
17 think it is an important issue.

18 DR. GILMAN: Dr. Temple?

19 DR. TEMPLE: How long the effect lasts, whether it  
20 persists, whether there is a catch-up phase--those are all  
21 very interesting questions, and I certainly would not  
22 dismiss them. But it is not easy for me to see how a drug  
23 that affects your lymphocytes and things like that can be  
24 doing anything but slowing progression during the time it is  
25 being taken. That is in contrast with a drug that has a

1 pharmacologic effect where it might be doing nothing at all  
2 to the underlying disease, and you still might look better.  
3 Maybe you think this is a distinction without a difference  
4 and isn't worth talking about too much, which is also a  
5 possible interpretation.

6 DR. GILMAN: Dr. Katz:

7 DR. KATZ: Yes, I think that's just a different  
8 definition of progression. To say that it prevents  
9 progression while it is being given, I would not view that  
10 as a progression sort of claim. It could very well be what  
11 I would call a symptomatic effect.

12 DR. TEMPLE: Let me give you an analogy, and then  
13 I'll forget it. If you could show that an antibiotic could  
14 slow the progress of coronary artery disease, which is a  
15 hypothesis that is going on right now, it would not be  
16 plausible to think that the symptoms of coronary artery  
17 disease, like angina and things like that, were being  
18 treated by the antibiotic, because an antibiotic affects  
19 bugs, not people. The effect might be short-lived. The  
20 bugs might grow back as soon as you stop the drug. That  
21 would mean maybe you wouldn't think it was very good because  
22 you would have to be on the antibiotic all the time, but it  
23 would challenge the question of whether you had slowed  
24 progression; you have just slowed progression while the drug  
25 was there.

1           In contrast, if you slow heart failure by giving  
2 an ACE inhibitor, someone is entitled to ask you are you  
3 merely treating the heart failure before it got there, which  
4 is a slightly different issue and really goes to the  
5 question of whether you are preventing actual changes in the  
6 heart muscle.

7           I think they are two fundamentally different  
8 situations, but it may not matter that much.

9           DR. GILMAN: All right. The intermediate question  
10 before we get to the question about MR scanning is that the  
11 trials were unblinded, in a sense. Study 901 used blinded  
12 evaluators, and 902 did not. Diagnosis of relapses in 902  
13 is made by neurologists aware of treatment assignment. So  
14 the question posed to us is what is the effect of unblinding  
15 on treatment outcomes in these two studies.

16           Dr. Grotta?

17           DR. GROTTA: Well, I think we'd all rather have  
18 all of the endpoints blinded, but the primary endpoints of  
19 both studies seem to me to have been blinded and were  
20 robustly positive. So for instance, the clinical scales in  
21 the first study and the MRI reading in the second study were  
22 both done in a blinded fashion. So I am not that bothered  
23 by the blinding issue.

24           DR. GILMAN: Let me leap ahead to the question of  
25 whether there are two well-controlled, placebo-controlled

1 trials, with appropriate blinding, then, that show clinical  
2 efficacy in that one of the trials, 901, had blinding to  
3 clinical outcome, and 902 did not.

4 Dr. Katz?

5 DR. KATZ: Yes, 901 we learned did not have  
6 blinding with regard to relapses, and since the sponsor is  
7 requesting sort of two indications, in a sense, an effect on  
8 progression, which we will get back to, and an effect on  
9 relapses. So I asked the question whether there is a  
10 bonafide effect here on relapses. Both studies are  
11 unblinded with regard to relapses.

12 So if you could discuss that matter first, I'd  
13 like to hear that. The other thing--and Dr. Grotta has  
14 already given his opinion on this--the other measures in 901  
15 were ostensibly blinded--the EDSS, the Ambulation Index.  
16 The treatment effect sizes were relatively small, and there  
17 were some that people have discussed, some potential breaks  
18 in the blind because of very high instances, for example, of  
19 nausea, vomiting--or, nausea, anyway--alopecia, amenorrhea.

20 So when we are dealing with treatment effect sizes  
21 that are in the range, let's say, with regard to the means,  
22 anyway, that we have seen in 901, I would be interested to  
23 know what people think about the potential effects of  
24 unblinding even on those. But first, if we could look at  
25 the relapse question, that would be my preference.

1 DR. GILMAN: All right. Let's deal with the  
2 relapse question. That is just fine. I think it has to be  
3 said that neither trial had blinding for relapses. That is  
4 what we have heard, anyway. I don't think there is going to  
5 be disagreement on that point, and I see asset around the  
6 table, so I think that's the answer to your question.

7 DR. KATZ: Well, yes, that's a factual--but does  
8 the Committee think that nonetheless they should be entitled  
9 to a claim to treat relapses?

10 DR. GILMAN: Well, I think we should get to that  
11 question, ultimately, that is obviously before us.

12 Dr. Grotta?

13 DR. GROTTA: Well, I agree that the clinical  
14 relapse rate was unblinded, and I guess I'd like to hear  
15 from the MS authorities as to how closely--and I think we  
16 heard at least a beginning discussion--as to how closely  
17 does the MRI appearance of gadolinium enhancement correlate  
18 with clinical relapses, because we did see a significant  
19 reduction in the incidence of new gadolinium lesions on the  
20 MRI scan in the second study that was a blinded assessment,  
21 and one could argue that the appearance of gadolinium-  
22 enhancing lesions is a form of relapse.

23 DR. GILMAN: Dr. Weiner, Dr. Wolinsky?

24 DR. WOLINSKY: Probably the data that speaks to  
25 this test is a meta analysis that Ludwig Kapos and other

1 investigators have done across fairly large datasets in  
2 which serial MRI is available, or MRIs at baseline and  
3 relapse rates. So the relative risk--whether you want to  
4 look at relative risks or whether you want to look at  
5 correlations, there is a correlation between the amount of  
6 enhancement you see on a particular scan and the likelihood  
7 of a subsequent relapse--but it is not high.

8 DR. WEINER: First of all, if you look at all the  
9 studies of correlation between MRI and clinical outcomes in  
10 multiple sclerosis, the strongest correlation that is seen  
11 in virtually every study is a correlation between  
12 gadolinium-enhancing lesions and relapses. There is some  
13 debate in terms of T2 volume and progression and other  
14 things, but the strongest correlation, although it may not  
15 be perfect, because there are silent areas, is between  
16 gadolinium-enhancing and relapses, and that's something that  
17 we saw in our study as well.

18 DR. GILMAN: Dr. Lipton, then Dr. Katz.

19 DR. LIPTON: I guess my question to the MS  
20 experts--since I don't do MS trials, I don't know this--is  
21 to what extent do you think relapse can be assessed in open-  
22 label fashion without bias. How powerfully could knowledge  
23 of treatment influence the assessment of relapse in this  
24 setting?

25 DR. WEINER: You're talking about the clinical

1 relapse, not the MRI?

2 DR. LIPTON: Clinical relapse.

3 DR. WEINER: Yes. Of course, it depends on how  
4 the relapse is defined, but I think that if the relapse is  
5 rigorous defined as it was defined here, and there are clear  
6 neurologic findings like intranuclear ophthalmoplegias [ph.]  
7 and optic neuritis and ataxia or whatever, I think that it  
8 is pretty clear.

9 DR. WOLINSKY: I think we have seen an effect on  
10 what are called severe attacks, and I am convinced that  
11 those are the ones that are easy enough to count, hard  
12 enough to get too confused about. If the only effects we  
13 saw were on, quote, "mild" attacks, those can be much more  
14 easily affected by the extent of blinding.

15 I think the fact that there was a bonafide attempt  
16 to provide some level of blinding, even though it will never  
17 be perfect in any study, assures me. And while I don't like  
18 the tightness of the connection between the activity on the  
19 MRI, that is, gadolinium activity, and relapse rate, the  
20 fact that both of them are going in the same direction is  
21 quite reassuring.

22 DR. GILMAN: With respect to the problems in  
23 blinding clinically when a patient being treated has nausea,  
24 vomiting, hair loss, I don't think any trial could possibly  
25 deal with that, no matter how large the series, in a drug



1 such as this. So the point is a good one, Dr. Katz, but I  
2 don't believe that the sponsor could be expected to do a  
3 perfect study in the light of those side effects.

4 Let me ask our oncology consultants if they have  
5 any other comments about that?

6 DR. SWAIN: No. I would agree with you. You  
7 don't want to give the placebo group something metagenic  
8 just to make it unblinded--or blinded.

9 DR. GILMAN: Dr. Dahut?

10 DR. DAHUT: I would point out at least in the  
11 blinded trial of Sermin [ph.] in prostate cancer--and Sermin  
12 is a drug where there is a well-known side effect--about 30  
13 percent of the patients were wrong, and the physicians were  
14 often wrong, too.

15 So there is a very powerful placebo group. People  
16 will often develop side effects that they believe the drug  
17 has. So I think it can be done. If there is total  
18 alopecia, and there is not, that's fine. But I think that  
19 actually, it can be blinded, but it is going to still be a  
20 minority of the patients.

21 DR. GILMAN: Dr. Katz:

22 DR. KATZ: I had a question for Dr. Wolinsky.  
23 Suppose a study is done that has no MRI in patients with  
24 exacerbations. Would you be comfortable in the future  
25 permitting a trial or concluding a trial, in effect, on

1 relapses if it was unblinded?

2 DR. WOLINSKY: I would have to look very, k very  
3 carefully at the design and the conduct. I might, but then  
4 I would also look very carefully at the magnitude of the  
5 outcome. And if you are saying there is no comparator  
6 group, as opposed to unblinded, no, I have no confidence in  
7 that whatsoever.

8 DR. GILMAN: Dr. Temple?

9 DR. TEMPLE: Just to be sure I am hearing it  
10 right, what I heard was at least some view that if the  
11 exacerbations are reasonably well-defined and are serious  
12 ones, it is at least moderately credible that blinding would  
13 not influence that outcome too much and that people are at  
14 least somewhat buttressed in their slightly warm feeling  
15 about that by the MRI data. And we should tell everybody  
16 that you should try to blind the determination from now on  
17 about whether an exacerbation has occurred. It is possible  
18 to do it--it is a little more difficult--but it ends a lot  
19 of questions.

20 DR. GILMAN: It would make our task easier, I must  
21 say.

22 All right. Coming back to the question about  
23 unblinded trials, I believe we have one trial in which  
24 clinical outcome was blinded, or clinical status was  
25 blinded, and one in which it was not. I wonder if there is

1 any debate about that question?

2 [No response.]

3 DR. GILMAN: All right. Let's move along, then.

4 The next question has to do with use of MRI as a surrogate  
5 marker.

6 Dr. Katz mentioned that it has not been validated-  
7 -that is, an effect on the MRI has not been shown to predict  
8 clinical effect. Can we hear something about that? You  
9 have touched on it slightly, but not specifically to this  
10 kind of question.

11 Dr. Weiner or Dr. Wolinsky?

12 DR. WOLINSKY: I think it depends on how you look  
13 at it. I think we have had a number of trials now, both  
14 successful and, unfortunately, unsuccessful trials in which  
15 the MRI correlates with clinical effects have been very  
16 good. We have had an occasional trial where the correlates  
17 are not so good--that is, where an effect, particularly on  
18 gadolinium enhancement, seemed not to support a clinical  
19 effect as there was no clinical effect.

20 The question, though, that I think you are raising  
21 is a much more complicated question, and that is can we take  
22 to the bank--at least, this is the way I would see it--the  
23 data from 902 which showed an almost complete ablation of  
24 enhancement activity after the course of 3 months of  
25 treatment, to believe that that will always correlate with

1 good outcome one or two or three years later. I don't think  
2 we have any data that speak to that. And that is a  
3 predictive surrogate rather than a surrogate which is, if  
4 you will, just a supportive piece of evidence of drug  
5 effect.

6 DR. GILMAN: Dr. Weiner?

7 DR. WEINER: I don't know what the question is  
8 exactly in terms of the surrogate or the MRI. I guess the  
9 question is with the changes on the MRI, does one expect  
10 that to translate into benefit for the patient--is that the  
11 question?

12 DR. GILMAN: Dr. Katz?

13 DR. KATZ: Yes. Basically, the predictive  
14 surrogate is the sort that the regulation talks about and  
15 that in general people talk about, but here, there is no  
16 sense from the sponsor, anyway, that they had anticipated  
17 that it would be a surrogate in the sense of predicting what  
18 happens two or three years down the road. That is why we  
19 talked about the concept of the so-called contemporaneous  
20 surrogate, which is is it a reflection of the underlying  
21 pathology at the moment, and at that moment--let's say at  
22 six months--does that mean the patients are better off given  
23 the response on the MRI, clinically, importantly.

24 DR. WEINER: I think the answer to that is yes, in  
25 my mind, anyway. And there is data that is accumulating

1 that the MRI is also a predictive surrogate; some studies  
2 that look at MRI lesion burden, et cetera, and how the  
3 patient is 10 years later, and there are correlations that  
4 patients whose MRIs have more lesion burdening, et cetera,  
5 10 years later don't do as well. So those aren't perfect  
6 studies, but that data is beginning to accumulate, so things  
7 are moving in that direction, and I think I can confidently  
8 say in my own mind, anyway, that what was shown in the study  
9 here with MRI shows that during the time of treatment, the  
10 disease process itself was lessened.

11 DR. GILMAN: Well, that becomes key, because 902  
12 did not have blinding to clinical status, and if we are  
13 hearing that in fact the MRI serves as a good  
14 contemporaneous surrogate for disease and therefore clinical  
15 status, then some of our concerns about the lack of blinding  
16 in Study 902 are somewhat assuaged.

17 DR. WOLINSKY: I think the level of understanding  
18 or insight into what MRI is telling us--I think we are far  
19 enough along to be able to say, particularly with where we  
20 think a drug like Mitoxantrone would be working, and what we  
21 are seeing on the MRI evidence in these cases is that the  
22 influx of new cells into the brain to initiate a new lesion  
23 and create a new T-2-weighted abnormality is clearly being  
24 stopped. It doesn't say anything is getting into the brain,  
25 and of course, the level of MRI analysis here was not

1 adequate to tell us whether we are having any effects on  
2 pre-existing lesions.

3 DR. WEINER: I would just like to turn your  
4 comment around, Russ, in terms of a study that only had  
5 clinical. I think we are beyond that. I don't think there  
6 can ever be a study now testing a drug that has a putative  
7 anti-inflammatory action in multiple sclerosis without MRI.  
8 I think it has come that far.

9 DR. KATZ: Let me just ask a question that's not  
10 necessarily on the table for this application. Have we come  
11 far enough to say that if you had a trial that showed an  
12 effect on MRI and did not show an effect on clinical outcome  
13 that that would be--

14 DR. WEINER: You were at the meeting. We had a  
15 big meeting to discuss that in terms of the MRI as surrogate  
16 marker here in Washington. The feeling of most of the  
17 people who came was that as far as gadolinium-enhancing  
18 lesions, they felt that that did reflect attacks, et cetera.  
19 There was still some debate on some of the others. But I  
20 would predict, rightly or wrongly, that we will one day get  
21 to the point where the MRI could be a surrogate marker and  
22 that it will be, because it will be linked, and it will not  
23 be possible to have changes on MRI not linked to clinical.

24 DR. GILMAN: Dr. Temple?

25 DR. TEMPLE: That all seems like a really

1 important discussion, and because of the people listening,  
2 it seems worth saying that the potential use of MRI here in  
3 this case is in support of one study that has a clinically  
4 meaningful endpoint. That is very different from the  
5 conclusion that the MRI data alone, without any clinical  
6 data, might support approval, and I wouldn't want anybody  
7 out there to forget that distinction.

8           Before you can accept a surrogate, you have to  
9 have some idea of the quantitative relationship. You have  
10 to know what a given change might mean clinically--  
11 otherwise, how can you weigh it against the risks? So  
12 that's a significant additional step.

13           The burden the surrogate is being asked to support  
14 here is considerably less. I'm sure everybody here knows  
15 that. I just worry about the outside world.

16           DR. GILMAN: Thank you.

17           Dr. Grotta?

18           DR. GROTTA: I'd just like to make the plea that--  
19 I'd hate to see trials, certainly in stroke, and I would  
20 think in MS, too, to be done without a clinical correlate.  
21 And I don't really want to spend the time to debate this at  
22 this point because I have a plane to catch. But the fact of  
23 the matter is we need to know what to go back and tell our  
24 patients about the effect of a drug. I can say from Study  
25 901 that if one of these patients of the two who had the

1 secondary progressive, and perhaps the third one, who  
2 testified before us today, received this drug--that those  
3 patients had a 25 percent chance of deteriorating by one or  
4 more points on the Kurtzke scale in the next two years with  
5 placebo, and with this treatment, they have an 8 percent  
6 chance of deteriorating one or more point on the Kurtzke  
7 scale. To me, that is understandable; that is something  
8 patients understand. They don't understand the number of  
9 MRI lesions. I think we need to keep the clinical scores.

10 DR. GILMAN: Let me turn to a subset of those  
11 issues, which is that the treatment may interfere with the  
12 measurement itself. That is, is there any evidence, or are  
13 we suspicious at all that the drug interfered or interacted  
14 with the lesion or the MR scan itself, namely, with the  
15 gadolinium, I guess is the question. I don't know of any  
16 such evidence. Can anybody comment on that question?

17 DR. WOLINSKY: I think that sets a new hypothesis  
18 that is for me beyond belief.

19 DR. GILMAN: Theoretically, it is possible. Is  
20 there any other comments about that?

21 [No response.]

22 DR. GILMAN: I think we will leave it there, then.  
23 The third subset question is the drug conceivably could have  
24 a benefit on the surrogate marker but not on the disease  
25 itself. That is, the drug could conceivably even benefit



1 the surrogate but worsen the disease.

2 As I have seen the data, there is no evidence of  
3 that in these two trials, but I want to hear from the rest  
4 of the Committee about that question.

5 DR. LIPTON: I thought it was a valuable  
6 cautionary note, that in a context where the surrogate and  
7 the clinical measures improve together, it seems  
8 unparsimonious to think that's what is operating here.

9 DR. WEINER: I would agree. Just getting back to  
10 your question and your comment as well, I agree 100 percent  
11 that all of our trials need to have a clinical outcome.  
12 There is no question about that. I think the surrogates can  
13 be used in Phase I and Phase II. Also, the point I was  
14 making is that I would be very reluctant to approve  
15 something in multiple sclerosis in Phase III that didn't  
16 also show something on MRI in addition to the clinical. And  
17 I don't think that necessarily would happen, but I agree  
18 with what you and Dr. Katz said about the clinical.

19 DR. GILMAN: The next subset of that set of  
20 questions would be what specific MRI measure reflects what  
21 specific brain pathology. In other words, what are we  
22 seeing when we see gadolinium enhancement was the question.

23 I think the answer is that it shows a breakdown of  
24 the blood brain barrier. Are there other comments about  
25 that question?

1 DR. WOLINSKY: This is picking up some measure of  
2 the inflammatory process that we currently believe is an  
3 important early event in lesion formation, at least for some  
4 lesions. And there is good histologic evidence for that  
5 now, and there is good correlative pathologic evidence. It  
6 probably doesn't pick up all lesion development early.

7 DR. WEINER: I would agree with that.

8 DR. GILMAN: Thank you.

9 Next is the hypothetical situation in which the  
10 effect of the surrogate marker is so small that it can never  
11 be reflected in a meaningful clinical benefit, irrespective  
12 of the sensitivity of the marker. As a consequence, what  
13 are the Committee's views on the utility of MRI as a marker?

14 I think we have addressed that issue pretty well  
15 thus far, unless there is any other comment about that.  
16 There clearly was a set of changes in the MR scanning with  
17 902 as a blinded study, and the effects were fairly  
18 substantial.

19 Dr. Grundman?

20 DR. GRUNDMAN: Just one quick question. Can  
21 anybody explain to me why going from Month Zero to Month  
22 One, the number of mean MRI lesions could double, say, from  
23 5 to 12 in Study 902, but the EDSS score only went up by  
24 0.01 point, if the correlation is that good.

25 DR. WOLINSKY: The correlation is not that good,

1 and I could show you many patients that we followed with  
2 serial imaging and spectroscopy where the total enhanced  
3 tissue volume has been in excess of 7 mls, which is more  
4 than the T2 lesion burden in many of the patients in the  
5 study, and they have had no symptoms at the time.

6 DR. GILMAN: It's an anatomical issue, isn't it?  
7 It depends upon where the lesion is located with respect to  
8 the principal motor and sensory pathways.

9 DR. WOLINSKY: With all due respect, Dr. Gilman,  
10 it is much more complicated than that.

11 DR. WEINER: I was going to make another point  
12 that we haven't discussed that I think is very important.  
13 It is not only an anatomical issue, but the brain has a lot  
14 of plasticity, so that if you have damage to one area, other  
15 areas can then take over. So if you look at someone with  
16 optic neuritis who recovers, and you do functional imaging,  
17 there are other parts of the brain that are now picking it  
18 up. So what is happening is that you are using other parts  
19 of the brain, but then, as the disease progresses, you go  
20 over that threshold, and then you get an irreversible  
21 deficit. So I think things are happening and putting the  
22 patient at risk later on for neurologic disease or deficits.

23 DR. GILMAN: All right. Is there any other  
24 discussion about MRI as a surrogate marker or the results of  
25 these studies?

1 [No response.]

2 DR. GILMAN: All right. hearing none, Dr. Katz, I  
3 believe we are close to asking the question whether the  
4 sponsor has proven efficacy, and I am ready to address these  
5 questions. So, are there other issues that you want to ask  
6 us about?

7 DR. KATZ: Yes. You were going to get back to the  
8 question of progression of disability claim after the MRI  
9 discussion.

10 DR. GILMAN: Thank you. You are quite right.

11 Then, just to paraphrase, in fact, is there  
12 evidence of a change in progression from what you have  
13 heard--let me ask the consultants and let me ask the  
14 Committee also, if the consultants want to comment first  
15 about the claim of a change in progression contemporaneous.

16 DR. WOLINSKY: There was a lesser amount of  
17 accumulated disability at the end of the study than there  
18 was in the beginning of the study in the patients who were  
19 treated. This concept of progression is a very thorny  
20 issue, and I am not sure exactly how to define it.

21 I do know that the EDSS scores were different, and  
22 I think that's all I could say. I am not clear about the  
23 concept of progression. It is likely that there was some  
24 meaningful, statistically meaningful, and perhaps for the  
25 patients, important, change in the tempo of their disease

1 that happened temporarily with treatment.

2 DR. WEINER: Yes, I think there was an effect on  
3 progression. I actually liked Dr. Lublin's slide--and maybe  
4 this is some wording we might get into, or it might be  
5 recommended--that rather than an effect on secondary or  
6 progressive disease, worsening forms of relapsing and  
7 progressing disease. I think that that's the key--it is the  
8 worsening of the disease and the worsening form of both the  
9 relapsing or the progressive disease, and I think that that  
10 was shown.

11 DR. GILMAN: Dr. Katz?

12 DR. KATZ: Again, the word "progression" has been  
13 thrown around a lot, and it occurs two places in effect in  
14 the sponsor's proposed labeling. I think we have already  
15 dealt with the question of who the patients are and what  
16 sort of disease they have. We can figure out a word to  
17 describe them. What I'm talking about here is the effect of  
18 the drug on the disease, and the use of the word  
19 "progression" could describe that.

20 I agree with you, and obviously, I think Dr.  
21 Temple and I even have a disagreement about how it best  
22 ought to be defined or at least looked for. So it's a  
23 complicated matter.

24 DR. GILMAN: Dr. Kawas?

25 DR. KAWAS: Somewhat in that same vein, I am a

1 little bit surprised that I am about to say I have come to  
2 the conclusion that this drug probably does have a clinical  
3 effect. But I don't understand how it is different from the  
4 clinical effect for the drugs that are already approved for  
5 MS right now, and I wanted to ask the two MS experts on our  
6 panel if, when they are talking about progression and that  
7 there is an effect on progression of functional disability  
8 or progression of whatever, is that different from the other  
9 drugs that are available? Is this a different effect on the  
10 disease, or do you think we are looking at something similar  
11 to the Interferon in terms of clinical significance and  
12 magnitude?

13 DR. WEINER: Well, I think if the disease is one  
14 disease or a subset of diseases, and there are  
15 immunomodulatory therapies that are affecting it, they  
16 ultimately have to be working through similar pathways. And  
17 I would believe or like to believe, and there are theories,  
18 that the way the Interferons are working or the way the  
19 Copaxone is working or the way the Mitoxantrone is working  
20 will ultimately be in a similar area, whether it is  
21 decreasing IL-12 [ph.], whether it is decreasing migration  
22 of lymphocytes, whether it is decreasing TNF, if we believe  
23 the immune hypothesis of the disease, which is what the  
24 current feeling is.

25 So I think that they ultimately have to be working

1 in a similar way. Where does it fit in terms of the other  
2 drugs? We only would know that if they were compared  
3 directly against the other drugs. There is some suggestion  
4 from the data presented that the Mitoxantrone might have  
5 stronger clinical effects because it was used in more active  
6 patients. Whether that will be shown to be true if you  
7 compare them directly to the other drugs, I don't know.  
8 We'd have to see a direct comparison.

9 DR. KAWAS: So, by your definition of progression,  
10 does Betaseron affect progression?

11 DR. WEINER: Betaseron--I would use the word  
12 "worsening" and progression, and I would say yes.

13 DR. KAWAS: Thanks.

14 DR. GILMAN: You'd say yes, based on what?

15 DR. WEINER: This is again a hypothetical  
16 question, but I would say yes based on the fact that, for  
17 example, if you look--again, we are not now talking about  
18 controlled trials, which is comparing one thing to the  
19 other--but if you look, there are linkages, for example,  
20 between the number of attacks people have and later disease  
21 progression or predicted value of how they are doing. And  
22 although it may be a certain article of faith that if you  
23 are decreasing relapse trait or whatever, you are affecting  
24 progression. Again, I interpreted the question as more of  
25 what I thought or what I would postulate, not what

1 necessarily the hard evidence is, and that hard evidence  
2 only comes with direct comparison and long-term studies.  
3 But there are linkages between number of attacks and how  
4 people do later on in their disease; there are linkages  
5 between MRI lesions and how people do later. So I think the  
6 disease-modifying drugs, although imperfect, if they are  
7 affecting gadolinium lesions and if they are affecting T2  
8 volumes, and those are shown to be linked, although  
9 imperfectly, they ultimately are going to affect progression  
10 or worsening of the disease.

11 DR. GILMAN: That may be, but what we have seen in  
12 the two trials, the Avanex and the Betaseron trial, has been  
13 reflected in only relapses, not in progression, except for  
14 the study that Dr. Wolinsky mentioned.

15 Go ahead.

16 DR. WOLINSKY: I think it depends on what the  
17 various review committees accepted as a definition of  
18 progression when those particular studies were presented in  
19 a room like this. I think it is fair to say in the  
20 Betaseron trial, the original one, that there was a trend  
21 that suggested a reduction in accumulated disability--I'll  
22 use the same term I used a while ago--but the size of the  
23 study was probably inadequate to be statistically  
24 significant.

25 I think the trial for gluteramir acetate showed a



1 magnitude of an effect which is very similar to what we are  
2 seeing today, but we are looking across slightly different  
3 patient sets, so I don't know how translatable that data is.

4           The European secondary progressive trial reached  
5 statistical significance for this kind of outcome measure.  
6 So in my own mind, because of my problems in how I define  
7 progression, feel that we probably are seeing a third class  
8 of immunomodulator, one with very respectable toxicity, or  
9 toxicity to be respected, which provides another alternative  
10 for trying to modify the disease course in patients with MS,  
11 and it will be our problem, I hope, to figure out how wisely  
12 to use these.

13           DR. GILMAN: Dr. Temple?

14           DR. TEMPLE: We like most what's actually in the  
15 labeling, and Copaxone does not have any claim to reduce the  
16 level of disability. You could say that they were  
17 moderately close to such a claim, but they weren't given it.  
18 And one of the Beta-Interferons has a claim for prevention  
19 of progression or whatever you want to call it, but only in  
20 relapsing remitting disease.

21           Now, to the extent that that's a mixed bag, who  
22 knows what they really have, but at least at the moment,  
23 there isn't anybody who has a claim for prevention of  
24 whatever this is in worsening disease--not yet. That  
25 doesn't mean those don't have an effect, it's just that they

1 are not labeled that way.

2 DR. WOLINSKY: That's why I tried to be very  
3 careful with that answer.

4 DR. GILMAN: Dr. Dahut?

5 DR. DAHUT: Just one quick comment on this. I  
6 think Slide M-39, which shows the number of patients in each  
7 group whose EDSS showed a greater than 1.0-point  
8 deterioration over 6 months, is probably the most important  
9 group in my mind, because we said earlier that a one-point  
10 deterioration was meaningful clinically, and this was for 6  
11 months, so I think that's an important endpoint.

12 Now, it is important to know that while it was  
13 statistically significant, it was at 0.045, and you have  
14 small numbers, which means if you had one more patient in  
15 the Mitoxantrone arm who didn't reach that, it wouldn't have  
16 reached statistical significance.

17 So I think we have to be aware that we only have  
18 62 patients treated in the 2-3-month treatment arm, and just  
19 one or two patients a few ways may change our perception of  
20 the data. So while I think there is sort of a bias and an  
21 accumulation of information that something good is probably  
22 going on, it is certainly not overwhelming under any one  
23 particular test.

24 DR. GILMAN: All right. We're going to come next  
25 to the three questions before us unless there is anything

1 else, Dr. Katz, Dr. Temple, that you wanted to hear from  
2 the Committee.

3 DR. KATZ: Yes. Again, just on the progression, I  
4 am trying to get a sense of how the Committee in general  
5 feels about that. I don't think I got a clear sense about  
6 that.

7 DR. PENN: Shall we just take a vote on whether we  
8 think there is some evidence, substantial evidence?

9 DR. KATZ: Well, the first question asks if you  
10 think they have substantial evidence to support their  
11 proposed claim and if you think--

12 DR. PENN: And that is part of their claim.

13 DR. KATZ: --that is part of their claim--so if  
14 you think it does, and you think relapses are involved in  
15 that--

16 DR. TEMPLE: Would people be happier if it said  
17 "neurologic progression" or something like that? Does that  
18 remove the implication of something eternal? I mean, what  
19 else--that doesn't make any difference?

20 DR. GILMAN: No, no. The request for approval is  
21 to slow progression of neurological disability--the first  
22 part of the statement.

23 Dr. Grotta?

24 DR. GROTTA: I think the problem is still the use  
25 of the word "progression," and I think--and I don't want to

1 put words in your mouth--but I think what you are wrestling  
2 with is that that implies a biological effect on the  
3 disease, and we are talking about a clinical change. I  
4 think that probably if you just change that first  
5 progression to some other term, and Jerry suggested  
6 "accumulated neurological disability," but I guess you're  
7 going to wrestle with the wording of the claim.

8 DR. GILMAN: Right.

9 DR. GROTTA: I guess my point is that I feel  
10 comfortable with the notion that it affects and reduces the  
11 accumulated neurologic deficit, the clinical progression. I  
12 don't know about the biological progression, but I don't  
13 care.

14 DR. KATZ: Okay, but I care. From the point of  
15 view of labeling, I think it's important. I want to know  
16 whether or not the Committee thinks that there is an effect  
17 on the underlying biology, pathophysiology, structural, if  
18 you will, effect and whether you think there is evidence  
19 that that effect is transient, and when you take the drug  
20 away, it goes back to the way it was had you never been on  
21 drug, or whether you think that's a permanent change.

22 DR. GILMAN: We don't have data that bear on that  
23 question, in my opinion.

24 DR. WOLINSKY: I can't answer the second part of  
25 that in terms of whether or not it goes away. The MRI data

1 convinces me that there is an effect on a fundamental aspect  
2 of the underlying pathology. If that's what we use, which  
3 is not what patients really care about, then yes, they've  
4 got it.

5 DR. GILMAN: Dr. Grundman?

6 DR. GRUNDMAN: I would agree. I don't think there  
7 is evidence presented here that it affects the underlying  
8 structure. So if the word "progression" is an issue, I  
9 could live with the idea of reduction in clinical disability  
10 compared to the control group if I actually believed that,  
11 and I'm not sure I believe that because I still have  
12 problems with the blinding of the study overall, and also  
13 with the clinical remissions, as long as we are on the  
14 subject. Despite what we have heard here, I don't know if  
15 we want to set the bar that low for future studies that we  
16 would approve a drug for relapses that weren't conducted in  
17 a blinded fashion.

18 DR. GILMAN: Dr. Penn?

19 DR. PENN: Okay. I think that an MRI that shows a  
20 hole in the head shows something about the biology. Let's  
21 put it that simply--that a gadolinium positive scan does say  
22 something about biology.

23 I live in the world of dealing with these scans  
24 and deciding whether to do surgery or not on the basis of  
25 them, for example. So I don't think there is a question

1 about that. But we would all like to have evidence of how  
2 it works biologically that would make us feel happier about  
3 it, and we have some sense of immune responses and so forth  
4 that are modified. In fact, that's why we think it might  
5 work like the other drugs work, but we don't have comparable  
6 evidence with the other drugs. As I thought I said before,  
7 we have some moderate evidence that meets your operational  
8 criterion of stopping progression--that is, the one-year-out  
9 study that was not blinded--so I find that, plus the MRI  
10 evidence, which I really give strong weight to, convincing  
11 enough to give them the phrase affecting progression of  
12 disease as well as we can define it now.

13 But that's a very forceful "pro" statement, and  
14 I'm not sure that all my colleagues would agree with that in  
15 that form.

16 DR. GILMAN: We'll soon find out.

17 Are there any other comments about that question?

18 Yes, please.

19 DR. WEINER: Since I don't vote, let me just--and  
20 I won't take a long time--tell you my own personal view of  
21 the data and where I think it fits in terms of multiple  
22 sclerosis as someone who has been treating patients with the  
23 disease for 25 years and has actually used other  
24 chemotherapy agents that can only be used for short periods  
25 of time, et cetera.

1 First, in my own mind, I don't think there's any  
2 question that the Mitoxantrone affects the underlying  
3 pathology of the disease while it's given, and also is  
4 beneficial clinically.

5 Second, there is also no question my mind that it  
6 will add something to the armamentarium of the neurologist  
7 who is confronted with MS patients, especially--and I liked  
8 these words--the worsening forms of relapsing remitting or  
9 progressive disease. We hope--if you put someone on  
10 Copaxone or one of the other drugs, and they do well and  
11 don't need anything else, that's fine, but we know that  
12 that's not true, and Mitoxantrone will serve a need.

13 I also want to warn that I think this is going to  
14 create false hopes among multiple sclerosis patients. There  
15 are going to be MS patients who are severely disabled or who  
16 have very slow progression or are not that actively  
17 progressive who now think that there is a drug that is going  
18 to have a major impact on their disease. There are lots of  
19 people that that won't happen. So I would put a word of  
20 caution to both the MS Society and to the company, who I  
21 applaud for all their work, to be careful about this,  
22 because actually, if you look at Table 40, you will see that  
23 it doesn't stop things in everybody; there are people who  
24 are worse, most people are basically stable, and the number  
25 of improved is not that great.

1           The other thing I would mention to you as someone  
2 who deals with the disease and doesn't just see patients for  
3 2 years but sees patients over 10 or 20 years, the ultimate  
4 issue is to keep a 25-year-old person stable and without  
5 disability over the long course of their disease, and I  
6 think that the Mitoxantrone will give us the opportunity to  
7 help stop the disease early, and the fact that it can only  
8 be given for 2 years is a weakness. However, the strength  
9 of the biologic effect will it to be used in combination not  
10 necessarily with other drugs being given, but other drugs  
11 being given sequentially. And we know in medicine, whether  
12 it is cancer or any other disease, that we often use drugs  
13 in sequence or in combination. So I think it is going to  
14 have an effect in that particular way.

15           DR. GILMAN: Thank you.

16           Are there any other comments?

17           Dr. Wolinsky?

18           DR. WOLINSKY: No.

19           DR. GILMAN: Dr. Lipton?

20           DR. LIPTON: At the risk of perseverating, I  
21 continue to be impressed with the fact that the evidence  
22 that the 12 mg per meter squared is not demonstrably better  
23 than the lower dose and that the lower dose might well  
24 address your issue of you only get 2 years of treatment. So  
25 in some way, I would like that reflected in the plans going



1 forward.

2 DR. GILMAN: That was true of the EDSS, but it was  
3 not true for the other markers that they used, whereas with  
4 the 12 mg per meter squared, in fact, it was true with most  
5 of the other markers for their primary outcome.

6 DR. LIPTON: Yes. The summary measure was  
7 significant for both, and EDSS was significant, as were  
8 relapses treated with steroids, and time to first relapse--  
9 so three of them were significant, and the primary was  
10 significant, and the others weren't different.

11 DR. WOLINSKY: If I could add, because of the  
12 differences in the two studies, because of the differences  
13 in the patients, because of the differences in doses,  
14 although I am convinced about the biological effect they  
15 have shown, I am not sure but what one couldn't get the same  
16 effect with 3 months of treatment as was done in the French  
17 study alone.

18 DR. GILMAN: Dr. Grotta?

19 DR. GROTTA: Just to remind the group, we are not  
20 being asked to answer that question. We are being asked is  
21 this dose that they propose effective or not. Further study  
22 could determine whether there is a better dose or a better  
23 way to give the drug.

24 DR. WOLINSKY: I agree with that, Jim. I just  
25 wanted to go on record as saying there is still a lot of

1 room for potential safer use of this drug.

2 DR. GILMAN: Dr. Katz?

3 DR. KATZ: I think that's right, but there is a  
4 dose-related, if you will, question that was not up on my  
5 slide and might not even be in the book, but I talk about  
6 it, which is the fact that these two studies used widely  
7 disparate dosing regimens, and the MRI data, which is most  
8 impressive, comes from one in which the drug was given every  
9 month for 6 months. To the extent that that data makes you  
10 feel comfortable about the clinical data in the first study,  
11 what can we say about what dose ought to be recommended?

12 DR. WOLINSKY: I think you can't say very much.

13 DR. KATZ: Well, you have to.

14 DR. WOLINSKY: That's why I'm not voting.

15 DR. GILMAN: No--you are not voting because you  
16 are a consultant.

17 The sponsor has asked for approval of 12 mg per  
18 meter squared, and the data that we have are complete for  
19 that dose--every three months, yes. Thank you.

20 DR. GRUNDMAN: Every 3 months for what period of  
21 time?

22 DR. GILMAN: Up to a total dose of 140, they are  
23 telling us today. At 100, they do an echocardiogram, but  
24 their total dose, they are telling us, is 140.

25 DR. GRUNDMAN: So this would be approved as a

1 treatment regimen for 12 mg per meter squared every 3 months  
2 up until 100--whatever--is reached?

3 DR. GILMAN: Well, up until 140, which is their  
4 absolute top dose, they have told us today. There will be  
5 points at which the echocardiogram would be examined.

6 DR. GRUNDMAN: And would there be any point before  
7 that that we would stop the medication, or would it just be  
8 that's the regimen, up to 2 years' worth of treatment?

9 DR. GILMAN: I believe that would be up to the  
10 treating physician.

11 DR. GRUNDMAN: Because remember, after 6 months'  
12 worth of treatment, it was no better than placebo in the  
13 first study at 12 mg every 3 months. So two doses didn't  
14 seem to matter too much, so it seems like you'd have to  
15 continue dosing them for maybe up to 2 years to ensure that  
16 you are going to get the effect that you are after.

17 DR. GILMAN: They were showing an effect already  
18 at the third month, and that effect improved in the 901  
19 study. It continued to improve, if I recall the data  
20 correctly.

21 DR. PENN: At six months.

22 DR. GILMAN: At six months. Thank you. Okay.

23 Dr. Katz, I think, was first, then Dr. Temple,  
24 then Dr. Grotta.

25 DR. KATZ: I'd like to hear from the voting

1 members, then, what they think about the proposed dosing  
2 regimen. I'd just like to get a sense.

3 DR. GILMAN: Dr. Grotta, you fill that bill.

4 DR. GROTTA: Well, I'm not really bothered by it  
5 too much. I think in our current health care system, it's  
6 much more likely that people are going to get too little of  
7 this drug than too much of it, and I think that you're going  
8 to spell out the caveats of when toxicity is likely to  
9 occur. I think the data that we have seen today show that  
10 in the dosing regimen that is being recommended with the  
11 safeguards that are recommended that the likelihood of  
12 cardiac toxicity is very low, and I think the cancer data  
13 would support that, too. So I think we have to worry more  
14 about patients being able to have their insurance cover the  
15 drug.

16 DR. GILMAN: I would agree with that assessment  
17 that the dose of 12 mg per meter squared appears to have  
18 some toxic effects but no "serious" or life-threatening  
19 toxic effects.

20 DR. KATZ: I'm not really concerned, for purposes  
21 of this question, about the toxic effects. I am wondering  
22 whether, if you think the MRI findings are very persuasive--  
23 most of those persuasive findings come from 902, where the  
24 dosing was more intensive--and I am wondering whether you  
25 think you need that dosing regimen to confer the benefit.