

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

PREGNANCY LABELING SUBCOMMITTEE  
OF THE  
ADVISORY COMMITTEE FOR REPRODUCTIVE HEALTH DRUGS

This transcript has not been edited  
or corrected, but appears as received  
from the commercial transcribing  
service. Accordingly the Food and  
Drug Administration makes no  
representation as to its accuracy.

9:15 a.m.

Tuesday, March 28, 2000

Crystals Ballroom  
Hilton Hotel  
620 Perry Parkway  
Gaithersburg, Maryland

## ATTENDEES

## SUBCOMMITTEE MEMBERS:

MICHAEL F. GREENE, M.D., Chairman  
Associate Professor of Obstetrics,  
Gynecology and Reproductive Biology  
Department of Obstetrics and Gynecology  
Massachusetts General Hospital  
Boston, Massachusetts 02114

SANDRA TITUS, PH.D., Acting Executive Secretary  
Advisors and Consultants Staff, HFD-21  
Center for Drug Evaluation and Research  
Food and Drug Administration  
5600 Fishers Lane  
Rockville, Maryland 20857

ELIZABETH ANDREWS, PH.D., M.P.H.  
Director  
Worldwide Epidemiology  
Glaxo Wellcome  
5 Moore Drive, Box 13398  
Research Triangle Park, North Carolina 27709-2298

ELIZABETH ANN CONOVER, M.S.  
Coordinator  
Nebraska Teratogen Information Service  
Munroe Meyer Institute for Genetics  
and Rehabilitation  
University of Nebraska Medical Center  
985440 Nebraska Medical Center, Room 2074  
Omaha, Nebraska 68198-5440

CHRISTINA CHAMBERS, M.P.H.  
Epidemiologist  
California Teratogen Information Services  
UCSD Medical Center  
Department of Pediatrics  
200 West Arbor Drive  
San Diego, California 92103-8446

JANET DARDEN CRAGAN, M.D.  
Division of Birth Defects and Genetics  
Centers for Disease Control and Prevention  
MS F45, 4770 Buford Highway, N.E.  
Atlanta, Georgia 30341-3724

## ATTENDEES (Continued)

## SUBCOMMITTEE MEMBERS: (Continued)

MARY G. HAMMOND, M.D.  
Reproductive Endocrinologist  
Reproductive Consultants, PA  
1053 Fearrington Post  
Pittsboro, North Carolina 27312

JAMES A. LEMONS, M.D.  
Professor of Pediatrics  
Indiana University of Medicine  
699 West Drive, Building RR208  
Indianapolis, Indiana 46202

ALLEN MITCHELL, M.D.  
Director  
Slone Epidemiology Unit  
Professor of Epidemiology and Pediatrics  
Boston School of Public Health and Medicine  
1371 Beacon Street  
Brookline, Massachusetts 02446

KAREN ROSENE MONTELLA, M.D.  
Department of Medicine  
Women & Infants Hospital  
101 Dudley Street  
Providence, Rhode Island 02905

JULIA SCOTT, R.N., Consumer Representative  
President  
National Black Women's Health Project  
600 Pennsylvania Avenue, S.E., Suite 310  
Washington, D.C. 20003

SHEILA WEISS, PH.D.  
Assistant Professor  
University of Maryland  
100 North Greene Street, 6th Floor  
Baltimore, Maryland 21201

PATRICK WIER, M.D.  
Group Director  
Reproductive Toxicology  
SmithKline Beecham Pharmaceuticals  
709 Swedeland Road, Mc#UE0465  
King of Prussia, Pennsylvania 19406

## ATTENDEES (Continued)

## SUBCOMMITTEE MEMBERS: (Continued)

KATHERINE L. WISNER, M.D.  
Professor of Psychiatry and  
Reproductive Biology  
Director, Women's Mental Health Care Program  
Case Western Reserve University  
11400 Euclid Avenue  
Triangle Building, Suite 280  
Cleveland, Ohio 44106

## NON-VOTING SUBCOMMITTEE CONSULTANTS:

JAN M. FRIEDMAN, M.D., PH.D.  
Birth Defects and Genetic Diseases Branch  
Centers for Disease Control  
4770 Buford Highway, N.E.  
Mail Stop F-45  
Atlanta, Georgia 30341-3724

KEN LYONS JONES, M.D.  
Professor of Pediatrics  
Department of Pediatrics  
Division of Dysmorphology and Teratology  
University of California, San Diego  
200 West Arbor Drive, #8446  
San Diego, California 92103

SUSAN KING, M.D.  
Division of Infectious Disease  
The Hospital for Sick Children  
555 University Avenue  
Toronto, ON M5B 1X8 Canada

DONALD MATTISON, M.D.  
Medical Director  
Birth Defects Foundation  
1275 Mamaroneck Avenue  
White Plains, New York 10605

## ATTENDEES (Continued)

## NON-VOTING SUBCOMMITTEE CONSULTANTS:

JAMES MILLS, PH.D.  
Senior Investigator  
National Institute of Child Health  
and Development  
National Institutes of Health  
6100 Executive Boulevard, Room 7B-03  
Bethesda, Maryland 20892

NANCY WADE, M.D., M.P.H.  
Council of State and Territorial Epidemiologists  
NYS Department of Health Aids Institute  
Corning Tower, Empire State Plaza, Room 412  
Albany, New York 12237

## GUEST SPEAKERS:

LEWIS HOLMES, M.D.  
Professor of Pediatrics  
Massachusetts General Hospital  
Genetics and Teratology Unit  
Waren 801  
32 Fruit Street  
Boston, Massachusetts 02114-2696

AUDREY ROGERS, PH.D.  
Adolescent Medicine  
HIV/AIDS Research Network  
National Institute of Child Health  
and Human Development  
6100 Executive Boulevard  
Bethesda, Maryland 20892

ROBERT SHARRAR, M.D.  
Merck and Company, Inc.  
Sentry Parkway West  
P.O. Box 4, BLB-30  
Building West, Mail Stop BLB-30  
Blue Bell, Pennsylvania 19422

CATHY SPONG, M.D.  
National Institutes of Health  
Pregnancy Branch  
6100 Executive Boulevard  
Bethesda, Maryland 20892

## ATTENDEES (Continued)

## FOOD AND DRUG ADMINISTRATION STAFF:

JOSEPH DeGEORGE, PH.D.  
HOLLI HAMILTON, M.D., M.P.H.  
FLORENCE HOUN, M.D.  
DIANNE KENNEDY, R.PH.  
SANDRA KWEDER, M.D.  
EVELYN RODRIGUEZ, M.D., M.P.H.

## ALSO PRESENT:

MARY TETER, D.O.  
Pharmaceutical Research and Manufacturers Association

## C O N T E N T S

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT by Dr. Sandra Titus	8
BACKGROUND INFORMATION, UPDATE ON THE PREGNANCY LABELING PROPOSAL AND OVERVIEW by Dr. Sandra Kweder	12
PRECLINICAL GUIDANCE DOCUMENT - STATUS REPORT by Dr. Joseph DeGeorge	30
NICHD PERSPECTIVE ON NEEDS FOR THE STUDY OF THERAPEUTIC DRUG USE IN PREGNANCY by Dr. Cathy Spong	41
OPEN PUBLIC HEARING PRESENTATION by Dr. Mary Teter	53
METHODOLOGICAL AND OPERATIONAL CHALLENGES IN RUNNING/DEVELOPING A PREGNANCY REGISTRY by Dr. Elizabeth Andrews	60
ESTABLISHING PREGNANCY REGISTRIES - GUIDANCE FOR INDUSTRY by Dr. Evelyn Rodriguez	82
QUESTIONS FOR THE COMMITTEE AND DISCUSSION	100
CHARGE TO SUBCOMMITTEE MEMBERS by Dr. Sandra Kweder	173
OVERVIEW: CURRENT STATE OF THE ART by Dr. Allen Mitchell	175
INFORMED CONSENT - by Dr. Audrey Rogers	202

## P R O C E E D I N G S

(9:15 a.m.)

1  
2  
3 DR. GREENE: Good morning. I'd like to thank  
4 everyone for coming and call the meeting to order. My name  
5 is Mike Greene and I'll be the chair for your meeting.

6 I think the first order of business is -- is  
7 Jane going to do the conflict of interest statement, or are  
8 you going to do that? Okay, fine.

9 DR. TITUS: I'm Sandy Titus and I'm with the  
10 Advisory Committee staff.

11 Regarding this meeting, the following  
12 announcement addresses the issue of conflict of interest  
13 with regard to this meeting and is made a part of the  
14 record to preclude even the appearance of such at this  
15 meeting.

16 Since the subcommittee's discussion will not  
17 have a unique impact on any particular firm or product, but  
18 rather may have widespread implications with respect to all  
19 pharmaceutical firms and their products, in accordance with  
20 18 U.S.C. section 208, general matters waivers have been  
21 granted to all special government employees participating  
22 in the meeting. The general matters waivers permit them to  
23 participate fully in today's discussions.

24 A copy of these waiver statements may be  
25 obtained by submitting a written request to the agency's



1 Freedom of Information Office, which is located in 12A-30  
2 of the Parklawn Building.

3 In the event that the discussions involve any  
4 products or firms not already on the agenda for which an  
5 FDA participant has a financial interest, the participants  
6 are aware of the need to exclude themselves from such  
7 involvement and their exclusion will be noted for the  
8 record.

9 DR. GREENE: Thank you.

10 The first speaker this morning will be -- fine.  
11 Before we get started then -- I was not sure whether you  
12 wanted everybody to introduce themselves. Why don't we do  
13 that then? Why don't we start all the way at that corner,  
14 please.

15 DR. HOUN: Florence Houn, Director, Office of  
16 Drug Evaluation III.

17 DR. KWEDER: Sandra Kweder, Deputy Director,  
18 Office of Drug Evaluation IV.

19 DR. KENNEDY: Dee Kennedy. I'm with the  
20 Pregnancy Labeling Team.

21 DR. HAMILTON: Holli Hamilton, Pregnancy  
22 Labeling Team.

23 DR. DeGEORGE: Joseph DeGeorge, Associate  
24 Director for Pharmacology and Toxicology in the Office of  
25 Review Management.

1 DR. RODRIGUEZ: Evelyn Rodriguez, Director of  
2 the Division of Drug Risk Evaluation II in OPDRA, Office of  
3 Post-marketing Drug Risk Assessment, at CDER.

4 MS. CHAMBERS: Christina Chambers representing  
5 the Organization of Teratology Information Services.

6 DR. LEMONS: Jim Lemons. I'm a neonatologist  
7 and Director of Newborn Intensive Care Programs in Indiana  
8 University and chair the Committee on Fetus and Newborn for  
9 the American Academy.

10 DR. ANDREWS: Elizabeth Andrews, Director of  
11 Epidemiology at Glaxo Wellcome, also the President of the  
12 International Society for Pharmacoepidemiology.

13 DR. WEISS: Sheila Weiss. I'm an assistant  
14 professor and epidemiologist at the University of Maryland.

15 DR. SPONG: Cathy Spong. I'm a perinatologist,  
16 as well as a program director for the Maternal Fetal  
17 Medicine Unit Network at the National Institute of Child  
18 Health and Human Development, National Institutes of  
19 Health.

20 DR. FRIEDMAN: Jan Friedman. I'm Professor of  
21 Medical Genetics at the University of British Columbia and  
22 Director of the TERIS project.

23 DR. TITUS: I'm Sandy Titus. I'm with the FDA,  
24 the Advisors and Consultants Staff.

25 DR. GREENE: And I'm Mike Greene. I'm a

1 maternal fetal medicine subspecialist at Massachusetts  
2 General Hospital in Boston.

3 DR. MILLS: I'm Jim Mills. I'm an  
4 epidemiologist at the National Institute of Child Health  
5 and Human Development.

6 MS. CONOVER: Beth Conover. I'm a genetic  
7 counselor and I run the Nebraska Teratogen Information  
8 Service.

9 DR. MATTISON: Don Mattison. I'm the Medical  
10 Director of the March of Dimes.

11 DR. MONTELLA: Karen Rosene Montella. I'm the  
12 Chief of Medicine at Women & Infants Hospital at the Brown  
13 University Hospital, and we teach medicine residents to  
14 take care of sick pregnant women and run a fellowship.

15 DR. MITCHELL: Allen Mitchell, Director of the  
16 Slone Epidemiology Unit at Boston University.

17 DR. WIER: I'm Patrick Wier. I'm a  
18 reproductive toxicologist for SmithKline Beecham  
19 Pharmaceuticals.

20 DR. WISNER: I'm Kathy Wisner. I'm Professor  
21 of Psychiatry and Reproductive Biology at Case Western  
22 Reserve University.

23 MS. SCOTT: I'm Julia Scott, President of the  
24 National Black Women's Health Project, and a consumer  
25 representative on this committee.

1 DR. ROGERS: I'm Audrey Rogers. I'm an  
2 epidemiologist at the National Institute of Child Health  
3 and Human Development, and I was a government  
4 representative to the Antiretrovirals in Pregnancy  
5 Registry.

6 DR. CRAGAN: I'm Jan Cragan. I'm a medical  
7 officer in the Division of Birth Defects, Child  
8 Development, Disability, and Health at CDC.

9 DR. HAMMOND: I'm Mary Hammond. I'm a  
10 reproductive endocrinologist in Raleigh, North Carolina.

11 DR. JONES: I'm Ken Jones. I'm in the  
12 Department of Pediatrics at the University of California,  
13 San Diego.

14 DR. SHARRAR: I'm Bob Sharrar. I'm Senior  
15 Director of Worldwide Products Safety and Epidemiology for  
16 Merck & Company, Incorporated.

17 DR. WADE: I'm Nancy Wade. I'm a pediatrician  
18 in the AIDS Institute, New York State Department of Health.

19 DR. KING: I'm Susan King. I'm a pediatrician  
20 at the Hospital for Sick Children in Toronto, Canada.

21 DR. GREENE: Thank you.

22 Now I'll introduce the first speaker of the  
23 morning who is Dr. Sandra Kweder from the FDA.

24 DR. KWEDER: Good morning, everyone. I'm Sandy  
25 Kweder. As I mentioned before, I'm the Deputy Director of

1 the Office of Drug Evaluation IV, which I know means  
2 nothing to any of you. We oversee the regulation of all  
3 products to treat infections in three divisions.

4 But the other job that I have is I'm the  
5 Director of the Pregnancy Labeling Team, which is a  
6 crosscutting group within our organization that is charged  
7 with dealing with matters related to collection of data and  
8 labeling drugs for use in pregnancy.

9 This morning I want to begin by introducing  
10 what our goals are for this meeting. First, we're going to  
11 spend a little bit of time giving you a general update on  
12 FDA activities related to pregnancy labeling, but the  
13 labeling itself won't be the major focus of the meeting.

14 The more important subject over the next few  
15 days is collection of data to address safety of products in  
16 pregnant women. In particular, we'll focus a lot of the  
17 discussion on pregnancy registries. We're using as a  
18 springboard for that discussion a draft guidance document  
19 that many of you have already seen and some of you who are  
20 on the Reproductive Products Advisory Committee have  
21 already had an opportunity to comment on, at least briefly.  
22 We've structured some of the questions for you to help get  
23 your feedback on the document as we move towards finalizing  
24 it.

25 Then beyond that, we think that this is an

1 appropriate forum to engage you in a discussion that's much  
2 broader about strategies for collecting data related to  
3 safety of products in pregnancy, thinking about how we can  
4 work with those running studies or thinking about studies  
5 to get the most out of each effort, and thinking beyond  
6 some of the current models that most of us think of when we  
7 think about registries or surveillance.

8           The goals for my talk are really within that  
9 first goal of the meeting, in the way of updates. I'm  
10 going to give you a flavor of our progress on a new model  
11 for labeling, and I'll also then move on to discuss some of  
12 our ongoing activities related to data that would feed into  
13 those models, some about registries, but beyond that.

14           Now first, though, I want to point out that  
15 there are a few people at the table who weren't at the  
16 table when this committee last met, and those are Holli  
17 Hamilton and Dianne, or Dee, Kennedy. We have so much  
18 activity going on at the agency related to this general  
19 topic of pregnancy and drugs in pregnancy that we've been  
20 able to secure two full-time staff to work on this with me.  
21 This is only a piece of my job, but Holli and Dee are  
22 pretty much full-time committed to this. They both started  
23 in October of last year.

24           Holli comes to us from our Division of Anti-  
25 infective Products. She's an infectious disease physician

1 and an epidemiologist who is well versed in a lot of the  
2 methodology and clinical issues surrounding use of products  
3 in pregnancy.

4 And Dee Kennedy is a pharmacist who many of you  
5 may have encountered at FDA before. She spent many years  
6 in our Post-marketing Division. When you think Dee  
7 Kennedy, I think MedWatch. For those of you who know what  
8 MedWatch is, Dee invented MedWatch. She invented it and  
9 then she directed our MedWatch program for a number of  
10 years, and I feel that we are really lucky. I about turned  
11 cartwheels in the office when she said that she had come to  
12 work for us. So, that's some good news that I have.

13 Now, to get to the proposed label concept that  
14 many of you had an opportunity to comment on last June, the  
15 next few slides are just reminders of what that was. Our  
16 goal in moving away from a model that uses letter  
17 categories to describe risks or appropriate use of products  
18 in pregnancy is to develop a format that has structure and  
19 organization that we can adapt to widely varying bodies of  
20 data that might be available for a product and for products  
21 that cross vastly different disease states.

22 Specifically, our goals are, in the labeling,  
23 to distinguish clinical considerations from risk  
24 information, attempt to provide different levels of  
25 information for different needs that users may have, and

1 finally to use narrative text to describe and provide  
2 information as opposed to simplified letter categories that  
3 we don't think facilitates sound risk management.

4           The model that we showed you has three basic  
5 components that would be incorporated into each label.  
6 One, it would be clinical considerations, a brief section  
7 that would link risk assessments to practical application  
8 that might be useful for a prescribing or advising  
9 clinician. A summary risk assessment that would  
10 incorporate both human and animal data into the risk  
11 assessment and clearly state what the relevant factors were  
12 in arriving at that assessment. And then the third section  
13 would be a brief summary or discussion of data to convey  
14 the underlying data that went into the risk assessment.

15           I have a few bullets here that I think  
16 summarize most of the discussion that this committee had  
17 about that label format and content. In general, I think  
18 there was agreement that we were off to a good start. You  
19 advised us to give clinical directives and advice  
20 sparingly. As we've tried to move along, I will tell you  
21 I'm the hand-waver. Remember what they said. We have to  
22 give this advice sparingly. My colleagues are sick of me.  
23 But I think that was one of the major messages we took from  
24 that meeting last year.

25           I think that through much of the discussion,



1 | you recognized the importance and challenge of us  
2 | developing an approach that would lead to consistency  
3 | across labels, and toward that end, there might be a role  
4 | for some sort of standardized terminology, perhaps in the  
5 | risk assessment statements, but that we would need to do  
6 | some more work on that.

7 |           Since June, we have been working on this  
8 | extensively. I will tell you this is extremely difficult.  
9 | We have a group of about eight people that meets every  
10 | other week to hash through different iterations of models  
11 | and where are we going, how do we get there. But what I  
12 | have on this slide is what I think have been the key  
13 | decision points that we've had to confront as we've tried  
14 | to move from a concept paper to what I would call a truly  
15 | robust model that would be applicable.

16 |           First we had to make a distinction between what  
17 | elements would actually require a regulation because this  
18 | all does require that we develop a new regulation. That  
19 | isn't easy. And we want to be careful that we don't box  
20 | ourselves in to a point where we have to do that again in a  
21 | couple of years. So, we need to tease out what are the  
22 | components of this that actually require a regulation, and  
23 | what are those that are more appropriate for what we call a  
24 | guidance document, something that's more flexible, the  
25 | "shoulds" not the "musts," the things where we need to be

1 | able to have some give and take.

2 |           We need to sort out, as we move forward, how  
3 | would we exactly implement this. It would be an impossible  
4 | task to say that starting tomorrow, all labels need to have  
5 | this new format. Companies couldn't handle it. We  
6 | couldn't handle it. We couldn't review them all and do the  
7 | project justice. So, figuring out how to do that is a  
8 | challenge.

9 |           In all of this, we need to figure out, as we  
10 | think about what needs to be in that label, how to make  
11 | room for human data and experience because most labels  
12 | don't contain human data and experience. The human data  
13 | and experience that usually clinicians are interested in or  
14 | that is available to address considerations in pregnancy is  
15 | not the same kind of data that you see in the rest of  
16 | product labels. They aren't controlled clinical trials and  
17 | that makes a lot of people uncomfortable. People like  
18 | certainty.

19 |           Then, of course, how specific to be in clinical  
20 | considerations remains something that we grapple with every  
21 | time we meet.

22 |           Now, I know that all sounds really pointy-  
23 | headed and bureaucratic, and you guys are probably  
24 | thinking, yes, but come on, let's just get on with it. So,  
25 | why is this so hard? Just make a decision. But I think

1 that there are some reasons why this is so difficult.

2 One is the complexity of the science and the  
3 context of use of this information really mandates that we  
4 be very clear as we give guidance to companies and to our  
5 colleagues internally about how to write a label for use in  
6 pregnancy.

7 Yet, uncertainty predominates in the data that  
8 are likely to be available. Animal data, for the most  
9 part, continue to be and will continue to be the basis for  
10 most risk assessments, and the human data we have available  
11 are not common and they are scattered all over the place in  
12 the literature in a variable quality. Even when there are  
13 both animal data and human data, I think it's fair to say  
14 that experts often disagree on their interpretation which  
15 adds to the complexity of this.

16 Yet, we recognize that the breadth of user  
17 needs out there for people who are prescribing or  
18 considering taking medicines is great and that we need in  
19 the process to leave room for their clinical judgment about  
20 what is best for patients.

21 So, why does it take so long? Well, I've told  
22 you it's complex. For God's sake, it has been almost a  
23 year.

24 I think one of the things in this complexity  
25 that we are coming to terms with, particularly as we begin

1 to think about making room for human data, is some of this  
2 really involves a paradigm shift in our thinking. Really  
3 when you think about the topics that we're addressing today  
4 in the context of labeling, we are moving our labeling from  
5 and our data collection efforts from a search or a hunt for  
6 the smallest and largest detectable toxicity to coming at  
7 this from a different view. How can we get our arms around  
8 what the margins of safety are? And I think that's a very  
9 different way of looking at this, and that's one of the  
10 reasons this is so difficult. We're approaching this  
11 differently.

12 Also, regulations. I will tell you, as someone  
13 who has been around the FDA for a while, regulations are  
14 never easy to write. They take a long time. Even once we  
15 make decisions ourselves, you wouldn't believe how many  
16 other people who know nothing about the subject will have  
17 to look at this and comment on it, things like financial  
18 impact. There's a person who spends all their time  
19 thinking about what is the financial impact to many parties  
20 of any regulation we write. So, that sort of thing has to  
21 happen.

22 This is also one of several very large labeling  
23 initiatives that we have going on at FDA, and we need to  
24 make sure that they dovetail and don't contradict each  
25 other.

1                   Increasingly, as we move forward, we are  
2 recognizing that it is not enough just to change a  
3 regulation that says what should be in a label. Efforts to  
4 enhance data collection and facilitate submission of that  
5 data to the FDA absolutely must be part of this effort.  
6 Just saying here's the way it ought to look on a piece of  
7 paper is not enough. The goal is to have more information.

8                   So, toward that end, I've talked about our  
9 label model development and where we are going with that.  
10 I want to give you a flavor of what some of the activities  
11 are that we're engaged in to improve data and to expand  
12 thinking in this area internally and externally about what  
13 are some other aspects of risk management that are  
14 appropriate for pregnant women.

15                   In the area of improving data, I think that  
16 historically and currently the main focus does remain on  
17 fetal and infant outcomes following exposure in pregnancy.  
18 Registries are one of the principal tools available as  
19 methods of surveillance to collect this type of data, but  
20 they do remain rare. We have historically and continue to  
21 rely on product sponsors to conduct those surveillance  
22 efforts. Where there are other types of data in the  
23 literature or where others have conducted similar studies,  
24 currently there is no specific regulation or incentive for  
25 companies to come to us with those data and ask us to

1 include those in labeling, and that's a problem that we're  
2 beginning to try to address.

3 As we begin to do that, we recognize that, in  
4 addition to this paradigm shift, we have to confront and  
5 engage in discussion with folks like yourselves about what  
6 we see as somewhat a controversial area of the value of  
7 normal outcomes when the numbers of exposures are small.

8 Now, in the area of improving registry data, we  
9 have a draft guidance document that you have in your packet  
10 and you'll have an opportunity to address later this  
11 morning. Dr. Rodriguez will walk you through it. That is  
12 one tool that we have toward enhancing data.

13 FDA also has a new regulation that's actually  
14 circling through the agency -- it hasn't been published  
15 yet, but we've already promised that we will publish it --  
16 that will require sponsors to address all data relevant to  
17 safety of products for special populations. And pregnant  
18 women is listed as one of those special populations. That  
19 regulation will be critical to getting data into labels.  
20 It is essential.

21 Right now what we get is oftentimes --  
22 companies are required to send us annual reports on their  
23 products, safety reports. All companies don't do this, but  
24 it's not unusual for someone at a company to do a  
25 literature search on a product and maybe buried in a stack

1 of reprints like this that comes in among four or six  
2 volumes toward an annual report, there will be a couple of  
3 articles about a drug in pregnancy or something about it.  
4 But there's nothing that says that companies must take that  
5 data and specifically make an evaluation of it and propose  
6 labeling changes on the basis of that data for use in  
7 pregnancy. And so most don't.

8           We also feel that we need to expand our  
9 discussion of registries. This meeting is one way to do  
10 that. We are also engaged in discussions with groups  
11 outside of the FDA like the CDC and the March of Dimes to  
12 begin to think about other models for registries and how we  
13 can collect data. We also are beginning to work with the  
14 International Society of Pharmacoepidemiology to get some  
15 other expert opinion, particularly in the areas of  
16 methodology and how to do this well.

17           What about thinking more broadly? Well, I've  
18 said that registries remain our principal tool and a major  
19 focus, and they are very important and can be helpful for  
20 collecting certain types of data such as general pregnancy  
21 outcomes or fetal outcomes and providing us with some  
22 general margins of safety that are rather broad brush.

23           But there are other elements of safety and  
24 rational prescribing that need to be considered, and two of  
25 them I have listed on this slide. One is pharmacokinetics

1 | and pharmacodynamics of drugs in pregnancy, and the other  
2 | is what about lactation. We're just beginning to engage in  
3 | discussions and try to figure out how we can help enhance  
4 | data in these areas.

5 |           But I think most of you who have taken care of  
6 | pregnant women or been pregnant yourself know that once the  
7 | patient and clinician cross a threshold and make a decision  
8 | that a pharmacologic intervention is necessary, then the  
9 | question becomes what dose. If you go through the  
10 | literature and textbooks that talk about prescribing in  
11 | pregnancy, most of them say prescribe the lowest effective  
12 | dose. Well, the lowest effective dose in the general  
13 | population of non-pregnant people may not be the best dose  
14 | for the pregnant woman. Particularly for products that  
15 | have a narrow therapeutic margin but perhaps there is  
16 | therapeutic monitoring by drug levels is impossible, there  
17 | is a risk for certain types of products that a woman may be  
18 | taking a drug and receiving no benefit, exposing her baby  
19 | to an effect unknown for very little benefit. We think  
20 | that that's not the way people ought to be prescribing. We  
21 | think that there ought to be better information out there  
22 | for people who need to prescribe particularly for drugs  
23 | that are used often or where therapeutic margins are  
24 | narrow.

25 |           The medical literature in this area on dosing



1 | in pregnancy, pharmacokinetics, pharmacodynamics, is quite  
2 | limited, but where it exists, for the most part, it does  
3 | not appear in product labels. My favorite example of that  
4 | is amoxicillin. There is plenty of PK information out  
5 | there about amoxicillin. Therapeutic levels in antibiotics  
6 | are pretty well characterized and described, and yet most  
7 | clinicians don't know that there's information out there  
8 | about the pharmacokinetics of amoxicillin.

9 |           Entering into data collection and research in  
10 | this area are, of course, the physiologic effects of  
11 | pregnancy and how those may make a difference in what  
12 | happens in the pregnant woman when she takes a medicine. I  
13 | often think of this as, the pregnant state is like this  
14 | little window of -- like the most female state and the most  
15 | intense hormonal effects on metabolism of the drugs are  
16 | likely to be seen in pregnancy. There is at least one  
17 | study that shows that one of the isoenzymes, the P450, is  
18 | changed when women are pregnant, and that may be important  
19 | for some medications.

20 |           So, what is FDA doing in this area? Well, the  
21 | new safety regulation will help us in this area. It will  
22 | encourage companies to bring those sorts of data that are  
23 | out there in the literature to our attention and begin to  
24 | evaluate them.

25 |           We are also collaborating with the NICHD to try

1 and facilitate more research in this area. Dr. Cathy Spong  
2 later this morning will be telling you about some of their  
3 activities, including a workshop that they're going to be  
4 sponsoring this fall to try and bring together experts in  
5 obstetrics, other fields, and clinical pharmacology to try  
6 and develop a research agenda in this area.

7 Later in the fall, FDA, NICHD, and probably  
8 several other groups are going to sponsor a larger workshop  
9 to try and generate broader interest and sort of assess the  
10 state of the art in this area.

11 We are already planning a workshop or symposium  
12 at the annual meeting of the American Society of Clinical  
13 Pharmacology and Therapeutics for spring 2001.

14 Then on to lactation. I think it's fair to  
15 say, and I think this is a nice way of saying, that product  
16 labels are rarely informative in this area. I've had  
17 people say to me, well, you know, it may as well not even  
18 be in there. And you know what? For the most part, it's  
19 true. Those product labels say very little that's helpful  
20 about this.

21 Yet, increasingly the health benefits of breast  
22 feeding are recognized. Healthy people 2010, the American  
23 Academy of Pediatrics, and on and on and on increasingly  
24 encourage women to breast feed longer rather than shift to  
25 formula after the first few weeks of life. It's safe to

1 | say that many women need prescription medicines while  
2 | they're breast feeding and we know very little about how  
3 | much of any given drug gets into breast milk and how the  
4 | developing infant metabolizes those products once they're  
5 | there, once they're ingested. Those effects may be very  
6 | different in the neonate and the 6-month-old.

7 |           The way I think of this from where I sit is  
8 | lactation is sort of the next frontier for us. The FDA has  
9 | a large initiative ongoing in pediatrics. Fortunately for  
10 | us, the pediatrics initiative is housed in the same part of  
11 | the organization that we are, which makes things very  
12 | convenient. We think that thinking about the safe use of  
13 | products from a public health standpoint, there is a  
14 | natural link from pregnancy to nursing mother to baby. So,  
15 | we need to think about the science and the safety of these  
16 | products as a continuum.

17 |           This is an area that we feel is really  
18 | uncharted territory for us. We are beginning to work with  
19 | the pediatrics team to work on this collaboratively  
20 | internally and try to integrate our concerns, first, by  
21 | assessing the state of the art in science in this area. We  
22 | know that there's a lot out there but it doesn't come to  
23 | our attention in any organized way. We will likely, as  
24 | part of our efforts to begin to explore this, bring  
25 | together members of this committee with our Pediatrics

1 | Advisory Committee hopefully in the fall of this year to  
2 | begin to explore where we need to go with lactation.

3 |           Then I don't think I would be complete without  
4 | just making reference to dietary supplements. Many of you  
5 | are aware that there is a public hearing on Thursday of  
6 | this week that is to take testimony on a new proposed  
7 | regulation by our Center for Food Safety and Nutritional  
8 | Products related to structure-function claims for dietary  
9 | supplements and how those are distinct from disease or  
10 | treatment claims, which would make something a drug, but  
11 | specifically the issue at hand for Thursday is how do we  
12 | deal with pregnancy in the context of structure-function  
13 | claims and yet still ensure that these products are used  
14 | safely. That is not a topic for the meeting over the next  
15 | two days. There is a very formal, organized public hearing  
16 | with the Center Directors from Foods and Drugs who will  
17 | take public testimony on that on Thursday.

18 |           So, in summary, I think we are making steady  
19 | progress as we move toward developing a labeling model for  
20 | pregnancy. We recognize and are trying to attend to an  
21 | increasing emphasis on addressing data needs, specifically  
22 | what we consider the broader area of risk management of  
23 | drugs in pregnancy. Elements that we are involved with  
24 | toward doing that are trying to find ways to encourage the  
25 | development of sound registries, but also think outside of

1 usual models for data collection on fetal outcomes.

2 We also think it's important to find ways to  
3 increase pharmacokinetic, pharmacodynamic, and dosing data  
4 in pregnancy and begin to develop scientifically a  
5 regulatory framework for dealing with lactation.

6 One of the things that's increasingly apparent  
7 to us is that we can't do this alone. We really need to  
8 work with people like yourselves and collaborate with other  
9 groups who share similar interests to make progress in  
10 these areas.

11 So, again, just to introduce the rest of the  
12 meeting in the way of other updates that will be on the  
13 agenda this morning, Joseph DeGeorge will give you an  
14 update on some activities related to work in the  
15 preclinical area, reproductive toxicology, that will feed  
16 directly into our labeling attempts. Cathy Spong from the  
17 NICHD will speak about PK/PD and their efforts in that  
18 area. Dr. Evelyn Rodriguez will walk you through the  
19 guidance document on pregnancy registries to help launch  
20 the discussion of that topic. And then later in the  
21 meeting, we have a number of speakers lined up to help  
22 stimulate your thinking about a broader discussion of  
23 strategies for data collection, how to get the most out of  
24 each effort, and thinking beyond the current models for  
25 registries, particularly that we know of, sort of -- you

1 know, always a pharmaceutical company, one drug, one  
2 company.

3 So, thank you very much.

4 (Applause.)

5 DR. GREENE: Thank you.

6 Are there any questions for Dr. Kweder?

7 (No response.)

8 DR. GREENE: Thank you.

9 We move on now to Dr. DeGeorge please.

10 DR. DeGEORGE: Good morning.

11 My task today is to actually give you an update  
12 on what our nonclinical efforts are in evaluating  
13 reproductive risk, and I'm not going to go through as much  
14 detail as was presented, I think, in October -- maybe it  
15 was our June meeting -- where you actually got a preview of  
16 our concept and how we were thinking about evaluating  
17 reproductive risk. But instead, I'll focus on telling you  
18 what we have done since that time in our various efforts.

19 As I think it's clear to everyone in this room,  
20 at the time that products are approved, we have very little  
21 information from humans on reproductive risks. In writing  
22 labels for products into the foreseeable future, we're  
23 still going to have to rely on nonclinical information in  
24 drafting that label. The focus of this meeting, of course,  
25 is mainly clinical information and its sources, but this is

1 an attempt to begin to reconsider our evaluation process  
2 for reproductive risk using animal information.

3 Our effort begins with the defining of the  
4 issue about generally we're not going to have human  
5 information. Yet, we're going to have to make some  
6 estimate of human risk. We recognize the fact, however,  
7 that not all animal risks or all animal findings represent  
8 a true human risk for reproductive toxicity, just as not  
9 all negative findings in animal studies indicate no risk  
10 for humans in the same area. And there are plenty  
11 pharmaceutical examples which demonstrate those principles.

12 What we also recognize, though, as a regulatory  
13 agency is we really have to have a standardized approach  
14 for evaluating risk that we can then use to communicate the  
15 relevancy of findings to humans, and that this needs to be  
16 science based within our current context of reproductive  
17 evaluation.

18 Now, this is just a view of the landscape of  
19 the various activities that are going on within the center.  
20 The Pregnancy Labeling Task Force, which is chaired by  
21 Sandy Kweder and Bern Schwetz, is really something that is  
22 actually organized out of the Commissioner's Office, and it  
23 has representation from all of the centers, not just the  
24 Center for Drugs, not just the Center for Biologic  
25 Products, but from CFSAN and the Commissioner's Office, and

1 | many other people contribute to this effort.

2 |           There are a number of working groups that are  
3 | tasked under this overriding committee to, in fact,  
4 | generate the various documents that you have heard about in  
5 | the past and will hear about today as well. I'm going to  
6 | talk about the documents that are in the lighter green  
7 | color, and that is the preclinical guide for reproductive  
8 | study evaluation and the integrative analysis for  
9 | reproductive risks. These are actually coordinated through  
10 | CDER's Pharmacology and Toxicology Coordinating Committee.  
11 | One is a product of our Reproductive Toxicology Committee,  
12 | whereas the other is a product of an integrated group of  
13 | reproductive toxicologists and generalists in toxicology  
14 | information assessment.

15 |           And there are the other task forces, that which  
16 | is drafting the proposed rule and guidance for labeling,  
17 | the various task forces that are working on establishing  
18 | registries, which you'll focus on today, and also a  
19 | guidance which I think you've seen already in a draft form  
20 | on how to evaluate reproductive risks from human data.

21 |           I'm going to begin with the integration working  
22 | group because this document is actually fairly advanced and  
23 | we plan to have our last meeting of our drafting committee  
24 | this week. Then we will begin the laborious process of  
25 | clearing FDA to be publicly available for draft and



1 comment.

2           The people on this committee have meet I think,  
3 since about two and a half years now, every other week for  
4 three hours late in the afternoon to try to reach a  
5 consensus on what is a reasonable approach. We've been  
6 modifying this document over and over as we get more  
7 information about new approaches and new things we need to  
8 consider.

9           The task for the group was really to develop  
10 something for reviewers within primarily the Center for  
11 Drugs and the Center for Biologic Products, which is where  
12 we do product labeling in terms of reproductive risks  
13 primarily, to use to interpret findings from reproductive  
14 toxicology studies in light of other kinds of information,  
15 and to be sure that in applying such an assistance or a  
16 reference document, that they would come to reasonably  
17 consistent conclusions based on an identical data set.  
18 However, I need to point out that this document, that we  
19 will hope to make available this summer, is not a guide on  
20 how to evaluate reproductive toxicology findings. That's  
21 the other document I'll talk about later on.

22           So, the objectives of this working group were  
23 to standardize a method for judging and evaluating the  
24 relevancy of nonclinical findings for human reproductive  
25 risk, to try to characterize those findings in the context

1 of the total data set that we have, whatever human  
2 information we do have, such as drug metabolism, exposure,  
3 how that relates to the animal studies and how they were  
4 conducted, and then to organize these findings in a  
5 consistent manner so that we could effectively communicate  
6 and discuss our conclusions with others, with the rest of  
7 our stakeholders.

8           The approach is, in fact, to enumerate and to  
9 codify thought processes which people who have seen the  
10 draft concept paper say are not that much different than  
11 what they have thought about generally in the past, but  
12 it's not been organized. We tried to group information  
13 that address similar questions. Such as, exposure  
14 information can come from kinetics. It can come from  
15 comparative biomarkers. It can come from a variety of  
16 sources. We try to group that information that addresses a  
17 particular kind of question together and separate that, in  
18 essence, from were there findings in a particular  
19 toxicology study.

20           It then tries to assign weights to these  
21 various groups -- and I'll go through the categories in a  
22 moment -- and then come to some consistent evaluation about  
23 what those developmental risks are for each endpoint that  
24 is normally evaluated in reproductive toxicology.

25           The document actually describes the overall

1 process. There are three flow charts that have been  
2 generated out of this document. One is are there  
3 sufficient information to even make an evaluation of  
4 reproductive risk. Not every study provides sufficient  
5 information to do that. To try to determine, where we have  
6 complete studies and there are, in fact, no findings,  
7 whether or not those studies are adequate also to say there  
8 is no apparent risk to humans. Then finally, when we have  
9 positive findings in either reproductive toxicology studies  
10 or general toxicology studies that address reproductive  
11 risk, trying to make a decision as to whether those  
12 findings do, in fact, generate some concern or risk for the  
13 effect.

14 Now, I'm just going to talk about the context  
15 of the proposal that is going out on the integration. This  
16 has been shared as a concept paper, and it really divides  
17 information into six groupings. We have something called  
18 signal strength 1 and signal strength 2, and that is to  
19 make sure that we give adequate weight to reproductive  
20 findings in and of themselves.

21 In the signal strength 1, we're looking at  
22 issues of concordance of findings across species, the  
23 multiplicity of those findings within a species, and the  
24 time dependency. Do you only have to treat once to get the  
25 effect? Or does it take multiple treatments to get the

1 | effect? Or can the effect occur with single treatments  
2 | across multiple time intervals? All those are important  
3 | considerations in evaluating the risk.

4 |           We have signal strength 2. Are the findings  
5 | independent of something like maternal toxicity or are they  
6 | caused by it or so closely associated with it?

7 |           The pharmacodynamics of the product itself.  
8 | How relevant is that to the finding? Is it an expected  
9 | finding of the intended pharmacologic activity or not?

10 |           The concordance of the animal model with  
11 | humans. Are they making very different metabolic profiles  
12 | than the human would use with this, and can the finding be  
13 | related to those differences or not?

14 |           And then finally, exposure comparisons, and of  
15 | course, class alerts. Is this something that's in a class  
16 | of compounds where we know there is human risk?

17 |           Now, we've presented this concept paper at a  
18 | number of different forums. We first presented it in 1998  
19 | in a very general format to see whether we had thought  
20 | about the right issues. We then had a presentation last  
21 | June at this advisory committee. We followed that up with  
22 | a presentation that was a whole day industry public meeting  
23 | with FDA where we presented the approach, the details of  
24 | that approach, went through case studies, and got feedback  
25 | on the concept. We've since also presented that later on

1 | that month at the Drug Information Association, and then  
2 | we've had a recent presentation where more data was brought  
3 | forward by industry representatives. Dr. Wier on this  
4 | committee presented some of their experience with using  
5 | this approach and what their issues were. And we've also  
6 | made presentations to a number of scientific societies.  
7 | So, we've gotten lots of feedback already on this early  
8 | concept.

9 |           I would have to say that some of the feedback  
10 | we received officially has been very encouraging. A number  
11 | of pharmaceutical representatives have indicated that they  
12 | find it useful in organizing and evaluating data. The  
13 | European regulatory community has also commented on the  
14 | concept paper. Individual regulators in Europe have as  
15 | well. They've been fairly favorable. Of course, everyone  
16 | has comments and recommendations for change. It's not  
17 | unexpected.

18 |           The two major kinds of comments that we've had,  
19 | though, really focus on something that was not in the  
20 | concept paper and that is the use of biomarkers as exposure  
21 | indicators, and we are considering that, and also views on  
22 | how the factors, those six factors, are weighted, whether  
23 | our approach of weighting, which counts each of those  
24 | factors equivalently, is adequate or not. We'll have to  
25 | address that and we are trying to address that in our

1 current drafts. We'll make that available when the  
2 document is available soon.

3 We expect, as I said, to finalize the draft  
4 within this week, at least by our group, then try to get it  
5 cleared, and hopefully have it available by July. That is  
6 probably a little bit wishful thinking, but we'll do what  
7 we can to get it out because we know it is important to  
8 have comment on it.

9 Once we make this draft document available, we  
10 intend to have a workshop on it to describe its  
11 application. It's a very densely written guidance, a  
12 guidance to reviewers. It needs a lot of discussion. Once  
13 we have that discussion of how it may be used, hopefully  
14 we'll get feedback that will be based on what at least our  
15 intended approach of its use is so that can advise our  
16 revisions before we go to a final document.

17 The other group I mentioned is our Reproductive  
18 Toxicology Committee, which is made up entirely of people  
19 who have expertise in reproductive toxicology, not  
20 generalists included such as myself. This group is working  
21 on a document as to how to advise reviewers to evaluate  
22 those studies which are reasonably done usually conducted  
23 in pharmaceuticals to specifically evaluate reproductive  
24 effects, what we used to call segments 1, 2, and 3, and now  
25 call under our International Conference on Harmonization

1 sections A through, I think, it's F in terms of segments,  
2 studies.

3 This committee serves as a resource to  
4 reviewers when they have findings. Are they relevant or  
5 not? And it is also generating the guidance so that people  
6 who are more generalists can evaluate reproductive  
7 toxicology studies hopefully by having some sort of  
8 reference material. So, the objective of this committee is  
9 to provide some reference for the average reviewer within  
10 FDA who reviews toxicology findings to have a baseline to  
11 make sure they've addressed all the important elements in  
12 evaluating those reproductive toxicology studies. The  
13 approach taken is really a systems approach for  
14 reproductive toxicity.

15 The various chapters are in their final stages  
16 of preparation of the first phase of preparation. They  
17 have not been seen by anyone outside that committee except  
18 as a text editor. What we still need to do is get internal  
19 review, and then we intend to seek peer review in the  
20 scientific community on this document because clearly this  
21 is a document where we need some expertise that goes beyond  
22 the agency. I would say we have a target for the first  
23 quarter of '01 to actually get some available as a general  
24 comment.

25 So, in summary, we have two projects ongoing.

1 One is integration, which is the one which will feed most  
2 directly into the efforts of this committee and the  
3 Labeling Task Force, and the other effort is our document  
4 to help reviewers understand and make sure they address all  
5 the important points in evaluating reproductive toxicology  
6 studies.

7 Thank you.

8 DR. GREENE: Thank you.

9 Any questions for Dr. DeGeorge? Yes, Don.

10 DR. MATTISON: Your slide bullet about  
11 biomarkers talked about biomarkers as integrative  
12 approaches. But as you spoke about it, you talked about  
13 the use of biomarkers for dose characterization. Those are  
14 a little bit different, and I wonder if you could maybe  
15 comment a little bit more about that.

16 DR. DeGEORGE: Well, I don't know that I'm free  
17 to comment to any great detail about specifically how we  
18 are incorporating biomarkers, but we think biomarkers as a  
19 general concept can be used both as evidence of exposure  
20 and relative exposure, but also as evidence of where are  
21 you on a dose-response curve in relation to an individual  
22 effect. So, biomarkers are considered within our approach  
23 in multiple elements I think is the best I can say. Does  
24 that answer your question?

25 DR. MATTISON: Does that also include



1 mechanism?

2 DR. DeGEORGE: No. Mechanism is a separate  
3 issue within the pharmacodynamics. The mechanism of the  
4 finding is considered one of those separate elements. It  
5 may contribute to that mechanism. Evaluation as a -- if  
6 you saw the effect which was presumably the effect related  
7 to the reproductive toxicity and you can measure some --  
8 let's say, cholinesterase inhibition somehow is related to  
9 your effect and you could measure that inhibition, you  
10 would know where you were exactly on that dose-response  
11 curve in humans.

12 DR. GREENE: Other questions for Dr. DeGeorge?

13 (No response.)

14 DR. GREENE: Thank you.

15 We'll move on to Dr. Cathy Spong, please.

16 DR. SPONG: Good morning. I'd like to thank  
17 you for inviting me to give the NICHD perspective on the  
18 needs for the study of therapeutic drug use in pregnancy.

19 As Sandy Kweder so elegantly pointed out this  
20 morning, there are many issues surrounding the use of drugs  
21 in pregnancy. First and foremost, the use of therapeutic  
22 drugs in pregnancy is not only common, it's also necessary.  
23 As a maternal-fetal medicine specialist, I take care of  
24 patients who have high risk medical conditions, and it's  
25 very, very common to give these patients medications.

1 Sometimes we give these medications to treat the mom,  
2 sometimes we give them to treat the fetus. It's an area  
3 that we don't have a lot of guidance on.

4 Other issues include that during pregnancy  
5 there are many physiologic changes that affect drug levels.  
6 In addition, the fact that when you give a drug to a  
7 mother, there is a transfer to the fetus, and the  
8 difficulty of actually assessing that and the fetal drug  
9 levels that subsequently occur.

10 Finally, the issue of ethical considerations  
11 and study designs which are inherently difficult in the  
12 model of pregnancy. These are the issues that I'd like to  
13 touch upon this morning and then go into a little bit about  
14 the workshop that we plan in collaboration with the FDA.

15 Again, therapeutic drug use is very, very  
16 common in pregnancy and it's required for both maternal  
17 conditions, pregnancy related conditions, and fetal  
18 conditions. Maternal conditions that require therapy  
19 during pregnancy are many and varied, but these are  
20 probably the more common. Conditions such as asthma and  
21 hypertension, psychiatric disorders, and diabetes can occur  
22 before pregnancy and we're just continuing medications that  
23 patients were on prior. However, not only do you need to  
24 give the same medication, perhaps you need to change it  
25 because the medication that they were on is not considered

1 | to be safe or efficacious. In addition, the dosage  
2 | required may change as the pregnancy progresses.

3 |           In pregnancy in addition, there are other  
4 | conditions that occur just because the woman is pregnant  
5 | such as gestational diabetes or gestational hypertension.  
6 | These can be longstanding conditions where the pregnant  
7 | woman is going to be needed to be treated for a long time  
8 | during pregnancy.

9 |           Alternatively, there other conditions that can  
10 | be very acute requiring medications for a couple of days or  
11 | a couple of weeks, not the entire time of pregnancy. Those  
12 | include preterm labor and preeclampsia where the treatment  
13 | is typically later in gestation, in the third trimester  
14 | after the fetus is predominantly formed, or very early on  
15 | such as in the condition of hyperemesis and morning  
16 | sickness.

17 |           Finally, there are fetal conditions that  
18 | commonly require drug therapy. These again are in two  
19 | varieties: cardiac conditions where we actually treat the  
20 | mom in order to get to the fetus, such as supraventricular  
21 | tachycardia and complete heart block. Sometimes these  
22 | fetuses need to be treated in utero and one method of  
23 | treatment is actually giving the mom the medication and  
24 | allowing it to be transferred to the fetus.

25 |           Also, we will give mother medication such as

1 impending preterm delivery where we'll give mom steroids in  
2 order to attempt to prevent respiratory distress syndrome  
3 in the fetus. This is a more acute condition where you'd  
4 give the dose once or twice as opposed to a cardiac  
5 condition where you may be giving this to the mom for the  
6 fetus for a prolonged period of time.

7           So, drug therapy in pregnancy is a balancing  
8 act where we're giving maternal treatment and we're  
9 weighing that upon the fetal effects. It's often better to  
10 go ahead and treat the mom if it's a maternal condition  
11 because if the mother is untreated, it may have significant  
12 impact on the pregnancy. However, the treatment that we're  
13 using -- there is little scientific for us to base it on.

14           I'd like to touch a little bit on the maternal  
15 physiologic changes that affect therapeutic drug  
16 administration. These include the cardiovascular system,  
17 the GI system, renal effects, as well as effects on  
18 enzymes, as Sandy pointed out this morning.

19           Cardiovascular changes are gestational age  
20 dependent. You get a plasma volume expansion and with that  
21 you get a subsequent decrease in serum albumin  
22 concentrations which may significantly affect certain drugs  
23 that are administered. In addition, you get an increase in  
24 cardiac output, as well as alterations in the regional  
25 blood flow. All of these have significant effects on the

1 pharmacokinetics of drugs.

2           Cardiovascular effects include plasma volume  
3 expansion. This occurs very early on, just around 6 to 8  
4 weeks of pregnancy, and it peaks around 32 weeks of  
5 pregnancy. Again, a longstanding effect that we often  
6 wouldn't consider as occurring in the first trimester, but  
7 in fact it does. By the end time point, the increase in  
8 plasma volume is about 1 and a half liters.

9           In addition, cardiac output increases by 30 to  
10 50 percent. Initially this is due to an increase in stroke  
11 volume and later on felt to be more due to an increase in  
12 maternal heart rate.

13           Alterations in regional blood flow include an  
14 increased in-flow to the uterus, to the kidneys, to the  
15 skin, and to the mammary glands, and a decrease in blood  
16 flow to the skeletal muscles.

17           Finally, looking at other systems, they include  
18 gastrointestinal changes where there is a delay in gastric  
19 emptying and an increase in transit time. So, drugs that  
20 are administered orally to patients have significant  
21 changes in how they are metabolized and how they get  
22 through the GI system.

23           Renal changes include an increase in the  
24 glomerular filtration rate which has a significant impact  
25 on drugs that are metabolized through the kidney.

1                   Finally, there are enzyme activity changes that  
2 are felt to be related to pregnancy hormonal changes.

3                   So, the consequence of all of these physiologic  
4 changes are the following. With the volume expansion, we  
5 will often get an increase in the free fraction of drug  
6 that we administer to patients. This is due to a decrease  
7 in the overall serum albumin levels.

8                   In addition, there are clearance changes both  
9 due to the effects on the kidneys as well as enzymatic  
10 changes.

11                   Finally, the changes in the GI system result in  
12 problems for administration of oral drugs.

13                   The result of all of this is that often dosages  
14 need to be changed during pregnancy and throughout  
15 pregnancy.

16                   In addition, as Sandy pointed out this morning,  
17 this doesn't stop once pregnancy ends. Postpartum you get  
18 a significant diuresis of the plasma volume that the  
19 patient had been accumulating, and there are significant  
20 impacts when patients continue to breast feed that drugs  
21 that you administer to them will also cross over to the  
22 fetus.

23                   Finally, there's a significant variability  
24 between individuals that cannot totally be accounted for.

25                   Next, taking the shift of administering drugs,

1 we need to remember the effects of gestational age. Very  
2 early on, the embryo is not totally formed. The embryo is  
3 undergoing embryogenesis and organogenesis, and toxicities  
4 are very different than when drugs are administered later,  
5 although the fetus does continue to develop both in the  
6 second and third trimester as well as a neonate.

7           The maternal-fetal transfer of drugs is well  
8 known. There's placental transfer as well as once it gets  
9 into the amniotic sac, transfer across mucosal membranes,  
10 such as the GI tract, and early on in pregnancy, before 25  
11 weeks, there's actually transfer across the fetal skin.  
12 Finally, after pregnancy is over, there is transfer via  
13 breast milk when lactation is occurring.

14           Monitoring fetal drug levels obviously is very  
15 difficult since the pregnancy is self-contained. We often  
16 rely on the clinical exam and on the response, just  
17 evaluating and monitoring the fetus externally using  
18 sonography. Again, we aim for the lowest effective dose  
19 because our feeling is that giving less is better, but this  
20 may not be the case if it's not being effectively treated.

21           We can evaluate the fetus using ultrasound. We  
22 can also do cordocentesis where you're sampling the blood  
23 of the fetus, but this has risks whenever you're doing  
24 anything invasive.

25           Ethical considerations and study design are

1 very important when you're looking in pregnancy. Again,  
2 drug labeling is inadequate for our guidance in pregnancy,  
3 and the research that's available on the drugs in pregnancy  
4 is sparse. Pharmacokinetic studies in pregnancy, as we've  
5 mentioned many times this morning, are inadequate, and this  
6 is in part due to incredible difficulties getting IRB  
7 approval for these studies.

8 Finally, pharmaceutical companies are not  
9 particularly interested in doing these studies, as it's  
10 much easier to say just don't use these drugs during  
11 pregnancy rather than taking on the liabilities of giving a  
12 statement as to whether or not these drugs are safe in  
13 pregnancy.

14 From all of this, the FDA and NICHD have found  
15 that research on pharmacokinetics and pharmacodynamics of  
16 therapeutic drugs is very important. We focused on the  
17 second and the third trimesters of pregnancy because  
18 inherently looking at the first trimester of pregnancy when  
19 organogenesis is ongoing brings problems in and of itself.  
20 So, initially we'd like to focus just on the second and the  
21 third trimester.

22 Again, we are focusing on a workshop to be held  
23 in the fall of this year where we'd like to discuss ideas  
24 and generate research interest and activities looking at  
25 drug use in pregnancy. Ultimately, we'd like to generate



1 certain mechanisms for the study of pharmacokinetics of  
2 drugs in pregnancy. This will be followed up by an FDA  
3 meeting hopefully in November.

4 So, the bottom line is that therapeutic drugs  
5 are common and required in pregnancy. Research is needed  
6 to evaluate the efficacy, the safety, and the required  
7 alterations in dosage, timing during pregnancy. These are  
8 issues that pharmaceutical companies will never provide.

9 Thank you.

10 (Applause.)

11 DR. GREENE: Any questions for Dr. Spong,  
12 please? Yes, Don?

13 DR. MATTISON: You began by laying out maternal  
14 pregnancy related and fetal conditions for therapy, but  
15 then ended by talking about the fact that the workshop and  
16 the initiative between the NICHD and FDA focuses on the  
17 second and third trimesters. Yet, maternal conditions,  
18 fetal conditions, and pregnancy related conditions can be  
19 expressed in the first trimester. I guess it would seem to  
20 me that one of the complications of understanding how to  
21 improve treatment would have to focus on where the systems  
22 were changing the most rapidly in terms of their impact on  
23 both kinetics and dynamics. Comments on that?

24 DR. SPONG: I certainly agree that research in  
25 the first trimester is equally as important as in the

1 second and third. My two comments are, one, the most  
2 pregnancy related changes occur in the second trimester --  
3 that is, on the mother, on the cardiovascular system, the  
4 GI system, the hormonal changes. They're mainly more in  
5 the second and third trimester.

6 In addition, to get research done in the first  
7 trimester, to get studies done is going to be incredibly  
8 difficult for IRBs to approve these studies, and we agree  
9 that that needs to be done. But we've got to start  
10 somewhere, and let's start somewhere that's not as touchy.  
11 So, we're going to focus on the second and third trimester.  
12 We'll go back to the first trimester, but the second and  
13 third trimester is equally as deserving of study and less  
14 difficult for the IRBs.

15 DR. MATTISON: And then just a definitional  
16 question. One of the slides that has the check mark and  
17 says, "result: dosing changes," do you mean both frequency  
18 and amount?

19 DR. SPONG: Yes.

20 DR. MONTELLA: I actually would like to comment  
21 further on the point about the first trimester because I do  
22 think it's a really valid point. You are changing  
23 certainly metabolism of drugs and volume is on its way up.  
24 So, you really are changing during that time. It's also  
25 the time at which people are inadvertently exposed to drugs

1 most frequently. It's also the time during which there's a  
2 lot of bias against use of needed drugs in terms of trying  
3 to lessen exposure, and it's a time when you may be  
4 specifically underdosing people or withholding drugs. So,  
5 I think it's a really critical period for us to look at.

6 DR. SPONG: We certainly agree. We absolutely  
7 agree. Our reason for choosing the second and third  
8 trimester is just to start the interest. If you cannot get  
9 anything going, you're never going to get to the first  
10 trimester. We realized that no matter what -- this has  
11 been a very neglected topic for a long period of time. If  
12 we can at least get something going where it's not so  
13 difficult in the second and third, the first will follow.  
14 We absolutely agree.

15 DR. MONTELLA: I certainly applaud that effort.

16 DR. SPONG: We absolutely agree.

17 DR. FRIEDMAN: Just to follow up along the same  
18 line, I'm not sure I understand the statement that you made  
19 several times about the difficulties with IRBs. It seems  
20 to me that if you have a group of women that are being  
21 treated because they need to be treated or they have already  
22 been treated anyway, gathering information on drug  
23 metabolism, on elimination, and so forth from the mother  
24 shouldn't present tremendous ethical problems.

25 DR. SPONG: Gathering information on currently

1 | treated drugs is probably not going to be difficult,  
2 | especially noninvasively. But when you start to talk about  
3 | labeling drugs, talk about trying different drugs, yes,  
4 | there is going to be -- obstetricians typically use things  
5 | that work. And we've just used the same drugs typically  
6 | for a long period of time because they've worked. The  
7 | exposure of a woman to a drug because you wanted to test  
8 | that drug is somewhat difficult.

9 | DR. FRIEDMAN: Well, even the things that work  
10 | don't always work.

11 | DR. SPONG: That's true.

12 | DR. FRIEDMAN: And the things that work we  
13 | don't often have a lot of information on. It just hasn't  
14 | been collected even though the drugs have been used for a  
15 | long time.

16 | DR. SPONG: This is very true.

17 | DR. FRIEDMAN: And it seems to me that there  
18 | aren't tremendous IRB restrictions for gathering that  
19 | information. That ought to be an area of intensive  
20 | research.

21 | DR. SPONG: I agree. Gathering information, as  
22 | you describe, would not be difficult for an IRB and  
23 | certainly should go forward.

24 | DR. GREENE: Other questions for Dr. Spong?

25 | (No response.)

1 DR. GREENE: Thank you.

2 We now have some time for open public hearing  
3 comments. We've been notified of one person who would like  
4 to speak, Dr. Mary Teter. At this time I'd like to give  
5 her an opportunity to speak, and also to ask if there is  
6 anyone else who would like to speak, to please let us know  
7 at the front desk.

8 I think many of you will have copies of her  
9 handouts in your packages. I think there was a shortage.  
10 I'm not sure everybody has them, but I think most people  
11 do.

12 DR. TETER: We do have a few extra copies if  
13 you need them.

14 Thank you very much for allowing us to speak  
15 today. My name is Mary Teter. I'm a physician and a  
16 Director of Drug Safety and Pharmacovigilance with Bristol-  
17 Myers Squibb Pharmaceutical Company in Princeton, New  
18 Jersey. By medical training I'm a pediatrician, but I'm  
19 here today to present comments from PhRMA, the  
20 Pharmaceutical Research and Manufacturers of America, and  
21 we would like to comment on the FDA draft guidance for  
22 industry on establishing pregnancy registries.

23 A little bit of background about PhRMA. PhRMA  
24 represents the country's leading research-based  
25 pharmaceutical and biotechnology companies. Members invest

1 over \$26 billion annually in the discovery and development  
2 of new medicines. Because of our commitment to patient  
3 safety with the products we develop and market, we are  
4 interested in the use of pregnancy registries as a research  
5 methodology in specific circumstances.

6 First, a few general comments on pregnancy  
7 registries. PhRMA supports FDA efforts to provide  
8 consistent guidance to industry regarding pregnancy  
9 registries. We recognize the potential of a guidance  
10 document to enhance the validity and utility of data  
11 obtained through registries, and we hope that a revised  
12 guidance document will minimize confusion about the  
13 regulatory status of adverse events reports received  
14 through pregnancy data collection.

15 We would like to touch on a few points, the  
16 first of which is the definition of a pregnancy registry.  
17 A clear and concise standard definition of a pregnancy  
18 registry should be developed with an explanation of how a  
19 registry differs from standard clinical trials and other  
20 epidemiologic methods, such as cohort studies. Key  
21 features of a pregnancy registry as outlined in the  
22 guidance indicate that it must be prospective in nature and  
23 include active collection of data. However, pregnancy  
24 registries are alternatively described in the guidance as:  
25 A, a system to collect information on specific

1 drug/biologic exposures; and B, cohort studies of women  
2 exposed to a particular drug compared with a nonexposed  
3 cohort.

4 Similarly, the difference in design between an  
5 active surveillance program for signal detection and  
6 hypothesis generation and study for hypothesis testing is  
7 blurred in the guidance document.

8 A pregnancy registry should be an efficient  
9 means to assess, with sufficient statistical sensitivity  
10 and specificity, the relationship between exposure and  
11 pregnancy.

12 It should be made clear that registries do not  
13 have to have concurrent internal comparison groups. That  
14 comparison can be made using external rates. And  
15 noncomparative registries can be used for hypothesis  
16 testing.

17 Comparative cohort study design may be more  
18 appropriate when there is sufficient suspicion of a signal  
19 that requires confirmation.

20 The second point that we would like to address  
21 is the objectives of pregnancy registries. A statement of  
22 the scientific and regulatory objectives of pregnancy  
23 registries, including clear guidance on when a pregnancy  
24 registry is needed and the information to be generated,  
25 should be presented in the guidance. The limitations of a

1 pregnancy registry should be clearly described.

2           The public health need for a specific registry  
3 or study should be defined by the likelihood of drug use  
4 during pregnancy and the potential risk to the mother or  
5 fetus. Potential risk should be based on a drug's chemical  
6 structure or principal metabolites, pharmacologic class or  
7 similarity of its mechanism of action to other drugs in a  
8 chemical class, animal toxicity findings, or human case  
9 reports of abnormal outcomes.

10           Registries should be established for new  
11 products when there is a signal detected or suspected.  
12 Registries should not be required for all products. In  
13 general, we see no need to implement registries for well-  
14 established marketed products where no risk has been  
15 identified.

16           The specific goal of a registry will drive  
17 design, data collection methods, and the enrolled  
18 population. All registries need not be alike and there  
19 should not be one standard design. However, clear  
20 endpoints for study conclusion must be established prior to  
21 registry initiation.

22           A registry guidance should also describe how  
23 information on normal pregnancy outcomes will be  
24 disseminated to medical providers or used to support  
25 product-specific labeling.



1                   Recognizing the limited experience with  
2 pregnancy registries to date PhRMA urges FDA to assess, in  
3 conjunction with industry, the value and experiences gained  
4 with pregnancy registries using specific metrics.

5                   Finally, we'd like to address an area that's  
6 very important to us and that is adverse event reporting.  
7 It's very important for FDA to provide a clear discussion  
8 of the regulatory requirements for adverse event reports  
9 arising from pregnancy registries and the rationale for  
10 these requirements.

11                   FDA has not addressed by regulation the  
12 reporting of adverse events from pregnancy registries, and  
13 FDA's guidance to various sponsors may not have always been  
14 consistent in the past.

15                   The guidance document does not clarify whether  
16 pregnancy registries should be considered post-marketing  
17 studies or part of an active surveillance program.

18                   We do have recommendations. PhRMA strongly  
19 recommends that FDA consider reports from pregnancy  
20 registries to be solicited reports as outlined in FDA's  
21 Guidance for Industry, Postmarketing Adverse Experience  
22 Reporting for Human Drugs and Licensed Biological Products:  
23 Clarification of What to Report, which was issued in August  
24 1997.

25                   Under this guidance, solicited reports are to

1 | be handled in the same way as reports from clinical studies  
2 | and only submitted to FDA on an expedited basis, that is,  
3 | within 15 days of learning of the event, if they involve  
4 | serious, unexpected events for which the sponsor or the  
5 | investigator concludes that there is a reasonable  
6 | possibility that the drug caused the event. However, all  
7 | adverse event data should be filed as part of a complete  
8 | summary of the registry experience, at the conclusion of  
9 | that registry.

10 |           In summary, we ask FDA to consider and review  
11 | our comments on the guidance document, with particular  
12 | attention to three areas: one, the definition of pregnancy  
13 | registries; two, the objectives of a pregnancy registry;  
14 | and three, how to handle adverse event reports arising from  
15 | pregnancy registries.

16 |           Additional commentary has been submitted by  
17 | PhRMA to FDA in a letter to the docket which was dated  
18 | August 31, 1999, but we certainly appreciate the chance to  
19 | participate in this meeting and to present our comments  
20 | directly to the committee. Thank you.

21 |           (Applause.)

22 |           DR. GREENE: Thank you.

23 |           Any questions for Dr. Teter, please? Jan?

24 |           DR. FRIEDMAN: I have concern about your  
25 | recommendation or your statement that there's no need to

1 | implement registries for well-established marketed products  
2 | where no risk has been identified. How do you decide if no  
3 | risk has been identified for most products when there  
4 | haven't been any studies?

5 |           DR. TETER: Well, I think obviously that's an  
6 | area that's open for discussion. But certainly we would be  
7 | driven by or think or approach the need for a pregnancy  
8 | registry where there is some suspicion that there may be a  
9 | risk. Many products have been marketed for many years and  
10 | have been used in pregnant women, and there are not reports  
11 | of adverse effects reported. So, I don't think that that  
12 | would be a place to start with a pregnancy registry. We  
13 | would feel that the place that that should be directed  
14 | would be where there is a suspicion of a risk either based  
15 | on animal data or previous human exposures.

16 |           DR. WIER: I just follow up on Jan's comment,  
17 | and that is, historically I think we're hard-pressed to  
18 | find many examples, perhaps aside from isotretinoin, where  
19 | risk was expected, anticipated, identified in advance.  
20 | Virtually all known human teratogens were not expected to  
21 | be that. And I would just urge that caution and thinking.

22 |           DR. GREENE: Other questions or comments?

23 |           (No response.)

24 |           DR. GREENE: Thank you very much.

25 |           DR. TETER: Thank you.

1 DR. GREENE: We are right on time, quite  
2 remarkably. I want to thank all of the morning speakers  
3 for remaining right on time.

4 We have a minute or two if there is any other  
5 further public comment, and then we'll take our break as  
6 scheduled on our agenda. If there's no other public  
7 comment, thank you. We stand adjourned for 15 minutes.

8 (Recess.)

9 DR. GREENE: Could we reconvene, please? Let's  
10 get started please with the second half of the morning.

11 The next speaker will be Dr. Elizabeth Andrews.  
12 Dr. Andrews, please.

13 DR. ANDREWS: Thank you. One thing I'm going  
14 to do is point out that there are a couple of themes that  
15 came up in the earlier session that you'll see as common  
16 threads in this talk, and one is Dr. Kweder's comment about  
17 understanding the general margins of safety. The other was  
18 from Dr. Spong, you've got to start somewhere. What I'd  
19 like to do is talk about our experiences with our  
20 registries.

21 My first lesson I think is that had we thought  
22 about the implications of the use of the term "registry"  
23 when we created our first registry, we probably would have  
24 called it a follow-up study rather than a registry because  
25 registry, as a term, leads to a lot of confusion.

1                   What I'd like to do is to illustrate one  
2 approach to pregnancy follow-up studies using the examples  
3 from our own experience, and then I'd like to describe a  
4 few of the practical lessons that we've learned from hands-  
5 on experience over the years. Then I'd like to spend a  
6 couple of minutes talking about future possibilities on the  
7 horizon, and then to identify three key issues that I think  
8 simply must be addressed if we are to move ahead in the  
9 direction that the FDA, the CDC, the pharmaceutical  
10 companies, and providers and women would like us to move  
11 in, and that is, providing much more information that's  
12 available in decision making as regarding medicines in  
13 pregnancy.

14                   First, let me mention that pharmaceutical  
15 companies monitor the safety of all of their products  
16 through their surveillance programs in which they collect,  
17 analyze, and report to the FDA spontaneously reported  
18 adverse experiences, and increasingly, because of  
19 international harmonization, we also undertake periodic  
20 reviews of all the safety data for better understanding of  
21 the safety profile of each drug.

22                   In addition to what we do for every drug, there  
23 are occasionally areas of study that require more rigorous  
24 and systematic study. One of those areas obviously is  
25 safety of drugs in pregnancy. This slide presents some of

1 | the points that we use within Glaxo Wellcome to help us, as  
2 | we think about each new product as it approaches the  
3 | marketplace, in determining when we should actually conduct  
4 | a pregnancy follow-up study.

5 |           What will be the likelihood of first trimester  
6 | exposure?

7 |           Will there be a potentially large exposed  
8 | population of sexually active women of reproductive age?

9 |           Are there suggestions of hazards from animal  
10 | data that we think translate into adverse effects on the  
11 | human fetus?

12 |           Is the underlying medical condition itself a  
13 | risk factor for adverse effects in the offspring?

14 |           Does the medication's mechanism of action give  
15 | us some reason to expect an increased risk with this drug?

16 |           And again, the pregnancy category rating. We  
17 | would be more inclined to pursue additional data for drugs  
18 | that have an existing category C rather than a B labeling.

19 |           The example that I'll use is our completed  
20 | study of acyclovir. I have to apologize for increasing the  
21 | thickness of your binder in providing this extensive final  
22 | report which tells you more than you ever wanted to know  
23 | about acyclovir in pregnancy.

24 |           Acyclovir is a drug used to treat herpes  
25 | simplex virus infections, and we established a registry, or

1 follow-up study, in 1984 because of the potential for wide  
2 scale exposure to a population of sexually active women of  
3 reproductive age and because of the background history of  
4 antivirals to that time which were less specific in their  
5 action and more toxic.

6           When the study was established, it was part of  
7 a broad epidemiology program that was looking at the  
8 general safety of acyclovir, so we were conducting a number  
9 of other studies using databases from health maintenance  
10 organizations and other approaches. This was also a joint  
11 effort with the Centers for Disease Control who had similar  
12 questions and were contemplating a similar kind of study.

13           Our objective was to monitor for risks of birth  
14 defects following antenatal exposure. And we naively  
15 thought in those days that we could use a hands-on  
16 intensive registry approach until we were able to conduct  
17 the same kind of study in an existing database, such as the  
18 HMO databases, and that never happened.

19           As we considered possible study design, we  
20 faced a number of different decisions. What were the  
21 exposures of interest? There were three formulations of  
22 the drug. We were mainly interested in oral acyclovir  
23 because that was the formulation used to treat genital  
24 herpes, but we didn't want to miss information about IV  
25 exposure which produces a much higher level of drug. But

1 we felt it was inappropriate to include topical acyclovir  
2 which is poorly absorbed systemically.

3 We made a conscious decision to look only at  
4 maternal exposures, not that paternal exposures were less  
5 relevant but were more difficult to study.

6 Our primary focus was on exposures during the  
7 first trimester of pregnancy despite the fact that the  
8 obstetrics and infectious disease community were primarily  
9 interested in us using these methods to look at the safety  
10 and efficacy of acyclovir when used in late pregnancy to  
11 prevent neonatal herpes.

12 As our outcomes, we were looking at the overall  
13 risk of major birth defects and we also intended to look at  
14 specific birth defects for any evidence of a pattern or  
15 cluster that might suggest that we could follow that up  
16 using a case-control study for more definitive study.

17 We recognize that many other outcomes could be  
18 interesting but were beyond the scope of our methods, and  
19 in fact we had the scientific rationale to pursue them at  
20 that point.

21 As we considered our objectives, we explored a  
22 number of different study designs. It was clear the cohort  
23 study was not ethical and a study using only a few sites to  
24 enroll patients prospectively would unlikely be successful  
25 because of the relatively rare outcome of birth defects,



1 especially specific birth defects.

2 A case-control study wasn't feasible at this  
3 point because the exposures in the population were too  
4 rare, and also we had no a priori hypothesis of the  
5 specific defect that might be associated with this  
6 exposure.

7 And we looked for existing data resources, and  
8 there were none available that could answer this question.

9 We therefore, determined to conduct an exposure  
10 registration and follow-up study in which exposures would  
11 be reported and included in our analysis only if they were  
12 reported prospectively before the outcome of pregnancy was  
13 known.

14 We needed some type of birth defect comparison  
15 group, and in this particular case, we chose the population  
16 rate because we felt that the primary exposed group was  
17 women with genital herpes who had no other a priori risks  
18 for delivering a baby with major birth defects over other  
19 populations.

20 And we chose the definitions used by the CDC  
21 and the Metropolitan Atlanta Birth Defects Program.

22 We also determined that other outcomes were  
23 beyond the scope of this approach.

24 The next slide attempts to depict how we  
25 determined which cases reported to the program would be

1 | considered retrospective versus prospective. Any exposure  
2 | that was reported after the outcome was known was  
3 | considered to be retrospective, and that includes births --  
4 | and we received a lot of those -- as well as cases in which  
5 | a prenatal diagnosis had confirmed the presence of a birth  
6 | defect. So, we only enrolled throughout pregnancy those  
7 | reports that were made to us before we knew the outcome of  
8 | pregnancy.

9 |           At our initial data collection, we did a lot of  
10 | learning in this process. We started out with a very  
11 | ambitious approach, an 8- to 10-page data form that asked  
12 | for every conceivable information on occupation,  
13 | environmental exposure, all the drugs that were suspected  
14 | to have some relationship to birth defects, and other  
15 | potential confounders. And we quickly realized that if we  
16 | were to obtain any useful data, we had to structure our  
17 | data collection strategy in a very minimalist approach.  
18 | So, we restricted our data form, as you can see in the  
19 | binder, to a very limited set of information basically  
20 | asking for exposure, timing, estimated date of delivery,  
21 | prenatal testing, and depending on the registry, potential  
22 | confounders specific to the individual drug or condition.

23 |           We also chose to collect basic information from  
24 | a single reporter. We had many, many discussions with our  
25 | advisory committee about how we might conduct long-term

1 | follow-up through pediatricians, and we decided that our  
2 | best approach, the most successful approach to maximize  
3 | data collection would be to stick with the general reporter  
4 | who called in the exposure, which was typically an  
5 | obstetrician who was contacting the company through our  
6 | drug information hotline for information about the drug in  
7 | pregnancy. We felt that with using those reporters, they  
8 | were more likely to be motivated, they were likely to have  
9 | the relevant exposure and key outcome information which was  
10 | major birth defects identified at birth, and that  
11 | collecting information from them would not require  
12 | additional steps such as gaining consent and contacting  
13 | other providers.

14 |           In follow-up, we sent a form to the health  
15 | professional at the estimated date of delivery, monthly  
16 | reminders for 3 months after that if we didn't obtain  
17 | information, and then used a last-ditch phone call or data  
18 | form to try to minimize lost to follow-up.

19 |           The patient identifiers that we used to enable  
20 | the reporting physician to identify the patient again at  
21 | delivery -- not names, but it could have been a chart  
22 | number, date of birth or initials -- were deleted at the  
23 | completion of data collection.

24 |           We sent each of the reporters a thank you  
25 | letter long after delivery with the encouragement to report

1 | to us other exposures and also as an attempt to solicit  
2 | other outcome information they may have become aware of for  
3 | the infant involved in the exposure.

4 |           Targeted follow-up was conducted by the  
5 | registry staff relating to specific birth defect cases, and  
6 | that was based on a teratology review conducted at CDC, as  
7 | well as questions from our own surveillance physicians.

8 |           In the analysis, we separate prospective from  
9 | retrospective reports, estimate a birth defect risk, a  
10 | proportion, from the prospective reports. And I'll show  
11 | you an example in a minute. And we compared that risk  
12 | against the expected risk, which varies depending on the  
13 | study that we're talking about. We also evaluated all of  
14 | the specific birth defects reported either through the  
15 | prospective reporting or retrospective, and we analyzed  
16 | those for patterns or uniqueness that might suggest a  
17 | common etiology. And all of the data were reviewed by a  
18 | multi-disciplinary advisory committee before releasing  
19 | interim reports.

20 |           One of the challenges in trying to recruit  
21 | exposures is getting the right message out. We really  
22 | struggled with this in the early days of the acyclovir  
23 | program. In trying to get the word out about the fact that  
24 | we were conducting registry, we needed to avoid implying  
25 | that we felt the drug should be used in pregnancy or that

1 we were suggesting that we think there's an increased risk  
2 when, in fact, neither was true.

3 We looked at a number of options for obtaining  
4 information, including referrals from different groups,  
5 scientific meetings, and I have to say that one of the most  
6 successful things we did was to include information in the  
7 package insert.

8 Sources of calls and referrals come from a  
9 variety of sources. The key point I wanted to make here  
10 was that our registries have tended to be international.  
11 So, we've made use of the local operating companies in  
12 different countries and wide use of our intranet to make  
13 available information about our programs to try to educate  
14 people in our local operating companies to increase  
15 awareness in reporting of these exposures.

16 Each of our programs has an advisory committee  
17 that helps in the review of data and also is another way of  
18 trying to disseminate information and encourage reporting  
19 in the sectors that they are a part of.

20 This next slide shows the data from the  
21 acyclovir registry. What I'd like to show is that of those  
22 reported cases over 14-plus years, we had a total of 1,246  
23 pregnancies with outcomes known. Of those, 756 involved  
24 first trimester exposure to oral or IV acyclovir. When we  
25 calculate the risk of birth defects among first trimester

1 exposures, we look at the number 19, which is the outcomes  
2 of birth defects. That includes live births with birth  
3 defects, as well as prenatally diagnosed birth defects that  
4 may have not advanced to delivery. So, our nominator is 19  
5 birth defects over a denominator of 19 plus the live births  
6 without birth defects, the 577, excluding the spontaneous  
7 and induced abortions.

8 So, we calculated a proportion of birth defects  
9 of 3.2 percent with a fairly tight confidence interval and  
10 compared that with a proportion from all exposures across  
11 all trimesters and concluded that when we compared this  
12 with the general population rate of about 3 percent, that  
13 our experience does not differ from the general population.

14 In addition, when we looked at our overall  
15 sample size, we concluded that regarding individual birth  
16 defects, that we had 80 percent power to detect a 7-fold  
17 increase in the risk of a birth defect that occurs in the  
18 general population with a rate of 1 per 1,000.

19 Our conclusion from study was that there were  
20 also no patterns among the birth defects to provide a  
21 signal of potential common etiology. We certainly need to  
22 recognize the potential limitations, which include under-  
23 reporting of exposures, under-reporting of birth defects,  
24 the inability to identify all birth defects within the  
25 first year of life, potential differential reporting, and

1 losses to follow-up.

2 But despite these limitations, we felt that the  
3 information was useful in the course of counseling women  
4 following inadvertent exposure. And in terms of the value  
5 of this study, the greatest benefit clearly was that more  
6 information is available for patients and their providers.

7 It was clearly useful to our company in our  
8 evaluation of safety of this medicine. It taught us a lot  
9 about how to do these studies. We were able to include  
10 this general information in our product label, changed the  
11 labeling category from a C to a B.

12 And the information was also useful as the CDC  
13 developed their sexually transmitted disease treatment  
14 guidelines relating to genital herpes.

15 We participate in a number of pregnancy follow-  
16 up studies looking at a variety of medications, and let me  
17 just highlight that we're involved in two studies, the  
18 antiretroviral registry and the North American  
19 Antiepileptic Drug Registry, that are multi-company  
20 collaborative projects. The AED pregnancy registry enrolls  
21 women themselves, rather than enrolling through physicians,  
22 and the antiretroviral registry, which is managed by  
23 PharmaResearch is a registry looking at 14 different  
24 products of 8 companies.

25 Let me turn to some of the lessons that we've

1 | learned from just practical experience over the years in  
2 | trying to conduct and improve these kinds of studies. I'll  
3 | take these five points in order, but first of all, let me  
4 | mention that these are all very labor intensive studies  
5 | that require numerous attempts to contact patients or  
6 | physicians for very limited amounts of information.

7 |           So, as we decide how to study any hypothesis  
8 | relating to drug safety, we clearly must balance the ideal  
9 | study design against the probability that we'll actually  
10 | obtain useful data. If we build a perfect study, will the  
11 | study population rise up to enroll, provide years of  
12 | intensive follow-up information? Probably not if we're  
13 | talking about a hands-on study.

14 |           We must also tailor the design of our study to  
15 | the specific question at hand and not ask one design to  
16 | answer all possible questions of potential interest. For  
17 | many drugs, the first level question is, is this drug  
18 | associated with an increase in major birth defects? If  
19 | that's the question, then some variation of a basic  
20 | approach makes sense.

21 |           However, if the question is what's the  
22 | likelihood that this drug causes a specific defect -- and  
23 | we've had examples. One example was a drug that's widely  
24 | used, and there was a signal of a possible relationship  
25 | with a very rare abdominal wall birth defect that occurs in



1 | about 1 in 10,000 pregnancies. Clearly we would not set up  
2 | a prospective study to evaluate that. We'd conduct a case-  
3 | control study, which is underway I think.

4 |           If we were looking for subtle defects or  
5 | delayed effects way beyond birth, we'd certainly not select  
6 | this basic design for a registry. But if we're looking for  
7 | the general margins of safety, some variation of a basic  
8 | approach might, indeed, be tailored to meet the needs of a  
9 | particular medication. So, it's critically important to  
10 | select the right method for the outcomes of interest.

11 |           I'd like to make another point, which is that  
12 | some outcomes like spontaneous abortion and maternal  
13 | outcomes may occur more commonly than overall, certainly,  
14 | specific birth defects and require a different type of  
15 | study design, different amount of data, different types of  
16 | data, and would be very difficult to squish that into the  
17 | context of one of these studies looking at birth defects.

18 |           So, the optimal method really needs to consider  
19 | two dimensions that often work at cross purposes in a  
20 | hands-on prospective study and those are sample size and  
21 | study complexity.

22 |           A large sample size may be obtained best by  
23 | using a very simple approach and that may be appropriate  
24 | for these studies of major birth defects.

25 |           Studies requiring more complexity or a multi-

1 step design will have extreme difficulties in attaining a  
2 large sample size and will require enormous resources and  
3 may, even with enormous resources, not be able to achieve  
4 its objectives. Those would include studies of delayed  
5 effects.

6 Success of recruitment in the follow-up is also  
7 dependent on study simplicity. There are providers and  
8 patients who elect to contact a registry and provide  
9 information. The patients and physicians who do come  
10 forward are still only a small sample of the exposed  
11 populations, but I take as my 100 percent starting point  
12 the people we do find out about.

13 Retention is a major concern. Among the total  
14 population, we can look at the effects of different levels  
15 of complexity and study design. If patient consent is  
16 required in order to obtain additional data from the  
17 patient or to be able to go to a number of different  
18 providers for different kinds of information, the  
19 participation rate will be significantly lower, and  
20 anecdotal evidence suggests that it might be 50 percent.

21 Other exposures are lost when referrals are  
22 required. For example, if a physician must ask a patient  
23 to contact a registry, the patients who are intimidated by  
24 the health care system may not actually be referred.

25 As duration of follow-up is extended, then the

1 | likelihood of obtaining complete information is further  
2 | diminished.

3 |           The potential for lost information, selective  
4 | information, must be considered, and this is not a trivial  
5 | issue as we are talking about very labor intensive data  
6 | collection. At best we'll still find ourselves with many  
7 | cases that are basically irrelevant to the study question  
8 | because the exposure occurred in an irrelevant trimester or  
9 | the exposure was reported retrospectively. I can't  
10 | emphasize enough the importance of trying to recruit  
11 | exposures very, very early in pregnancy.

12 |           So, such a labor intensive method and imperfect  
13 | method is fine because it still provides us with  
14 | substantially more information than we would have  
15 | otherwise. But it's fine as long as there are no better  
16 | and more efficient alternatives. And I do think that it's  
17 | within our 10-year horizon to realize the prospect of large  
18 | linked databases to help in making this data collection  
19 | strategy much more efficient and less dependent on active  
20 | hands-on follow-up. The advantage of using an existing  
21 | database is that all exposures can be identified. It's not  
22 | dependent on voluntary reporting. Follow-up information is  
23 | already collected. It's much easier to get back to the  
24 | individual patient information should it not be in an  
25 | automated database.

1           While these databases currently are not  
2 sufficient, because they don't have enough detailed  
3 information and they're too small, I think the movement is  
4 for automated medical records databases to be much more  
5 comprehensive including kinds of information that we'd  
6 really like to see like LMP dates, that we might be able to  
7 see over the next 5 to 10 years that consolidation across  
8 multiple databases might be able to be a helpful addition  
9 and keep us from having to reinvent these kinds of hands-on  
10 studies again and again. But, of course, those will still  
11 probably not take the place of case-control studies which  
12 remain a mainstay in being able to test hypotheses that  
13 arise.

14           Let me highlight three issues that I think must  
15 be addressed if we're to move forward in the kinds of  
16 registries that we're talking about today. One is the  
17 issue of consent and IRBs. Those of you who know me know  
18 this is a pet issue for me. I'm very concerned that the  
19 evolving legislation, HHS regulations, and practice  
20 guidance that's being developed in many sectors is moving  
21 in a direction that may, in fact, stifle our ability to  
22 conduct this kind of research by requiring informed consent  
23 and perhaps putting some constraints on our ability to do  
24 this research which, in effect, may help us to conspire  
25 against collecting this kind of information. So, I think

1 | it's very important that we stay tuned to the evolving  
2 | policy development and have our voices known so that we  
3 | don't find ourselves unable to study these issues.

4 |           You've already heard the comment about adverse  
5 | event reporting, and clearly we need some clear guidance  
6 | with the FDA about how to report events that emerge from  
7 | these kinds of studies. Our preference is to use study  
8 | guidelines rather than the spontaneous event guidelines.  
9 | This lack of clarity creates a significant barrier when  
10 | multiple companies are collaborating on a single study.

11 |           And there needs to be greater understanding of  
12 | how information coming from these kinds of studies can be  
13 | used and how they should be interpreted. The public and  
14 | providers are a bit in the dark. We need ways to  
15 | disseminate this information in ways that are helpful to  
16 | providers and women who are pregnant or considering  
17 | pregnancy in the face of enormous pressures that suggest  
18 | that any exposure to any medication will be hazardous.

19 |           So, let me stop there and see if there are any  
20 | other questions. I could probably go on and on, but I'll  
21 | stop there.

22 |           DR. GREENE: Thank you.

23 |           Questions for Dr. Andrews, please. Jim.

24 |           DR. LEMONS: That was very interesting data. I  
25 | had a couple of questions related to the acyclovir study to

1 | see if there were any other conclusions you could draw.  
2 | One is, do you have any estimate of what percent the  
3 | prospectively followed cohort represented of all pregnant  
4 | women that might have been exposed?

5 |           Secondly, do you have any data from that study  
6 | or other studies that would reflect upon the quality of  
7 | evidence that might be collected from a retrospective  
8 | sampling? That is, do you know, in fact, that the  
9 | retrospective sampling, looking at least major birth  
10 | defects, would have been inaccurate or misleading?

11 |           DR. ANDREWS: Good questions. The first  
12 | question. We tried many times to estimate the total  
13 | exposed population, which requires understanding the use of  
14 | the drug and making some estimation of fertility in women  
15 | with genital herpes, and we had very wild estimates. I  
16 | think our bottom line is that we know that we only captured  
17 | a fraction of the exposed population, and how big a  
18 | fraction I really don't know.

19 |           Your question about retrospective reporting.  
20 | It's very clear that when people have identified an outcome  
21 | and want to tell us about it, there's a reason. So, there  
22 | are a number of providers who are very interested in using  
23 | acyclovir to prevent neonatal herpes. So, they pick up the  
24 | phone and call us routinely to tell us how safe the drug  
25 | was, and we have no idea how representative that experience

1 was. It's clearly not.

2 DR. GREENE: Ken?

3 DR. JONES: Elizabeth, I'd like to make a  
4 comment and also ask you a question. It relates to one of  
5 your slides here on design considerations. First of all,  
6 you say that cohort studies are not feasible because  
7 outcomes are too rare, and I agree with you on that if what  
8 your outcome is is single major malformations, which you  
9 clearly make as your outcome.

10 However, I think as many of us believe --  
11 clearly not all of us because I know Allen Mitchell is down  
12 there.

13 (Laughter.)

14 DR. JONES: But I think as many of us believe,  
15 human teratogens are primarily associated with a pattern of  
16 minor malformations as opposed to a single major  
17 malformation. So, I would take exception to the issue that  
18 outcomes are too rare because I think when one is looking  
19 at minor malformations and patterns of minor malformations,  
20 you can do this with much smaller numbers. And that's the  
21 first point that I'd like to make.

22 Now I'd like to ask you a question, and that  
23 relates to your comment that cohort studies are not  
24 ethical. Could you explain to me what you mean by that?

25 DR. ANDREWS: Simply that we felt at the time

1 | that acyclovir was being introduced in the mid-1980s, that  
2 | trying to enroll women prospectively, we would not be  
3 | enrolling them in a clinical trial to expose them  
4 | intentionally to acyclovir, and it would be very difficult  
5 | to, through a set number of centers, identify those with  
6 | inadvertent exposures.

7 |           DR. JONES: Okay, well, that may be true with  
8 | acyclovir, but I think that there are many drugs that are  
9 | being marketed that women are taking today that inadvertent  
10 | exposures are relatively frequent, and if we are looking  
11 | for outcomes again other than major malformations, in  
12 | particular, if we are looking for outcomes in terms of  
13 | neurobehavioral development, which I think is a critical  
14 | issue as far as this is concerned, which has to be  
15 | determined at 4 to 7 years of age, we have to be enlisting  
16 | mothers as opposed to obstetricians in terms of the  
17 | individual that we're talking to. Therefore, we have to be  
18 | going to IRBs and we have to be getting consents of mothers  
19 | to allow us to evaluate their pregnancies, their newborn  
20 | baby, and then follow their baby up through 7 years of age.  
21 | I don't think this is unethical. I think this is very  
22 | ethical.

23 |           DR. ANDREWS: And I would completely agree with  
24 | you. I would say on my little diagram with study sample  
25 | size and complexity, that is over there on the high end of



1 | complexity and, fortunately, requires a smaller sample size  
2 | because that would be extremely difficult to do for  
3 | hundreds or thousands of exposures. Absolutely agree.

4 | DR. WISNER: My question is about exposures.  
5 | As a clinician, as I listened to the information, I'm  
6 | wondering how generalizable it is to the patients that I  
7 | see in my office. So, for example, for this study for  
8 | acyclovir, would exposure mean that patients who were  
9 | included who perhaps had a dose or two, found out they were  
10 | pregnant, and discontinued, as well as patients who perhaps  
11 | used the maximum dose for an extended period of time? So,  
12 | my question is whether you could comment on your experience  
13 | with creating operational definitions of exposure and how  
14 | you could present that kind of information to clinicians  
15 | who have to use the data?

16 | DR. ANDREWS: We struggled with that, and we  
17 | used a variety of approaches. The most complicated  
18 | approach was to actually pictorially describe every single  
19 | case with a graph of every week during pregnancy, and we've  
20 | actually put the exposure time, as best we could infer from  
21 | the reports, and dose and indication. That for hundreds of  
22 | patients became incredibly too detailed. We felt that  
23 | clinicians would like to be able to refer to something like  
24 | that, and in fact, I think it turned out not to be that  
25 | useful.

1                   We used a variety of other ways of looking at  
2 dose, indication, duration of therapy, and that's going to  
3 be a different issue for every particular drug.

4                   So, I guess one answer to that is when people  
5 called for information, we could actually refer to specific  
6 information in the cases.

7                   DR. GREENE: Are there any other questions for  
8 Dr. Andrews?

9                   DR. ANDREWS: Let me just add another comment.  
10 Most of the questions that come in to these hotlines aren't  
11 that specific.

12                   DR. GREENE: Thank you.

13                   The last scheduled speaker for the morning is  
14 Dr. Evelyn Rodriguez. Please.

15                   DR. RODRIGUEZ: Good morning. I want to open  
16 up by saying that this guidance was really drafted by a  
17 large group of dedicated individuals, part of the Pregnancy  
18 Registry Working Group. Carolyn McCloskey, an  
19 epidemiologist on my staff, worked on this document, along  
20 with Sheila Weiss, who is on the committee today, Jean  
21 Manson and others who are too many to list this morning.

22                   The guidance was drafted by this committee and  
23 then published in the Federal Register in June of 1999.  
24 You have a copy of the draft and the comments that we  
25 received regarding the draft in your background package.

1 | What I'd like to do now is to bring you up to date on the  
2 | agency's current thoughts in preparation to seek your  
3 | advice on how we proceed toward finalization of this  
4 | document. I think PhRMA and others who have submitted  
5 | comments will recognize that we've incorporated many of the  
6 | concerns into our current thoughts.

7 |           This is the outline of what I'll be covering  
8 | today. I'm going to be discussing the agency's reason for  
9 | drafting a guidance document to industry, describing what a  
10 | pregnancy registry is. Every registry needs a protocol, so  
11 | I'll be talking about the purpose of establishing a  
12 | protocol, and a little bit about the registry study design,  
13 | touching upon recruitment, considerations in reporting  
14 | source, issues regarding follow-up, comparison groups that  
15 | can be used, issues in data analysis, and finally reporting  
16 | results.

17 |           Why a pregnancy guidance document? Well, the  
18 | agency felt it was important to provide useful data to  
19 | health care providers in caring for their patients.  
20 | Clinicians really have a dearth of data to refer to  
21 | regarding issues arising in the use of drugs or medical  
22 | products during pregnancy, and because those data are  
23 | lacking, we felt it was important to address it in a  
24 | guidance document.

25 |           Well, what is a pregnancy registry? I'm going

1 to use the S word. A pregnancy registry is a study and it  
2 could have many, many designs. There's not a cookbook  
3 approach that one can use to design a pregnancy registry.  
4 Often it's hypothesis generating if the risk is unknown.  
5 It could be hypothesis testing if, for example, animal  
6 studies point to a particular possible adverse outcome of  
7 concern. The design would depend upon the hypothesis and  
8 outcomes of concern, and ideally prospective enrollment of  
9 subjects would be actively pursued. It also would outline  
10 how information will be collected in a proactive manner for  
11 providing the scientifically based outcome data that's  
12 needed.

13           What is the purpose of a pregnancy registry?  
14 Well, we need to determine the risks associated with drug  
15 use during pregnancy, and we need to provide a measure of  
16 this risk and, whenever possible, to determine the risk  
17 factors associated with the adverse outcomes. Very  
18 importantly, as Sandy had described earlier this morning,  
19 we need to put our arms around the margins of safety  
20 regarding either risk or lack of risk.

21           We have limitations of current data resources.  
22 We have population-based surveillance systems, and what I'm  
23 referring to is Medicaid, automated databases, HMO-based  
24 automated databases. But presently there's no easy linkage  
25 of maternal exposures that we can connect to fetal outcome.

1                   Spontaneous reports, just by virtue of what  
2 they are, are biased in the kinds of reports that are  
3 received and no incidence rate is available.

4                   There is a lack of meaningful data available in  
5 clinical trials because all of us know that women are  
6 specifically excluded from these trials and that once women  
7 become pregnant in these trials, they're frequently  
8 terminated or excluded from this trial.

9                   What is the purpose of a pregnancy registry  
10 protocol? Well, the protocol should assure the quality and  
11 the validity of data elements that are going to be  
12 collected and should assure the documentation and  
13 consistency of the research methods.

14                   Registries are observational, nonexperimental  
15 studies that actively enroll subjects. The registration is  
16 ideally prospective as early as possible in pregnancy,  
17 especially if the outcomes of concern are impacted upon  
18 early in pregnancy, recognizing, of course, that drug  
19 exposure can be anytime prior to pregnancy or during  
20 gestation.

21                   One should determine rates of outcome among  
22 mothers exposed to the drugs and one should consider the  
23 use of comparison groups. The easiest is to use known  
24 background population rates, but one can also consider  
25 concurrently enrolling unexposed mothers with or without

1 | the underlying disease of interest.

2 |           Baseline information should be carefully  
3 | collected at enrollment that can be risk factors for the  
4 | outcome of interest, and the focus should be on the  
5 | enrollment of prospective subjects who are enrolled during  
6 | pregnancy when there is an unknown fetal outcome in order  
7 | to provide the unbiased type of risk estimate.

8 |           Retrospective subjects, although not part of  
9 | the prospective analysis, can be collected to develop a  
10 | case series and a description of these cases reported to  
11 | the registry. These, of course, would be subjects who are  
12 | enrolled or information obtained after the outcome of  
13 | pregnancy is already known.

14 |           Another consideration in design of a pregnancy  
15 | registry is the consideration of the feasibility of  
16 | successfully completing the study. One should anticipate  
17 | the patterns of drug use or product use relative to fetal  
18 | development, and one should specifically have case  
19 | definitions in mind and have a method for the  
20 | identification of those adverse outcomes specifically  
21 | delineated in the protocol.

22 |           What products are good candidates? Products,  
23 | if they're used frequently where inadvertent exposures are  
24 | apt to occur, should be considered, and products initiated  
25 | or continued during pregnancy as therapy.

1                   Also, when available information suggests a  
2 need, such as a concern about a pharmacologic class,  
3 concerns that arise because of animal reproductive data,  
4 any chemical structure/activity relationships that one is  
5 concerned about, or when isolated human case reports lead  
6 to a concern.

7                   When in a medical product's lifetime should a  
8 registry be established? It should be established when the  
9 need is perceived, either at the time of approval, which we  
10 hope would be most likely in the future, or possibly with a  
11 new indication for a specific medical product, and when a  
12 post-marketing signal is observed.

13                   What are the elements to consider in the  
14 pregnancy registry design? Well, the protocol should  
15 assure consistency in data collection and analysis, and we  
16 would encourage industry companies to consult FDA in the  
17 design.

18                   The background section in the protocol should  
19 outline the animal reproductive toxicity studies and any  
20 concerns that have arisen because of those studies. They  
21 should cite relevant pharmacologic and toxicologic studies  
22 and any human experience from spontaneous reports or  
23 earlier human studies and should also provide an estimate  
24 of risk in human pregnancy in order to guide the sample  
25 size and power issues.

1           The research methods should carefully outline  
2 patient recruitment which hopefully would consist of very  
3 proactive enrollment strategies and clearly outlined  
4 follow-up plans. Any drafts of registry announcements  
5 should be included as well, such as informational pieces  
6 containing contact telephone numbers and website addresses,  
7 and the product label should contain the contact  
8 information as well.

9           Announcements may appear in professional  
10 journals, women's magazines, professional and  
11 maternal/infant advocacy group newsletters, Internet sites,  
12 mailings to specialists, lectures, and informational booths  
13 at professional meetings.

14           However, unless specifically approved for use  
15 during pregnancy, any recruitment effort should not promote  
16 the use of the product during pregnancy.

17           All product-specific promotional materials must  
18 be submitted to FDA at the time of first use, and review  
19 prior to use is not necessary unless the product was  
20 approved under expedited approval regulations.

21           The protocol should also include scripts that  
22 will be used in response to registry announcements and in  
23 order to recruit and enroll subjects. To increase  
24 awareness, sponsors are encouraged to work with FDA, CDC,  
25 the Organization of Teratogen Information Services, the



1 March of Dimes, and others who have interest in this area.

2 The FDA plans to develop a website page that  
3 will list known pregnancy registries as well.

4 With regard to research design and reporting  
5 source, there are several sources of information that one  
6 may use. One may use subjects in obtaining baseline and  
7 follow-up information or health care providers, or both.  
8 Each has its advantages and disadvantages.

9 The use of subjects may minimize loss to  
10 follow-up and may facilitate multiple follow-up during  
11 pregnancy and also enhance the number of contacts and  
12 enhance the quality of infant data. It also would  
13 facilitate informed consent in the event that a medical  
14 record would need to be pulled in order to validate  
15 specific infant outcomes. But it may be more expensive  
16 because there would be more frequent and extensive follow-  
17 up. However, that would need to be balanced with the loss  
18 of follow-up that can be expected in a registry study.

19 Health care providers are a convenient and good  
20 source of medical data. It's a very economical way of  
21 collecting data and may require fewer contacts. However,  
22 data collection on maternal and infant events may be  
23 incomplete, especially if these are obtained mostly from  
24 obstetricians or family practitioners who may not follow  
25 the infant and may lose track of the infant after the child

1 | is born. And loss of follow-up may be substantial because,  
2 | frankly, busy clinicians are busy and this is not going to  
3 | be on the top of their priority list. So, they may not be  
4 | as motivated as perhaps individual subjects.

5 |           Patient follow-up. Of course, these plans  
6 | would need to be guided by the outcomes of interest, and  
7 | the challenge, as Elizabeth noted earlier, is to balance  
8 | the quantity of the data along with the quality of the  
9 | data. Follow-up plans should outline and describe the  
10 | follow-up procedures in the protocol.

11 |           It should update drug exposure and risk factor  
12 | information and obtain results of any diagnostic tests when  
13 | these are available.

14 |           It should plan on collecting information if  
15 | these are available, on spontaneous abortions, elective  
16 | terminations, and the medical reasons for these events if  
17 | these impact on the outcomes of concern of the study.

18 |           There should be consistent, standardized,  
19 | similar follow-up for all women in order to avoid bias.

20 |           And criteria should be prespecified to define  
21 | subjects that are pending versus those who are lost to  
22 | follow-up.

23 |           Considerations for prespecified, standardized  
24 | case definitions for all outcomes should be made, and these  
25 | can include, depending upon the outcomes of interest again,

1 | on maternal, labor, and delivery events, major categories  
2 | of anomalies, developmental effects, and so forth. One  
3 | should try to confirm as many of these outcomes as  
4 | possible, perhaps by accessing autopsy and pathology  
5 | results, birth and death infant records, expert evaluations  
6 | of the infant, and perhaps long-term follow-up depending  
7 | upon the focus of the study. Again, the feasibility of  
8 | obtaining all of these outcome data needs to be considered.

9 |           One should define the outcomes of concern and  
10 | hypothesis and define the characteristics of the exposed  
11 | population that one is expected to enroll. One should have  
12 | some information and define the biological impact of the  
13 | treated underlying medical conditions upon the adverse  
14 | event being ascertained and describe what is known about  
15 | drug exposure during pregnancy. One should be able to  
16 | anticipate the likelihood of discontinuing the treatment  
17 | upon the diagnosis of a pregnancy which would, of course,  
18 | impact on enrollment and follow-up considerations.

19 |           In the selection of comparison groups, one can  
20 | try to enroll women who have the underlying medical  
21 | condition or women who are exposed to a similar product for  
22 | the same indication or perhaps use multiple comparison  
23 | groups. But we recognize that the easiest comparison group  
24 | to use is known background rates that are already published  
25 | and available.

1           Statistical considerations include having an  
2 adequate sample size to address the hypothesis of concern  
3 if a hypothesis is postulated, to estimate the risks of  
4 suspected outcomes of scientific interest, and of course,  
5 estimate the power to exclude certain levels of risk.

6           In the data analysis, as Elizabeth pointed out  
7 earlier, prospective and retrospective cases should be  
8 separated. Pregnancy outcomes and fetal abnormalities  
9 should be described and looked at very carefully. The  
10 subjects lost to follow-up should be compared to the  
11 subjects who continue to be enrolled in the study to see if  
12 there are any issues with possible bias.

13           In a cohort design, one should calculate a  
14 point estimate and 95 percent confidence intervals which  
15 would help us our arms around levels of risk, and one  
16 should compare these levels then to population background  
17 rates.

18           Well, registry reports, I'd like to address,  
19 are considered information derived during active  
20 solicitation of information from patients. So, I think  
21 PhRMA and the companies are relieved that FDA is now a  
22 little bit clearer about what the reporting requirements  
23 are. We took that comment very much to heart and wanted to  
24 provide clarity to encourage registries to be developed.

25           So, as such, they should be handled as safety

1 information obtained from a study as the 1997 guidance  
2 which PhRMA had referred to earlier. I do want to  
3 highlight the fact that FDA post-marketing safety reporting  
4 regulations are in the process of being updated, and so  
5 considerations of registries and reporting requirements  
6 will be considered and part of those safety regulations.

7 Additional information in the registry guidance  
8 includes references that were used in developing the  
9 guidance. We also developed a long laundry list of  
10 elements for possible consideration in pregnancy registries  
11 knowing full well that this is just a laundry list from  
12 which companies and persons involved in a research design  
13 can select from depending upon what the outcomes of  
14 interest are. Also sample size determinations by specific  
15 adverse pregnancy outcomes are also included in the  
16 document.

17 Thanks a lot. I think I'm humbled by the  
18 previous speakers before me and would like to now entertain  
19 any questions regarding the guidance document.

20 DR. GREENE: Questions for Dr. Rodriguez,  
21 please? Jan?

22 DR. FRIEDMAN: I'd like to make a comment and  
23 ask you a question.

24 First, the comment returns to one that both  
25 Allen and I made before. I don't really understand why the

1 default position would be that there should not be a  
2 registry for a drug unless there's some reason to think  
3 that there's concern because I don't think we know when  
4 there's reason to be concerned. It seems to me a more  
5 reasonable position would be the default position should be  
6 there should be a registry unless there's clear indication  
7 there's no need for one, for example, the drug isn't  
8 absorbed, a topical that's not absorbed. That's the  
9 comment.

10 The question is it seems to me that part of the  
11 reason that both you and Dr. Andrews see the difficulty of  
12 collecting these data, the detailed data, has to do with  
13 where you're sitting. If you're actually taking care of  
14 patients, most of these data are available. Babies are  
15 examined. There are sort of routine developmental  
16 evaluations, maybe not detailed, but there is information  
17 that's available.

18 When information is gathered on animal studies,  
19 there's some cost in obtaining the data from the animals,  
20 and it seems to me if you weren't just depending on  
21 voluntary compliance, asking, begging people to provide  
22 information, it might be easier to get it if you were to  
23 develop a system where someone like Ken Jones was  
24 encouraged to actually look at some of these babies and  
25 gather the data that you need and provide them to you in a

1 reasonable fashion. You might find that the quality of the  
2 data and the detail of the data and the ability to get  
3 these syndromes and some of the things that we want to look  
4 for would be a lot easier.

5 Would you like to comment on that?

6 DR. RODRIGUEZ: I think your point is well  
7 taken. As I had mentioned, the design of a registry would  
8 really be predetermined by the outcomes of interest. So,  
9 it would be very important, though, to be very careful in  
10 the data collection that one performs to do it in a very  
11 standardized manner. So, one may not be able to cast a  
12 wide net and try to solicit information from every possible  
13 source. Perhaps some targeted study is needed depending  
14 upon the outcome of interest. For example, if it's a  
15 developmental delay question or a behavioral question, that  
16 may be handled in a more focused study as you just  
17 described.

18 Does that answer your concern? Certainly  
19 you'll have a chance to discuss this when the committee  
20 convenes to talk about the questions that we posed to you.

21 DR. GREENE: Lew?

22 DR. HOLMES: Evelyn, I have one question and  
23 one comment.

24 The question. I run the AED pregnancy  
25 registry. It would be very helpful to us if the guidance

1 document said a registry can report adverse outcomes every  
2 6 months just as a matter of fact rather than now where  
3 it's up to the individual company and we've been given the  
4 option of having the companies apply for permission to do  
5 it every 6 months. But it would be a lot easier if you  
6 just made it a priori when you have a registry that meets  
7 certain guidelines, this is then automatic. It would save  
8 an enormous amount of personnel time.

9           The second point concerns this follow-up  
10 question that Jan is speaking to. We have a hospital-based  
11 registry. We talk to the mother. We get her consent to  
12 request information from the doctors, and what he's talking  
13 about is certainly obtainable if you're willing to provide  
14 the support for the personnel that walk through that. It's  
15 not the same as having Ken do the exam, but it's a more  
16 efficient system when you're covering a large geographic  
17 area.

18           I'm not convinced any existing database is an  
19 adequate control, and what we're going to try to do, if we  
20 get enough money, is to start the process of trying to  
21 recruit controls which, as you might guess, is not going to  
22 be automatic or easy or we know exactly what to do.  
23 Because I really think a registry, where you're asking a  
24 woman to make a phone call is different from any database  
25 like the CDC database or any other that has a totally



1 different design.

2           So, I'd say, as we talk about pregnancy  
3 registries, I don't think you can just accept a priori that  
4 you can use historical controls. I think quite the  
5 opposite. The data is going to be much more believable if  
6 you have intrinsic controls.

7           DR. RODRIGUEZ: I'd just like to address one  
8 thing you said in your statement regarding expecting women  
9 to call up and make reports. I think it would be much more  
10 useful for designers of these registries to actually call  
11 up the subjects rather than relying upon the subjects to  
12 call in to the registry to make a report. That would allow  
13 for more standardized collection.

14           DR. HOLMES: You don't deal with IRBs. An IRB  
15 would never accept that.

16           DR. RODRIGUEZ: Is that right?

17           DR. HOLMES: Automatically step number one,  
18 part of the consent process is she has to pick up the  
19 phone. I can tell you as someone who is convinced I'm a  
20 great persuader of a lot of women to call this number, I  
21 know they don't. So, it's one of the rate limiting steps  
22 in a pregnancy registry. She is actively doing it. Fewer  
23 shes do it, but the lost to follow-up rate is less than 5  
24 percent. So, she's engaged.

25           DR. RODRIGUEZ: Right, understood. However,

1 | once a woman is enrolled in terms of obtaining follow-up  
2 | information, I think what we're encouraging is that instead  
3 | of relying for the woman to make a phone call to provide  
4 | follow-up information, that the study would call the woman  
5 | in order to obtain the information, as is done with  
6 | providers, I would imagine.

7 |           DR. HOLMES: Sure, as long as it's part of the  
8 | consent process.

9 |           DR. MONTELLA: You can get consent up front to  
10 | call patients, though. You have to get it up front for  
11 | everybody. Particularly in pregnancy, everybody registers  
12 | very early on. Many people register early on. Some people  
13 | don't come at all. But those that do, you can get consent  
14 | up front to make a phone call. It's a very specific  
15 | consent: Is it all right to call you? You can do that.

16 |           DR. GREENE: Yes, please.

17 |           DR. WEISS: I'm a little concerned because you  
18 | were the third speaker this morning that talked about maybe  
19 | not using concurring controls. I agree with Dr. Holmes  
20 | there that if you don't get some sort of comparison group  
21 | that's enrolled in a similar manner to the women you're  
22 | enrolling, then you're losing the critical information that  
23 | you really need to make a risk assessment.

24 |           I started looking at this in the literature and  
25 | found that the rates of spontaneous abortions in women who

1 | enrolled in these registries is about half the population  
2 | rates because of the way that they are enrolled and even  
3 | perhaps because of what their risk might be.

4 |           Also issues about therapeutic abortions, if the  
5 | drug causes anomalies and they're discovered early, the  
6 | people taking the drug might have higher rates of  
7 | therapeutic abortions. You won't know that unless you have  
8 | a comparison group from a similar population and be able to  
9 | make that comparison.

10 |           I think the lack of comparison group is one of  
11 | the reasons that prior data has not made it into the label  
12 | because you don't have that thing to compare them to to  
13 | understand what your results really mean. And I urge you  
14 | and the committee working on this to really think about  
15 | this issue before you agree that that's a valid design, not  
16 | to have a comparison group.

17 |           Thank you.

18 |           DR. GREENE: Other questions or comments?

19 |           (No response.)

20 |           DR. GREENE: Well, I think we're right about on  
21 | time, and I think we will adjourn for one hour for lunch  
22 | please.

23 |           (Whereupon, at 12:01 p.m., the subcommittee was  
24 | recessed, to reconvene at 1:00 p.m., this same day.)

25 |

## AFTERNOON SESSION

(1:12 p.m.)

DR. GREENE: We'd like to reconvene, please.

This afternoon our first task is to address some of the questions that the agency is posing to the committee. Before we address the questions that are formulated for us in our agenda packet, I would like to take a minute or two to ask a few questions of my own. I will take the prerogative of the chair to do that.

As I reviewed the responses of various sponsors and industry to the draft guidelines, I thought that there were several themes that came through, and I'd like to address some of these themes first before we get straight to the questions as proposed in our agenda books.

The first is recognizing the preponderance of academicians around the table and the preference that everyone would have for the perfect study, I would like to ask whether it's necessary really, for the kinds of information that we want to glean from registry data, for the sponsors to enroll contemporary controls. It seemed that that was a consistent theme in the objections of industry to the draft guidelines, that they thought that was unduly and inappropriately onerous. And the question is, is that really necessary? I'd like to open that question for discussion for starters. Lew?