

1 I would like to specifically recognize
2 important and significant developments that have
3 contributed to this achievement. First, the advances have
4 only been possible through a steadfast investment in basic
5 and clinical biomedical research. These have provided
6 insights into the pathogenesis of rheumatoid arthritis, and
7 the conduct of rigorous clinical trials that have
8 documented the effects of treatment on the disease course.

9 The Arthritis Foundation has been particularly
10 pleased to play a small part in supporting this research.

11 Second, the biotechnology and pharmaceutical
12 industry has successfully built on this science base and
13 has developed effective products that modify biologic
14 pathways important in this disease.

15 The development of targeted drugs specifically
16 for use in rheumatoid arthritis is a new and important
17 direction for industry, and, finally, we would like to
18 recognize the agency for review and revision of labeling
19 indications of drugs used in the treatment of this disease.

20 Clear statements as to whether a drug improves
21 symptoms or prevents joint damage and destruction is a
22 major step forward in allowing prescribing physicians to
23 make more intelligent decisions and informing patients as
24 to realistic expectations from drug treatment.

25 We consider studies that look at a more primary

1 role of aggressive treatment early in the course of
2 rheumatoid arthritis as a logical next step, as a way of
3 better control of this disease, and hopefully to minimize
4 the long-term consequences of this chronic disease.

5 Early diagnosis and early treatment are key
6 strategies of the Foundation's National Arthritis Action
7 Plan as we work towards Healthy People 2010.

8 We indeed look forward to the next decade with
9 hopes that scientific research will uncover additional
10 mysteries of this disease and will not only allow for
11 better control but ultimately methods to cure and prevent
12 it.

13 Thank you very much.

14 DR. ABRAMSON: Thank you, Dr. Klippel.

15 Finally, we have a letter to be read to the
16 record by Ms. Reedy.

17 MS. REEDY: From Kara Gregory in Darien,
18 Connecticut.

19 "I send you the perspective of a mother of a
20 young child, seven years now, who was diagnosed at age four
21 with systemic onset JRA. Enbrel has been the only drug
22 that has worked for us, and I wish the drug had been
23 available to us three years ago.

24 "My son was on numerous drugs with many adverse
25 reactions, and his swelling and pain persisted to the point

1 where he was unable to walk, eat, move his head during his
2 sleepless nights due to pain, even had to be physically
3 turned over. He was unable to do anything himself.

4 "We tried methotrexate, too, which is what
5 rheumatologists usually prescribe first now, and it had
6 adverse reaction in the liver and horrible mood swings with
7 prednisone and some of the NSAIDs.

8 "Rheumatologists told us our son would probably
9 never get better, and he would probably never respond to
10 any drug. That is when we went the natural route which
11 helped a little, and then Enbrel was approved, and we
12 noticed the difference immediately, and within four months,
13 he was running, climbing, and his swelling is now almost
14 undetectable.

15 "I believe Enbrel to be so much safer than the
16 current preferred treatment by rheumatologists, and when a
17 child develops RA and does not respond to other products,
18 then I truly believe they should be given Enbrel before any
19 of the other DMARDs and other drugs out there.

20 "My son has been on Enbrel for 18 months, and
21 the difference is incredible. Everyone, including his
22 rheumatologist and pediatricians, are amazed at the
23 difference because they never thought it would happen. So
24 the plea is to please approve that Enbrel be the first drug
25 used and not only after other DMARDs."

1 DR. ABRAMSON: Okay. Thank you very much.

2 We're now going to move to a series of
3 discussion questions that address the risk-benefit ratio of
4 Enbrel and its proper place in these requested changes to
5 the labeling. There are 12 questions, and we will try and
6 spend about 10 minutes on each question.

7 The first is in the area of safety, and I'll
8 just read the preamble. "In this trial and the original
9 licensure trials, for patients with advanced RA, no
10 differences were observed between Enbrel-treated and
11 control patients with regard to serious infection. The
12 occurrence of serious infections and deaths from infections
13 among patients who received Enbrel in the post-marketing
14 period resulted in additional language in the product label
15 and the initiation of a randomized trial of Enbrel in
16 patients with RA to assess infectious complications in a
17 population at risk for potential risk factors. In
18 addition, randomized controlled trials of Enbrel are
19 underway to assess safety and efficacy and other
20 indications."

21 The first question in the safety database
22 category to the panel is, "Please comment on the adequacy
23 of the completed and planned studies to assess the safety
24 of Enbrel for long-term use in patients with early
25 rheumatoid arthritis."

1 So would someone on the panel like to comment?

2 DR. HARRIS: May I?

3 DR. ABRAMSON: Yes, Dr. Harris.

4 DR. HARRIS: As I understand it, the study will
5 be utilizing a thousand patients with comorbid conditions
6 for four months. My issue is whether or not four months is
7 an adequate period of time in which to do a study such as
8 this, whether or not the risks might not exist for a longer
9 period than four months.

10 DR. ABRAMSON: Dr. Siegel?

11 DR. JEFFREY SIEGEL: Yes. We also feel that
12 four months may not be adequate to fully ascertain the risk
13 of increased infections. This was a practical decision
14 that was reached because it was felt that it would be very
15 difficult to keep patients in the control arm of the trial
16 longer than four months.

17 DR. ABRAMSON: Dr. Schwieterman?

18 DR. SCHWIETERMAN: Yes. Let me just add to Dr.
19 Siegel's comments.

20 It's very difficult to know, of course, what an
21 adequate length of trial is when you're reviewing the
22 anecdotal post-marketing data, but of those events that we
23 reviewed, a large majority of them, if there was a causal
24 relationship, occurred relatively soon after the initiation
25 of Enbrel, that is, within the four-month period, and so

1 based upon those observations and based upon Jeff's
2 comments, that's how that study length was chosen.

3 DR. ABRAMSON: But given -- just to pick up on
4 this -- given the denominator of post-marketing reporting,
5 and if one assumes that the drug may not induce the
6 infection but in someone who otherwise develops an
7 infection prevents normal handling, one would then, in
8 picking up Dr. Harris's point, want to know what the
9 likelihood of events of infection would be in this
10 population over four months, presuming it's not the drug
11 that induces it but the natural history of the incidence of
12 the event.

13 So how do you think about that? If you take a
14 thousand diabetic patients and others, what's the
15 likelihood that you're going to get a sepsis or some other
16 event that gets complicated by therapy?

17 DR. JEFFREY SIEGEL: Unfortunately, we didn't
18 have firm data to base these on. Some of the data that was
19 used was data from congestive heart failure studies where
20 the rate of infections has been quite high in some of the
21 controlled studies.

22 DR. SCHWIETERMAN: I think your point is well
23 taken. It's difficult to know with any certainty about how
24 the study is powered or whether it's sensitive enough for
25 these particular changes, and suffice it to say that

1 discussions occurred over actually the course of many
2 months as to what kind of trial design, including much
3 larger studies of a non-enriched population, enrichment
4 studies and so forth, and based upon the analyses we did of
5 the post-marketing data, albeit, you know, with full
6 knowledge that those analyses would only give us a guess, a
7 best guess as to what was going on, we felt that an
8 enrichment study of these particular patients was the way
9 to go, given the incidence of the events in these
10 particular patients, and as to the power of the study, we
11 could only do again a best guess as to what kind of signal
12 we'd see with these groups.

13 DR. ABRAMSON: And Dr. Simon first.

14 DR. SIMON: In the context of doing an
15 enrichment study, was thought given for such a short trial
16 to be done only during those times of years where these
17 individuals who are at risk are truly at risk for URI
18 exposures, such as winter as opposed to summer?

19 One would have seen differences of infection
20 rates, and are we concerned only with sepsis or are we
21 concerned with upper respiratory tract infections that then
22 are worsened while the patient is on an inhibitor of TNF-
23 alpha, and then the other question, which I'm concerned
24 about, relates to the LFT abnormalities, and the usage of
25 this type of therapy in patients with known hep C disease.

1 There was an allusion to a study in hep C
2 patients, hep C-infected patients, and could you comment on
3 how you're looking at those kinds of chronic infections as
4 relates to incidence of problems with superimposed TNF-
5 alpha inhibitor therapy?

6 DR. SCHWIETERMAN: Well, let me comment on your
7 first question first.

8 What drove our considerations for the trial
9 design in the study was less the upper respiratory tract
10 infections but more the serious AEs, including systemic
11 inflammatory response syndrome relatively soon after the
12 initiation of Enbrel, including hospitalization with
13 serious infections, including quite dramatic Grade 4
14 infections from there, and consideration was given to all
15 the kinds of adverse events that might be seen in those who
16 would be collected.

17 But, principally, the study is designed to
18 address the issue of catastrophic or very serious AEs.
19 Undoubtedly, there are many, many questions remaining that
20 could have been asked in this particular study, but given
21 the gravity of our concerns about this, albeit with post-
22 marketing data and a fair amount of uncertainty as to what
23 to make of it all, we focused our attention on that.

24 DR. ABRAMSON: Okay. Dr. Katona?

25 DR. KATONA: Dr. Simon also asked about

1 hepatitis C. hepatitis C-positive patients are excluded
2 from the trial. The hepatitis C population, that's a
3 separate trial.

4 DR. GARRISON: I have one clarification about
5 the hepatitis C population.

6 DR. ABRAMSON: Okay.

7 DR. GARRISON: There are seven patients in our
8 clinical trials who have had hepatitis C and have been
9 treated concurrently with Enbrel, very small numbers. None
10 of those patients has had any problems with reaction or
11 exacerbation of their hepatitis C.

12 DR. ABRAMSON: Okay. Thank you.

13 Dr. Katona, then Dr. Felson.

14 DR. KATONA: I think this is the other side of
15 the coin for serious infections. I think the study getting
16 the particular high-risk individuals for four months is
17 going to tell us the short-term complications, but I would
18 like to ask all the studies, what you presented for post-
19 approval, have those all, including the European studies,
20 are those all going to systematically be looking at all the
21 complication rates? Because I believe that's the -- we
22 really don't know how many years patients are going to be
23 on Enbrel, and I think that's really the key for long-term,
24 and we probably don't know all the data there is.

25 DR. GARRISON: When we were here last, we did

1 commit to following these patients in our long-term
2 treatment trials for at least three years.

3 We have extended that to at least five years,
4 and as long as sufficient numbers of patients stay in, we
5 will continue to follow those patients. This is a very
6 valuable source of data for us.

7 So yes, we're going to be following them for
8 primarily significant events. Any hospitalization,
9 malignancies. In the later portions of the studies, the
10 more nuisance events are more difficult to collect.
11 Patients get tired of reporting every cold that they've
12 had, and I think that we have some pretty good data in our
13 control trials that really very clearly shows the
14 difference or no difference in rates of those kinds of
15 infections, statistically-significant events, and we're
16 following these patients for a very long time.

17 DR. ABRAMSON: Okay. I have a question about
18 the European Registry. It was on one of your slides. Can
19 you describe that?

20 DR. GARRISON: The European Registry is in
21 almost its finalization form, and it's being conducted by
22 Wyeth-Ayerst, and I don't have the particular details, but
23 these patients again are going to be followed specifically
24 for significant events, hospitalizations, serious
25 infections, malignancies, autoimmune diseases, SLE, et

1 cetera.

2 DR. ABRAMSON: Is that via the licensing agency
3 in Europe or is it --

4 DR. GARRISON: Yes.

5 DR. ABRAMSON: Okay. Other questions?

6 I have a question. I guess a lot of the
7 difficulty that we have is in the potential for Type 2
8 errors that we're getting with follow-up, but how does one
9 judge the three sepses in a septic joint in the study that
10 we heard this morning with no sepses or bacteremias in the
11 methotrexate? Is it a signal or not a signal?

12 I don't know that we can make a judgment, but
13 can we assume that there is no difference in those groups?
14 Can we make any statement, except that we need more follow-
15 up or not? I'm just curious what other people think about
16 that.

17 DR. JAY SIEGEL: Well, you know, what wasn't
18 presented in any of the presentations that may bear
19 somewhat on this, I think some of you may be aware of this,
20 with Enbrel, the first indication that was studied,
21 although not on the list of other studies, was in patients
22 with full-blown active sepsis, and there was a
23 significantly-higher mortality in the Enbrel arm than the
24 other arm, and that underlies some of the ongoing concerns.

25 So we're looking at a database that is not

1 large enough to exclude effects and probably never will be,
2 certainly in terms of long-term effects. We're probably
3 going to, as with many drugs, you know, carry into the
4 future some level of uncertainty as to the effects on
5 things like malignancies or late infections, but I just
6 wanted to point that out as a background for one of the
7 reasons why these small trends that you're talking about
8 are something that we've at least looked at carefully.

9 DR. ABRAMSON: Dr. Simon?

10 DR. SIMON: I think we all grapple with that
11 particular problem, particularly when asked to look at a
12 new therapy to be used first line, when we don't understand
13 a lot of the long-term toxicities.

14 Yet at the same time, we as rheumatologists
15 have spent our lives using drugs that we don't really
16 understand very much about their long-term toxicity, some
17 of which have been very helpful, some of which have fallen
18 by the wayside.

19 It is an iterative experience. Unfortunately,
20 the regulatory environment doesn't appear to be able to
21 help us with that too much at this point in time. Maybe
22 perhaps the longer-term trials, the European Registry, will
23 help us understand this better, although I'm a little
24 concerned that the numbers may not be large enough to
25 really give us the answer.

1 I'm particularly interested also in the
2 malignancy issue as well as the sepsis issue, but I think
3 that that should not preclude us from feeling very positive
4 about this particular therapeutic intervention.

5 DR. JAY SIEGEL: Could I get a clarification?
6 Because you made a note something about the regulatory
7 environment isn't able to help us with this question.

8 Our question to you is, you know, what can or
9 should be done? It may be that there are things that --
10 I'm not sure what you're presuming about the regulatory
11 environment, but we would like to know what you all think
12 should be done, so we could figure out what role we and
13 Immunex and others can play in trying to get it done.

14 DR. ABRAMSON: Dr. Simon?

15 DR. SIMON: Well, since I've been on this
16 committee, I've had the opportunity to participate in
17 discussions that are very similar to this.

18 We've heard ways that this committee has
19 actually required other sponsors to actually create
20 relatively small registries. I think that fundamentally,
21 what we've learned is that we really need to move towards a
22 way to study large groups of patients over a long period of
23 time and to have large cohorts that are studied that way.

24 We have the opportunity to do that with
25 governmental support if we could convene enough of it to

1 get it supported over time, so that we would have real
2 registries, where all the patients with rheumatoid
3 arthritis are followed or a great majority of them are
4 followed for 10-20 years, and we can understand those kinds
5 of implications.

6 I think the agency should take a leadership
7 role in encouraging groups to do that. Recently, the
8 American College of Rheumatology Board of Directors
9 actually reiterated their belief that that's a very
10 important thing for the government to support, the NIH to
11 support, and it would be good that the agency saw its way
12 towards thinking in that regard as well.

13 DR. ABRAMSON: Dr. Felson?

14 DR. FELSON: Yes. I'm going to reiterate a lot
15 of what Lee just said, but I think it's especially an acute
16 issue here where this is a compound where, unlike
17 methotrexate or other drugs that were released or used in
18 rheumatoid arthritis, we don't have any experience from
19 other diseases and really don't have any sense of what its
20 long-term effects are going to be, and I think the notion
21 that this might be like other drugs recently approved by
22 the FDA that had to be withdrawn later from the market
23 because of an unanticipated but uncommon very severe
24 problem is not unreasonable, and I think it would be nice
25 to have real large-scale long-term -- the notion that the

1 company's going to follow these people for five years is
2 very reassuring.

3 Frankly, the data presented here provide no
4 reassurance that there's not an increased malignancy rate
5 because the number of people followed is not sufficient to
6 see an increased malignancy rate. The person-years
7 analysis is misleading here. That event doesn't occur till
8 three to five to 10 years later, and I think, you know,
9 long-term large-scale observational data is absolutely
10 critical.

11 I'm not sure it necessarily needs to be
12 controlled data. I think sometimes, you know, controls
13 that are already in the literature or controls that are
14 created from other settings sometimes can help us figure it
15 out, but I think there's a genuine concern here.

16 This is obviously, you know, something that's
17 new and very helpful to patients. There's no question
18 about that. But it's new, and its long-term biological
19 effects are unknown, and I think that's real concerning.

20 DR. ABRAMSON: I think, just to add to this
21 discussion, it is not just another drug that's been
22 approved, and I think we need to come back to the hearing a
23 year ago, because it is the first biological in this field.

24 There were relatively few patients studied at
25 the time of approval, and that's, I think, important to

1 keep in mind compared to traditional pharmaceutical agents.
2 So it's not just the numbers of patients, but it's the
3 numbers and the time.

4 So this drug, because it's a very impressive
5 drug and does all the dramatic things in many but not all
6 patients, has a very important role, but we should keep in
7 mind that it's the first of its kind that has a different
8 level of numbers of people to follow.

9 I am concerned. I don't like data presented as
10 patient-years when you only have small cohorts followed for
11 a year or two. I think I agree with David. It's a bit
12 misleading, and as Dr. Garrison said, you need four or five
13 years to follow people if you're looking for a malignancy
14 in this area, and so we don't have enough numbers and
15 length of time, and that's the discomfort that I think is
16 still there.

17 Dr. Simon?

18 DR. SIMON: And even when even a few malignant
19 events are noted early on in an early RA trial, that makes
20 me even more nervous.

21 We think of rheumatoid arthritis as a disease
22 that has associated with a risk of lymphoproliferative
23 disease over 30 years, not over three years, and although
24 there is one Hodgkin's patient, and that certainly can
25 happen spontaneously in all circumstances in anybody, it

1 just makes me a little concerned when I see data in
2 patient-year, and I actually feel very uncomfortable that
3 the sponsor has not acknowledged that at the get-go, that
4 by expressing the data, it is almost the implication that
5 in all of their trial data sets, that a patient-year
6 expression is appropriate for this particular problem.

7 I do recognize that they have achieved ICH
8 guidelines as of this present presentation, and I think
9 that's wonderful, but at the same time, in this context of
10 this kind of therapy, it may be 10 years before we can
11 understand the implications of malignancy associated with
12 chronic use of this agent or agents like it.

13 DR. ABRAMSON: So I think it's an appropriate
14 time now to move to the second part, because I think we're
15 also all impressed with the discovery and the bench-to-
16 bedside work, and now the surveillance that the sponsor is
17 providing, working with the FDA. So I think everybody's
18 moving along in a very, you know, exemplary way.

19 The issue then becomes the second question
20 here. "Should the sponsor conduct additional studies
21 beyond the usual post-marketing surveillance to further
22 assess the safety of Enbrel in patients with early RA? If
23 so, please comment on the kinds of studies that would be
24 informative."

25 Dr. Pucino?

1 DR. PUCINO: Yes. I think it would be helpful
2 not to just know numbers but the pathogenesis behind why
3 people are getting DVT, why are people seroconverting to
4 positive antidouble-stranded DNAs, and why are people
5 developing malignancies, and to try and focus on the why
6 and not just that it occurs.

7 DR. ABRAMSON: Right. Assuming these are
8 validated observations.

9 Dr. Katona?

10 DR. KATONA: This is a question for Immunex,
11 and I'm just kind of looking forward to the future of five-
12 10 years from now, if there are patients with malignancies
13 or some unforeseen events, and at that point, we might come
14 to the conclusion that we completely have to abandon our
15 treatment which was very useful for very many patients.

16 Has any thought gone into using Enbrel as an
17 inductive therapy, like for a half a year or a year or a
18 year and a half, and follow those patients along, switching
19 them to another therapy at that point when the disease is
20 well-controlled and collecting long-term data maybe on
21 those patients along with patients long-term on Enbrel?

22 DR. GARRISON: We have not conducted a study of
23 that design. It's something that has been suggested to us.

24 We have a large number of studies that we're
25 trying to conduct, and I think that it is one that will be

1 done, whether it's by Immunex or by the rheumatology
2 community.

3 One other point, if I may make one point, about
4 the malignancies, is that we have looked very carefully at
5 each of these cases, and although patients in this trial
6 had RA of short duration, many of them were not young
7 people. Many of them had multiple years of smoking
8 history, et cetera, et cetera, other risk factors that
9 would make you not dismiss their malignancy, and I'm not
10 saying that at all, but at least not make you think, well,
11 this is something very odd that's happening in this person.

12 DR. ABRAMSON: Dr. Simon?

13 DR. SIMON: I also wondered, taking Frank's
14 lead here, we've not really talked a lot about the
15 observation about the absolute neutrophil count, and that
16 didn't come up in the discussion of the sepsis issue.

17 But I'm not entirely sure I understand the
18 biology of that at all, and obviously it's a very important
19 issue in the question of sepsis and response both to minor
20 infections as well as serious ones. That's clearly an area
21 that we need to further understand since it really stands
22 out as something unique to this kind of therapy, and why
23 that would happen is unclear.

24 DR. ABRAMSON: Right. Were there other cell
25 lines, like platelets, or any other -- in terms of the

1 sponsor? In people who were neutropenic, was there a drop
2 even in the normal range --

3 DR. GARRISON: No.

4 DR. ABRAMSON: -- of the platelet count or
5 something?

6 DR. GARRISON: No. There was no thrombopenia
7 at all.

8 Can I show a slide?

9 DR. ABRAMSON: Sure.

10 DR. GARRISON: Okay. Slide up.

11 This does go into a little bit greater detail
12 about the ANCs that were seen in the trial. You know, we
13 did measure these cell counts very frequently during the
14 study, and we've just shown any grade as well as the
15 specific grades that are associated.

16 As you can see, most of these were Grade 1,
17 which is at a level of 1,500 to 1,999 cells per cubic
18 millimeter, which I think may occur in the setting of RA
19 transiently in and of itself. Not to dismiss that, but
20 again looking again at more severe levels of neutropenia,
21 there were no significant differences. I mean, 1, 3 and 3
22 Grade 2, and 1, 1 and 1 3 in this trial.

23 If we looked at our placebo-control trial, just
24 one more, the differences were not seen there in Year 2 in
25 the ERA trial. There were no differences among the groups.

1 If we look at the Phase III placebo-control
2 trial, where we did have a placebo, we did not see anything
3 reflecting this pattern at all.

4 DR. ABRAMSON: Thank you.

5 Dr. Brandt?

6 DR. BRANDT: You pointed out earlier that the
7 cases of thrombosis were not associated with the presence
8 of anticardiolipin antibodies.

9 Can we say the same thing about the episodes of
10 sepsis not being associated with cytopenias? Do you have
11 that information?

12 DR. SILVER: In the ERA patient, the one
13 patient with sepsis, was in the setting of a pneumonia.
14 That patient did not have a low neutrophil count. The
15 bacteriemia is different from sepsis, and the patient was
16 not hypotensive, had a positive blood culture after someone
17 needled a cyst, and the patient was septic arthritis. I
18 don't know for sure, but I think that patient also did not
19 have a low neutrophil count.

20 When we looked at these patients with low
21 neutrophil counts, we looked at over their whole time had
22 they had any serious infection, and none of them had.

23 DR. ABRAMSON: Dr. Harris, and then Dr. Simon,
24 and then I'm going to go around and ask each panel member
25 if there's one area that they'd like to see in response to

1 this question that needs further study.

2 Dr. Harris?

3 DR. HARRIS: I'll ask a question. There's no
4 reason a priori, of course, if the thrombosis story is
5 indeed something that pans out, that it need be antibody-
6 related, and certainly the molecule itself could perhaps
7 activate endothelial cells or platelets in some way and be
8 itself prothrombotic, and I was wondering whether or not
9 there's any in vitro or other data to suggest that.

10 DR. FINCK: I don't know of a lot of data, but
11 there was some data that looked at -- I think the author
12 was Seffarini -- the presence of TNF actually in increasing
13 coagulation parameters. So that would be opposite, and
14 that's the only data that I'm aware of.

15 DR. ABRAMSON: Dr. Simon?

16 DR. SIMON: Not to beat a dead horse about this
17 absolute neutrophil count problem, but I'm a little
18 uncomfortable with the explanation that's just been
19 presented in that we do know of patients that have
20 particular syndromes associated with rheumatoid arthritis
21 who develop very profound neutropenia, but these were not
22 these patients, and this was a longer trial than the Phase
23 III trial, and therefore I'm a little concerned that
24 perhaps we're beginning to see a long-term event taking
25 place on exposure, and because Dr. Garrison presented some

1 two-year data, I wondered in the two-year data set whether
2 there was any incidence of absolute neutrophil counts as
3 well or whether that incidence increased in that
4 circumstance.

5 DR. GARRISON: In the long-term open-label
6 trials, we've evaluated these people hematologically,
7 serially, and we haven't had any neutropenia that's been
8 associated of this pattern at all.

9 DR. ABRAMSON: Thank you.

10 So in terms of this question, now we've heard
11 that there's a high-risk four-month study, people at high
12 risk for infection. There's a European Registry being
13 planned. There's going to be follow-up of your clinical
14 trials for three to five years, and I guess what I'd like
15 to do is just ask the panel members if there's one or two
16 things in the context of those things or additional things
17 they'd recommend that they want to have tracked or have
18 some concern about.

19 Dr. Siegel?

20 DR. JAY SIEGEL: Could I also supplement that
21 question?

22 Since I've heard a number of panelists
23 emphasize the importance of long-term data, and at least
24 one laud five-year follow-up and another says we need more
25 than five-year follow-up, I think Immunex -- I remember

1 although they've presented patient-year data, they noted
2 that first, as well, that you really need longer data to
3 look at that.

4 So the question is, you know, five years is
5 better than three, but where should we get --

6 DR. ABRAMSON: I don't know. You need people
7 who are maybe more expert in latency and things like that.

8 We as rheumatologists who are old enough have
9 had the experience with Wegener's granulomatosis -- I guess
10 it was in the mid-1970s, whatever -- an incurable disease
11 was made better by Cytoxan, and at the five-year follow-up,
12 there was, what, 20 percent lymphomas, lymphoproliferative
13 disease, et cetera, as well as bladder cancer.

14 You know, for us older people, that's in the
15 back of our minds. I don't know that they're two different
16 drugs, but there is this little bit of a signal, and I
17 think that's the concern, in terms of being more aggressive
18 in its use as a question.

19 It's obviously a terrific drug for many, many
20 people, but that's the anecdote.

21 So Frank?

22 DR. PUCINO: Again, more information on
23 pathogenesis of these things if they are proven valid.
24 Also being a little bit more proactive instead of waiting
25 till someone develops lupus as we know with other anti-TNF

1 therapy has developed, to be serially monitoring these
2 things.

3 DR. FELSON: I'll dredge up another example
4 that's in the opposite direction actually with methotrexate
5 and liver problems, and I think we're all worried about
6 long-term liver complications from methotrexate, and it
7 really wasn't until 10-year follow-up studies came out from
8 Mike Weinblatt and Joel Kremer that our concerns were
9 generally assuaged.

10 They weren't huge studies, but they had enough
11 follow-up that they basically excluded the possibility of
12 frequent cirrhosis and that was very helpful, and I would
13 think that these are rare events. So small numbers of
14 patients followed up for 10 years is not going to be all
15 that helpful. We need bigger numbers, but I would ask the
16 company to begin to project that.

17 I mean, this is a very popular treatment. It's
18 going to be used by thousands of patients for long periods
19 of time, assuming, you know, a lot of things that we've
20 heard in evidence today, and I don't think it's so far-
21 fetched to think that they could continue following these
22 patients to figure out whether they develop malignancies,
23 and what the rates of that are.

24 DR. KATONA: I think at this point, it's very
25 difficult to say too many new things, but I would like to

1 look at this from the patient's perspective and more like
2 asking the regulatory agency just we really have to make
3 sure that the patients understand that we really do not
4 know exactly what is going to happen five-10-15-20-25 years
5 from now, and especially speaking from the pediatric
6 community, maybe 70 years from now.

7 So I think until we're honest about it, and
8 both Immunex, other companies, as well as we, the
9 rheumatology community, collecting the data, that's all I
10 could think of.

11 DR. SIMON: I'd like to actually generate my
12 comments more to the agency than to the individual
13 companies.

14 I don't think it's fair to ask the company to
15 invest in what really is required, which I believe that the
16 agency can take a leadership role and a bully pulpit role
17 in getting the community to develop long-term observational
18 trials, outcome trials, of the use of not only TNF-alpha
19 inhibitors but other drugs as well in the context of the
20 treatment of rheumatoid arthritis.

21 As David had alluded to, the positive aspects
22 of understanding the liver toxicity of methotrexate also
23 led to a far better understanding of the incidence of
24 lymphoproliferative disease in the treatment of patients
25 with methotrexate, and only by doing those kinds of 10- to

1 20-year studies will we really understand the incidence of
2 that and its effect, and I believe the agency has a major
3 opportunity here to play a very major leadership role in
4 getting the government to truly sponsor and support so that
5 the rheumatology community and others can study the
6 incidence of problems in these patients.

7 MS. MALONE: I agree with both what Lee and
8 Ildy have said. I think there is the need for large-scale
9 long-term follow-up.

10 Also, I think in this follow-up, we need to
11 take into consideration that rheumatoid arthritis is not
12 happening in isolation, that as these people age, other
13 things are happening to them, and, you know, what the
14 interplay is with this drug.

15 It all sounds almost too good to be true, you
16 know, and I personally know people who are on the drug, and
17 it's been miraculous, miraculous, but I think, like Ildy
18 said, that there has to be the education on the part of the
19 pharmaceutical with the doctors and the doctors to the
20 patient that the future is unknown.

21 DR. BRANDT: Yes. I think we've seen some very
22 impressive positive results here, and I can certainly
23 understand the enthusiasm, but as I think everybody has
24 said, we just don't have data that permit conclusions over
25 long-term usage, and we need those both from the standpoint

1 of infection and the standpoint of malignancy in sufficient
2 numbers of people to be able to answer these questions.

3 It takes time, and it's going to take money,
4 but I think it's essential.

5 DR. ABRAMSON: So I would agree. I think the
6 good news is we're not discussing licensing this drug or
7 not. It's already licensed, and so the issues are
8 different, and if we can educate doctors and get access to
9 patients of good care, what we're really talking about is
10 delaying three months the use of methotrexate, for example,
11 until this drug were available for patients. So the issue
12 of safety given its numbers and experience, I think, is
13 still out there.

14 What we saw this morning was encouraging, and I
15 still think we need more data as we're saying on sepsis and
16 tumors. I think the LFTs have to be tracked a little more
17 closely. As Lee brought up early this morning, I don't
18 know whether that 20 percent AST or ALT --

19 DR. SIMON: ALT.

20 DR. ABRAMSON: ALT elevation was a real signal
21 or not, but I think it should be kind of looked at in these
22 studies.

23 I think the use in hepatitis C, a lot of our
24 patients are carriers of hepatitis C, and that's an unknown
25 area. I don't say that's a new study, but I think at some

1 point, we ought to start looking at opening up the use of
2 this drug because those people are treated with interferon
3 which can exacerbate their arthritis. So they're a real
4 difficult patient population to treat for the clinicians,
5 and if this drug were good, I think that's a useful area.

6 I share Dr. Harris's concern that the four-
7 month high-risk trial may not be apparent to really see
8 signal of infections that are going to happen in these
9 patients that then get worsened by being on drug.

10 So those are my comments.

11 Dr. Harris?

12 DR. HARRIS: Well, my comments are, again, just
13 urging careful monitoring, and I feel that the burden is on
14 the FDA to do exactly that, which is to monitor carefully.
15 I'm sure you do that anyway, but I think that is the
16 important thing, and then the other point that was made is
17 that patients themselves, at least there should be some
18 sort of warning about not knowing the long-term effects,
19 and indeed, I am going to add one other story, of course,
20 corticoid steroids in the early '50s.

21 Of course, rheumatoid arthritis was the disease
22 in which this was the miracle that didn't work 10 years
23 later. Hopefully that won't be the case here.

24 DR. JAY SIEGEL: Just to be clear on that
25 issue, we monitor in the sense that we monitor reports that

1 come in from the community which are, as we've seen in this
2 case, extremely difficult to make determinations about
3 regarding incidents relative to background in areas such as
4 we're talking about, infections and malignancy, except when
5 there are very strong signals.

6 So if there is a need for a more reliable
7 source of data, then that would need to come from the
8 planned either controlled trials or cohort studies, and
9 what we're discussing now in fact is which of those we
10 should be asking the sponsor to conduct at this point in
11 time.

12 DR. ABRAMSON: Janet?

13 DR. ELASHOFF: It definitely seems clear that
14 one needs long-term studies which are better than post-
15 marketing surveillance studies. Whether you actually need
16 a control or whether you just need careful follow-up of, I
17 think, generally multiple people treated under multiple
18 conditions because then at least you can compare within the
19 data set itself. Although you don't necessarily have a
20 great comparison, at least you have some comparison,
21 whereas post-marketing surveillance, you typically don't
22 really even know the denominator, let alone what would go
23 on in other circumstances.

24 DR. ABRAMSON: And an additional thought in
25 terms of who should bear the burden of this, I think the

1 NIH could play a role here, too.

2 I know they're looking at targeted studies that
3 are hard to fund and some surveillance, I think, would be
4 worth talking to them as well.

5 Kent?

6 DR. JOHNSON: Let me just interrupt for two
7 seconds.

8 Just to expand the discussion a little bit,
9 there are international thoughts on this, too, as a lot of
10 people in this room know. I think it's quite obvious to
11 everybody here that our post-marketing system is incredibly
12 limited, as are post-marketing systems for most other
13 countries in the world.

14 Whether the EU is going to start some formal
15 requirement, I would love to see that happen. I would love
16 to see the FDA kick in, also. There's a group called
17 OMERACT, which is just a very ad hoc organization, that's
18 been thinking about long-term databases in rheumatoid
19 arthritis for exactly this sort of thing.

20 The big conceptual challenge is even if you do
21 a 10-year study, and you find, you know, a handful of
22 lymphomas, what does that mean compared to background? How
23 do you make a rigorous comparison? Do you need a control?
24 Do you need matched controls?

25 We're never going to get 20 randomization

1 studies, but you could deal with the control problem,
2 although it's very tricky, you know, from a complexity
3 point of view in cohort studies.

4 But I think the greater issue is how to get
5 this organizationally going. There's three centers right
6 now, the Stanford Center, Manchester and Sweden, who have
7 at least on a preliminary basis thought about at least
8 establishing a common database which is the beginning for
9 something like this.

10 The whole other dimension, and nobody's
11 mentioned it, although the patients, you know, would be
12 interested in this, what happens to efficacy long term,
13 because really you want to make a risk-benefit judgment for
14 a 10-year proposition, and you need some efficacy data
15 which is tricky to ask the companies to collect, although
16 it hasn't hesitated me from doing that.

17 DR. ABRAMSON: Dr. Brandt?

18 DR. BRANDT: I'm going to save mine for later.

19 DR. ABRAMSON: Dr. Paulus, would you like to
20 make a comment?

21 DR. PAULUS: Obviously, there's a lot of
22 intellectual and emotional interest and support for truly
23 long-term collection of data in an observational cohort-
24 type study over a generation or longer, but to do that, you
25 really need sustained long-term commitment and money, and I

1 think that one model for funding something like this is the
2 Orphan Drug Program, which is really very successful and is
3 a collaborative effort between industry and the agency that
4 has legal authorization to go on for as long as necessary
5 and that may be the way to go with this.

6 DR. ABRAMSON: I think that's a very key point,
7 that this shouldn't be the burden of the individual sponsor
8 of an individual drug at some point, because we're going to
9 keep seeing new drugs come out between the industry, the
10 FDA and the NIH.

11 Perhaps there ought to be some way to designate
12 certain of these new products for long-term follow-up.

13 Okay. So on Questions A and B, in terms of the
14 agency, are there other issues that you want addressed
15 there?

16 DR. SCHWIETERMAN: I just want to be clear.
17 This has been a valuable discussion.

18 But are there any specific studies that might
19 be needed, and perhaps before we answer that question, Dr.
20 Abramson, you may want to delay that until we get done with
21 the efficacy discussion, but since obviously this is an
22 issue related to the overall risk-benefit.

23 DR. JAY SIEGEL: And am I correct in
24 understanding -- maybe I got heard wrong. But the sense of
25 this committee is that we should not require this company

1 to address the long-term needs; rather, we should spend our
2 efforts urging the NIH to do it?

3 DR. ABRAMSON: No, no.

4 DR. JAY SIEGEL: That's what I thought I heard
5 lots of people say.

6 DR. ABRAMSON: No. I think you were hearing
7 options. I think I'll take a crack at it.

8 What you heard from the committee is that we
9 need more long-term data, that you're doing with the
10 company a lot of very important things to address that.

11 I think there's a sense that it may not be
12 coming up to the necessary level perhaps, the four-month
13 issue and the infection we talked about, that maybe that
14 needs to be extended, just as an example.

15 But I think in the bigger picture, the long-
16 term, big picture is that the agency and the corporations
17 and NIH have to look at this as a generic problem.

18 I think for this particular issue, beefing up
19 what already sounds like it's being done, it sounds like
20 we're very much on the right track here with the European
21 Registry, the five-year clinical trial follow-up, and this
22 high-risk group, and then backing away from being able to
23 make any comment about tumors just yet, based on the one-
24 or two-year period.

25 I don't think it's fair to make a presentation

1 that there's no increased risk of tumor because we have
2 25,000 patient-years of experience. We have one year of
3 experience in 25,000 people. That's all we have.

4 DR. SCHWIETERMAN: Let me just add, if there
5 are no more comments, I think it's sound advice we're
6 hearing, and the agency has actually heard this before from
7 this committee, and without getting into the policy that
8 we're having for the development of rheumatological agents,
9 given that this is a rapidly-developing field, and
10 obviously the standard of care and the needs of the patient
11 are changing, our requirements simultaneously are changing,
12 and so I just want to reassure the committee that this is
13 not a static thing, that we recognize the points being made
14 and have actually begun to engage sponsors in high number
15 of patient trials and so forth.

16 DR. ABRAMSON: And remember, we're not talking
17 about withdrawing this drug or approving this drug.

18 Any other comments?

19 (No response.)

20 DR. ABRAMSON: Okay. So let's go on to
21 Clinical Measures. "Rheumatoid arthritis is a chronic
22 disease with symptoms that wax and wane over time. Drug
23 effect can be assessed in different ways. The measurement
24 that captures the entire experience over the duration of
25 the trial, and that potentially discriminates small degrees

1 of improvement, such as the integrated ACRn, has the
2 advantage that it incorporates all the trial data.

3 Alternatively, measures, such as ACR20, may
4 better reflect a meaningful clinical response, and there is
5 more experience validating this as a measure of clinical
6 benefit compared to the ACRn.

7 Finally, endpoints that are measures of
8 response status at the end of the trial may better reflect
9 the likelihood of a durable response and thus be more
10 clinically meaningful.

11 In this trial, Enbrel effects on ACR were
12 detected earlier than methotrexate, but the differences at
13 the end of the study were less marked."

14 I'm going to ask David Felson to first comment
15 on the Question A here.

16 "Please comment on the use of ACRn area under
17 the curve as the primary measure of clinical benefit."

18 DR. FELSON: I think this was a well-designed
19 and well-thought-out trial. Let me start off by saying
20 that. I was personally very impressed at how the data were
21 presented and how they were planned.

22 I got the sense that there are two ways of
23 evaluating the outcome in the efficacy of the analysis that
24 were used here, and I think we need to separate them.

25 One is ACRn, and the other is area under the

1 curve, and what generated the power that was seen was
2 primarily area under the curve, which can be used also for
3 ACR20. It almost always generates more power to average
4 effects over time in people than it does to take one
5 landmark point in time, and symptomatic of that was the
6 comment I think Jeff or someone else made that when ACR20
7 area under the curve was looked at, it also showed highly-
8 significant differences between Enbrel and methotrexate,
9 suggesting to me that that was the reason that there was
10 more discriminate validity here, was because the area under
11 the curve analysis was used, which is a thoughtful and
12 appropriate way of analyzing data which may not show as
13 much precision and power at any given point in time. So I
14 think the notion that ACR20 might not be as good as ACRn is
15 -- I don't know that this trial speaks to that.

16 I should just comment that ACRn turns out to
17 revolve around one measure, if you think about it. So what
18 happens is a patient improves by five out of seven
19 measures, and then the ACRn is defined as the measure among
20 those in which the patient improves the least.

21 Okay. So it's dependent on one single measure,
22 not on a panoply of measures, although to get there, the
23 patient actually has to improve on a panoply of measures,
24 and that is not as statistically powerful as developing,
25 say, an index measure, and therefore it would be expected

1 that if you wanted to maximize discriminate validity, you
2 wouldn't use ACR20, you wouldn't use ACRn, you'd just use
3 an index measure, and that's actually in the ACR Committee
4 development work that the FDA was involved in, and that's
5 what the statisticians all wanted to do, because they knew
6 it would maximize power.

7 The trouble with an index measure, and somewhat
8 the trouble with ACRn, is mentioned here in this paragraph,
9 which is that there are a lot of other elements to the
10 process that we went through. One was to try to figure out
11 what's a minimal clinically-meaningful improvement, and
12 that was done with surveys of rheumatologists testing out
13 patient improvement, and that threshold of greater or equal
14 to ACR20 in multiple different outcome measures was arrived
15 at after that other element was decided upon, okay, meaning
16 that that greater or equal to 20 percent isn't just chosen
17 because it provides the best discriminate validity. It
18 turns out its discriminate validity is quite good, but it's
19 also there because it sort of represents a minimum
20 threshold level above which patients seem to have improved,
21 clinically improved.

22 And the other big advantage right now of ACR20,
23 and it's not necessarily a reason to continue to use it,
24 but it turns out to be a big advantage, is that it's being
25 reported by everybody. So if you look at the presentation

1 that the Immunex folks made and the FDA made, there were
2 explicit comparisons right off the bat with ACR20 rates in
3 other trials, other drugs, methotrexate and other -- I
4 mean, I can tell you there are lots of such comparisons.

5 It's a very valuable benchmark now because it's
6 being widely reported. So I would think that -- you know,
7 could other ways of evaluating efficacy be used, might they
8 work better in terms of discriminating between active
9 treatment and control? Yes, you bet they might work
10 better.

11 Does this work pretty well? Yes, it works
12 pretty well, based on a lot of different analyses of
13 different data from different data sets.

14 I would suggest that it's a very reasonable way
15 of testing efficacy, and that it provides a nice standard
16 that we can all go to a lot.

17 One other comment about the results presented,
18 and this paragraph also comments on it, I think, very
19 perceptively, which is that in my reading of the ACRn
20 analysis and the area under the curve analysis that was
21 done in this trial, that the difference in AUCs is
22 generated by the fact that the Enbrel patients respond
23 earlier than methotrexate patients.

24 It's not necessarily based on the fact that
25 there's any important difference in the ultimate response

1 rates of Enbrel and methotrexate, and I think that's a
2 distinction that we need to keep in our mind's eye as we
3 look at this trial.

4 DR. ABRAMSON: Dr. Simon?

5 DR. SIMON: I also agree this is a very well-
6 designed trial, and for those who don't know, I'm not a fan
7 of methotrexate, but I take a little issue about the
8 earlier response issue, because, in fact, when you think
9 about it, they were patients who were underdosed at the
10 beginning with methotrexate, based on safety and other
11 issues, and they may have been overdosed with methotrexate
12 later on in the trial, showing equivalency or lack of
13 difference in response between the two, until you did an
14 ACRn.

15 So I'm a little bothered by some of the claims
16 that you would get rapid response which may be seen with
17 methotrexate as well, although the incidence of three
18 patients with interstitial pneumonitis augurs poorly for
19 the use of high-dose methotrexate.

20 On the other hand, we've all seen that more
21 methotrexate is used all the time, and it's not uncommon in
22 my practice to start off patients well above the 7.5
23 milligram point and then us putting patients at greater
24 risk.

25 Thus, I still am confused in the discussion

1 that David just gave, in that we saw improvement with the
2 ACRn across all parameters at all time points, but yet when
3 you look at the ACR20, there was no difference after six
4 months to a year between the two.

5 Which one do we put more weight on?

6 DR. FELSON: I'm not sure. I guess I'd defer
7 to the company or to Jeff or someone who's analyzed these
8 data. I didn't see them do an analysis of efficacy
9 parameters between six and 12 months. It looked like the
10 radiographic parameter changes weren't different between
11 the two groups, but, I mean, the differences were generated
12 by that early zero- to six-month curve primarily, I mean,
13 in almost every one of those outcomes.

14 DR. ABRAMSON: Can someone from Immunex address
15 that?

16 DR. JAY SIEGEL: It is somewhat comforting to
17 note, I guess, in our analyses that if you look at the
18 various ACR curves or the various radiographic curves that
19 on almost any endpoint, Enbrel at 12 months had a point
20 estimate that was somewhat better than methotrexate, but I
21 think it would be correct to generalize across them,
22 although there may be some exceptions that, if you look at
23 specific points in time in general, you see significant
24 differences in the first several months, and then in the
25 last half year, you see still a separation but no

1 significant difference.

2 DR. FINCK: I'd just like to add that the
3 endpoint of area under the curve for ACRn was the
4 prospectively-defined endpoint. It wasn't used after the
5 fact to make the two drugs look different.

6 We did prospectively define it as the
7 prospective endpoint because we did want to try and follow
8 FDA guidelines that recommended that area under the curve
9 might be a better way or at least over time might be a
10 preferable.

11 I don't think we really had seen a lot of area
12 under the curve or over time analyses except in the
13 European data, which is very impressive. They use the
14 disease activity score over time.

15 We agree that there is not a significant
16 difference at a single time point at the end of six months
17 or 12 months, but the rapidity of response with Enbrel is
18 an important feature of Enbrel and needs to be taken into
19 consideration, and we did give methotrexate a reasonably
20 fast onset, so that it could have its best performance.

21 Actually, in clinical practice, it may have a
22 much slower onset, and the difference would be even
23 greater, but I would like to show one slide because I think
24 that it shows you how powerful the ACRn under the curve
25 was, and that is, if you look at weeks at ACR20, weeks at

1 ACR50, weeks at ACR70, which have been used in other
2 trials, there's statistical difference, in fact very
3 impressive statistical difference, between Enbrel 25
4 milligrams and methotrexate, and also, if you look at the
5 DAS, which is a validated index that's used as area under
6 the curve or has been reported as area under the curve, it
7 also shows a very high statistical performance between 25
8 and methotrexate, and when we did a correlation coefficient
9 between the area under the curve for the DAS and the ACRn,
10 the correlation coefficient was .8.

11 So these two measures correlate very, very
12 well, and you can also do area under the curve for any of
13 the individual ACR criteria, and Enbrel 25 milligrams
14 performs better than methotrexate with the exception of
15 tender joint count which approached significance but did
16 not go over significance.

17 So I think you have a choice, but we did
18 prospectively define, and again I'd like to remind the
19 committee we're asking for efficacy, not superiority, over
20 methotrexate.

21 DR. ABRAMSON: Right. While you have that
22 slide up, and picking up on Dr. Felson's point, how much
23 could that be attributed to the earlier response?

24 If you're dose escalating methotrexate, so the
25 first month or so, you're lagging behind in getting a

1 therapeutic effect, how much -- it's just understanding how
2 the data is presented.

3 DR. FINCK: We also want to show that the
4 responses -- we're looking for a slide comparing the Phase
5 III results in placebo-controlled trials to what we saw
6 with Enbrel in this trial, in the early RA trial, compared
7 to methotrexate, and I don't know if we're able to find
8 that.

9 But many of you do remember these, that in our
10 earlier trials, we saw similar ACR response rates at the
11 prospectively-defined endpoints of the trial that we saw in
12 the ERA trial.

13 So we didn't feel that we in this trial had to
14 actually show that Enbrel was effective against placebo.
15 We had shown that, and that it was important to show that
16 it was effective and at least as effective as --

17 DR. ABRAMSON: But that wasn't my question,
18 though.

19 DR. GARRISON: And we do have everything.

20 DR. ABRAMSON: Dr. Brandt has a question.

21 DR. BRANDT: You have about one out of three
22 patients who doesn't hit ACR20.

23 What have you done to compare the responders
24 with the non-responders, and what have you learned about
25 the differences between people who respond and people who

1 don't?

2 DR. FINCK: We've actually looked at this.

3 Can I have the slide up? We've looked at ACR
4 responders and non-responders using the ACR20 criteria as a
5 landmark analysis and looked at not only total Sharp score
6 but with erosion score and clinical response, but in this
7 case, if you look at the radiographic results, you can see
8 that there's really not a clear pattern.

9 There are responders and non-responders who
10 have very little change on their total Sharp score and on
11 their erosion scores, and although the Sharp scores tend to
12 go up in the methotrexate and in the lower Enbrel group,
13 they go down, there's really not a correlation per se.

14 Can I have the one -- I think it's 4. That's
15 it. If I could have this up, we did find a correlation
16 between patients who had the best clinical response with
17 patients who had the least radiographic progression but not
18 when we looked with ia landmark analysis.

19 When we looked at it over time, the best
20 correlation was with area under the curve for improvement
21 in CRP with a correlation of .45, which is similar to
22 what's been reported in the literature, and every other
23 measurement that we looked at as an area over time or
24 improvement over time, we saw that those patients, the
25 better their response, the least their x-ray progression.

1 DR. BRANDT: I was wondering about predictors
2 at the outset.

3 DR. FINCK: Right. We didn't find -- you mean
4 in terms of the out -- I'm sorry.

5 DR. BRANDT: Are there any differences at
6 baseline that you might use to predict responders and
7 differentiate them from non-responders?

8 DR. FINCK: No, we didn't find any baseline
9 predictors that would have suggested we could have sorted
10 them out.

11 DR. ABRAMSON: Dr. Katona?

12 DR. KATONA: A follow-up question on the very
13 same issue.

14 In the briefing document, there was one figure
15 that the age 60 and less than 60 and more was compared, and
16 these were looking at erosion scores, and that was really
17 the only figure in which Enbrel performed worse than
18 methotrexate.

19 So the question is, was the group which was
20 about, if I remember, 25 percent of the patients, more than
21 60 years of age, different in any way response rate or
22 complication rate different from the others?

23 DR. FINCK: First, I'd like to show the odds
24 ratio for the age less than 60, greater than 60, if I have
25 that up.

1 As you can see, to the right of the blue bar --
2 for this, it would be that if it's to the right of the blue
3 bar, it means that they had better response on Enbrel, and
4 to the left of the bar means that the response was better
5 on methotrexate.

6 Most of the patients were under the age of 60.
7 So we get into talking about very small numbers here, but
8 you'll also see that those confidence intervals overlap
9 that bar or that blue line. So I don't think you can make
10 a statement that anyone over the age of 60 would not have
11 an effect from Enbrel.

12 DR. ELASHOFF: I have a brief comment on this.

13 Looking quickly to the FDA analyses, from what
14 it looks like is that for the Enbrel group, you can't do
15 much by any of the baseline predictors that they looked at,
16 although perhaps some of them worked for the methotrexate
17 group as predicting more or less response.

18 DR. ABRAMSON: First, Dr. Simon, and then Ms.
19 Malone.

20 DR. SIMON: I just want to go back one more
21 second to the minimally clinically-important differences
22 because both of those issues seem to be growing in
23 importance as we're looking more and more at this data.

24 I'd like to reiterate that again this is a very
25 good study, and it has very good data in it, but a little

1 bit of a problem is, is that we don't even know yet whether
2 these measurable differences in x-ray change are important
3 clinically.

4 We think they might be. We still don't know,
5 but, more importantly, I wonder if again I could ask David
6 to comment. Since the ACR20 has built in kind of a
7 minimally clinically-important implication in its
8 measurement, the ACRn does not. How does then one
9 interpret the change as being between methotrexate and
10 Enbrel as being important or not important, although
11 statistically important?

12 DR. FELSON: Well, you can't make a judgment
13 about whether it's clinically important if you use the ACRn
14 because there's no guideline as to what particular ACRn
15 difference might be characterized as clinically important.

16 I mean, the potential advantage of this is that
17 it might be able, because it's data-based and data-driven,
18 to have a little more discriminate capability, although
19 because it's derived from one measure rather than all seven
20 measures, it's not very likely to be. But clinically-
21 important improvement is not determinable here from this
22 measure.

23 DR. SIMON: Can I just make one more comment
24 about that? Sometimes things get brought up at this
25 committee and to the agency, and then other companies in

1 the audience or elsewhere then jump on to the bandwagon
2 because they see a successful measure that gets then
3 approved or voted on in certain ways.

4 I would like to reiterate that that's a very
5 important point, that if you don't have any defined
6 minimally-important issue that you can then apply to the
7 measurement, it becomes very difficult to understand how to
8 think about that in the care of a patient, and I'd like to
9 urge people before they start to use the ACRn regularly,
10 that they remember that we don't know what it means and
11 what the changes might or might not mean as it relates to
12 the progression of disease or how the patient functions
13 over time.

14 DR. JAY SIEGEL: I have no problem with that
15 advice, but I would like to point out that there's a
16 difference, and when you talk about what's minimally-
17 clinically important, there's a difference when you're
18 talking about an individual patient level and a study
19 level.

20 One of the factors that goes into a
21 determination that 20 percent improvement is meaningful is
22 how much improvement it is to make a life difference in the
23 patient, but another factor that goes in often, and I'm not
24 a rheumatologist, that often goes into these cut points is,
25 well, you know from experience that if somebody is 10 or 15

1 percent better, then the next time you see them, they'll be
2 maybe 10 or 15 percent worse, and so you don't know if
3 that's a real change.

4 If that's a factor, that's not the same when
5 you're looking at a 10 or 15 percent improvement over a
6 large number of people that's statistically significant.
7 So that's just something to bear in mind.

8 DR. ABRAMSON: Leona, please.

9 MS. MALONE: This is sort of a basic question
10 from the patient's viewpoint.

11 If you're not showing that the Enbrel is
12 superior to methotrexate, why would a patient new to the
13 disease go ahead and take Enbrel as opposed to
14 methotrexate? I mean, that's basic. It's why.
15 Methotrexate's been around a little bit. There's a little
16 more data on it.

17 What would be a deciding factor? I'm a
18 patient. I don't know what to do.

19 DR. JAY SIEGEL: Let me answer that question
20 first because I don't want to answer it from the marketing
21 perspective but from the legal perspective and just to
22 mention two things.

23 One is that our law requires that a drug be
24 safe and effective. There's nothing in our law that
25 requires that it be as effective as other drugs that are

1 out there. So a lot of hypertensive medications,
2 antibiotics, whatever they are, get approvals that haven't
3 shown themselves to be superior to other drugs.

4 Just from the regulatory point of view, it's
5 important to note that.

6 From a drug development point of view, it's
7 important to note that there are many reasons why a drug
8 which is equivalent in efficacy may be preferable. It may
9 have a better safety profile. It may cause cost
10 competition. It may be more effective in some subsets of
11 patients. It may be more effective in patients who fail
12 the other one or it offers different therapeutic strategies
13 and regimens.

14 So there are reasons behind the regulatory
15 approach that allows additional effective drugs to be
16 approved, but that's not addressing your question as to why
17 one would choose the product.

18 MS. MALONE: No.

19 DR. ABRAMSON: Let me address that.

20 MS. MALONE: I understand that. I understand
21 that, and I know that the need for, you know, a lot of
22 different medications in the same class is because
23 sometimes one will work, one will not.

24 But what would make me want to take this
25 initially?

1 DR. ABRAMSON: In fairness, there are, as we've
2 heard during the public hearing, people who have very
3 dramatic and extraordinary responses in the short term to
4 this drug. So that it's hard even to do a double-blind
5 study sometimes because people get so much better, and I
6 think that is the issue.

7 Now, the data over time then you see, over six
8 and 12 months, the differences tend to become closer, and
9 so that that immediate dramatic effect arguably tends to
10 diminish.

11 Now, there may be many people, and I'm sure the
12 patients here today will tell you that this effect can be
13 sustained and extraordinary. So I think that's what we're
14 talking about, but really what's on the table today is does
15 waiting three months for methotrexate to work compromise
16 you from getting that effect?

17 It's not like you can wait 20 years with
18 rheumatoid arthritis before you can get to this drug as
19 some of the people we heard from today had to do. The
20 drug's terrific. It's on the market.

21 The question that we're discussing today, if
22 many people just come together at three months, and we
23 don't have enough safety data yet perhaps, that's the
24 question.

25 Now, the other thing is that when you look at

1 the ACR50s and 70s and don't talk about individual
2 anecdotal experiences, you know, you tend to see curves
3 that are very comparable with many of our drugs.

4 So it's not as if a 100 percent are getting
5 better on this drug and only 50 percent on our other drugs.

6 Lee?

7 DR. SIMON: Well, that extension really leads
8 to what's minimally clinically important, and what are the
9 differences, and that's why this is such a difficult
10 question, and your point about individual responses versus
11 group responses is really critical.

12 Unfortunately, clinical trials are group
13 responses, and they're designed and done for a regulatory
14 issue, of approval, based on the law that you so eloquently
15 described.

16 The problem, of course, is that doesn't answer
17 the questions that we need, which goes back to the original
18 discussion, and the problem is, is that often what gets
19 done in this business of regulatory discussion is not the
20 same thing as determining what is minimally clinically
21 useful or important, but that's what Dr. Abramson is
22 referring to, and that's what goes into the different
23 decision of which drug to choose at which point in time.

24 DR. ABRAMSON: Okay. We'll do one last
25 comment, and then we'll go down through the questions.

1 David?

2 DR. FELSON: I actually wanted to make a
3 comment that was derived from looking at some of the slides
4 and thinking about this a little.

5 We've published data suggesting that especially
6 for methotrexate, that response rates diminish with disease
7 duration quite dramatically, so that if you start drug
8 early in disease, you get a very high response rate. If
9 you start drug later in disease, probably later patients
10 also represent patients who haven't necessarily done well
11 with earlier treatments, you respond less.

12 In C-83 of the sponsor's presentation, there's
13 a histogram of ACR response rates across their different
14 studies, and I think their original studies were salvage
15 patients, like all RA studies start with, patients with
16 quite long disease duration, and what you notice here is
17 not that curve, okay, meaning that response rates don't
18 vary by disease duration.

19 The DMARD failures, Phase II-Phase III,
20 failures methotrexate and Enbrel, and please, company,
21 correct me if I'm wrong here, were all studies done in
22 patients whose mean disease duration at baseline was
23 something like nine to 10 years. In one of the cases, I
24 think it was five years.

25 DR. GARRISON: Eleven years.

1 DR. FELSON: Eleven years. Fair enough.

2 Now, the distinction is that methotrexate,
3 which is depicted also on one of these histograms, has a
4 dramatically-better effect early in disease than in later
5 disease.

6 So the response rates to methotrexate in this
7 trial was 65 percent. In the other big early disease
8 duration trial, which is a comparative trial of
9 methotrexate and auranofin that Mike Weinblatt did, it was
10 68 percent, okay, as opposed to methotrexate treatment
11 later in disease, which has much lower response rates, 35
12 to 40 percent.

13 So one of the arguments -- and to bring this
14 up, we're sort of talking about -- it gets at the comment
15 you made, Steve, of are we really holding back something
16 that's helping patients?

17 Frankly, the data suggest that people with
18 early disease respond to just about everything, and that
19 it's only in later disease that the response rates for
20 other things start to tail off, and this really assumes --
21 so, you notice that in order for them to demonstrate a
22 statistical significance between measures which have been
23 easily able to detect significance between Enbrel and other
24 drugs and other studies, they had to come up with an area
25 under the curve special analysis. The ACR20 repeated

1 measure didn't necessarily do it, and that's because the
2 differences were really small, okay, and that's because
3 methotrexate works well in early disease.

4 Okay. This treatment has its greatest marginal
5 effect on efficacy and greatest help for patients later in
6 disease, not earlier in disease.

7 DR. ABRAMSON: But not to be denied early.

8 Just want to know if Drs. Garrison or Finck
9 want to comment on what Dr. Felson said.

10 DR. GARRISON: Well, I think you're right, that
11 we have very good consistency of our results in multiple
12 disease durations, kids, adults, elderly.

13 We tried very hard in this trial to design it
14 so that methotrexate would work very well, and we did do
15 sort of a look at most of the physicians who were involved
16 in this trial, and they were marginally uncomfortable with
17 how aggressively we were dosing methotrexate three years
18 ago.

19 So they would not have wanted to start
20 methotrexate at the levels that you're starting at in your
21 practice, Lee, and I think that the rapidity of response is
22 important to people who have chronic pain, and what we're
23 asking for here is not that all physicians use Enbrel first
24 in treating every RA patient.

25 What we're asking is that you be allowed to

1 have the option to use this first in a patient that you
2 think that Enbrel is the treatment you think is best for
3 that person.

4 DR. ABRAMSON: Why don't we move on to B and C
5 together, and I'm going to read it but ask again Dr.
6 Felson, who has the most experience in this, to make the
7 first comment.

8 So "if approved for the proposed indication, to
9 what extent should the label for Enbrel reflect other
10 measures of clinical benefit, including landmark analyses
11 of ACR20 at six and 12 months, and to what extent should
12 the label emphasize higher degrees of response, including
13 ACR50 or 70?"

14 DR. FELSON: I think that the label ought to
15 have as much information that's clinically useful as
16 possible, and I think those are useful pieces of clinical
17 information, so I would think that both B and C, the
18 answer, I think, is yes.

19 DR. ABRAMSON: So the question, when I read
20 this, is it just the data for Enbrel or is it comparative
21 data, such as we were presented today?

22 DR. SCHWIETERMAN: Admittedly, this is a
23 question that we don't normally ask because I completely
24 agree with Dr. Felson. The label ought to reflect what the
25 data show and in a way that is helpful to both the

1 physicians and patients alike.

2 Behind this question is a general recognition
3 that the ACR20 has been questioned, both in meetings and
4 actually in print, as a clinically-relevant endpoint, and
5 while we don't have the time to get into that here, and I
6 don't mean for this meeting to be a discussion of that, I
7 wanted to get the committee's general sense of what they
8 felt about these endpoints.

9 I think it's been helpful today. Clearly,
10 there's a lot of things to measure in rheumatoid arthritis,
11 whether it's early versus late response, whether it's
12 radiographic endpoints, whether it's quality of life, and
13 the relationship of all those together, and to the extent
14 that we can present the data so that physicians and
15 patients can make choices, I think it's helpful.

16 I was trying to get a feel for what the
17 committee felt about, as much as anything else, to be
18 honest with you, future trials and how we prioritize these
19 particular things.

20 DR. ABRAMSON: I think it's a very useful thing
21 to doctors, because this is where a lot of these very
22 excellent drugs begin to separate from less good drugs, so
23 that a nonsteroidal will give you an ACR20 in a significant
24 number of patients, but when you're looking for 50s and
25 70s, you begin to see the impact of these new drugs.

1 So it's sort of like sunscreens. It's sort of
2 like SPF30/15, and I think it's a very useful way to
3 compare drugs.

4 The reason I asked about should it just be one
5 drug versus the comparative, I would like to see it, in my
6 own view, because you're not always looking at many drugs.
7 The drug that's been tested should have its ACR50 and 70
8 response and allows you to cross in your own mind to other
9 drugs that may not have been head-to-head with those, but
10 to get some standard of how to compare Drug A with B.

11 So I would favor having -- if further people
12 have comments? No. I'm sorry. Now it's just for the
13 committee and the agency.

14 All right. On B and C, anything else you want
15 to hear from us?

16 DR. SCHWIETERMAN: No. That's fine. Thank
17 you.

18 DR. ABRAMSON: On D, actually we were asked to
19 vote on D, which I guess was one of the specific requests
20 for the day in terms of label change.

21 "Do these data combined with the safety
22 experience support expanding the current indication for
23 Enbrel to include a signs and symptoms claim for patients
24 with early RA who have not yet received a DMARD?"

25 Dr. Simon?

1 DR. SIMON: Well, I think this question really
2 is an extension of what Ms. Malone just asked, and I think
3 that in thinking about it some more, what would I do as a
4 clinician in that with the data we've now accrued, it does
5 appear that with the speed of onset of response, that given
6 the safety profile of comparative between methotrexate and
7 Enbrel, that Enbrel's probably more tolerable, and under
8 those circumstances, with at least as good a response,
9 perhaps faster onset, you would need more methotrexate to
10 get the same response.

11 I think that it is an unreasonable issue to
12 allow to be used without failure of a DMARD.

13 DR. ABRAMSON: So you would be willing to catch
14 up, let a month of improvement, without the 15 years of
15 safety data that we have in methotrexate and a 25-year
16 disease, make you evaluate this question a particular way?

17 DR. SIMON: Well, as everyone has heard me over
18 and over again, and I was one of the few people to vote
19 against Enbrel's approval originally because of its issue
20 of safety, lack of a safety database that was long-term, I
21 do believe we are iteratively accruing more and more
22 evidence.

23 I think methotrexate is also a dangerous drug,
24 and yet everybody thinks it's the gold standard, which I
25 think it should fall from because of its lack of safety and

1 its risks.

2 So on the context of unsafe drugs, we don't
3 know whether or not Enbrel is or is not because we have not
4 had enough time. Given the information that we have, this
5 is how I see it.

6 DR. ABRAMSON: And we'll get the data more
7 quickly.

8 Any other comments? Dr. Pucino?

9 DR. PUCINO: I think from what we know so far,
10 it looks pretty good for early onset for management. So I
11 think as it's stated that this drug probably should be
12 allowed a chance to be used.

13 DR. ABRAMSON: This is not a vote now.

14 DR. PUCINO: Oh, okay.

15 DR. ABRAMSON: It's just comments. I'm sorry.
16 Go ahead.

17 Dr. Felson, do you want to comment?

18 DR. FELSON: No.

19 DR. ABRAMSON: Dr. Harris?

20 PARTICIPANT: Would you entertain a comment
21 from the floor on this?

22 DR. ABRAMSON: I think not. Well, you know,
23 David, if you want to address the pediatric issue
24 specifically -- your term expired on this committee.

25 (Laughter.)

1 DR. ABRAMSON: So let me just speak to the
2 other side that Lee raised.

3 I have a concern that because it is so
4 effective in the short-term, that it will become a drug of
5 first choice in the community. I'm still concerned about
6 some of the signals of sepsis, and it's not fair to bear
7 the burden of tumor on this drug, but I just don't think we
8 have enough data available.

9 So I still share those issues. I think there
10 is still a need to gather more information on this drug,
11 again remembering that this drug did not come to market
12 with the numbers of patients studied that we see with other
13 drugs.

14 When we did Arava, for example, there were
15 thousands of patients who were treated. There were 200
16 people treated for a year when this drug was approved and
17 about 5 or 600 for six months, and now we're a year or two
18 into this process with many patients treated, but many of
19 them for relatively short periods of time, without good
20 capture of adverse events necessarily.

21 So I would still wait another year in my own
22 view.

23 DR. FELSON: I didn't have the courage to say
24 what you said. I've sort of been leaning on the fence in
25 terms of thinking about this problem because I think

1 there's wonderful efficacy data here, and the safety data
2 is genuinely reassuring, I think, despite all the concerns
3 we all had.

4 But the truth is I wouldn't want to give a
5 patient with early rheumatoid arthritis this treatment
6 without some better data on long-term safety. I wouldn't
7 want to sentence them to potentially having a really
8 dangerous long-term side effect without knowing more,
9 especially since there's nothing keeping them from
10 ultimately getting it.

11 This is approved. It will be straightforward
12 for them to get a later point, and let them go ahead and,
13 you know, try and get treated initially with something
14 that's got proven efficacy and where the safety issues are
15 better appreciated than this.

16 I think that that remains my concern. I'm
17 still not sure, though. I think I could be convinced
18 either way.

19 DR. ABRAMSON: Yes? Dr. Harris?

20 DR. HARRIS: You know, listening to all of
21 this, my own view is probably more like Lee's view.

22 I feel that Enbrel is being used anyway, I
23 mean, even, you know, if we say in terms of its current
24 use. So indeed, if there is risk that we don't know, the
25 risk will exist and occur anyway.

1 You know, really what we are saying is let us
2 do it earlier. You know, let us approve it as a first line
3 -- not first line but as a first stage.

4 If there's trouble down the road, you're going
5 to get it anyway. We've approved it, and the trouble will
6 occur. So really, I think that, one way or another, the
7 concerns about safety really are not important in terms of
8 what we are considering today, and certainly if anybody
9 employs large numbers of people, you know that if they're
10 out of work two or three months -- admittedly, it's a long
11 disease and so on, but that difference does make a
12 difference in terms of people's productivity, and certainly
13 given that the safety data looks relatively good at this
14 time early, my view is it's reasonable to get this --

15 DR. ABRAMSON: Let me just ask a question. Are
16 you satisfied with the studies that are ongoing, that will
17 capture infections sufficiently, or should there still be a
18 little holding back, which creates an incentive to collect
19 more data?

20 Suppose, for example, the four-month study,
21 that was all there was going to be, and it was closed out,
22 is that an issue to talk about? Once this is out, what's
23 the incentive to -- Dr. Simon?

24 DR. SIMON: I recognize that we're always
25 tending towards public policy whenever we sit and discuss

1 these particular questions, but in fact, that kind of
2 answer will come out rather rapidly as we have seen over
3 and over again as drugs get pulled from the market based on
4 observational experience.

5 I really am troubled by our professional group,
6 our rheumatology group's weddedness to the idea that
7 methotrexate's a wonderful drug. I have found personally,
8 as a clinician and looking at a lot of data sets over time
9 and watching presentations here, that I don't believe it's
10 as wonderful a drug for my patients as some other
11 possibilities.

12 Having the opportunity to have such an agent as
13 this I think is very important. Because of the lack of
14 -- which is really represented by the health assessment
15 quality of life issues, the fact that whenever anyone goes
16 against methotrexate, most of the newer drugs look much
17 better because there isn't the nausea, there isn't the
18 other problems that methotrexate conveys even early on in
19 therapy.

20 So therefore, yes, there are some significant
21 lack of information. I'm very concerned about the long-
22 term follow-up. I'm very concerned about the idea of
23 understanding sepsis.

24 I would hope that they would study this in
25 winter, not in summer, for only a four-month period of

1 time, if they're trying to answer a question of infection,
2 when we all know when we admit our patients, hospitals are
3 empty in the summer. They're full in the winter with
4 people who are infected. So yes, I'm still very concerned
5 about that.

6 DR. ABRAMSON: Dr. Paulus?

7 DR. PAULUS: I think we're discussing the
8 question of whether we should force people to take drugs
9 that we know are not safe before we allow them to take
10 something that may or may not be unsafe, which we know is
11 effective for the reasons that Lee has eloquently stated,
12 and it's preferable to a lot of people.

13 The idea that this is already available on the
14 market, therefore if a physician or patient wants to take
15 it first, they can go ahead and take it might be true if it
16 weren't for the control that reimbursement companies have.

17 So that if we say that you have to take
18 methotrexate first, you're going to be darn hard to get
19 anybody able to take it before methotrexate. So I think
20 that what we're talking about is whether we as physicians
21 and patients should have the option to make a decision
22 which isn't going to be impeded by some bureaucracy.

23 The other issue that I'd like to bring up is
24 the question of pregnancy or somebody who wants to get
25 pregnant. We don't know whether Enbrel is safe for

1 somebody who wants to get pregnant, but we do know that
2 methotrexate is not, and that leflunomide is not, and some
3 of the other things that we use regularly and routinely are
4 not.

5 So if you had a patient who wanted to start a
6 family, who had recent onset of rheumatoid arthritis, it's
7 conceivable that you might consider this to be your first
8 choice drug.

9 DR. ABRAMSON: Okay. Dr. Brandt?

10 DR. BRANDT: The safety data that we heard
11 presented are reassuring with all of the limitations that
12 we discussed, I think, adequately, and we're not going to
13 get long-term data soon. Long-term data are long term.
14 They take a long time.

15 (Laughter.)

16 DR. BRANDT: I think that we've heard the
17 advantages articulated to making this available as an
18 option early on, and the issue of putting reins on it, if
19 we do that, we're not going to be able to free up those
20 reins up very soon.

21 If there are adverse effects that become
22 apparent with the monitoring that's proposed, they will
23 become apparent as they emerge in less than five years
24 perhaps, and then we have to deal with those at that time,
25 but I think that there are advantages with regard to

1 efficacy, and as far as we can see at this point, safety
2 issues are in fact more reassuring to me than they were a
3 year or year and a half ago.

4 DR. ABRAMSON: Yes?

5 PARTICIPANT: The point about choosing between
6 methotrexate as the first drug versus Enbrel, I think, goes
7 back to how much we know about methotrexate, and the fact
8 that we feel confident about it.

9 I think we feel confident about using a drug
10 that has a potential for producing significant side
11 effects. The only thing we have is the experience to
12 manage those complications, but actually if you talk to
13 patients, they actually come with a list of potential
14 things that they don't want to have when you start them on
15 methotrexate.

16 So really and truly, we're dealing with that.
17 There's the uncertainty what's going to happen in the long
18 term, and like Dr. Brandt very clearly said, we don't know,
19 and we will not know because it's a long-term effect.

20 So we are asking for the option, I think.

21 DR. ABRAMSON: Okay. That's a good point.

22 All right. I think we're probably ready to
23 vote on this D, unless someone has a final pressing
24 comment.

25 So we'll ask for a show of hands. "Do these

1 data support expanding the current indication for Enbrel to
2 include signs and symptoms with early RA who have not yet
3 received a DMARD?"

4 So who would answer yes to that? Just a show
5 of hands.

6 (Show of hands.)

7 DR. ABRAMSON: And no? I'm sorry. No is --
8 okay.

9 (Show of hands.)

10 DR. ABRAMSON: All right. So the answer is
11 yes.

12 MS. REEDY: Seven, two.

13 DR. ABRAMSON: Seven, two. Thank you.

14 Yes, sir?

15 DR. SIMON: Just to see if I can just sway you
16 one more time, if the --

17 DR. ABRAMSON: I was already swayed. I didn't
18 want to be like a wimp and be inconsistent.

19 DR. SIMON: If the original presentation a year
20 and a half ago had included the data that we now have that
21 they achieve ICH guidelines, I think we would have had a
22 much more difficult time restricting the utility of this
23 agent to only DMARD-failure patients.

24 DR. ABRAMSON: I would agree. The numbers last
25 year were of great concern.

1 I guess the only comment, again, this shouldn't
2 be a vote to say, you know, let the academics kind of
3 figure out next who's, you know, and then let's -- I think
4 we still need this issue of registry. Everything that we
5 talked about that's being done has to be really tightened
6 up and be more of a policy because I don't think there
7 should be a sense that people said there's not concerns. I
8 think it's just a --

9 DR. JAY SIEGEL: I want to clear up one point
10 and also get some clarification from the committee on
11 another before we move on. I hope I'll do this fast.

12 First of all, the numbers you saw at the time
13 of approval did meet ICH standards. Now, ICH standards
14 take into account that they may not be right for all
15 diseases, and I won't argue that they were adequate, but we
16 should be clear on that point.

17 The numbers of patients exposed and exposed for
18 six months and 12 months were standards, and in fact,
19 getting those numbers did in fact delay the application for
20 several months, delayed the availability of this drug for
21 several months, and getting larger numbers would have
22 delayed that further, which isn't to say it shouldn't be
23 done, but it is to say that, you know, this is the sort of
24 trade-off that we face with drugs always.

25 The clarification I'd like is on 1-B, which is

1 what study should be done, because although you've
2 mentioned leverage, there is, assuming we do move ahead and
3 approve this new indication, there is still leverage. We
4 discuss with companies commitments to do studies. We don't
5 have a lot of leverage to make it happen, but now they
6 publish the updates of how they're doing on those
7 commitments on a web site, and obviously the community can
8 exert a certain amount of leverage, and this company has
9 done a good job as far as we know in conducting all the
10 studies that they've committed to do.

11 You've mentioned a couple of times the concern
12 about the four-month randomized study. Now, we understand,
13 of course, long-term follow-up in a cohort study, but what
14 we've heard from Immunex and what we're inclined to believe
15 is that asking people who are eligible for this drug to be
16 on placebo for periods extending four months would severely
17 impact the ability to recruit.

18 So if you can design an ideal study with longer
19 periods and then not be able to recruit into it, are you
20 suggesting maybe that that isn't right, that it should be
21 possible to do longer -- because the other way to get power
22 is larger numbers. Then you don't see long-term effects,
23 and you've made the issue that long-term effects are an
24 issue in malignancy, but malignancy has many years of
25 latency to present. Infections don't, and maybe large

1 numbers of four months is more realistic than trying to get
2 a longer period of randomization.

3 DR. ABRAMSON: Well, that may be the answer.
4 The point that we were addressing before is are there
5 enough spontaneous infections over four months in this
6 number of people to see that their outcome was worse, and
7 as in the sepsis study that you referred to, it wasn't that
8 the drug necessarily was causing sepsis, but in the context
9 of sepsis, do you do less well, and so it's an analysis of
10 how this study is powered for the background incidence of
11 infections that you're likely to see, and what happens if
12 you're on the drug?

13 DR. FELSON: Just to respond to a tangential
14 issue raised by Dr. Siegel and relevant to this trial and
15 how it was designed, I think the perception, and I think
16 the reality is that it is very hard and probably not very
17 ethical to recruit a lot of patients to placebo-controlled
18 trials in rheumatoid arthritis at this point, and I think
19 the design of the current trial and even the ultimate
20 equivalence design of the radiologic outcome was really
21 thoughtful and forward-thinking.

22 I think if I could suggest to the FDA that they
23 ought to adopt that model, I mean, I think this is a
24 disease that -- it's no longer really acceptable to mount
25 large placebo-controlled trials in which placebo-assigned

1 patients receive no DMARD. I mean, that's just not
2 reasonable, and you won't be able to recruit patients into
3 a trial like that because physicians won't put their
4 patients on those.

5 DR. JAY SIEGEL: Obviously, you're talking
6 about trials such as this for a year. Are you also
7 suggesting that four months is --

8 DR. FELSON: Well, I think four months is sort
9 of the outer limit at which you could allow people, because
10 after that time you begin to see irreversible radiographic
11 change in placebo -- I mean, it's not really ethical to
12 keep people on placebo, especially if they're in early
13 progressive RA-type stage, and I think this is a real
14 genuine concern, and I think the rheumatology community is
15 not going to be willing to recruit patients like this into
16 these kind of trials anyway.

17 DR. ABRAMSON: Dr. Garrison?

18 DR. GARRISON: I just wanted to reassure the
19 committee that we have been working very closely with FDA
20 on these issues, and we've used the best available data,
21 which comes from some randomized trials that you've seen
22 recently, as well as from the ARAMIS database, to try to
23 come up with assumptions on what kind of serious infection
24 rate we're going to see in this trial.

25 But we are also going to be looking at the

1 infection rates. We're going to have a DSMB. People are
2 going to be evaluating these patients very carefully, and
3 if we find that the infection rate is not where we're
4 assuming, we will make adjustments to the trial, so that it
5 will be a meaningful study.

6 DR. ABRAMSON: Dr. Simon?

7 DR. SIMON: Just as an extension to what David
8 just mentioned, the problem with the four-month data set,
9 it's based on the idea that imaging as we know it today by
10 x-ray, that the changes aren't necessarily so obvious in
11 that first four-month period.

12 The problem, of course, is when you begin to
13 image with MRI and other newer technologies, you begin to
14 see damage much, much earlier, and you begin to measure
15 much greater amounts of damage, if in fact that's what
16 we're measuring.

17 So I'm only urging you to recognize that as in
18 other things that have been iterative, this is also going
19 to be an iterative event, and therefore whatever we decide
20 today may be totally different tomorrow. So perhaps maybe
21 four months is okay, but I actually doubt that.

22 Now, there have been multiple published reports
23 now of even six weeks of disease having dramatic changes by
24 MRI. If those are and turn out to be true erosions, and
25 they are adjudicated as such, then that would suggest that

1 even any time on placebo is entirely unacceptable, if
2 that's real.

3 DR. ABRAMSON: Okay. Janet, and then Kent.

4 DR. ELASHOFF: I'm just wondering why this
5 four-month trial, which seems to be basically looking at
6 infections, has to be placebo-controlled versus some other
7 control, because if the infections are the issue, why do
8 you need the placebo control versus another control?

9 DR. JAY SIEGEL: I think the background rate of
10 infections in this population exists and it would be very
11 hard to precisely determine. I don't think we could
12 generate meaningful data otherwise.

13 DR. ABRAMSON: Kent? This will be the final
14 comment for this.

15 DR. JOHNSON: A quick comment from Drugs.

16 I must say in some sense, I agree with the
17 comment about taking an equivalence, making it from an
18 efficacy point of view an equivalence design and having it
19 be a safety trial. We're doing that with some big ulcer
20 trials that have efficacy equivalence and also endpoints.

21 The other thing about using placebo period
22 obviously is problematic, and the presumption is you've got
23 background therapy, and DMARDS are going to be a part of
24 that background therapy, and there's already a whole lot of
25 trials that have been done with background methotrexate,

1 including one by this sponsor.

2 And the third thing is you can imbalance your
3 randomization, and you can imbalance your time on drug, and
4 you may just need a few months of placebo to validate your
5 assay in essence which is what you want if you had the two
6 other arms that are going after an equivalence comparison.

7 DR. JAY SIEGEL: So you were suggesting not an
8 external control, which I responded to, but potentially
9 like a methotrexate control or another active control or
10 something?

11 DR. ELASHOFF: Like this trial we felt shed
12 some light on whether infections were increased or not, and
13 it didn't have a totally placebo control.

14 DR. ABRAMSON: Okay.

15 DR. SCHWIETERMAN: Just one point.

16 The study is not designed to deny patients
17 standard of care. It's to deny patients the add-on of
18 additional therapies to what they have been taking already.
19 So many of the patients can be on methotrexate, for
20 example, in this study, and they will be randomized to
21 placebo.

22 An important point any time we discuss placebo-
23 controlled trials, since clearly the issue is less placebo
24 than what's being denied as standard of care and safe and
25 efficacious therapy.

1 DR. ABRAMSON: So this study just needs to be
2 examined for power and Type II error.

3 In terms of that, are you comfortable with
4 where we are in this?

5 DR. SCHWIETERMAN: Yes, we are.

6 DR. ABRAMSON: I think I should just comment
7 that this is a big issue, and I think that what we're
8 seeing is really an outstanding collaboration between the
9 corporation and the agency to try and grapple with a, you
10 know, major problem, and I think everyone's doing as
11 responsible, you know, as a model really.

12 I think the committee just wanted some
13 perfection, but it sounds like you're -- some of us.

14 DR. SCHWIETERMAN: Thank you. The committee's
15 advice has been very helpful here, and as time goes on, I
16 think these questions are not going to go away but actually
17 get more complex as there are more agents on the market.

18 So I appreciate the advice.

19 DR. ABRAMSON: Yes. Okay. So now, to the
20 question in Number 3, "Radiographic measures. The design
21 of this trial was changed from one to establish the
22 superiority of Enbrel over methotrexate to one to establish
23 non-inferiority of the equivalence of Enbrel to
24 methotrexate with regard to radiographic outcomes.
25 However, the absence of an adequate historical trial

1 database establishing the degree of efficacy of
2 methotrexate on radiographic changes in early RA precludes
3 drawing definitive conclusions from the latter analyses."

4 So "Please discuss whether there is a basis for
5 concluding that the methotrexate effect in the population
6 studied is large enough that the non-inferiority data
7 suggest that Enbrel also has an effect which surpasses that
8 of placebo."

9 DR. JAY SIEGEL: Let me put that in plain
10 language.

11 We could argue probably forever as to whether
12 the methotrexate effect is four units and whether we should
13 exclude 70 or 80 percent or whatever, but nuts and bolts,
14 coming down to brass tacks or whatever the right metaphor
15 is, if you compare the two, the outer limit of the
16 confidence interval is .3 or .2. I think .29 and .16 were
17 the numbers we saw. I don't like excessive precision in
18 these measures, but depending on whether you use a 90 or 95
19 percent confidence interval, what this question really
20 boils down to is, if you assume -- you know, the natural
21 progression of the disease here is four or six units, and
22 we don't have a direct -- four to six units, depending on
23 which data you look at early on of total Sharp score per
24 year.

25 We don't have a direct data of methotrexate

1 effect early on. We have it later on, but if you assume
2 that it has an effect of at least .3 units, then you can
3 draw from this comparison that Enbrel, which had a point
4 estimate that was .5 better, and at worse, .3 worse, also
5 has some effect, and so that's sort of what the question is
6 getting at, is that.

7 Although not firmly data-driven, rather than
8 looking at whether we believe it has an effect of four
9 units or not, is it reasonable to presume, based on what we
10 know about the disease and about methotrexate, that its
11 effect is at least that large, in which case this provides
12 some evidence of activity and efficacy?

13 DR. ABRAMSON: Dr. Mills, would you like to
14 address this question first?

15 DR. MILLS: Well, from the standpoint of
16 several things. One, that you have to look at in terms of
17 the historical data that has been provided for us from over
18 the years of literature, we don't have a nice trial with
19 methotrexate in this population to be able to address and
20 say that indeed it's four to six, and we have a high
21 confidence.

22 So from the standpoint here, you have to be
23 somewhat reluctant to take a big step forward in this area.

24 From the standpoint Immunex has provided us an
25 outstanding look at this group over one year, but this is a

1 disease process that's going over many years, and so when
2 looking at this, in looking at the historical data, and
3 we're very reluctant to take Step 4 and say obviously this
4 four to six window is going to be an idealized known given
5 for us, and so that we can take this data and extend it,
6 that's what we're looking for in terms of the input from
7 the group here, is to give us some idea as to where we
8 should take this data, and what we should look at long-
9 term, because my concern is one year versus a long-term
10 disease process.

11 DR. ABRAMSON: But this gets a bit to the
12 placebo discussion we were having. It's real hard to put a
13 one- or two-year placebo arm into a radiographic study
14 these days.

15 So I guess you're kind of looking at
16 equivalence or comparability to your comparator drugs
17 arguably, but I don't know.

18 Janet, do you want to respond?

19 DR. ELASHOFF: Well, strictly speaking, taking
20 only the non-inferiority comparison by itself, I don't
21 think you can conclude that Enbrel has an effect superior
22 to placebo.

23 This is a different group than those historical
24 controls have been based on, and to some extent, almost
25 never in a trial without placebo can you be really sure

1 that you're having an active effect.

2 I certainly understand why we don't want to use
3 placebo, and it's a good idea to try not to, but you're
4 always in the position, I think, of not being entirely sure
5 that it works.

6 In this case, you have other measurements that
7 do look better, so that supports the non-inferiority claim,
8 but I think you always have a doubt in any trial like this.

9 DR. ABRAMSON: So if they showed equivalency to
10 methotrexate, how could you design a study except by
11 comparison to another drug?

12 Janet, I'm wondering in terms of that dilemma.

13 DR. JAY SIEGEL: Let me address that.

14 I'm actually quite involved in policy
15 development internationally in this area and other areas,
16 and I think her comments are right on target.

17 In some sense, you can never be certain about
18 showing efficacy from non-inferiority trials because the
19 margin that you set is based on a historical analysis of
20 prior trials of the active control, and whenever you're
21 comparing across trials, there's so many questions about
22 the design and conduct, nature of patients, concomitant
23 therapy, that all the concerns that arise with any
24 historically-controlled trial arise in those underlying
25 assumptions and the applicability of them.

1 So the bigger question is what can you do?
2 Well, we're at a setting, at least in the long-term
3 probably, where placebo-controlled trials cannot be done.
4 This happens in a lot of diseases. That leaves you with
5 active control trials.

6 You cannot make an active control non-
7 inferiority comparison valid simply because you have no
8 other option. If it's not scientifically valid, if there's
9 no way to say, well, I know methotrexate has an effect at
10 least this large, then there's no way to say for sure that
11 you can show by non-inferiority an effect.

12 What we have here as the comments, I think,
13 correctly describe, though, is something -- so first, in
14 the theoretical where are you left, well, you're left is
15 that this is an area in which you should design a
16 superiority trial. That's what was done, and that's what
17 should have, I think, remained.

18 Now, here we have an ironic situation, where
19 the superiority trial was done and had its data as a
20 superiority trial. It would have won on its preselected
21 primary endpoint which was erosions. It was changed to a
22 non-inferiority trial because there were data on
23 methotrexate effect on Sharp score and not on erosions
24 alone.

25 It was changed, I should say, for a good

1 reason, which was that there were inquiries that are
2 appropriate about, well, would a non-inferiority finding be
3 used as evidence of efficacy, and because if you think it
4 should be, then it's best to consider how you would handle
5 that prospectively, and the company put forward margins and
6 percent retained numbers that they felt were appropriate
7 ones to use prospectively, and if you're going to make that
8 case, it's much better to do that prospectively.

9 But what we have is, you know, we're asking
10 about this in B, then is that the effect on erosions, some
11 of the effects at six months, a number of effects which
12 would suggest superiority, which, unless you assume that
13 methotrexate is harmful, which there's no data to suggest
14 in this population on those outcomes, then that provides
15 intrinsically evidence of activity.

16 But what we're asking about in A is the non-
17 inferiority design and the extent to which that provides
18 evidence, and the reason one might presume it provides
19 evidence is that if you accept again the historical data
20 that the natural progression is at four units a year or at
21 six units a year, and then -- and methotrexate in this
22 study -- the arm progressed at 1.3 units, and the Enbrel
23 arm at .8 units, plus or minus .7 maybe, even if the
24 natural progression of this population had been as low as
25 two, there is, based on historical controls, the suggestion

1 that it would be superior than placebo, but also, and I
2 think importantly, based on comparison to methotrexate,
3 there's a suggestion that it's within .3 of methotrexate,
4 that at worse, the progression rate is .3 faster than
5 methotrexate.

6 Again, as I stated in explaining Question A --
7 and my apologies for being overly wordy, but these are
8 tough concepts, I think, and they're tough to face anew --
9 but if one can assume in this population, even though one
10 doesn't have direct data, if one has a reasonably-strong
11 presumption that methotrexate has an effect larger than .3,
12 then these data, and I would agree entirely with the
13 comment, they don't provide definitive evidence.

14 In fact, our question precludes drawing
15 definitive conclusions, but that's part of the picture of
16 what may or may not be a compelling picture taken in toto.

17 DR. ABRAMSON: Dr. Simon?

18 DR. SIMON: It seems like this is the classic
19 conundrum, and perhaps you could help me understand it in
20 the context of the regulatory guidance document, that
21 clearly all of this brouhaha is created because it's not
22 adhering to the guidance document, I presume, in that the
23 world accepts methotrexate as a DMARD.

24 They throw that term around all the time. It's
25 never been adjudicated that way. It's not been registered

1 this way by the FDA. It's not labeled as such, as altering
2 structure by the FDA, that I'm aware of, and I also
3 understand that the guidance document that was just out in
4 1999, I think, not so long ago, I presume the issue is to
5 gain a label, such as altering structure, that you have to
6 demonstrate that you're better than placebo in doing that,
7 is that correct?

8 DR. SCHWIETERMAN: I'd have to go back to the
9 guidance document and check the exact language. Certainly
10 the spirit of it wasn't necessarily that all trials would
11 need to be placebo-controlled trials for a 12-month study,
12 but I don't have the guidance document in front of me to
13 actually refer exactly to what you're --

14 DR. ABRAMSON: Dr. Johnson might be more ready
15 to answer that.

16 DR. JOHNSON: I pretty much have it in my
17 brain, I'm afraid. Well, we fudged the issue is what it
18 amounted to, and we said that it's preferable.

19 We probably made some allusion to the fact that
20 if there are no established active controls, then you need
21 to do a negatively-controlled trial, which doesn't
22 necessarily mean placebo could be a lower dose.

23 But we also said something about in assembling
24 the evidence, it is desirable that at least one trial be a
25 difference trial or something to that effect. Now, we

1 didn't say specifically which claim that was directed
2 toward, and the other thing that's been brought up, but I
3 don't think been emphasized enough, is that we, too, are
4 uncomfortable with an x-ray claim, period, which is why we
5 wanted it hinged to a clinical claim. It wasn't a
6 freestanding claim like the others, unless it was a
7 dramatic x-ray effect, which we also couldn't describe,
8 though it attempted to in terms of the erosions. That
9 question was asked earlier.

10 DR. ABRAMSON: Dr. Sharp?

11 DR. SHARP: I'm a little uncomfortable with all
12 the discussion about six points or four points or two
13 points, because when you look at different people who are
14 reading films and scoring them, nobody scores exactly the
15 same.

16 I think the more critical issue here is how
17 reliable is the methotrexate effect. Now, that can be
18 expressed as a percent, and you don't have to have absolute
19 figures, although the model that FDA required Immunex to
20 create, they had to plug in some figures.

21 I think you can do the whole thing without
22 specific figures, and you could even take the figures that
23 are already available from the study and say if
24 methotrexate had 50 percent effect or 60 percent effect or
25 40 percent effect, you could look at it that way, and my

1 guess is that that circumvent a lot of the discussion here
2 about so many points, and I don't think it would come up
3 with any different answer than they have gotten so far.

4 There was one other point, but I guess it
5 slipped my mind.

6 DR. ABRAMSON: You can come back.

7 DR. JAY SIEGEL: Let me just say that there's
8 nothing in this sort of design or model that would preclude
9 doing it that way, absolutely, but we still don't have the
10 data in this early population as to what percent effect
11 methotrexate has.

12 DR. SHARP: Oh, that was the point I was going
13 to make.

14 In terms of the effectiveness early versus
15 late, I must say I'm intrigued with the data in this
16 particular trial that makes it look like methotrexate was
17 much more effective in treating early RA than it was later
18 on.

19 However, in the Arava trial, the leflunomide,
20 40 percent of those patients on methotrexate were less than
21 two years' duration. Now, there's still a discrepancy
22 between the two populations, but I think that's reassuring,
23 and in that particular trial, there was no difference in
24 the effectiveness of methotrexate early or late.

25 DR. ABRAMSON: Thank you.

1 I think I need a clarification as to what we're
2 trying to address here, because there's statistical issues
3 of definitions of non-inferiority and superiority in this.
4 But then there's the issue of whether a historical control
5 is valid in this setting, and I think they're two distinct
6 issues.

7 So I guess the question is if it were true that
8 methotrexate and Enbrel were equivalent in their effect, if
9 we assume that for the moment, what question do we need to
10 address in terms of the ability to make a statement about
11 concluding that therefore Enbrel protects against
12 radiographic progression?

13 Let's assume for the moment that there's not a
14 statistical question and take for granted or presume that
15 these two drugs have equivalent effects from your
16 perspective.

17 DR. JAY SIEGEL: If you assume they have
18 equivalent effects, all you need to know additionally is
19 that methotrexate has an effect, but obviously we don't
20 know that they have equivalent effects. We know a
21 confidence interval around the effects.

22 So really, what we need to get at to answer
23 this question, to put it in a non-statistical but a
24 scientific term, is we need to know how much effect it's
25 reasonable to presume that methotrexate has in this

1 population under these conditions.

2 To put it simply, if two drugs had exactly the
3 same effect, but you studied them in a refractory
4 population or in a population in which neither worked, then
5 you might well get up with a lot of precision that they
6 have the same effect, but it would not be evidence of
7 efficacy because they could have the same lack of effect
8 rather than the same effect.

9 So by showing that this is similar to
10 methotrexate or at worse, .2 or .3 worse than methotrexate,
11 one can only conclude that that means there's efficacy if
12 we can conclude that methotrexate has an effect in this
13 population, and obviously there's not direct data. So it
14 may not be a conclusive determination, but what we need to
15 know, what we need to hear, and I think what you need to
16 think about, is does being within .3 units in Sharp score
17 progression of methotrexate provide evidence of efficacy on
18 the basis of a presumption, if not proof, that methotrexate
19 surely has at least that much benefit?

20 DR. ABRAMSON: Right. So let me still sort out
21 these two issues for a moment, though.

22 We have two studies that I'm aware of that
23 methotrexate is effective. One is the Rich paper, which
24 I've not read, and the other is the Arava data, in which
25 Arava and methotrexate both did well. So the question is,

1 is there sufficient evidence that methotrexate is better
2 than placebo is a first question?

3 Lee?

4 DR. SIMON: Well, that's really the problem,
5 because there is sufficient evidence that methotrexate's
6 better than placebo based on the Arava data set.

7 However, that patient population was different
8 than this patient population. However, if you'll just bear
9 with me in a Talmudic way, if you believe that the patients
10 had more disease, meaning longer-term disease, in the Arava
11 data set, even with 40 percent with less than two years of
12 disease, where this population was all less than three
13 years of disease, you had a similar kind of biologic
14 measurable signs and symptoms response which was reasonably
15 correlatable to the predictable x-ray response in the Arava
16 data set. That also has happened here.

17 So why should someone expect that a drug that
18 worked in an older population would not work in a younger
19 population -- meaning, you know, not as long disease --
20 that gave you a similar signs and symptoms response?
21 Meaning why should that be disparate? Why should that be
22 separated? We're already making so many assumptions, that
23 it's not unreasonable to make that great leap in this
24 circumstance with this lack of information.

25 The dilemma that I have is that inherent to

1 this data set, which is I think is fascinating, is a
2 biologic discrepancy. There is a big difference between
3 joint-space narrowing effects and erosion effects, and it
4 seems to be even bigger when you've had more disease for
5 longer periods of time.

6 What we know biologically of how erosions take
7 place, meaning osteo class-driven, cytokine-driven events,
8 that may be a very different phenomenon from joint-space
9 narrowing. The dissolution of cartilage may progress at a
10 very different rate and respond to different things.

11 Nonetheless, I do think that we can argue this
12 forever, but if we're going to accept the Arava data set,
13 we have to accept the reality that there is similar
14 responses between Enbrel and methotrexate in inherent
15 decrease in progression, and it seems real.

16 DR. ABRAMSON: So you think there is evidence
17 that methotrexate retards x-ray progression, based on the
18 available data?

19 DR. SIMON: I would say that, based on the
20 available data, it appears that methotrexate does slow
21 progression of disease.

22 DR. JAY SIEGEL: And if I understand your
23 logic, let me get this clarification, you're saying, in
24 addition to that, that while there isn't evidence in early
25 disease, it's not an unreasonable presumption that it would

1 be as effective or more effective in early disease than in
2 later disease?

3 DR. SIMON: Given the fact that 40 percent of
4 the population in the Arava data set did have early
5 disease, that there weren't discrepant behaviors in that
6 patient population, I think that's a reasonable assumption.

7 DR. ABRAMSON: Immunex, please.

8 DR. VAN DER HEIJDE: I would like to add to
9 that, that it's all circumstantial evidence that we have,
10 that if you look to the data that is available at this
11 moment, it looks that there seems to be a linear rate in
12 progression. So that's the progression that can be seen
13 later on in disease is also seen in early disease, and
14 studies that are against that, they show that there is more
15 progression in early disease, not less progression in early
16 disease.

17 So then I think the minimum, if you want to
18 have a number, would be four, and in early disease, it
19 would even be higher and not lower.

20 If you take the study that was mentioned by
21 David Felson, is that if you have methotrexate as an early
22 drug, it's more effective than using it later on. This
23 would make it seem unlogical that it would not work in
24 early disease, and in all the trials I've seen that x-rays
25 were involved, it's very rare that in an early disease

1 population, it's such a low rate of progression as around
2 one.

3 DR. ABRAMSON: Dr. Felson?

4 DR. FELSON: Yes, there is actually another --
5 it's a meta-analysis of comparative trials with
6 methotrexate in second-line drugs, and actually the first
7 author is Graciela Alarcon, who's here, who was in the
8 Journal of Rheumatology, and it shows I thought very nicely
9 that methotrexate, compared to other second-line drugs
10 which have approval for structural modification, is as or
11 more effective than those drugs in preventing. So that's
12 indirect evidence of methotrexate's effect on inhibiting
13 structural progression.

14 I don't know if Graciela wants to comment on
15 her own study.

16 DR. SHARP: She probably does.

17 (Laughter.)

18 DR. SHARP: We're talking about, was it, two
19 studies you came up with? There are additional studies,
20 besides that. Jurisin compared methotrexate to Imuran, and
21 there's no reason to believe that Imuran is worse than
22 placebo, and methotrexate was significantly better, and
23 Weinblatt and Barbara Wiseman at Boston compared
24 methotrexate to auranofin, and it was better. Again, I
25 don't think auranofin is worse than placebo.

1 DR. ALARCON: Actually, I didn't want to bring
2 the meta-analysis because that actually is a collection of
3 data from the 1980s with patients with longstanding
4 disease, and I didn't think it was fair to compare it to
5 the patients.

6 The Rich study, though, I believe, is our study
7 as well, and this is a study which is small and dirty, done
8 by a fellow in -- that doesn't mean all the fellows are
9 dirty workers, but the point is that it was no money, just
10 he wanted to look at what happened when you administer
11 methotrexate as the first DMARD.

12 So given all those limitations, what we show is
13 the patients that didn't have erosions to begin with, the
14 probability that you don't develop erosions is much greater
15 than if you already have erosions to begin with using
16 methotrexate as the first DMARD.

17 DR. ABRAMSON: Thank you.

18 So I guess for the piece of this, the consensus
19 that we have is that methotrexate, given studies that are
20 imperfect, the literature that exists supports methotrexate
21 as effective in retarding progression, I think, the way the
22 literature is there.

23 So now the question is the second half of this
24 question. Is the study valid from a statistical point of
25 view?

1 Janet, I don't know if you were going to
2 comment on that or you want to make another comment.

3 DR. ELASHOFF: It was another comment.

4 The extent to which we start relying on
5 historical control to flesh out the conclusion means that
6 it's more important to be collecting a lot of potential
7 predictor information and analyzing that in as much detail
8 as possible because that is relevant to these issues of how
9 much early versus late and this kind of patient versus that
10 kind of patient, and is this population really applicable
11 to that, and presumably one could in fact do something in
12 this other study that people are quoting to compare the
13 early versus the late in that data.

14 DR. ABRAMSON: So now, the other piece of this
15 question, I think, is only selected people can really
16 debate effectively, and that's this issue of superiority
17 and non-inferiority.

18 I'd ask the people who understand that issue, I
19 don't know, Janet or David, to make some comments on it.

20 DR. ELASHOFF: Okay. I don't think much of the
21 definition of non-inferiority that was used here.

22 However, the actual confidence interval is
23 suggestive that they're pretty close, and as other people
24 said, the other evidence, which is presumably relatively
25 correlated with this, erosion specifically did come out

1 superior.

2 So in this particular trial, I'm not especially
3 worried about that issue, but in other trials, one could be
4 extremely worried about the non-inferiority serving as a
5 basis for a claim.

6 DR. JAY SIEGEL: Thank you. I think that's
7 useful.

8 I would point out that if this trial had not
9 been changed to non-inferiority but used the same endpoints
10 that it's using as a non-inferiority trial, we'd be having
11 much the same discussion because what we would be looking
12 at would be a very near-miss on this primary endpoint of
13 total Sharp score and some important data on the erosions
14 and other endpoints which suggest activity and a near-miss,
15 but not against placebo, a near-miss against a drug that we
16 all believe to have some activity, and so in a sense, for
17 those who haven't quite caught on to all the non-
18 inferiority concepts, you could look at it that way.

19 Does a near-miss to being superior to an active
20 control on one endpoint with support of secondary endpoints
21 in which there was suggestion of superiority add up to
22 evidence of efficacy?

23 DR. ABRAMSON: I think we've been discussing B.
24 Let me read it.

25 "To what extent do other radiographic

1 endpoints, including data on the number of erosions at six
2 and 12 months original primary endpoint, and data on the
3 six-month Sharp score support the efficacy of Enbrel in
4 delaying radiographic progression?"

5 So I guess, Dr. Siegel, has this been addressed
6 in these -- Ken?

7 DR. BRANDT: Yes. I'd like to ask a question
8 for clarification or for edification, and I guess to Dr.
9 Sharp, and it relates to the issue of the total Sharp score
10 and the contribution thereto of narrowing as opposed to
11 erosions.

12 Certainly if we look at knees and standard
13 radiography, there are all sorts of terrible issues that
14 relate to joint-space narrowing that pertain to positioning
15 and reproducibility of positioning, especially in a
16 multicenter study, where radiographs were obtained in
17 multiple cities.

18 Can we be confident that narrowing as you see
19 it on the film is true narrowing and not related to
20 position? Have there ever been correlations made between,
21 say, cartilage thickness by MRI and joint-space
22 measurements on a radiograph in rheumatoid hands?

23 Do we know that we're really looking at
24 cartilage thinning? Lee brought this issue up a few
25 minutes ago.

1 DR. SHARP: Well, I don't know that we can
2 establish it's always cartilage thinning.

3 I think the book of the evidence is that it
4 usually is. In a very lax joint, you can have distraction,
5 of course, and a lax joint again with an excess amount of
6 fluid, you might have spurious widening, if you will.

7 I did some simple geometric calculations of how
8 much impact a change in focal distance, for example, x-ray
9 tube to hand, would make in joint space, and it's obviously
10 quite significant in hips and knees, but it's a few
11 hundredths of a millimeter in finger and wrist for
12 reasonable assumptions. So I don't think that's very
13 likely to be an issue in terms of joint-space narrowing.

14 The whole question -- if you want me to address
15 it now -- the whole question of joint-space narrowing and
16 erosion, I think, is a very interesting one. Is it
17 appropriate?

18 DR. ABRAMSON: Yes, please.

19 DR. SHARP: Together with my colleagues back in
20 Houston back in the 1960s, we started developing a method
21 for scoring the radiographs. We were convinced that
22 erosion and narrowing were separate phenomena, and
23 everything that's happened since then has tended to
24 convince me that we were correct in doing so, particularly
25 what Lee referred to a minute ago.

1 The pathogenetic mechanisms now are, I think,
2 reasonably well worked out. I think it's fairly conclusive
3 that osteo class driven by TNF-alpha and IL1-beta and
4 perhaps activated T cells produce a factor that helps to
5 generate osteo class. There's a recent paper from Lee's
6 institution in the ANR about that. That is related to the
7 development of erosions.

8 I don't know that there's very much proof, but
9 I think most of us believe that metalaproteases are
10 responsible for loss of cartilage. Maybe Ken Brandt wants
11 to comment on that.

12 With these two different mechanisms, I think
13 it's not at all surprising that we come up with a different
14 result for erosion and joint-space narrowing.

15 Put it up, yes.

16 Historically, in many studies, joint-space
17 narrowing has been as important or more important in
18 discriminating between two different kinds of treatment,
19 and as a matter of fact, in the Arava study that's been
20 referred to so often, the P value for joint-space narrowing
21 comparing methotrexate to placebo was a good deal lower
22 than for erosions.

23 Now, here, the joint-space narrowing score
24 comparing Enbrel to methotrexate are about the same. One
25 would therefore conclude that you're having an effect on

1 narrowing by Enbrel, and erosion scores are different in
2 that Enbrel appears to be having more effect than
3 methotrexate, and I think that merely tells us that Enbrel
4 works better in terms of some of the things that drive
5 erosions.

6 But it also works against the joint-space
7 narrowing. It just doesn't show a difference with
8 methotrexate.

9 DR. ABRAMSON: Perhaps, Dr. Sharp, you can help
10 us with one of the next questions, which says, "Please
11 comment on the use of erosion scores versus the Sharp score
12 as a preferred outcome measure."

13 So do you lose information by combining these
14 two endpoints?

15 DR. SHARP: I don't think you lose information.

16 Well, the Sharp total score is a composite
17 score, narrowing and erosion, and the two are associated.
18 There's a highly-significant, statistically-significant
19 association, but they're not tightly linked. The two are
20 driven separately, and I think in a large database, I've
21 forgotten the exact figures, but I believe it's about .6
22 for Pearson correlation coefficient between the narrowing
23 scores and the erosion scores.

24 Now, a composite score, if they're both
25 working, you get a little bit extra lift out of it using