

1 things that you could do with this. You could maybe
2 normalize and go back to one of the f2 or the chi square
3 type statistics because you have weighted the distribution
4 appropriately to the important end.

5 Or, the approach that I actually took, certainly
6 from a radiolabel perspective, was just to do a sum of all
7 of the weighted fractions to get what I have called a
8 theoretical deposition factor. I call it this simply
9 because these weights are based on what might get into the
10 lung. This is the amount of material in each fraction and
11 the sum gives you some measure.

12 I admit it is not a perfect measure. It
13 absolutely won't marry what happens in a patient but it is
14 some measure of quality in terms of that fraction of the
15 distribution that gets into the airways.

16 [Slide.]

17 Can you apply this sort of approach to real data.
18 I have to thank Bo Olsson and Mike Rebe for allowing me to
19 use the data that the European group generated on MDIs a few
20 years ago. What I have done here is calculated this
21 theoretical deposition fraction, looked at the SDs, both for
22 a set of MLI data across five different labs and across the
23 Anderson across five different labs.

24 Basically, they all come out reasonable similar.
25 I have not completed the statistics on here but within these

1 standard deviations, these are all the same. There was one
2 odd one. It is interesting that the consortium, or the
3 group, themselves, noted that this dataset was odd.

4 You will notice the theoretical deposition factor
5 is low. The reason is, when you look on the raw data, there
6 is missing material down on this bottom stage that is on
7 this top stage. I only put that in to try and demonstrate
8 that this number appears to be sensitive to what is happened
9 to the distribution.

10 [Slide.]

11 I am going to skip through this one pretty
12 quickly. This is where, I guess, this investigation
13 started, trying to look at matches between radiolabel and
14 drug. I would emphasize, however, that the process is just
15 as valid to look at the match between two size
16 distributions.

17 Finally, where does that leave you? It is an
18 alternative approach. The advantage I see is you have got
19 flexibility. That is also the major disadvantage. In order
20 to apply weightings to the distributions, rather than going
21 back and doing an f^2 or a chi square or the distance
22 statistic, everybody has to agree on what weighting factors
23 would be appropriate.

24 I think that would probably be a tough job. If
25 you got a group of people in this room together and try to

1 agree on these, it would probably take some while. But it
2 is flexible and you can actually apply to it to different
3 products. For example, systemic; you would want to look at
4 what was deposited maybe in the peripheral lung. Local
5 drugs; maybe the whole lung. Or maybe a combination of the
6 two.

7 You can apply some simple statistics to the number
8 afterwards. You don't have to go through a huge sum of the
9 squares of the distances between the distribution. I
10 believe it has physical relevance in that it notices the
11 difference between an 8-micron and a 1-micron aerosol which
12 the f2 and the chi square statistics, as proposed, don't.

13 Disadvantages; choosing the weighting factors.
14 Quite how you deal with distribution pattern, as proposed.
15 If you only use one set of weighting numbers, you can either
16 get some number that is proportional to lung or some that is
17 proportional to alveolar, but you don't get both.

18 The other disadvantage, which I guess Dr. Ahrens
19 and I have been talking about, and it is actually not a
20 primary measure. You are taking a raw dataset and you are
21 imposing some weighting factors on top of it.

22 I guess, work in progress. I don't quite know
23 where to go from here in terms of whether this theoretical
24 deposition fraction is a good approach or whether, having
25 weighted the distribution, you could then go back and take a

1 look at the standard sort of distance-type statistics and
2 see if you could apply those a little bit better with a bit
3 more sensitivity to what is important about the change in
4 size distribution from one end to the other.

5 That's it. Thanks.

6 DR. LEE: If you could stay for a minute. I
7 wonder what is your bottom line about the proposed chi
8 square approach.

9 DR. CLARK: If what you are trying to do is
10 compare experimental variability, I think it is a very valid
11 approach. If what you are trying to do is look at a
12 innovator product versus a generic, it doesn't have the
13 sensitivities in the right places to judge the differences
14 between those two parts appropriately.

15 I am sure Dr. Tsong and I will argue about it for
16 a while.

17 DR. LEE: Thank you.

18 Before I invite the next speaker up to the
19 platform, I would like to remind the committee members that
20 there are a number of questions that we need to address.
21 There are quite a few of them and we need to move quite
22 quickly.

23 So, to continue with this British theme, I would
24 like to have David Ganderton to come and talk to us about
25 his views about DPIs, in vitro test performance and

1 comparability.

2 **DPIs: In Vitro Tests for Performance and Comparability**

3 DR. GANDERTON: Thank you very much, Mr. Chairman,
4 for the introduction. I hope, by now, the audience is
5 getting used to the accent. I would have a particular note
6 of thanks to Wally Adams. I think we have argued long and
7 hard over a number of years, very constructively, and, of
8 course, it was his agency which brought me here.

9 [Slide.]

10 I think, in arguing the case for the in vitro
11 evaluation of dry-powder inhalers--I am going to ask your
12 indulgence. If you really go quite back to the beginning,
13 of course, in Europe, and I am speaking first, personally,
14 and second, with a European perspective. You will have to
15 distill out of this what is relevant to the system, as you
16 see it.

17 But, in Europe, I think in all submissions, we
18 would see a big pharmacodynamic component and a big in vitro
19 deposition component. Some submissions would have
20 pharmacokinetics and some may have in vivo deposition, that
21 is particularly using gamma scintigraphy.

22 It is important, I think, to remember that all
23 these contributions are flawed in one way or another. I
24 think the pharmacodynamics element, of course, is accurate
25 but it is very imprecise and, of course, the reverse is true

1 of in vitro dependence.

2 Pharmacokinetics is often not applicable and, if
3 we are going to do gamma scintigraphy, of course, we have
4 got to modify the formula. So, I want to certainly make the
5 case that, in any overall assessment, you have really got to
6 synthesize contributions perhaps from all these elements.
7 Of course, the way in which that synthesis is carried out
8 will depend upon the relative strengths of each one.

9 Of course, this will vary very much from case to
10 case. I am going to argue strongly for the value of in
11 vitro deposition because--well, he would, wouldn't he? It
12 is an area which I have been attempting to promote for a
13 number of years.

14 [Slide.]

15 In an absolutely super review, Pauwels said that,
16 "The quantity of a drug deposited in the airways is the
17 primarily determinant of the local airways response to the
18 drug." I would like to extend that a little bit and say,
19 "The quantity of drug deposited," and I would add, "depends
20 upon the concentration of the drug in the cloud, the
21 particle size distribution in the cloud and the actual
22 inspiratory maneuver by the patient."

23 I think all these things can be properly modeled
24 and I think, if we do this carefully and well, we will get a
25 very useful in vitro surrogate.

1 [Slide.]

2 It is going to be based upon inertial behavior
3 because this will accommodate the way in which the capture
4 mechanisms work in the lung. So we shall have our modeling
5 also based on inertia methods and we won't admit any other
6 technique, although, of course, you can validate them and
7 use them in aspects of product quality judgment.

8 But, as I say, we are basically going to be rooted
9 very, very strongly in the areas of impactors.

10 [Slide.]

11 Our particular problem, if we look at the next
12 slide, is really to model the way in which the patient's
13 inspiration through the device is going to separate the
14 particles, because they are normally aggregating devices,
15 produced to produce the respirable cloud.

16 In this respect, the powder aerosol, the DPI, is
17 going to be very different from the MDI.

18 [Slide.]

19 So if we look at this next slide, we can see here-
20 -oh; we can't see here. Oh, dear; oh, dear. Well, never
21 mind. I shall have to do some translations here. What I am
22 doing here is I am basically contrasting the performance of
23 a PMDI and the DPI as a function of the flow rate.

24 Oh; the wonders of technology. The slide, when I
25 made it, was really quite legible and now it is even better.

1 What we have got here is some of our gamma scintigraphic
2 work where we are looking at the deposition of cromolyn
3 sodium as a function of rate of inspiration.

4 You can see that at 30 liters per minute, we are
5 getting 11.8 percent of the dose into the lungs. But, at
6 the faster inspiration, that value is dropping quite
7 significant. Quite the reverse is true with the dry-powder
8 inhaler because here, at 60 liters a minute, we are getting--
9 --and this is the gamma scintigraphy work--we are getting
10 0.5 percent of the drug into the lung. But at the faster
11 inspiration of 120 liters per minute, we are getting 13.1.

12 One thing I think to point out on this slide is
13 the enormous variability from patient to patient, always an
14 aspect, I think, that we keep in mind as we compare methods.

15 [Slide.]

16 Now, that effect of inspiration rate on cromolyn
17 sodium is, in fact, mirrored in some earlier work, years
18 ago, by Auty and his colleagues at Phizens, when it was
19 Phizens. You can see, here, across this peak inspiration
20 flow rate, you can see this enormous dominating influence of
21 the inspiration rate on defining the respirability of the
22 cloud compared with this question of the depth of the
23 penetration.

24 It is quite clear that, in assessing dry-power
25 inhalers, this effective inspiration rate in generating dose

1 completely overshadows the fact that the dose now generated
2 at a relatively high speed will have a lower respirability.

3 So, all this, of course, leads to the model.

4 [Slide.]

5 If we go on to this next slide, we can basically
6 pursue some of these arguments by looking at a comparison
7 now in which we are looking at a Turbuhaler, a dry-powder
8 inhaler, compared with a pressurized metered-dose inhaler.
9 This is a lung-function response to the administration of
10 either 250 micrograms or 500 micrograms given by these two
11 methods.

12 This is work published by AstraZeneca. You can
13 see that, if we compare the 0.25 250 micrograms from the
14 Bricanyl PMDI, we can see we get this response. This is
15 very much significantly doubled, or very significantly
16 increased, if we increase the dose to 0.5 milligrams,
17 500 micrograms.

18 If we compare the data for the dry-powder inhaler,
19 we don't, in fact, see this differentiation between dose for
20 reasons which I am sure Richard Ahrens would give us an
21 explanation in terms of where we are on the dose-response
22 curve.

23 So that is, if you look, a PD, a lung-function
24 evaluation. Let's go on to the next slide and see how this
25 is reflected in a lung-deposition study.

1 [Slide.]

2 This is not gamma scintigraphy, now. This is
3 basically being done by the charcoal-block method. But we
4 can see here that, if we look at lung deposition--that is in
5 vivo deposition studies--we can see a difference between the
6 2.5 milligrams given by the Turbuhaler or by the PMDI is
7 equally reflected, the relative efficiency is equally
8 reflected, in a lung-deposition study.

9 So the lung deposition, now, reflects the greater
10 deposition which we did not see when we were looking at a
11 pharmacodynamic response.

12 I asked Lars Borgstrom, who basically published
13 this data, if he would look back in his archives and see
14 exactly how this would be reflected in an in vitro
15 evaluation. He kindly carried this out. These were the
16 results that we got.

17 [Slide.]

18 This is, in fact, some batches of the PMDI where
19 we have got here a test done with an Anderson of 28.3 liters
20 a minute, and we are characterizing the amount of material
21 in the cloud which was less than 4.7 micrometers. We can
22 see here we have got an average figure of something like
23 20 percent efficiency lung deposition predicted from this
24 value, from the in vitro test.

25 If we carry out exactly the same procedure--in

1 fact, we are now using a five-stage liquid impinger because
2 we have to be able to vary the flow rates for reasons which
3 we shall discuss in a moment, we can see that this ratio of
4 2 to 1, the Turbuhaler being twice as efficient as the
5 pressured metered inhaler, nicely sustained.

6 So, again, we are building across these
7 contributions. In this case, we have got a beta agonist.
8 We have got an imperfect PD. We have got a very interesting
9 lung in vivo deposition which is nicely reflected in an in
10 vitro model.

11 [Slide.]

12 What we have got here, and this is also not easy
13 to read. But let me take you through this. We have got
14 here some work that we did at Bath on measuring the peak
15 inspiratory flow rates through a number of devices.

16 This was the control. We were using both
17 volunteers and asthmatics. This was the Rotohaler. This
18 was the Spinhaler. This is the Turbuhaler. This is a
19 Boehringer device with the inhalator. And this is a
20 Pulvinal, which is a device which is marketed by an Italian
21 company called Chiesi.

22 Obviously, these devices vary very, very greatly
23 in their resistance. This is reflected in the actual peak
24 inspiratory flow that patients and volunteers can, in fact,
25 inspire through them. It is quite clear that in my model

1 and in my techniques, I have got to, basically, try to
2 control that characteristic if we are going to use any sort
3 of sensible comparison.

4 So we look at the performance of these different
5 devices which we have got to accommodate. If we basically
6 say what we will do is we will impose a pressure drop of
7 4 kilopascals, which reflects, if you like, the minimum
8 inspiratory pressure drop that a patient can impose across
9 devices and then we will operate it at the flow rate which
10 derives from that.

11 We see that these are the four kilopascal figures
12 which would allow us to make a comparison between one device
13 and another.

14 [Slide.]

15 What I want to do now is, again, to turn a little
16 bit back to the power of these correlations. Again, I am
17 indebted to Bo Olsson. This data is made available by Bo
18 Olsson and his colleagues at AstraZeneca.

19 Essentially, we are looking at the fine particle
20 dose in the sort of modeling that I have been describing for
21 dry-powder inhalers against lung deposition established by
22 an in vivo technology which is essentially based on their
23 charcoal-block technology.

24 You can see that what we have got here is some
25 sort of correlation. The in vivo deposition, which you

1 remember, going back to Pauwels' original statement relating
2 to availability, to real availability of the dose, is
3 broadly correlated to the fine particle dose as established
4 by these in vitro inertial techniques.

5 The point that this makes, where we have got here
6 the Turbuhaler, a PMDI, the Cyclohaler and the Rotohaler,
7 you have to give much attention to the way the model is
8 made. If you notice here, we have got here a bold throat
9 which is an old configuration originally introduced into
10 this sort of evaluation.

11 [Slide.]

12 This is a USP throat where we have now got a right
13 angle, much more sensitively and properly reflecting the
14 oropharyngeal capture in our in vitro model. We come onto
15 that correlation again in the next slide.

16 [Slide.]

17 We can see how it has improved. In other words,
18 good modeling, now. I think this is an excellent
19 relationship between fine-particle dose and in vivo lung
20 deposition established by a validated method.

21 [Slide.]

22 Now let's look at this issue of flow rate and the
23 way it affects particle sizes and fine-particle doses.
24 This, again, is data for the Turbuhaler and you can see
25 that, as the flow rate moves from 35 liters a minute to up

1 to 80 liters a minute, which is probably the broad spectrum
2 of flow rate you might expect to have through this device,
3 you can see how this is reflected in the efficiency of the
4 device.

5 This would allow you to say that, from the study
6 of the variability of flow rate through that device in
7 patients, it would allow you to say that, very much, most of
8 the patients would get a dose which was adequate. But you
9 might have to do something strange at the lower end if you
10 had patients which were highly compromised and you might,
11 perhaps, have to restrict it in some aspects of pediatric
12 use.

13 But the in vitro model, really, is very, very
14 useful in assessing that effect for an individual device.
15 It is really quite hard to see how you could get some useful
16 information other than by very, very complex and expensive
17 experimentation over wide ranges of patients.

18 [Slide.]

19 If we come to the comparison, it is a bit more
20 difficult because here is the Turbuhaler data, and I have
21 got some data here from this Pulvinal device, which is a
22 much higher resistance device and so, consequently, patients
23 are inspiring through this at much lower rates.

24 In fact, the average flow rate that a patient
25 would get through the Pulvinal device is about 35 to

1 38 liters per minute. For the Turbuhaler, the average value
2 is 55, 56--he wants me to use 60. So we will use 60. The
3 value there would be 60 liters per minute.

4 For the Rotohaler, which is a low-resistance
5 device, patients are, in fact, drawing through the device at
6 a much higher rate. So, using the fine particle dose
7 comparison is much more difficult. I suppose you would
8 probably have to say that if you wanted to do a comparison
9 between one device and another, you would probably be
10 looking at the device which broadly had the same sort of
11 slope of generation of fine-particle dose against
12 inspirational flow rate and probably which had the same
13 overall resistance.

14 Of course, this means a very, very close
15 relationship between the design of the formula and the
16 design of the device. So I think our technology is going to
17 be much less adequate and much less powerful in working in a
18 comparative way. Its power, as I am saying, will be in the
19 basic characterization of the efficiency of the device and I
20 really believe that it can make a major contribution to this
21 overall assessment.

22 We shouldn't have our in vitro and our in vivo and
23 our PDs and our PKs in different compartments. They are
24 part of the story.

25 [Slide.]

1 Let's just finish with a word on methodology
2 because, in this slide, these were configurations,
3 experimental configurations, in this case to vary the flow
4 rate through the device according to the principle which I
5 have described. This was elaborated in a very positive
6 series of meetings that we had between the European
7 Pharmacopeia and its working party on what, because of their
8 classical upbringing, the Europeans call "Inhalanda," which
9 is Latin, compared with the Americans pressurized and
10 powdered inhaler dosage forms.

11 But the importance of this was that there was good
12 harmony between the two groups and what is being suggested
13 really is very similar between what is done in Europe and
14 what is done here.

15 [Slide.]

16 Basically, now, what we are doing; we have exactly
17 the same configuration except we now are leading from our
18 device through an induction port, sensibly designed, into a
19 multistage impactor where we shall have some elaboration of
20 the fine-particle distribution and the derivation of the
21 fine-particle dose.

22 That is really where we are. The methodology, as
23 I say, is being widely used in Europe with the harmonization
24 process. I think I would implore the American scientific
25 community, the FDA, the USP, and so on, basically to embrace

1 this technology. It is not perfect but, on the other hand,
2 it is state of the art and we are beginning to derive a lot
3 of very, very useful data on in vitro characterizations.

4 It is about time we also derived some useful data
5 on actual clinical and PD determinations because some of
6 these studies out there are very, very seriously flawed. We
7 are in a position, I think, to collect data using this type
8 of technology and comparing it with properly designed
9 clinical studies.

10 That is where we are. Where we are going--of
11 course, this isn't a stationary situation. At the moment,
12 there is a European-American cooperation in designing a new
13 impactor. You must remember that we are employing impactors
14 which were designed from completely different purposes and
15 we have shoe-horned them into what we need.

16 Perhaps, for the first time, we are going to have
17 an impactor which is, in fact, designed specifically for
18 aerosols for delivery to the lung concentrating on 1, 2,
19 3 micrometers as the important dimensions. We are also not
20 necessarily finished at this point of the activity. I know
21 that there are some very, very interesting academic
22 industrial cooperation going on in attempting to study
23 different casts of a oropharynx to see whether this is, in
24 fact, the best design.

25 So the situation is far from standing still. To

1 me, it is a lively, powerful contribution to the assessments
2 of products and one which I would hope the regulators, both
3 here and in Europe, will take fully into account in making
4 these very important assessments.

5 Thank you very much.

6 DR. LEE: Thank you. Before you leave, I wonder
7 if the members of the committee have any questions.

8 DR. LI: I have a question. I would like to ask
9 Dr. Ganderton whether there has been any progress in
10 developing anatomical models for nasal inhalation where the
11 particle size distribution importance is different plus that
12 right angle would not necessarily apply.

13 DR. GANDERTON: First of all, you raise a very
14 important issue that the geometries are different. I think
15 that the modeling is far less advanced. This has attracted
16 a lot of attention, but it is capable, of course, of being a
17 model. I know of no important elements that are
18 contributing to that assessment at the moment that are very
19 specific to nasal deposition.

20 I think there is one aspect of where the modeling-
21 -we are certainly, in extending the pulmonary delivery,
22 looking at inspirational profiles rather than the simple
23 square wave where you apply a pressure drop across the
24 device perhaps building it up more in the way that the
25 patient would, in fact, breathe in.

1 DR. LI: In your model, what is the relative
2 importance of larger particles, say greater than 5 microns,
3 in lung deposition or deposition in airways?

4 DR. GAMBERTON: I think, in that model, they are
5 discounted. They are actually completely discounted. At
6 the moment, we are in a relatively crude statement in
7 defining a fine-particle dose, which is that part of the
8 cloud which is less than 5 micrometers and discounting that
9 which is above.

10 We are seeing some interesting developments in the
11 two preceding speakers where they are beginning to tease
12 apart and compare and contrast these distributions. So I
13 think there are some intelligent steps to be taken in
14 dividing the inspired cloud into fractions, possibly
15 relating to deposition depths, and then, perhaps, carrying
16 out an analysis on that basis, hopefully a lot simpler than
17 the ones that were disclosed this morning.

18 DR. LEE: Thank you very much.

19 DR. GANDERTON: Thank you.

20 DR. LEE: Thank you for getting us back on
21 schedule.

22 **Subcommittee Discussion**

23 DR. LEE: We now come to the part of the agency
24 where the subcommittee has to deliberate. There are a
25 number of questions prepared by the agency to get your

1 opinion.

2 The first lot of questions concerns profile
3 analysis. The first one asks, "Should all stages in the
4 cascade impactor be considered in a comparison of test and
5 reference products?"

6 Anyone?

7 DR. DALBY: I have a few concerns about some of
8 these methods. Maybe Andy or Guirag could talk about them a
9 little bit. My question is, in all of these techniques that
10 essentially use a point-by-point comparison, it would seem
11 to me that there is a real danger that, if you had a very
12 tight particle-size distribution, or two that were very
13 similar means but very close together, that happened to
14 correspond to the difference between two stages, you could
15 end up, by the particle size distribution being very close
16 for two products, test and reference, and yet the amounts
17 deposited on two adjacent stages could vary dramatically and
18 all of these tests would falsely interpret those products as
19 being vastly different.

20 That is kind of one concern that I have. The
21 other one is that I am sort of intrigued by Dr. Ganderton's
22 last diagram. I don't know, really, what it implies but has
23 that cascade impactor connected to a variable-flow control
24 valve been used to imply that it is possible deconvolute the
25 particle deposition in the impactor when it is operated at

1 different flow rates or has it been used to suggest that the
2 particles have been separated aerodynamically but we won't
3 actually know what size particle impacts on each stage and,
4 therefore, it is comparative between products but its
5 absolute meaning is no longer interpretive.

6 So I would be interested in hearing what is the
7 thrust of that kind of approach.

8 DR. GANDERTON: An important part, I think, of the
9 development of inertial techniques to accommodate different
10 flow rates is that we now have got to have calibration
11 methods apply to each stage. Consequently, we do have real
12 particle-size data from this analysis.

13 The only complication, of course, is that these
14 particles are necessarily going faster. Consequently, we
15 have always got to bear in mind, although we are properly
16 characterizing the particle size as the materials move
17 through the device and making appropriate inertial
18 characteristics, there are implications, I think, for the
19 actual basic respirability which might change with very,
20 very fast inspirations.

21 Is that an answer? No; he is not happy. You can
22 tell by his face.

23 DR. LEE: Any other opinions about these questions
24 on the floor?

25 DR. HARRISON: I have an opinion. I think that

1 all stages should be measured, but this is a bioequivalence
2 comparison and it is really hard to know what value each
3 stage has versus safety or efficacy. What we did talk about
4 is weighting, and we can debate that. It may be that you
5 should weight stages more than others, but I have not heard
6 any rationale why we shouldn't have at least all the stages
7 in the equation.

8 Again, this is a bioequivalence analysis.

9 DR. CLARK: I will try and answer Richard's first
10 question. The difference between f2 and chi square is f2
11 actually works on a cumulative distribution. If you look at
12 it as a cumulative distribution, you don't actually get too
13 much into the problem of it being only a stage 6 or stage 5,
14 because, cumulatively, it will smooth itself out.

15 To answer the second question that David tried to
16 answer, what he is proposing is using his impactor as a
17 model of the lung not as a sizing instrument anymore. If
18 you vary the flow, it becomes a model lung. It doesn't
19 become a sizing instrument.

20 DR. BEHL: I support, also, the measurement of all
21 stages mainly because the clear effects of particle size
22 versus the efficacy has not been precisely studied.
23 Therefore, cutoff at a certain particle size may not give
24 the right results.

25 DR. DERENDORF: I would like to come back to the

1 f2. We have to differentiate. There were two different
2 proposals. One is the f2 factor and the other one is the f2
3 ratio which includes the variability of the reference.

4 I think you can come to very different conclusions
5 depending on the variability of the reference including
6 particle size, areas, ranges that may not be relevant that
7 will have an effect on the variability and, therefore, an
8 effect on the conclusion depending on where the goalposts
9 are. So that needs to be considered.

10 The number 50 is not a god-given number for f2.
11 So that may be debated if that is reasonable or not.

12 DR. LEE: Hearing no other response or comments, I
13 assume that the committee shares the view of Lester Harrison
14 and Charan that all stages ought to be considered.

15 The second question we have kind of drifted into
16 is, "Should a statistical approach rather than a qualitative
17 comparison be used for profile comparison? If yes, does the
18 chi-square comparative profile approach seem appropriate?"

19 Walter, you are the expert.

20 DR. HAUCK: So what do you think I am going to
21 answer to the first part of this question? Let's take that
22 as given; yes. I guess the bottom line on the second part
23 is I don't think we are ready to answer that question. I
24 actually did want to congratulate Yi Tsong and Dr. Clark for
25 their presentations. I think it is a very difficult problem

1 that they are tackling and it is good work that is helping
2 to move us forward on this.

3 The kind of key issue that we are working around,
4 both with the first question and then that Dr. Clark got to
5 at the end of it, is how do you combine across the stages.
6 That is kind of the goal of both lines of work. It seems to
7 be a desirable goal to not have to look at each stage
8 separately, partly to just make sense of all that and part
9 of sort of the issue that Richard was just raising, which
10 would really be exaggerating.

11 I know that the chi square is geared towards
12 downweighting stages that seem unimportant in terms of how
13 much is there, so it is doing a type of weighting. If it
14 was possible to get away from kind of an empirical weighting
15 to a if you want to call it a clinical weighting if the
16 weighting is actually based on some clinical notion of which
17 of those stages are relevant, that would seem to be highly
18 desirable.

19 So I am going a long-winded way saying this is a
20 good problem. I think there are some good work being done,
21 but I don't think we have an answer to the second part of
22 that question.

23 DR. LEE: Are you ready to offer some guidance as
24 to which way they should be going?

25 DR. HAUCK: No. I don't think so. Again, I think

1 Dr. Clark summarized it pretty well, that there are these
2 kind of two tracks. If you go the sort of clinical
3 weighting approach, we kind of get to sit back and kind of
4 watch you guys try to make sense of all that.

5 It has been clear previously that the notion that
6 there should be sort of one criterion that applies to
7 everything doesn't make a lot of sense to me but to say
8 that, for every single product, that you have to sit down
9 and form a committee and agree on weighting, that would just
10 sort of bring the whole process to a halt, too.

11 [Slide.]

12 So there has got to be something in between there.
13 I don't know quite what it would be. So that is where I
14 kind of said, no, I don't really--I would like to see both
15 of them proceed on what they are doing. It probably takes
16 us back to an earlier discussion. We need to seem some real
17 data with both of them applied to and get a better feel for
18 what they do and what they don't do.

19 I think that the notion of using f2-50, there is
20 no particular value to that, as was just commented. So part
21 of the discrepancy that we are seeing between the two things
22 Dr. Clark presented were that the 10 percent and the
23 50 percent don't have to be comparable. So the fact that
24 they were not comparable doesn't tell us anything.

25 There is just so much to do there. My guidance is

1 to encourage them to continue doing it.

2 DR. LEE: Thank you.

3 Anyone else?

4 DR. SZEFLER: The way I see it in terms of the
5 complicated issues, there are drug, there is delivery
6 device, and there are accessory devices. When you put all
7 these three together, I think you wind up with four
8 categories. I keep getting confused between where the
9 questions are trying to sort out, how do you start putting
10 these various questions into categories.

11 The way I see the categories is, first there is
12 characterization of those three. Then there is
13 categorization, in terms of trying to find pigeon holes
14 where these things fit into. Once you have got that, then
15 you get into the issues of equivalence and where equivalence
16 plays a role is how much testing gets done beyond a certain
17 product.

18 Then there is the standardization process. So I
19 see where we are asking the question now is in terms of
20 standardization in the question because all the other ones
21 have to be done before you kind of get to the simple
22 question of simple testing.

23 So, for us to kind of move to that level, I think
24 the agency and the pharmaceutical firms have to get together
25 how are they going to characterize these systems, categorize

1 them and then start going after questions of equivalence.
2 Then you can start sorting out what are the simple and
3 critical areas.

4 Once you have kind of put things into pigeon
5 holes, then you can kind of narrow down the things you do
6 for standardization. I think the different delivery devices
7 point to those issues. It may be that, once you kind of get
8 these things pigeonholed, then, for kind of the simple
9 standardization tests, you can pick those critical variables
10 and then kind of narrow it down to certain tests.

11 But, until all these things kind of get
12 pigeonholed, it is going to be very hard to kind of put
13 everything into that one category and say one simple test is
14 going to do it for all.

15 I am not answering the question in terms of that
16 specific, but I think before you get to that area, you have
17 got to kind of start pigeonholing things so that it is
18 easier to communicate, and then to simplify the level of
19 testing.

20 DR. LEE: Thank you.

21 DR. BEHL: I am a little confused as to why we
22 have to do this as opposed to a quality test or a quality
23 comparison. It would seem to me that the stat approach
24 should be done in conjunction with a quality comparison.
25 They both should be equivalent and should be done because

1 the implication could be that they are qualitatively
2 different and yet I am going to show the bioequivalence by
3 showing a statistical confirmation of the product.

4 So maybe the question should be worded that should
5 the stat approach be combined with a quality comparison of
6 the product, because I don't see how you can do the stat
7 comparison without a quality comparison of the products.

8 DR. LEE: Are you expecting a response?

9 DR. BEHL: Just a suggestion that the question be
10 looked at in a different light. Both are important.

11 DR. LEE: Thank you.

12 DR. ADAMS: I think, Charan, the question
13 concerning the qualitative versus the quantitative
14 comparison of the cascade-impactor data comes in that if we
15 are looking at these data and it is not quantitative, then
16 it is going to be a subjective evaluation as to whether the
17 numbers are, in fact, the same or whether they are
18 different.

19 Different people would look at those numbers and
20 might decide that they are the same. So it is very helpful
21 to have a quantitative measure of equivalence.

22 But I would like to, if I could, just make a
23 comment concerning Andy's slide--it is his last slide--in
24 which he talks about choosing weighting factors. The
25 approach that we have been using with the chi square or the

1 f2 which doesn't use specific weighting factors as opposed
2 to the approach that Andy talked about using weighting
3 factors based upon the physiologic deposition from a Rudolph
4 model, that is an interesting approach.

5 He gets to the issue about choosing weighting
6 factors based upon receptor distribution. I think that
7 would be another approach. For instance, we may look at
8 comparison of cascade-impactor data and put a lot of
9 emphasis on stages 3, 4 and 5 where the drug is deposited.
10 But, in fact, are those the right stages to be looking at
11 when we are talking about a specific drug--for instance, and
12 anticholinergic which is deposited principally in the
13 central region of the airways or a steroid which may be more
14 broadly distributed.

15 I think that these are issues that haven't been
16 sorted out in terms of how to look at weighting factors. I
17 was very interested in Andy's approach, but I think that it
18 requires some additional considerations, or at least we have
19 to be cognizant of that issue.

20 If members of the subcommittee or people sitting
21 around the table have some thoughts about that issue, I
22 would certainly be interested in them.

23 DR. LEE: You heard the question. Any comments?

24 DR. MacGREGOR: I do think a statistical approach
25 is necessary. I do like the idea of trying to tie it to a

1 clinical effect. It is probably not a requirement, but it
2 is something that you do when you write a new drug
3 application is you talk about where the receptors are.

4 This is the only way to be able to correlate
5 clinical pharmacology from a molecular level all the way up
6 to the clinical situation. So it is always a nice lead-in.
7 That data is out there. It is always in the literature. We
8 know where the receptors are. We know that cholinergic
9 receptors are in one region, the beta sympathomimetics need
10 to reach a different region.

11 When you make combinations of these two drugs, you
12 actually have to justify your particle sizes and that. So I
13 think that it is not on a drug-by-drug basis, as Dr. Hauck's
14 fear is. It is more on a class system.

15 I think most of us know what the classes are and
16 where the drugs should be deposited based upon the receptors
17 where they are working. So I like the approach of trying to
18 tie it more to a clinical stage of the impactor. Therefore,
19 I think it becomes more that if you are going more for
20 bioequivalence and you are slightly off in one of your
21 stages, then you would go and justify why that stage is not
22 really relevant to equivalence.

23 You have all the other parameters to go with it to
24 back up your case. So I like the clinical approach.

25 DR. LEE: Thank you very much. It seems to me

1 that the statistical approach is preferred because it allows
2 quantitation. I think a very interesting twist to this is
3 to tie it in with the receptor distribution.

4 Also, I heard that we are not quite ready to
5 comment on the chi-square comparison as yet. I think
6 further work needs to be done. Is that all right?

7 MR. PAREKH: This is actually a continuation of
8 the question, sort of a question on top of a question. When
9 you talk about the bioequivalence and bioavailability, you
10 just are referring more from if you are innovating a new
11 product. What happens is, in the course of this life cycle
12 of the product, the product changes. The components change.
13 The formulation changes.

14 Is there an expectation that we use the
15 statistical approach or a quality approach to compare as the
16 product changes in the life cycle?

17 DR. ADAMS: If you talk about a formulation change
18 during the life cycle of the product, that that may not be a
19 bioequivalence issue. It may or may not be a bioequivalence
20 issue, all depending upon what the objectives are. I don't
21 have any response other than that.

22 DR. LEE: I think we have to move on to the next
23 set of questions.

24 DR. HARRISON: May I make a closing comment? I am
25 concerned that we can't really comment on the chi-square

1 analysis. The draft guidance is out there. Chi square is
2 part of the guidance and is probably being used by the FDA
3 right now as the deciding factor.

4 Do you have any comment, Wally, on how you see the
5 appropriateness of that guidance right now in terms of using
6 that for doing bioequivalence?

7 DR. ADAMS: On the appropriateness of the chi-
8 square analysis, Lester?

9 DR. HARRISON: Yes; based on what you heard.

10 DR. ADAMS: I think that we have to consider what
11 we have heard and discuss it within our technical committee.
12 No; I don't have any comments other than that.

13 DR. LEE: I think the person to handle that
14 question is not in this room.

15 We will move on to the final two questions
16 concerning DPIs. This is a long question; "What design
17 features of the device and formulation, and what parameters,
18 should be considered in determining pharmaceutical
19 equivalence?"

20 Did you hear the question?

21 DR. HARRISON: The answer is the hard part.

22 DR. DALBY: I will take a stab at it. The thing
23 that bothers me a little bit about this question is that I
24 think it misses the important thing which is how do people
25 actually inhale. How the device responds to that and how

1 the formulation responds, to me, is a very secondary
2 concern.

3 It should be important to define how people are
4 likely to use the device and how the device responds when it
5 is used in that way. But, to try and look at devices a
6 priori and try and make determinations about what is
7 important about the device and the formulations seems to me
8 to be putting the cart before the horse.

9 So I think it is a very odd question.

10 DR. LEE: Would the framer of the question shed
11 some light about what is being sought here?

12 DR. ADAMS: The genesis of the question has to do
13 with approaches that firm would use for a second-entry dry-
14 powder inhaler and how to best go about designing that
15 product to assure the in vivo bioequivalence.

16 Our approach for metered-dose inhalers has been to
17 try and recommend formulation equivalence and device
18 equivalence as a way of providing additional assurance for a
19 firm going into a study that, in fact, the product will be
20 bioequivalent in the end.

21 So the intention of this question is along those
22 same lines. As Dave Ganderton has talked about, product
23 DPIs which have high flow resistance, low flow resistance,
24 does that matter when a second-entry firm is designing its
25 product? Maybe it doesn't matter? That is the intention,

1 to try and determine what factors in vitro matter.

2 Does the fact that one DPI may be a drug-only, but
3 another DPI could be a drug-plus-lactose, for instance?
4 Does that matter? What are the criteria that matter before
5 going into the clinical study?

6 DR. DALBY: I never thought I would find myself
7 saying this, but that seems to me a very academic question
8 because most companies don't have the option of changing
9 from one device to another device. So that, essentially, is
10 to preclude entry into the market unless you essentially
11 have a device that is functionally very similar to the
12 innovative product.

13 I just don't see how you will ever see a
14 bioequivalence issue even come to you to review.

15 DR. ADAMS: We haven't yet.

16 DR. DALBY: I don't think you will.

17 DR. LEE: So the answer to this question is yes?

18 DR. LI: I just want to make a brief comment. I
19 would think that the operating characteristics of the
20 equivalent devices ought to be as similar as possible. If
21 one considers how these product will be used, essentially
22 equivalent products will be considered interchangeable with
23 the parent or the reference product so that a particular
24 individual patient may get one product or another. It may
25 actually be different at different times depending on what

1 pharmacy they use.

2 So the operating characteristics are different, if
3 the inspiratory flow requirements are different; for
4 example, one requiring a fast inhalation for maximum
5 delivery and another product requiring a slow inhalation for
6 maximal delivery. There really would not be an effective
7 way to communicate that to the patient.

8 The instructions that providers give would differ
9 depending on which equivalent product a patient was using.
10 There are other operating characteristics having to do with
11 the device, the timing and so on.

12 So just in general, I would think that equivalent
13 devices really should have equivalent operating
14 characteristics.

15 DR. ADAMS: Dr. Li, does that mean, then, that you
16 are recommending that products have similar operating
17 characteristics in terms of their flow resistance, for
18 instance?

19 DR. LI: I would probably emphasize the
20 instructions and the operating activities of the user rather
21 than specifically identifying flow resistance. So, if the
22 maneuver is similar, if the resistance is somewhat different
23 and the delivery from in vitro studies is equivalent, then
24 the resistance, as a particular parameter, would be, I
25 think, probably secondary.

1 The are issues involving sameness of labeling
2 which a generic firm has to meet.

3 DR. LI: First of all, help me with the
4 terminology here. That does indicate something that is
5 intended to be marketed as a generic equivalent, is it not?

6 DR. ADAMS: That is what I meant, it was generic.

7 DR. LI: I just wanted to confirm that. I guess I
8 would disagree. It seems to me that, if that is the intent,
9 what we very clearly know from things that were presented
10 this morning and elsewhere, that flow-rate dependence of
11 dose and dose delivered and particle-size distribution can
12 certainly affect response.

13 Therefore, I think it needs to match with the two
14 devices. It is hard for me to see how that would happen
15 without having the resistance be similar and the flow rate
16 generated, therefore, by the patient being similar as well.
17 So I think do have to not only match resistance. You have
18 to match flow-rate dependence of drug delivery which
19 includes that resistance as a function.

20 I guess, taking Richard's point here a step
21 further, that what happens in the hands of the patient is
22 what is really important. There still could be some
23 ergonomic differences in the device that, even though in
24 vitro they could look very similar, and this may be stepping
25 into the next question, but it seems to me that what kind of

1 flow rates patients actually generate with those devices in
2 typical clinical circumstances would be a step that would be
3 nice to add to that.

4 DR. LEE: Thank you.

5 Wally, do you have the input you needed?

6 DR. ADAMS: Did we get the input that we needed?

7 DR. LEE: The question seems to be ahead of its
8 time.

9 DR. ADAMS: Just to elaborate a little further,
10 the basis for the question is that we are in the process of
11 drafting a orally inhaled BA/BE guidance. The Office of
12 Generic Drugs has not approved any dry-powder inhalers at
13 this time. It is an issue that, hopefully, we will be
14 dealing with over time and so, therefore, bioequivalence
15 issues will become something that we have to deal with.

16 So that was the purpose of these questions, was to
17 look at what aspects of DPIs should be considered on a
18 comparative basis.

19 DR. LEE: Apparently, no one around the table
20 would like to take this on with specific answers.

21 DR. ADAMS: I think that Dr. Ahrens' comments were
22 very helpful in terms of comparability.

23 DR. LEE: The final question for this morning is
24 what comparative in vitro tests should be conducted to help
25 support bioequivalence? I think this is a follow-up to

1 David Ganderton's plea. Any suggestions?

2 MR. PAREKH: Is the question intended, in addition
3 to the test that you have already requested in the draft
4 guidance? Are you validating whether those tests that are
5 requested--are you asking are those valid tests?

6 DR. ADAMS: Basically, the intention of the
7 question is to ask what additional tests may be needed such
8 as drug delivery as a function of flow rate.

9 DR. DALBY: It does seem to me that the last
10 system that Dr. Ganderton described is very powerful in
11 terms of comparing DPI products for two reasons. One is
12 that it addresses not only what is the peak flow rate that a
13 patient would achieve at a particular pressure drop, the
14 rate of rise of that flow, how it is generated, which, I
15 believe, does have a strong effect.

16 The other thing is that I am generally a proponent
17 of using gamma scintigraphy as a way of looking at product
18 performance. But DPIs are the most difficult product with
19 which to utilize that technology and, therefore, I think
20 that this is a good secondary method. So I think that,
21 while I know it is dangerous to recommend an additional
22 test, I think the value of looking at the rate of rise of
23 flow rather than just the flow rate is important.

24 DR. HARRISON: I think it is also valuable to look
25 at multiple flow rates of the variability of the devices as

1 well as the regular tests, keep the Q1, Q2 about the same,
2 collect the size distribution.

3 DR. LEE: So what we have heard is that some
4 incorporation of flow rates' influence on the subject at
5 hand would be appropriate.

6 DR. DERENDORF: I think the question is really
7 only half a question because it asks what tests should be
8 done, but it really doesn't ask what should be the criteria
9 after you do the test, if it is equivalent or not. So I
10 think there it is very important that we focus on why we are
11 doing this in the first place and that is to predict both
12 local and systemic exposure.

13 I think that should be a criteria that we need to
14 show that whatever we set as a goalpost has clinical meaning
15 and this is relevant for either systemic or local exposure.

16 DR. LEE: So the word is clinically relevant in
17 vitro tests.

18 DR. HARRISON: Absolutely.

19 DR. LEE: Thank you.

20 On that note, are there any other comments from
21 around the table? If not, before we go into recess, I would
22 turn it over to Nancy to say a few words.

23 MS. CHAMBERLIN: We will have to start at exactly
24 1:30 for the public session. We have eleven speakers during
25 that time.

at

1 DR. LEE: I would like to remind the public
2 speakers that you only have about five minutes to make your
3 case.

4 MS. CHAMBERLIN: Four.

5 DR. LEE: Four minutes; I'm sorry.

6 [Whereupon, at 12:35 p.m., the proceedings were
7 recessed to be resumed at 1:30 p.m.]

AFTERNOON PROCEEDINGS

[1:30 p.m.]

MS. CHAMBERLIN: We thank you for your patience. We have new mikes. You just need to be ten inches away from them.

Open Public Hearing

DR. LEE: I would like to invite these speakers to come to the platform. Instead of for me reading the title of your presentation, would you please do that for us.

Data Related to BE Testing of Nasal Sprays, and Comments on the BE Studies of Nasal Sprays for Systemic Action

DR. ZAHIR: Yes. I will just be presenting some bioequivalence testing that we do according to the draft guidance. I will just give some examples of the CMC sections, also.

[Slide.]

My main title, if I give it a title, will be "Do We Really Need These Numbers of Tests?" If you look at some of the tests I have given in this transparency, and if you see that, according to the bioequivalence guidance, we will be doing a minimum of 8100 laser-diffraction tests, because of three different distances, three different delay times, three life stages, then the precision number and 10 is the minimum size, and then we have three lots and then we have two products.

1 [Slide.]

2 Similarly, for spray pattern, we will be doing
3 three different distances. For priming and repriming, we
4 have to report the early pre-prime actuations also. If you
5 read the draft guidance, you will see that the justification
6 they give is that in order to see how the prime is
7 developed.

8 Do we really need these numbers of tests, if I
9 take just the simplest form solution nasal spray? I am sure
10 you know my answer, that we don't. Why don't we? I have
11 only four minutes. I have to rush.

12 If we do a method, once we do our product
13 development, we do method development, we do method
14 validations and we select the most optimum, the best
15 distance, the optimum size, the optimum delay time, the
16 optimum obscuration values. All these tests are done on the
17 reference product so our goal post is the reference product.

18 So, for an ANDA nasal-spray solution, I don't
19 think we need even three lots. I will show you why we don't
20 need three lots. The lot-to-lot variability should never,
21 ever be an issue of bioequivalence testing because this is
22 well-controlled by the CMC sections.

23 [Slide.]

24 If you look at the CMC guidance, and please read
25 it. I don't have the time to read this, but if you read

1 section IIIF(1), IIIG and IV, you will find that all these
2 tests are done. According to the CMC guidance, these tests
3 are done in order to make sure that the design
4 reproducibility and performance characteristics of incoming
5 lots of the components is maintained.

6 So the lot variability is an issue of the CMC
7 section, not the bioequivalence section. If we have good
8 method developments, good validation studies and then we
9 have the CMC control, a very strong control component, I
10 don't see how we need even three lots and we need all these
11 tests.

12 [Slide.]

13 If we look at even the statistics part of it, and
14 I am not going into statistics because the whole day, today,
15 we were into it. But if you look at just two points, and
16 all these questions were well-discussed before--if you look
17 at the last two points, if you see if the reference variance
18 is larger than the scaling variance, we are using reference
19 scaling.

20 The idea is that if there is any variability among
21 the reference three lots that we used in our BE testing,
22 this will widen our limits and it won't punish us. But if
23 the reference variance is less, then it is not shortening
24 the limit. Rather, it is telling us to use a constant
25 scaling.

1 So I don't think that if I buy three lots of
2 reference product which are on the market, we all know that
3 in most cases, all these components will be coming from the
4 same lot of the components. Nobody wants to do these tests
5 on a daily basis. If I am the manufacturer, I will buy all
6 the components, the same lot, for five years.

7 So, in most cases, all these three lots of
8 reference are anyhow from the same lot. So we are not
9 looking at true variability of the reference, actually.
10 Secondly, if I make a good product, I don't need the favor
11 of this reference widening the limits.

12 I say I will compare just against one lot of brand
13 and this is the manufacturer risk. I think the consumer
14 will benefit from it a lot. Why? Because I am now assuming
15 that the reference lots have the least variability without
16 doing the test.

17 I will just summarize it. Even this is a summary,
18 but I will further summarize it. I will say that if we have
19 a strong CMC control, we have good method validation, method
20 development, if I am using a constant-scale criteria for the
21 statistic.

22 Please, let's not say, "Oh; how are we going to
23 determine variability if we don't have three lots versus
24 three lots?" I think we all know that statistics is used to
25 define an objective. We don't develop our objective around

1 statistics. We develop our objective first and then we
2 develop statistics.

3 So those things I am sure Dr. Hauck and the good
4 statisticians that we have can take care of the statistical
5 problem.

6 Now, I will just jump. In this case, if we have
7 all these controls, and I am just touching them, but,
8 please, look at them in detail, these two, the CMC guidance
9 and the bioequivalence guidance should be read in
10 conjunction with each other. They are not separate. They
11 have separate objectives and development objectives should
12 never be a part of the BE testing.

13 The second thing, and just finalizing it now, is
14 that suppose, if I have a solution-dosage form, then,
15 according to the CFR, we all know that solution-dosage forms
16 don't have any problem with bioequivalence. So if there is
17 no problem with the variability, then the solution-dosage
18 form for systemic-solution nasal spray for systemic
19 delivery, the only problem or concern we have is data of the
20 components, the container-closure system, for which we do in
21 vitro testing.

22 So I think maybe we missed it or what, but I think
23 this nasal-solution spray for systemic action should also be
24 added to this guidance.

25 [Slide.]

at

145

1 Lastly, I will say that what if I, and there is no
2 mention of this in the guidance, but what if I have the same
3 container-closure system as the reference product has.

4 It is up to me, or it is up to the agency, to give
5 me a list of what do I need to prove that these are coming
6 from the same lot of product? What if the manufacturer of
7 the component is me, myself and I am making it for myself.
8 So, if I have a solution nasal spray for systemic or local,
9 when I am using the same components, is the brand product,
10 the reference, should I get away for doing bioequivalence
11 testing?

12 So, please, look into this also and add a
13 statement to the guidance.

14 Thank you.

15 DR. LEE: Thank you very much. I think you made a
16 very clear case.

17 DR. ZAHIR: Thank you.

18 DR. LEE: Dr. Dugger to address the issue of
19 sublingual sprays.

20 MS. CHAMBERLIN: For those of you who did not get
21 copies of the slides, they will be on the website. The way
22 you get to it is on the handout.

23 **Uniqueness of Lingual Spray Delivery**

24 DR. DUGGER: Thank you.

25 [Slide.]

1 My name is Harry Dugger. I represent Flemington
2 Pharmaceutical Corporation this morning. Our company
3 specializes in the development of lingual or buckle sprays
4 to be delivered into the mouth for systemic effect. These
5 sprays are intended to reach a therapeutic blood level
6 within three to ten minutes and usually at a much lower dose
7 than the standard oral tablet or capsule and often, in many
8 cases, the nasal spray.

9 [Slide.]

10 It is our feeling that this guidance should also
11 include these products along with nasal sprays and
12 inhalation products. There are many similarities between
13 oral solutions and nasal solutions, and there are many
14 places where this testing can be made more simple.

15 The present guidance tends to divide products
16 along the lines of products that are solutions and products
17 that have a phase difference within the product. Phase-
18 different products, multiphase products with these things
19 like suspensions, emulsions, micellular solutions which are
20 really not true solutions but have a phase transition within
21 the solution, .

22 Lingual sprays would fall into the area of
23 solutions as would nasal solutions and inhalation solutions.

24 [Slide.]

25 True solutions are a much simpler system than

1 these others that we have been hearing about all morning.
2 By their nature being homogenous, they can be approved with
3 reduced testing. There are, really, basically three kinds
4 of solutions. This is something we all learn in
5 chemistry 101. There are unsaturated, saturated and
6 supersaturated solutions.

7 Because the nature of the solution being
8 homogenous, you don't have to worry about which you have.
9 You can test this very easily by just adding a seed crystal,
10 for those of you who are into your basic chemistry.

11 [Slide.]

12 There are some examples here. I don't have much
13 time to go into all the differences and all the similarities
14 between nasal solutions and buckle solutions, but here are
15 some of them right here.

16 For instance, in a spray, for content uniformity,
17 number one, there is no mouthpiece involved. It is sprayed
18 directly into the mouth. Since they are homogenous, these
19 products should be tested, in our opinion, by using the
20 weight variation method of the USP. Every single unit of a
21 solution has exactly the same content as every other unit of
22 the same weight.

23 So, if you can deliver a certain weight, once you
24 know the average amount of drug and that weight of solution,
25 every time you deliver that weight, you are delivering that

1 amount of drug.

2 The weight-variation method in the USP for tablets
3 and capsules makes the assumption that the drug product or
4 the drug substance is uniformly distributed in the table or
5 capsule matrix. In this case, we don't even have to make
6 this assumption. It is a known fact that it will be
7 homogenous.

8 SCU through a container life; again, solutions do
9 not change. The only thing that would change in the case
10 where container life would be a factor would be if ever
11 there is leakage from the container or there was degradation
12 of the product in the container. Otherwise, again, every
13 weight of solution will deliver the same amount of drug
14 product.

15 [Slide.]

16 Temperature cycling, for instance; with solutions,
17 this is not such a critical matter because what you are
18 really looking at here, I would believe, is a chance for a
19 phase separation. Many suspensions may aggregate and not
20 resuspend on freezing and thawing, but for a solution, the
21 contrasting event is crystallization of one of the
22 components or, in the case where you have a liquid and a
23 liquid, there may be a phase separation.

24 This could be very easily seen by just cooling it
25 in an ice bath or a constant temperature bath. If you think

1 you have a supersaturated solution and you have a tendency
2 towards crystallization, again, adding a seed crystal is all
3 you need to find out whether that is the case.

4 If the crystal dissolves, you are unsaturated. If
5 the crystal just sits there and looks at you, you are
6 saturated. If crystallization is initiated, then you were
7 supersaturated. It is as easy as that.

8 [Slide.]

9 Other examples are leachables. Lingual sprays
10 often use very high boiling solvents. As a result, to use
11 the formulation as an extraction medium for leachables is
12 really not practical. The boiling point of some of these
13 solvents is close to 200 degrees celsius and, in many cases,
14 it requires vacuum distillation to remove the solvent.

15 Vacuum distillation, of course, impinges upon the
16 integrity of the leachables test because they may also
17 vacuum distil, along with everything else. I would propose,
18 in a case like this, that the leachables be profiled using
19 the USP tests A and B, which are water and isopropanol and
20 that, in these cases, the actual solution to use for the
21 formulation would not be used.

22 Orientation and resting for these solutions is
23 also not a problem. Solutions do not settle out.
24 Orientation doesn't play a role as long as the dip tube is
25 underneath the solvent in the container. If the dip tube is

1 underneath the solvent, then the same weight of solvent will
2 be delivered, the same weight of drug will be delivered.

3 If it is above the solvent, no drug will be
4 delivered and if it is partially emerged, you can expect to
5 get a partial dose. Things of this sort can be best
6 addressed in the labeling of the product informing the
7 patient that they have to keep the container in an upright
8 position and that the dose has to be delivered into the
9 mouth in a way so that the dip tube is under the solvent.

10 For stability testing, we would propose that only
11 one set of conditions be used for solutions. Again, we are
12 not so worried about settling out in the solution as we are
13 probably worried about what happens when the solution comes
14 in contact with the gasket.

15 We believe that the weight of test, the maximum
16 test for this effect, is to have the solution upside-down or
17 inverted so that you get the maximum contact between the
18 solution and the gasket and valve components. If it will
19 pass this test, it probably passes the other two, also.

20 "Solutions do not change on resting." There are
21 many places where solutions--and this would be both buckle
22 and nasal and probably respiratory, also, have a difference
23 between that and the suspensions or phase-separated
24 products.

25 I would like to see the guidance, if at all

1 possible, delineate between these two sets of formulations
2 and make it very clear what testing is required for which
3 one because our fear is that we come to the FDA and we are
4 going to be expected to meet all the requirements for a
5 nasal solution or a respiratory solution and they don't
6 really apply to a buckle solution.

7 Thank you very much.

8 DR. LEE: Thank you.

9 Next comes a series of presentations by two groups
10 that have been very active in this area. Dr. Cummings?

11 **AAPS Inhalation Technology Focus Group (ITFG)/**

12 **International Pharmaceutical Aerosol Consortium (IPAC)**

13 **Collaboration Technology Teams**

14 **Overview of the ITFG/IPAC Collaboration**

15 DR. CUMMINGS: Good afternoon. Thank you for the
16 opportunity to speak today.

17 [Slide.]

18 My name is Harris Cummings. I am with Magellan
19 Laboratories and Research at Triangle Park, North Carolina.
20 In the next few minutes, four minutes, I believe, I would
21 like to provide a brief overview of the collaboration
22 between the Inhalation Technology Focus Group and the
23 International Pharmaceutical Aerosol Consortium in
24 addressing the recent draft guidances from the FDA and to
25 express the extent of interest and commitment on the part of

1 industry to support the further development of these
2 guidelines for inhaled products.

3 [Slide.]

4 Two groups are involved in this collaboration, the
5 Inhalation Technology Focus Group which is the focus group
6 of the AAPS is comprised of pharmaceutical scientists
7 concerned with inhalation products.

8 [Slide.]

9 Also represented is the International
10 Pharmaceutical Aerosol Consortium which is an association of
11 manufacturers of aerosol products.

12 [Slide.]

13 Shown here are the three draft guidances which I
14 think we are all pretty familiar with by now.

15 [Slide.]

16 As far as perspective of the two groups, both the
17 ITFG and IPAC are in full agreement as to the value of the
18 new guidance documents and welcome their issuance. In
19 addition, we agree with the BA/BE and statistical issues
20 including the questions surrounding dose content uniformity
21 presented by the subcommittee today.

22 We do, however, believe that, in addition to these
23 important questions, there are many significant CMC issues
24 particularly related to testing and specifications that
25 still need to be addressed. In addition, we believe that

1 these difference can and need to be resolved through a data-
2 driven and science-based approach to achieve the best
3 guidances possible, a process which IPAC and ITFG have
4 started and are prepared to continue to support.

5 [Slide.]

6 The ITFG/IPAC collaboration was proposed in the
7 IPAC statement at the June '99 workshop as a part of a
8 consensus-building process involving collaboration with the
9 ITFG. The collaborative work between the two groups began
10 in September of 1999.

11 [Slide.]

12 The structure of the organization is as shown on
13 the slide and it consists of the steering committee and five
14 technical teams. The steering committee provides general
15 oversight and review for the five technical teams which are
16 shown in the slide and the technical teams are formed based
17 on the general technical subjects found in the three
18 guidances.

19 As you can see, CMC issues are the primary concern
20 of the documents and of the technical teams.

21 [Slide.]

22 The significance of the concern and commitment on
23 the part of industry is also reflected in the number of
24 companies involved in this collaboration. Individuals for
25 more than twenty companies representing a broad spectrum of

1 industry, including manufacturers, contract organizations
2 and component suppliers participate in this collaboration.

3 In addition to the approximately 85 individuals
4 who participate directly in the steering committee and
5 technical teams are many times that number of scientists at
6 member companies who work on collection and evaluation of
7 data.

8 [Slide.]

9 In the presentations that follow mine, a
10 representative of each of these technical teams will present
11 the current activities of the team and future work which the
12 team plans and the commitments that each team is willing to
13 make to further the work of the subcommittee. This includes
14 generation of data, technical papers and recommendations and
15 even a willingness to meet with the subcommittee, if
16 desired.

17 [Slide.]

18 Finally, the pharmaceutical industry, as
19 represented by the IPAC/ITFG collaboration, is committed to
20 a science-based and data-driven process of establishing best
21 practices for the FDA guidances. Large amounts of work have
22 already been completed in this process and even more has
23 been committed to by the member companies of this
24 collaboration.

25 Thank you very much for your consideration.

1 DR. LEE: Thank you very much.

2 The next presentation is on BA/BE by Steve Farr.

3 **Presentation on the Work of the BA/BE Team**

4 DR. FARR: Thank you, Dr. Lee. Good afternoon,
5 ladies and gentlemen>

6 [Slide.]

7 I am Steven Farr. I am actually from Aradigm
8 Corporation in Hayward, California. I am grateful for this
9 opportunity to present to you today on behalf of the BA/BE
10 in vitro and in vivo Test Team. Over the course of a number
11 of meetings, the team is about through collection and
12 evaluation of relevant information, a series of data-driven
13 position statements that I wish to share with you today.

14 While the team used the current draft BA/BE
15 guidance document pertaining to aerosol products for nasal
16 application, it believes the findings are generally
17 applicable to in vitro and in vivo testing of products that
18 are both orally inhaled as well as nasal products.

19 [Slide.]

20 In the slide that you have in front of you, it
21 really describes the team's work that has lead to the
22 following propositions. And these were agreed to at the
23 last meeting. With respect to in vitro testing, we strongly
24 agree that it is essential for pharmaceutical product
25 equivalence to have these tests and they should be included

1 as part of the BA/BE guidance for oral nasal and oral
2 inhalation products.

3 But it is not currently sufficient for BE approval
4 without establishing in vivo BE. In other words, in vitro
5 testing is not sufficient to establish bioequivalence in the
6 absence of in vivo testing.

7 Turning to in vivo tests for BE approval, in other
8 words to establish product quality through the measurement
9 of bioequivalence, the guidance documents for nasal and oral
10 inhalation drug products should require the use of validated
11 human models for testing for local and systemic exposure
12 efficacy and safety.

13 [Slide.]

14 These working propositions are associated with
15 certain assumptions that define their applicability. The
16 team recognizes that its BA/BE recommendations apply to
17 locally acting drugs only as per the current draft guidance
18 for nasal aerosols and sprays. However, the team's comments
19 apply to both orally inhaled and nasal drug products, but it
20 is recommend that these dosage forms should be treated in
21 separate guidances.

22 It is further recognized that the scientific and
23 clinical bases for developing BA/BE guidance are evolving so
24 the working propositions created by the team only reflect
25 the state-of-the art knowledge.

1 [Slide.]

2 Based on currently available information, the team
3 has reached the following conclusions. Current in vitro
4 tests, namely dose-content uniformity and particle-size
5 distribution, may be used to estimate lung deposition but
6 their predictability with respect to bioequivalence has not
7 yet been shown.

8 Furthermore, the in vitro tests described in the
9 current draft guidance are not necessarily more relevant or
10 discriminating than clinical studies for the measurement of
11 bioequivalence. Systemic PK/PD studies will estimate local
12 exposure which will contribute to safety but may not
13 estimate local delivery which will contribute to efficacy
14 and local tolerance.

15 In turn, efficacy studies alone of a locally-
16 acting agent cannot establish bioequivalence since they will
17 not assure comparable safety through systemic exposure. So,
18 bearing in mind these preceding conclusions, the team
19 believes that in vitro alone are not sufficient to assess
20 product quality for bioequivalence.

21 Indeed, the guidance should not distinguish
22 between testing requirements for nasal suspensions and
23 solutions for in vivo BE.

24 [Slide.]

25 In closing, I just would like to inform the

1 subcommittee that the team is committed to prepare a
2 technical paper by the end of June this year to support the
3 conclusions described today. The purpose of the paper will
4 be to highlight areas where there is sufficient data to draw
5 conclusions and where there is not enough data at present,
6 and also to review technical documentation related to BA/BE
7 issues addressed by the team.

8 In addition, the team will be prepared to address
9 the BA/BE questions which have been posed during today's
10 meeting.

11 Thank you.

12 DR. LEE: Thank you.

13 The next up is Dr. Bo Olsson addressing the
14 specifications.

15 **Presentation of the Work of the Specifications Team**
16 **(Dose Content Uniformity/Particle Size Distribution)**

17 DR. OLSSON: Good morning. My name is Bo Olsson,
18 AstraZeneca. I am grateful for this opportunity to present
19 the statement of the CMC Specifications Technical Team.

20 [Slide.]

21 Our focus has been on dose-content uniformity and
22 particle-size distribution as the key attributes. For the
23 industry, internationally harmonized guidelines is the key
24 component for timely and cost-effect development of safe and
25 efficacious drug products. A tremendous amount of work has

1 gone into establishing a range of harmonized guidelines
2 between the United States, Europe and Japan through the ICH
3 process.

4 The Technical Team on CMC specifications believes
5 that orally inhaled nasal drug products are amenable to the
6 principles set forth by ICH. Particularly, the ICH
7 Guideline Q6A on specifications provides a process for
8 establishing specifications and the extended application to
9 inhaled dosage forms is being encouraged by the document.

10 [Slide.]

11 The ICH Q6A recommends a data-driven process for
12 specification setting. Based on pharmacopeial standards,
13 results from development and from pivotal batches and a
14 reasonable range of analytical and manufacturing
15 variability. We concur with Q6A that it is important to
16 consider all of this information and we don't believe it is
17 justified to apply a single standard specification to the
18 wide range of different products that are on the market and
19 in development.

20 [Slide.]

21 Based on the collective experience, the
22 Specifications Team has posed the hypothesis that the
23 current state of OINDP technology may not allow general
24 compliance with the DCU specifications in the draft
25 guidances.

1 To address this question, to date more than twelve
2 companies have initiated the process to collect a worldwide
3 blinded database of more than 45 products to examine actual
4 DCU capability of these products. Our target is to have an
5 initial assessment of the database by the end of July.

6 It is our position that the format of
7 specifications should be based on sound statistical
8 practices such that they can be translated into quality
9 requirements. We propose to work with the subcommittee and
10 the agency to investigate using this database, alternate DCU
11 specifications which may better serve this purpose.

12 This includes those approaches presented by Dr.
13 Walter Hauck this morning.

14 [Slide.]

15 Also, for particle-size distribution data, we have
16 initiated a process to collect a database. The target date
17 for initial assessment is, again, by the end of July. The
18 purpose of this survey is primarily to examine the relevancy
19 of the mass balance criterion as a product specification
20 versus a system-suitability requirement. But it may also be
21 used for looking into profile comparison techniques as well.

22 [Slide.]

23 In summary, we believe that the achievements of
24 ICH should be taken advantage of in the FDA guidances and we
25 are collecting a wide database which we hope can provide

1 useful information for the subcommittee and the agency.

2 Thank you for your attention.

3 DR. LEE: Thank you.

4 The next subject is tests and methods. Carole
5 Evans?

6 **ITFG/IPAC Technology Team: CMC Tests and Methods**

7 DR. EVANS: Good afternoon.

8 [Slide.]

9 My name is Carole Evans from Magellan
10 Laboratories. My role in this series of presentations is to
11 give an overview of the work and approach of the Test and
12 Methods Team. The team has reviewed the draft CMC guidances
13 and has identified areas where the FDA approach differs from
14 that which we in industry feel is meaningful and scientific
15 justified.

16 [Slide.]

17 As a result of this review, we have identified
18 four general concerns. Firstly, while recognizing there are
19 certain key tests which are required for all dosage forms,
20 we feel that the requirement for certain other tests should
21 be driven by a critical review of the data and that the
22 guidance should, therefore, distinguish between these two
23 categories of tests.

24 In some instances, the language used in the
25 guidance was ambiguous. For example, we are uncertain of

1 the intent behind the requirement for a stability-indicating
2 method of dose delivery of MDIs. We would recommend a
3 change in wording to, for example, a validated method free
4 from bias.

5 We feel that the guidances should be further
6 edited to clarify the requirements for each dosage form
7 possibly separating each dosage form into individual
8 guidances. Finally, the team would like to strongly
9 recommend further harmonization of requirements with other
10 pharmacopeial and international standards; for example, the
11 control of synthetic impurities should be aligned with ICH.

12 [Slide.]

13 The team has started its work by reviewing the
14 diagram for metered-dose inhalers and has identified several
15 areas for comment. These are shown here. The scope of the
16 comments vary from simply requests for clarification of
17 wording and calls for harmonization to suggestions for
18 alternate approaches to testing.

19 For example, in some cases such as the requirement
20 for moisture testing, the guidance should indicate that the
21 need for this test should be driven largely by the
22 development data. There are other tests such as plume
23 geometry or spray pattern which did not offer meaningful
24 performance characterization or redundant component
25 controls. These, therefore, should not be required.

1 [Slide.]

2 Our approach has been to develop position
3 statements on each of these areas and the outline of those
4 is provided in our written statement. We plan to collect
5 data with regard to most of these position statements. In
6 cases where the request is simply for rewording or for
7 further harmonization, we will not be collecting data.

8 [Slide.]

9 We are currently in the process of collecting the
10 data. This data will allow us to evaluate and, where
11 necessarily, refine our position statements. To date, we
12 have only addressed the guidance with respect to metered-
13 dose inhalers. It is our intent to repeat the process for
14 other dosage forms.

15 [Slide.]

16 After we have completed this process, we would
17 like the opportunity to share our recommendations with the
18 subcommittee and the agency. We believe that data-driven
19 recommendations will be helpful to the subcommittee and,
20 ultimately the agency, in creating stronger guidances. We
21 hope we can continue this discussion on critical CMC issues
22 by providing these documents and welcome an opportunity for
23 further dialogue.

24 Thank you.

25 DR. LEE: Thank you very much.

1 Next up is leachables and extractables. Dr. Dave?

2 **Presentation on the Work**

3 **of the Leachables And Extractions Team**

4 DR. DAVE: Thank you, Vincent. My name is Kaushik
5 Dave. Actually work for Schering Plough. However, this
6 afternoon, I represent the Extractable and the Leachable
7 Team. What I will present is the opinion of the team based
8 on reviewing the draft guidances.

9 [Slide.]

10 The team recognizes the importance of control of
11 extractables and leachables from the point of view of
12 patient safety and quality of these inhalation products.
13 The team is committed to providing information in this area.

14 [Slide.]

15 Just to give you some background with regard to
16 definitions, extractables is what one observes when one uses
17 solvents. Leachables is what appears in the product. Just
18 to put it in some other words here, I hope that you can
19 extract as much as you can from this presentation and, from
20 my perspective, I hope a lot of this leaches in.

21 [Slide.]

22 Just to share with you; the team has identified
23 four particular areas of focus which are listed up there.
24 The general approach which the team is taking is collecting
25 data from several companies and what we plan to propose to

1 do is analyze the data and make some recommendations in
2 these four areas.

3 I will, over the course of the next couple of
4 minutes, just go over these four areas briefly.

5 [Slide.]

6 The first area of interest is what we have defined
7 as analytical characterization of extractables. We feel
8 that the guidelines are not particularly clear and, perhaps,
9 it may be advantageous to propose slightly different
10 language and clarification. For example, we feel that there
11 is a need for clear definition of what a critical component
12 is from an extractable point of view.

13 [Slide.]

14 The second area of interest is what we have
15 defined as analytical characterization of leachables. The
16 real question here is do we really need to be extractables
17 and leachables testing commercially since we are looking at
18 pretty much the same phenomenon.

19 The draft guidelines have identified this and has
20 alluded to the fact that if a correlation can be established
21 between the leachables and extractables, perhaps, there
22 could be some reprieve from leachable testing. But, then,
23 the question becomes what is a correlation here. The
24 guidelines are not very forthcoming.

25 Keeping in mind that we are looking at trace

1 analysis here, firstly. Secondly, we are trying to compare
2 extractables, which is a solvent-based phenomenon to
3 leachables which is formulation-dependent. Can we really
4 come up with a correlation and what kind of correlation
5 should that be?

6 What the team proposes to do is, after reviewing
7 data, come up with a working definition of a correlation.

8 [Slide.]

9 The third and most important area of discussion in
10 the team is safety qualifications of leachables. We feel
11 that this is an extremely important area where there is a
12 need for discussion and understanding as to what are the
13 requirements. Simple questions like, "What is the criterion
14 for qualification? How do we determine the levels? Does
15 ICH apply here? If it does, do we compare it to the active
16 ingredient. They are not chemically related; does that make
17 sense?"

18 Again, the team has formed a working group
19 composed predominantly of toxicologists from the industry
20 they will be reviewing this closely and making some
21 recommendations.

22 [Slide.]

23 The fourth and final area of discussions in the
24 team is is this the right way of approaching control of
25 components, testing them at the end. Shouldn't we building

1 quality into components instead of looking for quality at
2 the end? Again, there are a lot of systems out there,
3 quality systems, which would insure that quality components
4 are produced and also those quality systems will include
5 change control and audit.

6 Actually, we have a technical team, the Supplier
7 QC, which is looking into this.

8 [Slide.]

9 Finally, the team is committed to offer databased
10 technical reports and recommendations to the agency and the
11 subcommittee over the course of the next three to four
12 months. Also, secondly, the team is available to evaluate
13 any extractables or leachables issue which the subcommittee
14 or the agency would like us to.

15 Thank you very much.

16 DR. LEE: Thank you.

17 The next issue concerns supplier quality control.

18 Mr. Hansen?

19 **Presentation on the Work**

20 **of the Supplier Quality Control Team**

21 MR. HANSEN: Thank you and good afternoon.

22 [Slide.]

23 My name is Gordon Hansen from Boehringer Ingleheim
24 Pharmaceuticals. I would like to take the next few minutes
25 to present an overview of the work of the ITFG/IPAC Supplier

1 Quality Control Supplier Qualification Team. This
2 collaboration has presented a unique opportunity for
3 representatives from the pharma industry and component
4 suppliers to collaborate on a review of the key issues in
5 the draft CMC guidances which relate to the testing and
6 qualification of inhalation-device components and
7 excipients.

8 [Slide.]

9 The draft CMC guidances focus extensively on
10 testing of components as well as excipients. A core theme
11 of the CMC guidances with respect to these components is
12 that tight standards and extensive testing by the pharma
13 manufacturer are required in order to assure batch-to-batch
14 quality of components and excipients.

15 [Slide.]

16 The team, in reviewing these guidances, has
17 drafted a thesis or vision statement which may be described
18 as follows. The qualification and control of critical
19 components in the area of performance-related physical
20 testing, extractables and leachables and excipients should
21 be achieved by a combination of appropriate scientific
22 practices, cGMP controls and supplier qualification systems.

23 [Slide.]

24 The first step for the team was to collect data on
25 current GMP practices. A survey of suppliers was conducted

1 to evaluate quality and compliance practices at all stages
2 of component, excipient, raw-material and active-substance
3 manufacture. Information was obtained from fifty-three
4 suppliers from raw materials through finished component
5 manufacture.

6 [Slide.]

7 The results of the survey are shown on this slide.
8 One is that the level of cGMP awareness and compliance in
9 the component and raw-material supply chain is improving but
10 improvement needs to continue. Secondly, there are specific
11 cGMP program elements which remain to be generally accepted
12 and implemented especially early in the supply chain.

13 [Slide.]

14 Some general observations were also made from the
15 survey in that there are no generally accepted cGMP
16 guidelines for the component supply chain but guidelines do
17 exist for the control of bulk excipient manufacturers which
18 have been drafted by IPEC, which is the International
19 Pharmaceutical Excipients Council.

20 [Slide.]

21 The team proposes the following: the team endorses
22 the IPEC guideline for the control and cGMP compliance of
23 excipients and it encourages its broader acceptance. The
24 team also proposes that an industry-wide initiative be
25 established to develop a cGMP guideline for component

1 suppliers. This collaboration would be a unique, perhaps
2 unprecedented, partnership between suppliers, the pharma
3 industry and the agency in designing a system which assures
4 product quality by building it in rather than by extensive
5 testing by the end user.

6 [Slide.]

7 The team also requests that the agency partner
8 with the pharma industry and component suppliers by first
9 formally recognizing the value of the cGMP guideline for
10 component suppliers by acknowledging in the guidance
11 documents that if sufficient supplier mechanisms are in
12 place, appropriate reductions in testing will be considered.

13 We also ask that the agency help establish key
14 elements and expectations for the cGMP guideline for
15 components and participates in reviewing and commenting on
16 draft guidelines.

17 Thank you for your time.

18 DR. LEE: Thank you.

19 Now comes the concluding presentation by this
20 group, Cynthia Flynn.

21 **Concluding Presentation on ITFG/IPAC Collaboration**

22 DR. FLYNN: Good afternoon.

23 [Slide.]

24 My name is Cynthia Flynn. I work for Aventis
25 Pharmaceuticals. I would like to take this opportunity to

1 provide you the concluding remarks concerning the ITFG/IPAC
2 collaboration.

3 [Slide.]

4 I trust that during the last six presentations, we
5 were able to demonstrate the very high level of commitment
6 and the massive amount of work that has been completed by
7 more than 85 pharmaceutical scientists working in the
8 foreground of this effort as well as the hundreds supporting
9 them in the background which represent more than twenty
10 companies to address key concerns in draft CMC and BA/BE
11 guidance documents.

12 ITFG and IPAC is committed to collecting and
13 assessing all relevant data which becomes available to this
14 collaboration. More importantly, we are committed to
15 sharing those findings in a very timely fashion with this
16 subcommittee and the agency.

17 ITFG/IPAC anticipates that this information will
18 be useful to the subcommittee in its deliberations and also
19 to the agency in the preparation of the final CMC and BA/BE
20 guidances. In addition, we believe that this information
21 will assist in the creation of a very high-quality document
22 which the industry and agency can use in designing the
23 dosage forms of the future.

24 [Slide.]

25 I just would like to take the time, then, to

1 review very briefly the deliverables which the technical
2 teams are committed to providing and the time frames
3 associated with those deliverables. Firstly, the BA/BE team
4 is committed to preparing a technical paper on BA/BE that
5 have been highlighted in the previous presentation. This
6 will be completed by the end of June.

7 In addition, that team will attempt to address as
8 many questions as possible as have been raised during this
9 meeting.

10 The Specifications Team is committed to
11 completing, by the end of July, an initial statistical
12 assessment of the actual DCU and particle-size database
13 which is collected by this collaboration. We would very
14 much like to share this initial assessment with you and with
15 Dr. Hauck in order to help your endeavors.

16 The Test and Methods Team is committed to
17 completing, within the next three to four months, the
18 technology paper outlining the key MDI tests. In addition,
19 in the future, we also plan to do similar work for other
20 dosage forms, as was alluded to by Carole in the previous
21 presentation.

22 The Leachables and Extractables Team is committed
23 to also completing a technical report within the next three
24 to four months as well as to making recommendations within
25 the next three to four months concerning leachables and

1 extractables.

2 Lastly, the Supplier Quality-Control Technical
3 Team is volunteering to ask as a co-leader with the agency
4 in developing a cGMP guideline for component manufacturers.

5 [Slide.]

6 I would like to point out to the committee that it
7 should be noted that the work of the collaboration deals
8 with not only BA/BE issues, which have received substantial
9 emphasis today, but also places a significant amount of
10 emphasis on four critical CMC issues, not just the DUC
11 issue.

12 [Slide.]

13 The collaboration of ITFG/IPAC is very convinced
14 of the need for a science-based interactive dialogue and is
15 requesting that the agency continue the subcommittee
16 process. We are also requesting that the collaboration be
17 given the opportunity to provide the deliverables that I
18 just described in the next three to four months for the use
19 of the subcommittee and agency in order to assist in the
20 resolution of the various CMC, BA/BE issues.

21 [Slide.]

22 I would like, then, to conclude my remarks by
23 acknowledging several groups. First of all, we would like
24 to express our deep gratitude to the agency for holding this
25 meeting and allowing us to present the work that has been

1 completed to date of the ITFG/IPAC collaboration.

2 We would also like to thank the members of the
3 subcommittee for considering our comments and proposals and
4 we look forward to working with them in the future. I would
5 like last to acknowledge the very hard work of all of those
6 people I was talking about, the 85 in the foreground and the
7 hundreds in the background, for the commitment, constructive
8 collaboration, that they have given to the ITFG/IPAC
9 collaboration.

10 Thank you for your attention.

11 DR. LEE: Cynthia, may I ask you one quick
12 question? What is the size of the team, how many members?

13 DR. FLYNN: The entire team? Or a specific
14 technical team?

15 DR. LEE: A specific technical team.

16 DR. FLYNN: They vary, depending on the technical
17 team. So you would have to tell me exactly which one. We
18 have a total of 85 members when you add up all the steering
19 committee and all the technical-team members. There are
20 five technical teams.

21 DR. LEE: So divide by five. Ten or fifteen? Can
22 someone be one several teams?

23 DR. FLYNN: In some cases, there are, but not in
24 all cases; no.

25 DR. LEE: And then the position paper that you

1 will develop or deliver will be a consensus document?

2 DR. FLYNN: Correct.

3 DR. LEE: Thank you.

4 That concludes the presentations by those two
5 groups. Now we have two more to go. Next up is on CMC
6 issues by Dr. Neugebauer.

7 **CMC Issues**

8 DR. NEUGEBAUER: My name is Ken Neugebauer. I am
9 the Director of Marketing for Solvay Fluorides responsible
10 for the NAFTA region. I am speaking on behalf of and
11 presenting the comments of Ms. Anja Pischtiak, Product
12 Manager of Pharmaceutical Aerosols for Solvay Fluor based in
13 Hanover, Germany.

14 [Slide.]

15 Solvay Fluor is a manufacturer of the propellants
16 HFA227 and HVA134a used in inhalation drug products,
17 marketed by Solvay under the trade name of Solkane, would
18 like to make two comments on the major excipients and MDIs,
19 the noncompendial propellants 227 and 134a. The comments
20 relate to the draft guidance for industry, metered-dose
21 inhaler and dry-powder inhaler drug products chemistry,
22 manufacturing and controls documentation.

23 [Slide.]

24 The first point. Lines 288 to 295 identify a
25 requirement for a toxicological qualification of the novel

1 excipients 134a and 227 but do not give directives of what
2 comprises a toxicological qualification. The consortia
3 IPACT I and II have submitted to the FDA extensive safety
4 data on 134a and 227 intended for inhalation which may
5 sufficiently demonstrate the toxicological suitability of
6 the novel excipients 134a and 227 for use in medical
7 products including MDIs.

8 Solvay believes that the uncertainty of the
9 requirements for a toxicological qualification of the pure
10 excipients strongly inhibits the pharmaceutical industry
11 from reformulating its CFC-containing products to HFAs.
12 Therefore, we propose that a definition for the
13 toxicological qualification of the noncompensial propellants
14 HFA134a and HFA227 be added to the draft.

15 The second point we want to make, lines 381 to 405
16 show impurity acceptance-criteria limits for 134a impurity
17 by impurity, which are given in such detail, strictly
18 process related. Solvay, for example, uses for the
19 manufacturer of 134a pharma a process starting from
20 trichlorethylene which is not mentioned in the FDA
21 specification.

22 However, it is present in trace, but detectable,
23 amounts in our product and, therefore, is specified by
24 Solvay. While Solvay has four additional impurities not
25 shown in the specification quoted by the FDA, sixteen other

1 impurities that are listed in the draft specifications are
2 not contained in Solkane 124a as manufactured by Solvay.

3 Therefore, Solvay proposes to replace detailed
4 impurity-by-impurity limits with acceptance criteria based
5 on toxicological tests performed both for HFA134a and for
6 HFA227.

7 [Slide.]

8 I submit, with these comments, Solvay's
9 specification--that is impossible to read; I apologize. I
10 will get a clearer copy for publication. Basically, this is
11 our specification for 134a with detailed description of all
12 of the impurities listed and comparison for what Solvay
13 manufactures in the draft guidance.

14 [Slide.]

15 This slide is the specification for Solkane 227
16 pharma as filed currently with the FDA to be added to the
17 draft guidance in case the 134a specification remains. The
18 227 specification is currently omitted.

19 Finally, I have included with my submission that
20 we agree in principle with comments previously submitted by
21 IPACT as published in the August 1999 Gold Sheet. Again, I
22 am submitting them with the key points highlighted for the
23 committee.

24 Thank you very much.

25 DR. LEE: Thank you very much.

1 The final speaker of this session is on growth
2 effects of nasal steroids by Dr. Schenkel.

3 **Growth Effects of Nasal Steroids in Children**
4 **and Differences among the Steroid Preparations**

5 DR. SCHENKEL: Good afternoon. I want to thank
6 the committee for allowing me to speak about this issue.

7 [Slide.]

8 I am a practicing allergist. I am Director of
9 Valley Clinical Research Center in Easton, Pennsylvania. I
10 have been involved in a number of clinical trials looking at
11 differences among the various nasal corticosteroids. What I
12 am going to be talking about in the next few minutes is
13 exactly that, the differences among the steroids in a
14 clinical setting.

15 You have heard a lot today about trying to look at
16 in vitro models and how to tell differences among the
17 steroids. I am going to point out to you the fact that
18 there are differences, not just in bioequivalence but in
19 what I have called bioactivity, particularly in the
20 pediatric population and particularly the effects on growth.

21 I would urge the subcommittee to look at this very
22 carefully. It has already been looked at by the FDA in
23 terms of acknowledging a new pediatric labeling for nasal
24 corticosteroids.

25 It is well known that oral corticosteroids can

1 cause growth suppression in children. What was a surprise
2 to the medical community was information that I was involved
3 in that looked at the effect of certain nasal
4 corticosteroids on growth in children. This prompted a
5 joint meeting between the Endocrine Metabolism Group and the
6 Allergy Pulmonary Group in July of 1998 to review proposed
7 class labeling for both oral and intranasal corticosteroids
8 regarding growth suppression.

9 In fact, in November, the FDA did announce new
10 pediatric labeling along those lines.

11 [Slide.]

12 The FDA reviewed the literature at that time on
13 both oral inhaled corticosteroids and intranasal
14 corticosteroids. Two well-designed studies were reviewed
15 regarding the nasal corticosteroids, one of which showed a
16 growth-suppressive effect which I will show in just a
17 minute. I am not going to deal with the issue of oral
18 inhaled corticosteroids because that is not the issue we are
19 talking about right now.

20 However, it was reviewed and four of the five
21 studies did show a growth-suppression effect. It is
22 interesting because the growth-suppression effect found in
23 intranasal beclomethasone study, which I will review now,
24 was separate from any effect on the HPI axis. As you all
25 are aware, the HPI axis is sort of the gold standard of some

1 systemic activity of both nasal and oral inhaled
2 corticosteroids.

3 But, as I will show in just a second, the growth-
4 suppressive effect in this particular study, which is in a
5 handout, also, involving intranasal beclomethasone, did not
6 have any effect on the HPI axis. This study, which was a
7 well-deigned, double-blind, placebo-controlled study which
8 occurred over the course of a year in 100 prepubescent
9 children, looked at growth effects of intranasal
10 beclomethasone dipropionate, 168 micrograms BID in half the
11 patients and placebo in the other half of the patients.

12 The patients all had allergic rhinitis and all
13 were between the ages of 6 and 9-and-a-half.

14 At the end of the year, what surprised everyone
15 was that there was a small but significant growth effect.
16 The placebo group grew about 5.9 centimeters per year where
17 as the BDP group grew only 5 centimeters per year. The
18 conclusion was that the overall rate of growth was lower for
19 the BDP group compared to the placebo group, about a
20 centimeter over the course of a year.

21 This has been published now in Pediatrics on Line.
22 What I did not show in this slide but is in the handout is
23 that the HP axis was not affected in any of the groups. The
24 conclusion was that a small, but statistically significant
25 effect, of BDP on growth was observed separate from its

1 effect on the HPI axis.

2 Again, we have talked earlier this morning--I have
3 heard a lot of really exciting talks on statistics and in
4 vitro models--looking at ways in which you can compare, on
5 an in vitro basis, certain nasal corticosteroids or
6 corticosteroids in general. What I am going to show you,
7 though, in an in vivo setting, the difference between
8 beclomethasone and mometasone furoate nasal spray.

9 This study that I am going to talk about was not
10 available to the FDA at their meeting and has recently been
11 published in Pediatrics, the On Line version, in February of
12 2000. This was a study in which I was lead author and
13 looked at, in the same fashion, the effect of mometasone
14 furoate on the same group of children, ages 3 to 9, on the
15 effects of growth and also HPI axis.

16 [Slide.]

17 The study design was essentially the same as the
18 previous study, about 100 children, half receiving placebo
19 or half receiving mometasone furoate. Standing height was
20 assessed very carefully using stadiometric techniques.

21 With mometasone furoate, at the end of the year,
22 children who received active drug did not have any
23 suppression of growth in the group studied ages 3 to 9. The
24 group that received mometasone furoate did not have any
25 significant side effects and, very importantly for the

1 committee to understand, there was an effect on HPI axis.

2 So I think that this clearly shows that there are
3 differences between these particular nasal corticosteroids.
4 Can we translate this into other nasal corticosteroids? I
5 believe we can based on bioavailability data. I think that
6 if the committee is to consider other types of nasal
7 corticosteroids, that they should all go through the
8 rigorous growth studies as the currently available models
9 have been done.

10 Thank you.

11 DR. LEE: Thank you very much.

12 I would like to thank all the speakers in the open
13 public speaking session for being on time and informative.

14 Now we are going back to the form agenda which is
15 a discussion on the in vivo BA/BE.

16 The first speaker in this session is Dr. Roman on
17 clinical studies for local of nasal aerosols and sprays.

18 **In Vivo BA and BE**

19 **Clinical Studies for Local Delivery**

20 **of Nasal Aerosols and Sprays**

21 DR. ROMAN: Good afternoon. However, it feels
22 more like "good evening" to me. My name is Izabela Roman
23 and I am Medical Director and Founder of a contracting
24 organization specializing in nasal study. I was involved in
25 developing new drugs and studying generic products in nasal

1 allergy now for over twenty years, close to twenty years.

2 I would like to thank you very much for inviting
3 me to help you with selection of a proper model of nasal
4 study for the advisory board of FDA. I hope I will not
5 disappoint you, that I will not present to you a novel,
6 revolutionary model which will answer all the questions.
7 We, as researchers of nasal allergy, are still struggling
8 with the selection of the proper efficacy endpoints since we
9 are still relying mostly on patients' reported symptoms and
10 signs of nasal allergy which are very a variable and not
11 very well standardized endpoint.

12 So, instead of presenting a completely new model,
13 I will review the three proposed models in the draft
14 guidance vis-a-vis their strengths, weaknesses and potential
15 for bioequivalence studies.

16 [Slide.]

17 So, as you are all familiar, there are three well-
18 studied models in nasal allergy; the so-called "park" study,
19 the environment unit and traditional clinical study of
20 seasonal allergic rhinitis. Each of them has their
21 weaknesses and strengths and I will not go over, first of
22 all, the detailed description of the basic principles that
23 they can all be done double-blind, placebo-controlled, most
24 of them parallel. That is all well known.

25 I also will not repeat the presentation of Dr.

1 Mary Fanning who did this overview in the June presentation
2 to you in 1999. Again, I would like to present my opinion
3 on the strengths and weaknesses.

4 [Slide.]

5 So the park study, so-called, which usually
6 involves one or two days. It is a short duration of study
7 which, of course, implies less weather variability and
8 potentially better control evaluation of symptomatology and
9 severity of symptoms over two days. However, of course, it
10 does not allow us to study drugs with longer duration of
11 action and drugs which will require, for a steady state,
12 longer treatment than one or two doses.

13 It allows cohort enrollment, again potentially
14 dealing with less environment variability and patient-to-
15 patient variability since they are all exposed to the same
16 concentration of allergens. Nonetheless, I believe this is
17 not an easy way to deal when you talk about bioequivalence.
18 It is too short a study.

19 Of course, it offers more control compliance. The
20 drug is delivered by the medical staff, mostly by nurses or
21 research associates, so we know how the patient took the
22 drug, how it was delivered to the nose. It offers better
23 compliance. It has a great potential for, of course,
24 obtaining a greater number of time points for subjective and
25 objective data, subjective, again, evaluation of symptoms of

1 patients' objective, potentially waiving the nasal tissues,
2 collecting nasal washings, et cetera.

3 [Slide.]

4 However, it has a whole list of weaknesses.
5 Again, it is restricted to seasons. Therefore, there are
6 only three opportunities of conducting such trials in this
7 country, at least; spring season, fall season and so-called
8 cedar season in Texas.

9 I get mixed up a little bit, not looking at my
10 slides. That is actually a weakness and I presented it
11 previously as a strength that the drug does not reach
12 effect. There is a weather risk. Frequently, it takes a
13 long preparation to set up the studies, selection of
14 patients and so on and so forth, and then rainy weather or
15 stagnant weather does not permit you to conduct these
16 trials.

17 There is a lack of site and population diversity.
18 Again, it is done usually by one site--the other ones were
19 done by two investigators--so it is less representative of
20 geography and other sites in the United States. It is
21 susceptible to single-investigator influence. Obviously
22 systemic error done by one investigator carries through the
23 whole study.

24 There is lower variability than the traditional
25 study model--I'm sorry; that belongs to the strengths.

1 However, the next one is the potential for high incidence of
2 sedation. It is a boring type study and if we study drugs
3 which have a sedation potential, they are reporting in this
4 type of study a lot of sedation.

5 Then it is not, of course, good for overall safety
6 information.

7 [Slide.]

8 The type of study is most frequently used for
9 pilot efficacy of new drugs, for onset of action, for dose-
10 response or at least the approach of dose-response studies,
11 and duration of the effect for single dose.

12 [Slide.]

13 In my opinion, as far as the bioequivalence
14 potential of this, it is not very high particularly for the
15 drugs which take more than two days to reach maximum effect.
16 Usually, because of less variability in weather and between
17 subjects, the treatment sizes are smaller than the
18 traditional study, up to 50 to 100 patients per treatment
19 group. 100 is pretty big in this model. And it is not
20 inexpensive.

21 [Slide.]

22 The other proposed model is the environment unit.
23 The strengths are very similar to the park model. Again, it
24 is of very short duration so it is easy to conduct. It
25 controls the environment. There is no environmental

1 variability. The concentration of allergen is controlled.
2 It can be done all year around. It does not require
3 seasons. It is also a good model for non-seasonal allergens
4 such as cat dandruff.

5 [Slide.]

6 It is the farthest from reality. Of course, it is
7 something completely artificial. It has a very limited
8 number of center available. There are just a few in this
9 country. The most well-known, actually, is Dr. Day in
10 Canada. The whole duration is one day. The observations
11 are over eight hours so it is just a single-dose type model.

12 The protocol is pretty complex. It requires
13 priming of the patients for establishing baseline and
14 severity of patients. Safety information is pretty limited
15 from it.

16 [Slide.]

17 Again, it is more frequently used for onset of
18 action, for pilot efficacy and for single-dose studies.
19 However, this particular one offers a potential for the
20 crossover studies. For short-acting drugs, which for
21 bioequivalence purposes could be studied in crossover
22 design, this is a model which potentially offers such a
23 possibility for other drugs such as intranasal steroids
24 which would require long-term treatment for maximum effect.
25 It has rather low bioequivalence potential for using this

1 model.

2 Again, the treatment groups are much smaller than
3 traditional, about 30 patients, and the cost is sky high.

4 [Slide.]

5 Finally, we are coming to the traditional clinical
6 study. It is closest to reality. There are numerous sites
7 around this country available to conduct such studies. It
8 is well tested and quite well validated. It offers
9 geographic diversification and, again, offers longer
10 duration of observation versus the other models so we can
11 observe steady-state efficacy and long-term safety.

12 [Slide.]

13 The weaknesses of this model is that it has high
14 variability across sites, greater variability within a site
15 due to the non-cohort enrollment. Some patients are
16 enrolled at the peak season, others at the tail of the
17 season, with different concentrations of pollens around.
18 There is a lower sensitivity for detecting differences
19 between the doses or vehicle or placebo inactive.

20 It is very much season-dependent. However, there
21 is also a perennial rhinitis which could be potentially
22 studied for bioequivalence. I don't think it will be a
23 successful approach. And then, in this particular model,
24 there is almost lack of total control over compliance since
25 these intranasal drugs are very much technique dependent,

1 not to the same extent, of course, as an orally-inhaled
2 drug, but still technique dependent.

3 The compliance in the study is in the hands of the
4 patient and, very much, evaluations of efficacy depend on
5 patient diaries and interpretation of the measurement used
6 there which is severity of symptom scores with the best
7 definitions from absent to more severe. Still it is patient
8 dependent, how they evaluate themselves.

9 [Slide.]

10 It is most frequently used for efficacy and
11 safety, for dose response and comparative studies.

12 [Slide.]

13 All of this is, of course, relative. But between
14 the three models, I would suggest that this is the best
15 model of all of the three for bioequivalence type studies.

16 [Slide.]

17 The problem with them is that, because of the
18 endpoint insensitivity and variability, it requires large
19 patient population size for treatment. Nowadays, it is
20 about 130 and over per treatment arm and the cost is also
21 substantial.

22 [Slide.]

23 So, in general, problems with in vivo
24 bioequivalence studies, I would sort of summarize as
25 follows; there is limited or lack of dose response. I do

1 not want to say that there isn't a dose response for nasal
2 steroids or intranasal antihistamines. I believe that the
3 limited way we can measure efficacy and variability and lack
4 of sensitivity of this method does not allow for clear
5 discrimination between the doses.

6 We have great difficulty in blinding. Obviously,
7 all these products are delivered in devices which are
8 patented specifically to the company producing them. In
9 order to blind them, they have to be covered with something
10 and there are a lot of problems with blinding them. The
11 best way we can do it sometimes is just to have evaluator-
12 blinded, not double-blind.

13 Vehicle and placebo responses make it quite
14 difficult to distinguish between treatments. I just would
15 like to bring to your attention that vehicle which is
16 frequently used as a placebo for intranasal studies is a
17 very effective treatment. In studies which we conducted in
18 our group, we can prove a dose response to vehicle. Once-a-
19 day vehicle is less effective than a twice-a-day vehicle.

20 So a vehicle is in higher doses, if you wish, or
21 more frequent application, the efficacy is up to 35 percent
22 change from baseline, which we usually use as an endpoint.
23 Then, again, we are struggling with limited and non-
24 standardized scales for efficacy measurements. Even with
25 the best script, the interpretation of these scales by

1 patients that we are dealing with, and, of course, the
2 sophistication of patient and user of such a method very
3 much influences the results of the measurement.

4 [Slide.]

5 So, with this in mind, I would say that we have a
6 changing nature of disease. We have a very variable
7 environmental and mental conditions. We have subjective
8 efficacy measurements and the spray-dose form is very much
9 user-technique dependent, as I stated. So we have high
10 variability and rather low sensitivity models.

11 [Slide.]

12 How I would suggest to improve this traditional
13 study model; Again, as I stated before, the dose response is
14 something which is quite difficult to establish with,
15 particularly, intranasal steroids. So the requirements of
16 doing two different doses to test the sensitivity of
17 discriminating two doses is pretty hard. So vehicle
18 control, which I suggested, is really an effective treatment
19 and is, in my opinion, one of the arm of the dose-response
20 treatments.

21 So, maybe just to make this more doable, vehicle
22 control should serve as this noneffective dose, noneffective
23 not in terms of active component but effective in terms of
24 efficacy.

25 There are frequent designs using run-in period

1 with vehicle or placebo control sort of run-in period. We
2 learned that this really decreases the baseline severity so,
3 without run-in vehicle, we are increasing baseline severity
4 and ability to discern differences in treatment groups.
5 However, for a well-established baseline evaluation of
6 symptomatology, some kind of just a collection of diaries
7 and screening run-in is recommended.

8 [Slide.]

9 The last slide, which I will present, is real
10 data. We conducted a study for a company with a generic
11 intranasal steroid. The design of the study was
12 traditional. What was done was a one-week run-in vehicle
13 control, two weeks treatment, two doses of a reference
14 product, two doses of the test drug and collection of the
15 diary. Patients were evaluating their nasal symptoms scores
16 and non-nasal symptom scores in a very classical way on a
17 scale of 0 to 4.

18 We compared the overall results for two weeks to
19 the baseline. So, in this particular study, the total nasal
20 signs and symptoms expresses a percent mean change from
21 baseline for the two weeks of treatment, for the lowest dose
22 of tested drug, showed 21 percent improvement over baseline.
23 The reference product showed 22 percent improvement. The
24 high dose was 33 percent versus almost 31 percent for the
25 reference product.

1 Now, for any physician looking at this, it will be
2 quite sort of intuitive to say that, obviously, they are
3 exchangeable or substitutable products since the efficacy
4 there is quite close, or very close to each other. If they
5 would be any closer, I would suspect that the data was
6 cooked up. So I think that, in real life, that is exactly
7 what we see.

8 As you see, the differences were not too big.
9 However, because of variation of the methodology and so on,
10 we have quite a bit standard error.

11 Now we applied, as requested by the FDA, the
12 standards of bioequivalence for PK studies. So it was a
13 90 percent confidence interval as determined, and it is
14 supposed to range 80 to 100 of target parameters, our
15 normally distributed data.

16 [Slide.]

17 So, even with the therapeutic equivalence, the
18 very close efficacy of this product, when compared, the
19 confidence intervals were nicely distributed around 0, -8.3
20 to 6.2, but the at the 20 percent plus or minus as expressed
21 as the delta 0.2 times reference product, the product did
22 not make exchangeability criteria.

23 So the decision resulting from such a study--by
24 the way, both of the doses showed statistically significant
25 differences compared to vehicle or placebo. There was no

1 significant difference between the doses for most of the
 2 parameters. Still, this product would not, in some way,
 3 meet the exchangeability criteria.

4 My last suggestion is that the bioequivalence
 5 standards for PK studies should not be straightforwardly
 6 applied to in vivo trials and there should be some
 7 deliberation on what kind of standards should be developed
 8 for the in vivo trials.

9 Thank you very much for your attention.

10 DR. LEE: Thank you very much. At this point, I
 11 would like to announce a change in the program. Dr. Hartmut
 12 Derendorf also has to take an early exit, but I don't think
 13 he is going to Lubbock. He is going to talk about PK and PD
 14 studies for systemic exposure of locally acting drugs and,
 15 of course, the academic view.

16 Hartmut, I would like you to remain for a few
 17 moments after your presentation since you probably won't be
 18 here to participate in the discussion.

19 **PK and PD Studies for Systemic Exposure**
 20 **of Locally Acting Drugs**
 21 **An Academic View**

22 DR. DERENDORF: Good afternoon.

23 [Slide.]

24 It is a pleasure for me that I have the
 25 opportunity this afternoon to address some methods or some

1 thoughts on how pharmacokinetics and pharmacodynamics may
2 help us to address bioavailability and bioequivalence issues
3 of locally acting drugs. I want to point out that this
4 presentation is jointly prepared by myself and my colleague,
5 Gunther Hochhaus at the University of Florida.

6 [Slide.]

7 I would like, also, because of the limited time,
8 only focus on inhaled corticosteroids and remind you of the
9 scenario that we have. We administer the drug by
10 inhalation. Our target site is the lung and this is where
11 we have our local delivery. This is where we want to drug
12 to go to. But we are all aware that then, after it has been
13 active, it goes into the systemic circulation where it can
14 cause systemic side effects. Of course, we have another
15 entry via the GI tract where the drug can enter and we also
16 have to address the issue of first-pass inactivation during
17 absorption.

18 [Slide.]

19 So what we want to achieve in our treatment is a
20 targeted activity with high local pulmonary activity and
21 reduced systemic side effects. If you translate that into
22 features of inhaled corticosteroid, that means we want to
23 keep the drug in the lung as long as we can. We want to
24 have low oral bioavailability so the portion that is
25 swallowed shouldn't go in and what gets in should be cleared

1 quickly by high systemic clearance.

2 Furthermore, we want to have high plasma protein
3 binding because only the unbound concentration will be
4 systemically active. So these would be desirable features.

5 [Slide.]

6 I want to break down my talk into four parts to
7 show you how we can use both PK and PD to assess both
8 systemic and local exposure because when we talk about
9 bioequivalence, what we want to achieve is equivalent rate
10 and extent of both systemic and local exposure. That is our
11 goal.

12 [Slide.]

13 So let's start out with PK as a measure of
14 systemic exposure. This is really the easiest part of the
15 talk because we can directly measure the plasma
16 concentrations of our compounds. We have really benefitted
17 from advances in improved analytical sensitivity recently so
18 that we can, in most cases, measure our drug concentrations
19 directly. For the assessment of systemic exposure, the
20 route of absorption is irrelevant. It doesn't matter where
21 it comes in through the lung or the gut. We are only
22 interested in blood levels to assess safety.

23 [Slide.]

24 Just to show you some examples. This is one of
25 our assays on fluticasone propionate where we now can

1 measure concentrations of 10 picograms per milliliter in
2 serum. As you can see, there is still room for
3 improvements, that we can go to even lower concentrations.
4 And it is possible today for all inhaled available
5 corticosteroids to measure systemic levels.

6 These are some examples; fluticasone propionate,
7 budesonide, triamcinolone acetonid and flunisolide resulting
8 plasma concentrations after inhalation. I know that Les
9 Harrison is going to show you some BDP data later on. So,
10 really, that is pretty straightforward. We can measure the
11 systemic concentrations directly.

12 [Slide.]

13 We can also do that, by the way, after nasal
14 administration where the concentrations are lower. This is
15 some of our data on fluticasone propionate, two different
16 doses and the resulting concentrations that we observe.

17 [Slide.]

18 When we move on to pharmacodynamics as measure of
19 systemic exposure, there are several methods that are used,
20 most frequently cortisol. Cortisol is a good parameter
21 because it is sort of the common currency of different
22 corticosteroids so it allows us to compare systemic exposure
23 from different steroids.

24 We have to watch out for the method that is used.
25 It is very important that the correct method is 24-hour

1 serum cortisol at steady state has been proven as the most
2 sensitive parameter whereas the other methods that are
3 around, like 24-hour urinary cortisol or, particularly,
4 8:00 a.m. serum cortisol clearly are inferior in detecting
5 the differences.

6 ACTH challenge is a different approach. It really
7 doesn't measure the cortical suppression but it measures the
8 responsiveness of the HPA axis which really is not a major
9 issue for the modern inhaled corticosteroids.

10 Other approaches are blood cells, which I will
11 cover, and growth. Growth sometimes has been reported as
12 being more sensitive than effects on the HPA axis. However,
13 I am not convinced of that because it depends, again, on the
14 method that is used for the assessment of the HPA axis. I
15 believe, if it identify one correctly, then there will also
16 be an effect on cortisol that can be observed in these
17 situations.

18 [Slide.]

19 We need to keep in mind that a steroid is not a
20 steroid, but that they have different receptor affinities.
21 This is a comparison of the commonly used compounds. They
22 are relative to dexamethasone which is 100. We can see that
23 they vary quite a bit, keeping in mind that BDP,
24 beclomethasone dipropionate, is an inactive pro-drug and is
25 converting through the monopropionate which, then, is a

at

1 very potent steroid, and fluticasone is about 18-fold more
2 potent at the receptor site than dexamethasone.

3 So that needs to be taken into account.

4 [Slide.]

5 This is some recent data from our group comparing
6 different treatments of budesonide and fluticasone in
7 different doses. On the top, you have the single-dose
8 administration. On the bottom, you have steady-state data
9 after five days. And, in both cases, you have, in red, the
10 placebo cortisol concentrations over 24 hours and, in white,
11 the treatment group.

12 First of all, you see that, overall, the
13 suppression of serum cortisol is relatively small. If you
14 use the areas between the curves as a measure, we find,
15 here, the respective percent suppression for the various
16 treatment. They are statistically significant but, overall,
17 they are relatively minor.

18 [Slide.]

19 One can, then, further go ahead and analyze these
20 cortisol suppressions. We have developed a mathematical
21 approach where we can model the circadian rhythm of
22 cortisol. This is baseline data of healthy subjects; 1 day,
23 2 day, 3 day. Baseline, you see nicely the rhythm. And you
24 see the line which is drawn by the equation that was modeled
25 and fits quite well.