

DEPARTMENT OF HEALTH AND HUMAN SERVICES

+ + + + +

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR DRUG EVALUATION AND RESEARCH

+ + + + +

CARDIOVASCULAR AND RENAL DRUGS ADVISORY COMMITTEE

+ + + + +

90TH MEETING

TUESDAY,  
MAY 2, 2000

5443 .00  
MAY 17 P4:00

ORIGINAL

The committee met at 9:00 a.m. in the Masur Auditorium of the National Institutes of Health, Building 10, 900 Rockville Pike, Rockville, Maryland, Dr. Milton Packer, Chairperson, presiding.

PRESENT:

- Milton Packer, M.D., Chairperson
- Robert Califf, M.D., Member
- John DiMarco, M.D., Member
- Marvin Konstam, M.D., Member
- Thomas Graboys, M.D., Consumer Representative
- Ileana Piña, M.D., Member
- Joan C. Standaert, Executive Secretary

PARTICIPANTS:

- Paul Armstrong, M.D.
- Jeffrey Borer, M.D.
- Thomas Fleming, Ph.D.
- Cindy Grines, M.D.
- Joann Lindenfeld, M.D.

FDA

Ann Farrell, M.D.  
Florence Houn, M.D.  
Robert O'Neill, M.D.  
Thomas Permutt, Ph.D.  
Mushifiqur Rashid, Ph.D.  
Lilia Talarico, M.D.  
Sue-Jane Wang, Ph.D.

SPONSOR REPRESENTATIVES:

Lloyd Fisher, Ph.D.  
Jack Hirsh, M.D.  
Gary Koch, Ph.D.  
Matthias Luz, M.D.  
Salim Yusuf, D. Phil., M.D.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

A-G-E-N-D-APage**Open Session**

Call to Order: Welcome and Information Chairman, Milton Packer, M.D. . . . .	4
Conflict of Interest Statement, Joan Standaert, Executive Secretary . . . . .	4
Open Public Hearing - Scientific presentation of the open session will begin once last open hearing participant has spoken. . . . .	7
NDA 20-807/S-004, <b>Refludan (lepirudin)</b> , Aventis Pharmaceuticals, Inc., to be indicated as an anticoagulant in adult patients with acute coronary syndromes (unstable angina and acute MI without ST segment elevation on ECG) . . . . .	7
<u>Presentation - Aventis Pharmaceuticals, Inc.:</u>	
Introduction, Matthias Luz, M.D. . . . .	7
Clinical Efficacy Data, Salim Yusuf, D.Phil., M.D. . . . .	30
Putative Placebo Control, Lloyd Fisher, Ph.D. . . . .	175
Statistical Review, Thomas Permutt, Ph.D. . . . .	195
Sue-Jane Wang, Ph.D. . . . .	204
Clinical Safety Data, Matthias Luz, M.D. . . . .	246
Summary of Clinical Evaluation, Jack Hirsh, M.D. . . . .	271
Questions and vote . . . . .	281
Adjournment . . . . .	299

**SAG, CORP**4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

P-R-O-C-E-E-D-I-N-G-S

9:00 a.m.

1  
2  
3 DR. PACKER: Good morning. I'd like to  
4 call to order the 90th meeting of the Cardiovascular  
5 and Renal Drugs Advisory Committee. This is the  
6 second day of a two-day meeting and we will ask Joan  
7 Standaert to read the conflict of interest statement  
8 for this morning's session.

9 Joan.

10 MS. STANDAERT: The following announcement  
11 addresses the issue of conflict of interest with  
12 regard to this meeting and is made a part of the  
13 record to preclude even the appearance of such at this  
14 meeting.

15 Based on the submitted agenda and  
16 information provided by the participants, the agency  
17 has determined that all reported interest and firms  
18 regulated by the Center for Drug Evaluation and  
19 Research present no potential for a conflict of  
20 interest at this meeting with the following  
21 exceptions.

22 Dr. Udho Tadani has been excluded from

**SAG, CORP**4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 participating in today's discussion and vote  
2 concerning Recludan.

3 Further, in accordance with 18 U.S.C.  
4 208(b) full waivers have been granted to Drs. Cindy  
5 Grines, Ileana Piña, Robert Califf, Jeffrey Borer,  
6 Marvin Konstam, Milton Packer, and Paul Armstrong.  
7 Copies of these waiver statements may be obtained by  
8 submitting a written request to FDA's Freedom of  
9 Information Office located in room 12A30 of the  
10 Parklawn Building.

11 In addition, we would like to disclose for  
12 the record that Drs. Jeffrey Borer, Robert Califf, and  
13 Cindy Grines have interest which do not constitute  
14 financial interest within the meaning of 18 U.S.C.  
15 208(a) but which could create the appearance of a  
16 conflict. The agency has determined notwithstanding  
17 these interest that the interest of the Government in  
18 their participation outweighs the concern that the  
19 integrity of the agency's programs and operations may  
20 be questioned. Therefore, Drs. Jeffrey Borer, Robert  
21 Califf, and Cindy Grines may participate fully in all  
22 matters relating to Recludan.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1           In the event that the discussions involve  
2 any other products or firms not already on the agenda  
3 for which an FDA participant has a financial interest,  
4 the participants are aware of the need to exclude  
5 themselves from such involvement and their exclusion  
6 will be noted for the record.

7           With respect to all other participants, we  
8 ask in the interest of fairness that they address any  
9 current or previous financial involvement with any  
10 firm whose products they may wish to comment upon.

11           That concludes the conflict of interest  
12 statement. I would like to make just one public  
13 service announcement. The management of the  
14 auditorium has asked me to remind individuals that no  
15 food is permitted in the auditorium and that you are  
16 to please take all your papers and belongings with you  
17 when you leave. Thank you.

18           DR. PACKER: Thank you very much, Joan.  
19 The topic for today is NDA 20-807/S-004, Refludan or  
20 lepirudin. The sponsor is Aventis Pharmaceutical  
21 Company. The indication that is being pursued is as  
22 an anticoagulant in adult patients with acute coronary

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 syndromes.

2 In the matter being brought to the  
3 committee today, the Cardiovascular and Renal Drugs  
4 Advisory Committee is acting in a consultant capacity  
5 to the Coagulation Drug Products Division and  
6 consequently this is not an unusual occurrence. We  
7 have acted as a consultant committee when there is a  
8 major cardiovascular indication which is being pursued  
9 even outside the Division of Cardiovascular and Renal  
10 Drugs.

11 Without any further ado, we'll ask the  
12 sponsor, Aventis Pharmaceuticals, to bring their  
13 presentation. I'm so sorry. It is traditional to ask  
14 whether there is any public comment. There being no  
15 public comment, with due apologies I'll ask the  
16 sponsor to begin their presentation.

17 DR. LUZ: Good morning, Mr. Chairman,  
18 ladies and gentlemen. I'm Matthias Luz, the global  
19 project leader and global clinical manager for the  
20 lepirudin or Refludan at Aventis.

21 It is my pleasure to come before this  
22 committee today along with my colleagues to share with

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 you the results of the OASIS studies and discuss the  
2 use of lepirudin in unstable angina or acute MI  
3 without ST elevation. More shortly, acute coronary  
4 syndromes or ACS.

5 The agenda will be as follows. In my  
6 introductory talk I will give you a brief overview of  
7 the regulatory history and principal pharmaceutical  
8 properties of the drug, introduce the rationale for  
9 its use in ACS, and briefly review several aspects of  
10 the clinical trials that are of relevance to the  
11 understanding of the presentations and interpretation  
12 of the results.

13 Next Dr. Salim Yusuf will present the  
14 clinical efficacy data of the OASIS studies. Dr.  
15 Yusuf is a Professor of Cardiology at the McMaster  
16 University in Hamilton, Canada, and the chairman of  
17 the OASIS steering committees.

18 The next speaker will be Dr. Lloyd Fisher.  
19 Dr. Fisher is a Professor Emeritus of Biostatistics at  
20 the University of Washington. He will discuss how  
21 lepirudin might have fared had we been able to compare  
22 lepirudin plus aspirin versus aspirin alone as opposed

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 to heparin plus aspirin.

2 We view this comparison as an integral  
3 part of the submission since first the U.S. regulatory  
4 standard for the approval of new drugs is that the new  
5 drug has to convincingly be placebo and, second,  
6 heparin is not approved in ACS.

7 I will then be presenting the clinical  
8 safety data. Finally, Dr. Jack Hirsh will summarize  
9 the clinical evaluation. Dr. Hirsh is a Professor of  
10 Medicine also at the McMaster University in Hamilton,  
11 Canada, and the chairman of the OASIS-2 Data and  
12 Safety Monitoring Board.

13 For the discussion of specific statistical  
14 aspects, we also have Dr. Gary Koch who is a Professor  
15 of Biostatistics at the University of North Carolina,  
16 and Dr. Larry Roi who is the project statistician for  
17 lepirudin available.

18 I will be available to moderate questions  
19 as needed.

20 Refludan was approved in the United States  
21 in March 1998 for the treatment of patients with  
22 heparin-induced thrombocytopenia and thromboembolic

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 complications. Since then the drug has also been  
2 approved in Canada, all 15 countries of the European  
3 union, and 15 further countries.

4 Post-marketing experience is available  
5 from an estimated 5,000 patients worldwide. Specific  
6 information has been collected through an extensive  
7 two-year drug monitoring program in the European union  
8 that involved approximately 1,300 patients and  
9 constitutes the largest prospectively collected  
10 database in heparin-induced thrombocytopenia.

11 Importantly, the dose used in HIT is identical to the  
12 dose that is proposed for the new indication.

13 Based on the findings of the OASIS  
14 studies, we propose that the following new indication  
15 be approved. Refludan as indicated for  
16 anticoagulation in adult patients with acute coronary  
17 syndromes, ACS, unstable angina or acute MI without ST  
18 elevation.

19 In this setting Refludan has been shown to  
20 decrease the rate of CV death or new MI combined  
21 double endpoint, as well as the rate of CV death, new  
22 MI or refractory angina combined triple endpoint. Of

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 note, the two endpoints mentioned were the primary and  
2 key secondary endpoint of the OASIS-2 study that forms  
3 the principal basis of this submission.

4 The rational for use of lepirudin in ACS  
5 is derived from the pathophysiology of the disease and  
6 the pharmacological properties of the drug. Acute  
7 coronary syndromes are caused by plaque instability or  
8 rupture leading to activation of blood coagulation.  
9 This, in turn, leads to complete or partial exclusion  
10 of the coronary arteries.

11 Thrombin has been identified as playing a  
12 key role in the pathogenesis of ACS. Hirudin as the  
13 most potent and specific thrombin inhibitor known can,  
14 therefore, be expected to have a great potential in  
15 drug therapy of ACS.

16 Natural hirudin is produced by the saliva  
17 glands of the medicinal leech. Lepirudin is a  
18 recombinant hirudin that is derived from transfected  
19 yeast cells.

20 This slide summarizes and illustrates the  
21 most important mechanistic differences between heparin  
22 as an indirect thrombin inhibitor and lepirudin as a

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 direct thrombin inhibitor. Let me walk you through  
2 this slide.

3 In the top right-hand part you see a very  
4 schematic presentation of a thrombin molecule with the  
5 catalytic or active site, the heparin binding site,  
6 and the fibrin binding site. Lepirudin binds to both  
7 the active side and the fibrin binding site of the  
8 thrombin molecule.

9 Since its affinity thrombin is higher than  
10 that of fibrin, it is able to find to thrombin even in  
11 the presence of fibrin. Therefore, both fluid phase  
12 and clot-bound thrombin can be inhibited by lepirudin.  
13 In contrast, heparin binds to the heparin binding site  
14 of thrombin.

15 In the presence of fibrin, thrombin is  
16 held in a tight turnery complex with heparin and  
17 fibrin. In this situation heparin in the active  
18 heparin AT-III complex cannot access the heparin  
19 binding site of the thrombin molecule. Therefore,  
20 clot-bound thrombin is prevented from inhibition by  
21 heparin.

22 The clinical pharmacology of lepirudin was

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 extensively discussed in the original application for  
2 HIT. This slide just briefly summarizes the key  
3 pharmacokinetics features. After IV administration,  
4 the drug is rapidly distributed to the extracellular  
5 compartment. Clearance occurs mainly by the kidneys,  
6 the terminal half-life ranging between 0.8 and 2.0  
7 hours after IV infusion. Of note, consistent with the  
8 primary elimination pathway decreased renal function  
9 leads to a prolonged half-life.

10 The basis of the submission or the OASIS-1  
11 and OASIS-2 studies, in particular the 10,000 patients  
12 that OASIS-2 study. The entire program additionally  
13 involves two small early Phase IIa feasibility studies  
14 that were submitted with the original application for  
15 HIT and will not further be discussed during today's  
16 presentations.

17 In the following several slides, I will  
18 review the active heparin that was used as the control  
19 in both OASIS studies. The U.S. regulatory standard  
20 and preferred control is placebo. Although no active  
21 drug (except aspirin) was approved for use in ACS  
22 before the OASIS studies were conducted, heparin was

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 randomized heparin controlled registration studies,  
2 namely the ESSENCE study and TIMI-11B study, comparing  
3 enoxaparin with unfractionated heparin, the FRIC study  
4 comparing dalteparin with unfractionated heparin.

5 You will note that the initial bolus was  
6 almost identical in all studies. The TIMI-11B study  
7 used the weight-adjusted bolus that at the average  
8 weight of 75 kilograms yields almost the same dose as  
9 in the other studies.

10 Similarly, the infusion doses used in  
11 these five studies were, in fact, very close to each  
12 other the OASIS studies marking the upper end of the  
13 tide range.

14 In my last slide I will briefly review the  
15 approach to the primary analysis in the OASIS-2 study.  
16 Aventis specified Modified Intention to Treat analysis  
17 as the primary analysis in the statistical analysis  
18 plan prior to unblinding of the study.

19 The protocol specified in Intention to  
20 Treat analysis as primary, and the ITT has had  
21 emphasis in FDA review. Therefore, for the purpose of  
22 today's presentation, we will focus on the ITT

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 findings and, in addition, provide some MITT findings.

2           You will find the ITT findings also in the  
3 appendix to your briefing documents. Importantly, as  
4 Dr. Yusuf will show in his presentation, there are no  
5 appreciable differences between ITT and MITT findings.  
6 This is not surprising because among the 10,141  
7 patients enrolled in the OASIS-2 study, the MITT  
8 analysis only excludes 61 patients who did never  
9 receive study drug and two patients who received study  
10 drug but did not have a seven-day assessment.

11           Thank you for your attention.

12           DR. PACKER: Before proceeding, let's see  
13 if the committee has any questions. We'll begin with  
14 our primary reviewer, Dr. Borer

15           DR. BORER: I'd like to go back to the  
16 pharmacokinetics that you presented. Let me preface  
17 my question by telling you why I'm asking. Several  
18 drugs have been approved in the last couple years for  
19 treatment of patients with acute coronary syndromes  
20 and they are all very potent antithrombotics of one  
21 sort or another, generally platelet active.

22           All were approved with the kind of kinetic

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 information that you've presented here and no more.  
2 The result has been that in the percentage of  
3 patients, and in your study it's 1.9 percent of  
4 patients receiving lepirudin that go emergently to by-  
5 pass grafting, the surgeons were left totally  
6 unprepared for what then transpired which often was  
7 major bleeding.

8           You list no bleeding complications in the  
9 surgical patients. We may want to discuss that later  
10 on when you get to your safety section, but at least  
11 I would like to know now more about kinetics. You  
12 have the terminal plasma half-life here. Can you tell  
13 us something about the binding kinetics with thrombin?  
14 Over what period of time after the drug is stopped  
15 will there still be an antithrombotic effect?

16           DR. LUZ: The binding between hirudin and  
17 thrombin is essentially an irreversible binding so  
18 there will be a continued effect. At least that is  
19 the assumption in the plaque. With new thrombin  
20 generated, this will certainly play a minor role after  
21 the elimination of the drug.

22           DR. BORER: So over what period of time is

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 the aPTT still abnormal? And over what period of time  
2 is the bleeding time abnormal? What period of time  
3 are patients at risk from excessive bleeding or  
4 abnormal bleeding once the infusion is stopped?

5 DR. LUZ: The aPTT usually returns to  
6 normal levels within five to six hours which is  
7 consistent with the half-life of the drug.

8 DR. CALIFF: I'm sorry. Doesn't that  
9 depend on renal function in a very strong way?

10 DR. LUZ: It certainly depends on the  
11 renal function. That's why I say usually in patients  
12 with normal renal function with the half-life going  
13 up. With impaired renal function one can also expect  
14 that the aPTT levels would remain elevated in these  
15 patients.

16 DR. BORER: If there was persistent  
17 elevation or if somehow we found that there was a  
18 proclivity for abnormal bleeding even though the aPTT  
19 has begun to come down, is there an appropriate  
20 antidote, an appropriate regimen to use? For example,  
21 give fresh/frozen plasma or whatever. I mean, what  
22 would you do if you had to perform an emergency

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 operation?

2 DR. LUZ: To answer your question very  
3 specifically, there is no specific antidote available.  
4 There have been various attempts to reverse the  
5 hirudin action including certainly the use of  
6 fresh/frozen plasma or facta concentrates. There is  
7 no well established concept to reverse the action.  
8 Ultimately, the only way to remove the drug from the  
9 circulation would be that the patients undergo  
10 hemodialysis.

11 DR. BORER: Okay. We may come back to  
12 this in the safety issues but I would like to at least  
13 flag this as an issue now.

14 DR. PACKER: Maybe we should also take the  
15 opportunity now to have the committee discuss a little  
16 bit the heparin dosing. We probably will not have a  
17 better time than now to do so. All the discussion  
18 moving forward will probably focus on other issues.

19 The sponsor has utilized two different --  
20 slightly different heparin dosing in OASIS-1 and in  
21 OASIS-2 and the meta-analysis by Oler also used a  
22 variety of heparin dosing regimens.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1           The two regimens in OASIS-1 and OASIS-2  
2           titrated the aPTT from 60 to 100 seconds. I would  
3           like to get some discussion in the committee as to the  
4           validity or advisability of the heparin dosing is one  
5           of the questions that is being posed to the committee.

6           Rob, can I start with you?

7           DR. CALIFF: Well, I guess it would be  
8           useful to get the sponsor's point of view on what the  
9           best interpretation of the best heparin. I think  
10          there are two issues here and I know we're going to  
11          come back to this multiple times throughout the day.

12          One issue is how does the heparin dose  
13          used in this study compare with what is going to be  
14          used in the systematic overview to generate this  
15          putative placebo. The other question is what the right  
16          heparin does. I know that the new guidelines  
17          recommending a lower heparin dose and what is in these  
18          studies. It might be useful to hear your point of  
19          view as to whether you think this dose use as a  
20          control is actually too high.

21          Let me just say I haven't been involved in  
22          this field for quite a while. It seems to me the dose

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 you picked at the time you did the study was the dose  
2 that the experts recommended. I don't think there's  
3 an argument about whether the dose you picked at the  
4 time was one that would have been picked by most  
5 people in the field at the time. The question now is  
6 now that the trial is over how do we interpret it in  
7 the light of new information.

8 DR. LUZ: Well, there are several aspects.  
9 First, as I showed, the average dose given in the  
10 OASIS trial was right in the middle of the range that  
11 was used in the Oler meta-analysis. It also compares  
12 in a tight range with the regimens used in other  
13 registration studies using heparin as a control.

14 If you expand this comparison to studies  
15 that use heparin in addition to other drugs or had  
16 heparin aboard in some way, you'll find that the range  
17 is exactly the same. There is no difference.

18 Second point is that the actual guidelines  
19 that were in effect at the time when the OASIS studies  
20 suggested an even higher dose consisting of 80 units  
21 per kilogram for the bolus and 18 units per kilogram  
22 an hour before the infusion. We were, in fact, below

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 that range.

2 The third point is that the GUSTO-IIa  
3 study suggested to some degree that 1,300 units might  
4 be too high. We stopped below that with the dose  
5 regimes in the OASIS studies.

6 The fourth point might be that with the  
7 weight-adjusted regime that was used in the OASIS-2  
8 study, you might expect that patients having a bigger  
9 body weight would have higher rates of bleedings  
10 because they received more heparin as compared to a  
11 fixed dose of 1,000 or 1,100 unit regime. This, in  
12 fact, was not found in the OASIS-2 studies. The  
13 bleeding rates in patients below and above 75  
14 kilograms were identical.

15 Apart from that, and Dr. Jack Hirsh could  
16 perhaps elaborate on that further, to the best of my  
17 knowledge there is no established dose response  
18 relationship for heparin that has come out of a  
19 randomized trial looking for clinical endpoints.

20 DR. CALIFF: I think that is true. On the  
21 other hand, study after study in the last three or  
22 four years has found that patients who do have aPTT

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 greater than 70 to 75 seconds not only have a higher  
2 rate of bleeding but a higher rate of ischemic events.

3 Again, I'm not questioning the choice of  
4 the dose at the time it was done. What I'm trying to  
5 do is get some perspective on how we look at it now  
6 that we have a lot more information about heparin.

7 DR. HIRSH: Rob, can I comment on that?  
8 Actually, I know of no new information since the  
9 initial trial was commenced that is at variance with  
10 the dose that was selected. There were two problems.  
11 One is that the bleeding has tended to occur in  
12 patients treated with thrombolytic therapies. Is that  
13 not the case?

14 DR. CALIFF: I think there is a much  
15 higher rate of bleeding with thrombolytic therapy but  
16 even in the nonthrombolytic looking at the TIMI trials  
17 and all the GUSTO trials. Above about 70 seconds  
18 there's a pretty dramatic up-slope to the bleeding  
19 risk. Also the surprising increase in ischemic events  
20 which none of us expected to see but it's been  
21 replicated in about four large trials.

22 DR. HIRSH: I think that the most robust

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 way of looking at heparin is the case rather than the  
2 PTT. The PTT is very tremendous. The PTT is like the  
3 prothrombin time to the INR so that you may have got  
4 it in some of the studies but depending on the  
5 reagent, a PTT of 60 to 100 would be the same as 40 to  
6 70 with other reagents. This has been well published.  
7 I think that the best way of looking at it would be  
8 dose rather than PTT because, as I said, the PTT  
9 varies so much.

10 DR. PACKER: But, Jack, you would say this  
11 dose is one that you would recommend today?

12 DR. HIRSH: Yes, based on the data I  
13 didn't see any reason why not.

14 DR. PACKER: But the dose is to be  
15 titrated to adjust the PTT.

16 DR. HIRSH: Yes, that's the standard  
17 approach, to adjust the PTT. It's a very inexact  
18 approach because unlike the prothrombin time, although  
19 attempts have been made to standardize the PTT, those  
20 attempts have not been widely used. The fruits of  
21 those attempts have not been widely used.

22 If you can recall back to the days 15

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 years ago when people using a prothrombin time instead  
2 of an INR, that's where we stand now with the PTT.  
3 That's why I say I think if one was to look at the  
4 best way of comparing dosing regimes, it would be  
5 based -- there are three things to look at: the bolus,  
6 because that's going to have an early effect because  
7 there's always an overshoot; the continuous infusion;  
8 and the PTT.

9 I think of those three the PTT is least  
10 reliable. To say that if you go beyond a certain PTT  
11 you are going to run into trouble would be the same as  
12 saying if you go beyond a certain prothrombin time,  
13 you get into trouble. We know that a prothrombin time  
14 with a reagent with an ISI of, say, 2.7, the INR would  
15 be about 13 compared to an INR of about three  
16 prothrombin time with an ISI of 1.

17 DR. CALIFF: So the point you're making is  
18 that the PTT is not a standardized answer. There are  
19 multiple.

20 DR. HIRSH: That's why I think that PG is  
21 not standardized. The bolus dose is standardized, the  
22 continuous infusion is standardized. It's reasonable,

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 I think, based on local hospital practice to adjust  
2 the dose because you're not going to get a very, very  
3 precise --

4 DR. CALIFF: But so as not to mislead  
5 people, you're not saying that if you give a  
6 standardized dose that biological anticoagulant  
7 activity is going to be the same from patient to  
8 patient.

9 DR. HIRSH: No, it's not. It's going to  
10 be highly variable.

11 DR. CALIFF: Right. So there are two  
12 factors here. One is the biological variability of  
13 what happens to heparin when it gets in the system,  
14 and the other is that we have a lousy test to follow  
15 it. Two sources of variability.

16 DR. HIRSH: So to answer the question, I  
17 think that to go back to the original question,  
18 whatever dose within the range that was shown that was  
19 selected would be reasonable and it would be hard to  
20 argue that one dose is better than another dose.

21 DR. PACKER: There has to be a  
22 relationship between dose and bleeding. There is a

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 relationship between dose and bleeding events.

2 DR. HIRSH: Definitely.

3 DR. PACKER: Okay. Paul.

4 DR. ARMSTRONG: Jack, just before you  
5 leave, let me be clear. You would advocate a  
6 nonweight-adjusted bolus and an infusion as was  
7 articulated in OASIS-2 and a PTT target that was the  
8 same as in OASIS-2, or would you adjust the PTT target  
9 differently now in the light of current information  
10 and guidelines or not? I want to be clear.

11 DR. HIRSH: I'd certainly use the bolus  
12 version, the continuous infusion. I think it's  
13 reasonable to use a lower dose in the very light and  
14 a higher dose in the very heavy but weight is a fairly  
15 weak predictor of response to heparin.

16 When it comes to the PTT, if the mean dose  
17 that was used was the same in the various studies,  
18 then the PTT response that was -- or the target PTT  
19 was a reasonable target PTT if anything was a little  
20 higher than would be currently used but certainly not  
21 lower. To answer your question, I would probably go  
22 a little lower now except knowing that the mean dose

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 of heparin that was used in the study was really quite  
2 low, I don't think they ever shot with PTT.

3 DR. PACKER: Marv.

4 DR. KONSTAM: I would just like to know in  
5 OASIS-2 what was the frequency of measuring the PTT?  
6 How often was dosage suggested?

7 DR. LUZ: As per the protocol, it was to  
8 be measured six to eight hours after start of the  
9 infusion and at least once daily thereafter. I think  
10 the average number of measurements was in the range of  
11 six.

12 DR. PACKER: Any other comments? I think  
13 part of the reason we're having all this discussion is  
14 that both of the major trials being presented by the  
15 sponsor are active comparator trials against the drug  
16 which is not approved for the indication which is  
17 being presented and for which a dosing regime that  
18 optimizes efficacy and safety has not been established  
19 and remains a moving target. I think that it's part  
20 of the struggle that we will face today in trying to  
21 decipher risk to benefit relationships.

22 Okay, you can proceed.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. LUZ: Let me introduce Dr. Salim  
2 Yusuf, the chairman of the OASIS-1 and 2 steering  
3 committees.

4 DR. YUSUF: Mr. Chairman, ladies and  
5 gentlemen, on behalf of the OASIS Investigative and  
6 Steering Committee it's my pleasure to share with you  
7 the results of the two OASIS trials. OASIS stands for  
8 the organization to assess strategies and ischemic  
9 syndrome.

10 It's a program of research that consist of  
11 three parts. All three in patients with unstable  
12 angina or acute myocardial infarction without  
13 significant ST elevation.

14 The first part evaluates the relative  
15 efficacy of hirudin versus unfractionated IV heparin  
16 and this involved two studies, the OASIS-1 study and  
17 the OASIS-2 study. It also involved the concept of  
18 prolonged antithrombotic therapy with warfarin as the  
19 standard therapy on top of aspirin as subsidies of  
20 these trials.

21 A third component looked at the clinical  
22 course and practice patterns in multiple countries and

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 data have already been published from 8,000 people  
2 from six countries and there are ongoing attempted in  
3 several other countries.

4 This is the design of OASIS-1. The study  
5 group involved people with unstable MI without ST  
6 evaluation, unstable angina or MI without ST  
7 elevation. Patients were randomized to three groups,  
8 unfractionated heparin, low dose of lepirudin, and a  
9 medium dose of lepirudin.

10 By the statement you would probably  
11 recognize there was meant to be a high dose of  
12 lepirudin as well. However, as we were just about to  
13 embark on this program, the data from GUSTO-IIa was  
14 published and there was concerns of safety at a higher  
15 dose of hirudin so it was dropped.

16 The study included 909 patients. There  
17 were three major endpoints that the study focused on:  
18 cardiovascular death, myocardial infarction, and  
19 refractory angina as a composite. The same composite  
20 but stopping at refractory angina and the same  
21 composite on cardiovascular death or myocardial  
22 infraction.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1           The most important endpoint in the study  
2 was considered to be the first one simply because it  
3 was the highest event rate and because sample size is  
4 calculated based on this. Although this endpoint is  
5 clinically the most relevant, the number of events  
6 were expected to be very few in this pilot study.

7           The study was a randomized partially  
8 study. Therefore, we ensured a number of steps to  
9 ensure there is an unbiased evaluation of the outcome  
10 the key component of which was central randomization  
11 and central blinded adjudication.

12           These were the doses used. The low dose  
13 of hirudin involved a bolus of .2 milligrams per  
14 kilogram followed by an infusion of 1 milligram per  
15 kilogram per hour for 72 hours. The medium dose  
16 involved a bolus of .4 milligrams per kilogram per  
17 hour followed by an infusion of .15 milligrams per  
18 kilogram per hour for 72 hours.

19           The infractionated dosing regimen was as  
20 described by Dr. Matthias Luz so that in those over 60  
21 kilograms 1,200 units an hour was used and those under  
22 60 kilograms a lower dose was used, 1,000 per hour.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 Both regimens were given for identical periods of time  
2 of 72 hours. The target dose adjustment was aPTTs in  
3 the range of 60 to 100 seconds.

4 Aspirin was recommended in all patients at  
5 a dose of 325 milligrams a day during hospital stay,  
6 but thereafter there was flexibility in the dose of  
7 aspirin used.

8 The next three or four slides will give  
9 you key aspects of both OASIS-1 and 2 that are  
10 essentially common. The patients were entered within  
11 12 hours after the onset of pain. They were  
12 randomized to either treatment for 72 hours. There  
13 was a second randomization in a subgroup of people  
14 eligible for the warfarin component that occurred  
15 between 12 hours and seven days so this is a partial  
16 factorial design.

17 Warfarin was then given for three to six  
18 months as the standard therapy. The primary  
19 comparison for lepirudin versus heparin in both trials  
20 on all endpoints was at seven days and the duration of  
21 follow-up in the program as a whole was six months.

22 These are the definitions of the various

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 events that were used in OASIS-1 and 2. They were  
2 identical for most events and only different for one  
3 event to a small extent based on our experiences in  
4 OASIS-1.

5 Cardiovascular death is the same  
6 definition that we've used in all trials. Death was  
7 considered to be cardiovascular if it was proven  
8 cardiovascular or the cause was unknown, only those  
9 deaths that were proven to be non-cardiovascular were  
10 called non-cardiovascular.

11 New myocardial infarction was defined  
12 within the first 24 hours as requiring all three; new  
13 clinical symptoms, new enzyme elevation, or ECG  
14 changes. After 24 hours any two out of the three  
15 criteria were acceptable, either new clinical symptoms  
16 or enzyme changes or ECG changes.

17 These are the definitions of refractory  
18 angina used in both trials. Refractory angina was  
19 defined very stringently. Patients had to get typical  
20 new chest pain despite optimum medical treatment  
21 defined as being on aspirin or another antiplatelet  
22 agent and then on at least two anti-anginal

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 treatments, one of which had to be IV nitrates.

2 There had to be new ECG changes that  
3 indicated ischemia. In addition to that there had to  
4 be an urgent cardiac intervention and it was defined  
5 as either thrombolytic therapy for an impending  
6 infarction or urgent intervention that is taking the  
7 patient rapidly to the cardio cath needing PTCA, CABG  
8 surgery or, as I said, thrombolytic therapy, or the  
9 insertion of an intra-aortic balloon pump. Or for  
10 those centers where facilities for cardio cath were  
11 not available, transfer for intervention in an urgent  
12 fashion to one such center.

13 Later on I'll point out to you the  
14 overwhelming majority of these patients did, indeed,  
15 have an intervention after discharge and before seven  
16 days and later as well hospitalizations for angina  
17 with ECG changes and admission to an ICU or CCU was  
18 accepted also as a criteria.

19 This was double-up because in OASIS-1  
20 there were one or two cases that we noticed and so in  
21 a larger study in order to capture these events, the  
22 definition was expanded. Again, the majority of these

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 people had interventions as I'll show you later.

2 This is the definition of severe angina.  
3 Severe angina was very similar to refractory angina  
4 except that the need for intervention was not there.  
5 It meant again typical chest pain despite medical  
6 management, plus new ECG changes.

7 I should point out that several trials  
8 that have been used as the basis for regulatory  
9 approval actually included this definition as  
10 refractory angina at least in part. In a sense the  
11 definition of refractory angina in OASIS is stricter,  
12 or at least as strict as the strictest there is in the  
13 literature.

14 These are the baseline characteristics of  
15 patients in OASIS-1. First, the randomization was  
16 deliberately unequal in a ratio of four is to three is  
17 to three so that 371 patients were randomized to  
18 infractionated heparin, 271 to lepirudin low dose, and  
19 267 to the medium dose.

20 You will notice from this all of the  
21 baseline characteristics are balanced. About 2/3 were  
22 men. The mean age was 64. The mean weight was 78.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 The majority of people came in with unstable angina.  
2 Only 13 percent were admitted into the trial with an  
3 initial diagnosis of myocardial infarction without ST  
4 elevation and the majority had abnormal ECGs, and the  
5 mean time to randomization was about 6.7 to 7.9 hours.

6 These show other key historical aspects.  
7 Again, these are balanced between the three groups.  
8 Myocardial infarction was seen in about 40 percent,  
9 previous revascularization procedures in about 30  
10 percent, hypertension in about just under half of the  
11 people, 20 percent had diabetes, and 67 percent had a  
12 history of previous strokes. These baseline  
13 characteristics are in general similar to what you  
14 would observe in most randomized trials of unstable  
15 angina.

16 Now, because this was an open trial, we  
17 carefully monitored treatments other than the  
18 allocated treatments. You will see the overwhelming  
19 majority of patients received aspirin as per the  
20 protocol. The use of nonstudy heparin prior to  
21 randomization was similar.

22 The use of nonstudy heparin after

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 randomization was, if anything, lower in the median-  
2 dose lepirudin group compared to the unfractionated  
3 heparin group with the low dose being in between.  
4 Thrombolytic therapy was used in three percent of  
5 those with unfractionated heparin and looks slightly  
6 lower in the other two groups.

7 Beta blocker use was 76 percent here, 73  
8 percent in the low dose, and 71 percent in the medium  
9 dose. Nitrate use also showed a trend towards less  
10 use in the medium dose. Calcium channel blocker use  
11 was balanced, as was ACE inhibitor use also was  
12 balanced. There is no evidence that the group in the  
13 hirudin groups got more aggressive pharmacological  
14 therapy that was not part of the protocol mandated  
15 regimen.

16 These are the data on aPTT over the first  
17 72 to 96 hours. The blue is unfractionated heparin  
18 and because of the bolus, and as described in numerous  
19 studies, you get an initially higher level with  
20 heparin than with the hirudins. Orange is the low  
21 dose and the yellow is the medium dose of hirudin.  
22 And you can see that these two doses, the medium dose

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 and the unfractionated heparin, for what it's worth  
2 had a similar aPTT, whereas the low dose had a  
3 slightly lower aPTT.

4           These are the main efficacy results of  
5 OASIS-1. The composite of cardiovascular death,  
6 myocardial infarction, refractory angina, as well as  
7 severe angina which is the main endpoint, showed a  
8 dose dependent reduction so that it was 15.6 percent  
9 in the unfractionated heparin group, 12.5 percent in  
10 the low dose group, and 9.4 percent in the medium dose  
11 hirudin group. The difference between this and this  
12 is statistically significant. A test for trend is  
13 also statistically significant.

14           The same results were obtained for  
15 cardiovascular death, myocardial infarction, and  
16 refractory angina. You will see 6.5 percent, 4.4  
17 percent, and 3.0 percent. The difference between  
18 unfractionated heparin and the medium dose is  
19 nominally significant. Again, a trend towards lower  
20 rates for cardiovascular death and myocardial  
21 infarction was also observed compared to  
22 unfractionated heparin.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1           The next slide indicates that during the  
2 treatment period we would have expected almost all or  
3 the majority of benefit to emerge. Indeed, that was  
4 the case. You will see the differences in the  
5 quadruple endpoint. In the triple endpoint, and on  
6 cardiovascular death and MI trends emerge entirely  
7 during the treatment period with little further  
8 benefit beyond that.

9           The next slide shows you the components of  
10 these and this on cardiovascular deaths represents  
11 very few events, one or two per group. When you look  
12 at more frequent events, myocardial infarction is a  
13 dose dependent relationship. Refractory angina, at  
14 least for the medium dose, there is a reduction. And  
15 for sever angina there is a dose dependent  
16 relationship. At least these three components  
17 contribute to the differences in the composite  
18 outcome.

19           These are the data on long-term follow up.  
20 You will see for the three sets of composite endpoints  
21 the early differences between heparin and the two  
22 doses of hirudin persist in general up to six months

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 and you will see that for the composite refractory,  
2 and you'll see that for the composite with cavuvast  
3 cadet and myocardial infarction. There is new  
4 evidence that after three days of therapy the --

5 (Whereupon, off the record when the  
6 recording stopped and information was lost. Back on  
7 the record at 9:56 a.m.)

8 DR. PACKER: This would be a good time to  
9 open the discussion of OASIS-1. Open for discussion.  
10 We'll begin with our primary reviewer, Dr. Borer.

11 DR. BORER: Salim, I have several  
12 methodological questions that probably are easy to  
13 deal with that weren't clear to me from the book that  
14 we were given, not in any particular order.

15 How was the patient variation and response  
16 to warfarin resolved, or was it? As I understood the  
17 protocol, everybody got 10 milligrams initially by  
18 mouth and then three milligrams a day and there was no  
19 monitoring of pro time or INR to titrate the dose.  
20 First, am I correct in that?

21 DR. YUSUF: There was monitoring. First,  
22 let me tell you in OASIS-1 nobody was randomized

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 before three days because there was concern on  
2 bleeding or putting a new drug and warfarin together.  
3 The mean time to randomization was about six or seven  
4 days so it was well after the hirudin was given.

5 The second is there was monitoring of INRs  
6 frequently. I think it was daily for the first three  
7 days and then less frequently. I don't remember the  
8 exact regimen but it was done.

9 DR. BORER: Was the dose then varied in  
10 response to the INR?

11 DR. YUSUF: Yes, the dose was then varied.

12 DR. BORER: I see. Okay. That wasn't  
13 clear to me. The severe angina and refractory angina  
14 endpoints are based on a case report form?

15 DR. YUSUF: Yes. Also one other thing.  
16 Each of the things we were interested was documented.  
17 We asked for all the ECGs. They were read centrally.  
18 We asked for the discharge summary and they were also  
19 looked at.

20 DR. BORER: Okay. My recollection reading  
21 through the reports of OASIS-1 and OASIS-2 was that at  
22 least in OASIS-2, which I'm not asking about now,

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 there was the potential for missing the angina events  
2 in patients who didn't have a completed seven-day  
3 report because the forms then would only capture  
4 mortality and myocardial infarction. Was this true  
5 also in OASIS-1? Was there potential for missing the  
6 angina events in people who did not have completed  
7 form at seven days or seven-day complete reports and  
8 did that happen? I mean, were there incomplete  
9 reports?

10 DR. YUSUF: I think there were about --  
11 actually, at seven days we had complete follow-up in  
12 OASIS-1 in all the patients. I have a backup slide  
13 but it shows we have complete data at seven days in  
14 everybody. In OASIS-2 we have missing data on seven  
15 in each group. That is 14 out of 10,000 people for  
16 that outcome.

17 DR. BORER: Okay. We'll get to OASIS-2  
18 later but I was more concerned about OASIS-1 now.  
19 After the initial period of hospitalization, aspirin  
20 dose was variable. Did you make any effort to analyze  
21 the follow-up data based on aspirin dose? Was aspirin  
22 a confound or do we not know?

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. YUSUF: After seven days we didn't try  
2 to do that. Before seven days, as you can see, almost  
3 everybody got it so you couldn't do a stratified  
4 analysis.

5 DR. BORER: The Inclusion of patient into  
6 the warfarin follow-on, that did not include the  
7 entire population, did it? You know, we can talk all  
8 day about biases and such but I would like to hear  
9 just what you have to say about the potential for  
10 selection bias that might have confounded OASIS-1  
11 based on the use or non-use of warfarin.

12 DR. YUSUF: I think the first thing was  
13 not everybody was eligible for warfarin. For  
14 instance, if they had bleeding on hirudin, physicians  
15 would give -- or heparin, physicians would be  
16 reluctant to use it.

17 Second thing we found given that OASIS-1  
18 was done in North America was that there was a  
19 considerable reluctance on the part of physicians to  
20 randomize people to warfarin so that only about 35 or  
21 40 percent eventually got randomized. Now, the people  
22 who got randomized turned out to be a lower risk group

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 than the people who didn't.

2 People who had refractory angina and had  
3 an intervention, the physicians thought they had fixed  
4 all the problems so they never got randomized to that.  
5 In the end from a trial point of view, the warfarin  
6 part did not help us because we weren't able to answer  
7 the warfarin question and what we learned is  
8 physicians don't like using warfarin.

9 The key thing is warfarin was started  
10 around five to six days in OASIS-1. By the time you  
11 get an effective INR, it's beyond the seven days so  
12 it's unlikely to have any effect. We've also done  
13 stratified analysis so it was balanced. The few  
14 people who got into the study was balanced by the  
15 three randomizations and the results stratified or  
16 adjusted did not make much difference to the results.

17 DR. BORER: What was non-study heparin in  
18 the heparin group?

19 DR. YUSUF: Non-study heparin was if at  
20 any stage -- okay, there were two. First, before  
21 getting into the trial some patients could have been  
22 in heparin and then when they get randomized, they go

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 to the randomized therapy so that's one.

2 The second is at the end of 72 hours some  
3 physicians wanted to continue heparin longer, given  
4 antithrombotic therapy longer so then when we stopped  
5 it, they used it for a longer period of time, usually  
6 a couple of days more.

7 DR. BORER: Did you make any effort to  
8 analyze the results based on whether non-study heparin  
9 was added on or not?

10 DR. YUSUF: As I showed you, it was added  
11 on less in the medium dose hirudin.

12 DR. BORER: One final question that I  
13 have. You indicated -- and this is just so I can get  
14 clear here. I'm not making a qualitative statement.  
15 You indicated that the main endpoint was the quadruple  
16 endpoint. That's what you were looking at at seven  
17 days. Is that something that was determined before  
18 the study or is that something that was determined  
19 after the results were in?

20 DR. YUSUF: Well, what happened, I mean,  
21 is as follows. We did not clearly expect in a 900  
22 patient study divided three ways to get overwhelming

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 results so we said here are the three composites. We  
2 have high power on this composite and we said these  
3 are the three endpoints that we're interested in.

4 We knew we had very low power on  
5 cardiovascular death and MI. We had some power on  
6 refractory angina. For the one endpoint that we  
7 calculated was the first one. We didn't say one is  
8 primary, one is secondary, and one is tertiary.  
9 Implicitly, that was the order in which one assumed it  
10 would be the case.

11 DR. BORER: Thank you.

12 DR. KONSTAM: Can I follow up on that?

13 DR. PACKER: Yeah, Marv. Go ahead.

14 DR. KONSTAM: I'm still not sure. Let's  
15 start with the severe angina endpoint. That was  
16 specified in the protocol as something you were going  
17 to look at?

18 DR. YUSUF: Absolutely.

19 DR. KONSTAM: And when you say that -- you  
20 mentioned that the selection or endpoint  
21 retrospectively might be rationalized on the basis of  
22 the way you performed the power analysis. What was

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 the power analysis exactly performed on?

2 DR. YUSUF: It was performed on the  
3 quadruple.

4 DR. KONSTAM: It was specified in the  
5 protocol that the power analysis was done on the  
6 quadruple?

7 DR. YUSUF: Yes.

8 DR. KONSTAM: At seven days?

9 DR. YUSUF: At seven days.

10 DR. KONSTAM: That's also --

11 DR. YUSUF: It's in the protocol.

12 DR. PACKER: Ileana and then John.

13 DR. PIÑA: Salim, how many patients were  
14 actually on heparin at the time of their admission  
15 before the randomization occurred? I would assume  
16 that some patients came in with chest pain and they  
17 were automatically put on heparin. Was there any  
18 adjustment time stopping the heparin and starting the  
19 randomization drug? How was that handled?

20 DR. YUSUF: I think about approximately 29  
21 or 30 percent, if I'm remembering the slide, were on  
22 heparin before they got into the study and the

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 instructions that we gave people is as soon as you get  
2 the telephone randomized allocation, switch to the  
3 study medications.

4 DR. PIÑA: So just make a change?

5 DR. YUSUF: Yes. And we did document that  
6 it did happen. Obviously there may be a five or 10-  
7 minute delay but it happened very rapidly.

8 DR. PIÑA: And that includes the bolus?

9 DR. YUSUF: Yes. Well, okay. That's a  
10 good question. If you were on previous heparin, the  
11 bolus was skipped.

12 DR. PIÑA: Do you think that could have  
13 affected that early increase in PTT that you saw with  
14 the heparin group on the graph?

15 DR. YUSUF: Well, if you were on heparin,  
16 the bolus was skipped so that wouldn't account for it  
17 but it's the other people that you get that so the  
18 remaining 2/3 is where you get that peak. You're  
19 right, Ileana.

20 DR. PIÑA: Did you collect aPTTs in the  
21 group on heparin before they were randomized? In  
22 other words, you knew where they were before they got

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 randomized?

2 DR. YUSUF: Yes. It was a requirement of  
3 the protocol.

4 DR. PIÑA: Another one of my confusions is  
5 the severe angina, refractory angina, because it  
6 sounds like the different is really a matter of the  
7 physician judgment at some point where intervention is  
8 required.

9 DR. YUSUF: That's right.

10 DR. PIÑA: Do you have a sense of how many  
11 patients were initially classified as severe angina  
12 that went on to become refractory? In other words,  
13 they had the intervention? When did you capture that  
14 or did you capture that?

15 DR. YUSUF: We captured it but for this  
16 analysis that I'm presenting to you, the most severe  
17 first event is what's counted. No, that's not true.

18 The first event is what's counted within  
19 seven days, but then for the triple endpoint the most  
20 severe is counted so for the quadruple endpoint if  
21 severe angina occurred and then somebody had  
22 refractory angina and the same patient had an MI and

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 then died, in the analysis you take the first one.  
2 For the triple endpoint you don't worry about severe  
3 angina and for the double endpoint you don't worry  
4 about a minor event unless there were clinical  
5 important events that occurred earlier.

6 DR. PIÑA: One last question. When you  
7 randomized after the seven days either to warfarin or  
8 standard, what was standard?

9 DR. YUSUF: Standard was everybody  
10 received aspirin so standard was no treatment. Ho  
11 warfarin.

12 DR. PIÑA: Aspirin and nothing else?

13 DR. YUSUF: Yes. Well, beta blockers.

14 DR. PIÑA: Oh, yeah. The additional  
15 medication but not anticoagulant.

16 DR. YUSUF: Sure.

17 DR. PACKER: John.

18 DR. DIMARCO: Salim, patients were  
19 randomized in the emergency room. Is that correct?

20 DR. YUSUF: Sometimes in the CCU.

21 DR. DIMARCO: Okay. Wherever the event  
22 occurred. Were they pain free at the time of

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 randomization?

2 DR. YUSUF: Some were and I think, what is  
3 it, 60 percent had pain. We have the data and we've  
4 looked at it. It was approximately half had pain and  
5 half did not have pain at the time of randomization  
6 with a plus of 10 percent.

7 DR. DIMARCO: What I'm curious about is in  
8 the patients who, you know, you have 10, 12, 13  
9 percent who have MI without ST segment elevation and  
10 one of your endpoints is new MI, how did you  
11 distinguish between those two? Did the pain have to  
12 go away and then they had some pain free interval and  
13 then they had recurrent pain?

14 DR. YUSUF: New ECG changes and new enzyme  
15 elevation.

16 DR. DIMARCO: Well, the enzymes would have  
17 still been up from the first --

18 DR. YUSUF: Yes. The first 24 hours,  
19 John, is very complex to diagnose. I agree with you.  
20 That was a challenge to do that.

21 DR. DIMARCO: I'm just curious how you did  
22 it exactly.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. YUSUF: First they needed new pain in  
2 the first 24 hours.

3 DR. DIMARCO: So the pain had to go away?

4 DR. YUSUF: Had to go away and you needed  
5 new pain and it was meant to be more than 20 minutes.  
6 Not just a little bit of pain requiring nitro but more  
7 than 20 minutes. Then they needed new ECG changes.  
8 Then you needed further elevation in the enzyme which  
9 is if it was already elevated, a further 20 percent  
10 increase.

11 Then a committee looked at all of this  
12 blindly. The majority of new MIs did not occur after  
13 the first day. You could see that from the curves  
14 that I showed you.

15 DR. PACKER: Tom Graboys.

16 DR. GRABOYS: Just to follow up on that,  
17 were you able to obtain triponen levels on any of  
18 these patients?

19 DR. YUSUF: At the time we did the study,  
20 triponen was not commonly available in Canada. In  
21 fact, I don't think any of us had triponen. We talked  
22 about it.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. PACKER: Rob Califf.

2 DR. CALIFF: There are a lot of  
3 interesting things about this presentation and I know  
4 they will keep coming up again and again. First of  
5 all, just in terms of discussion within the committee,  
6 I think that the way you've handled this is about as  
7 well as it can be done. Issues in the first 24 hours  
8 are almost impossible to sort out.

9 I would point out that this is a  
10 population without ST elevation so they typically  
11 don't have six or eight hours of pain. That would be  
12 highly unusual so the recurrent pain is a little  
13 easier here than it is in an ST elevation population  
14 where frequently the discomfort won't go away for  
15 eight, 10, 12 hours.

16 The enzymes are almost impossible because  
17 if they are up to start with in the first 24 hours,  
18 trying to really sort that out is difficult so we are  
19 probably missing a lot of recurrent events in the  
20 first 24 hours just because you don't have a clean way  
21 of sorting it out, nor will triponen be helpful  
22 because once it's up, it's up for a long time. There

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 are some really complicated and difficult issues here.

2 One of the things about what you've done  
3 here is proposing to combine OASIS-1 and OASIS-2. I  
4 know we're going to get into more.

5 DR. PACKER: Right. We're going to get  
6 into this.

7 DR. CALIFF: So hold off on that?

8 DR. PACKER: Hold off on that.

9 DR. CALIFF: All right. Then I'll go to  
10 my last point which is the refractory angina  
11 definition. I'm not aware of a plain way to do this  
12 because any way you look at it, it's pretty  
13 subjective. In terms of what you did with the sites,  
14 did you only look at cases where the sites called it  
15 an event and then you adjudicated it? Or did you go  
16 back and actually review every record for things that  
17 might have told you there was an event that the site  
18 wasn't picking up? If you did the latter, how did you  
19 really go about that?

20 DR. YUSUF: We did both. We obviously  
21 took the events reported and then we adjudicated it  
22 centrally. In 45 percent of the patients across all

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 sites a monitor was sent out to scan the charts to see  
2 if there were any events missed. It wasn't done 100  
3 percent. I was done in approximately half the people.

4 DR. CALIFF: What did you find in that 45  
5 percent? Were the sites missing events?

6 DR. YUSUF: The company did it so they  
7 know the answers to that.

8 DR. LUZ: There were no previously  
9 unreported events of death, MI, or refractory angina.  
10 There were a total of four severe angina events, three  
11 of which occurred before day seven, two in the heparin  
12 group, one in the low dose lepirudin group, and one  
13 additional severe angina event up to 35 days that was  
14 considered in the medium dose lepirudin group.

15 DR. YUSUF: At seven days it is 410. Is  
16 that right, the missed event? 210 severe angina.

17 DR. CALIFF: Just to clarify, this is  
18 OASIS-1 that we're talking about?

19 DR. YUSUF: OASIS-1.

20 DR. CALIFF: That seems almost too good.  
21 I've never seen monitoring that would pick up so few  
22 differences with a site.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. YUSUF: I don't know.

2 DR. LUZ: In addition, one should perhaps  
3 mention that the FDA has inspected one of the OASIS-1  
4 clinical sites and did not detect any previously  
5 unreported events during the hospital phase.

6 DR. PACKER: But this is worth asking  
7 about because in every trial that this committee has  
8 seen, including trials that are double-blind, the  
9 audit process picks up a higher frequency of  
10 discrepancies, routinely picks it up.

11 It's just part of the expected process of  
12 looking at the difference between what is reported on  
13 the case report form and what actually may or may not  
14 occur at a site. Can you describe the audit procedure  
15 that was followed in OASIS-1?

16 DR. CALIFF: Nothing I feel compelled to  
17 add. I mean, our work which shows if you had two  
18 clinicians looking at the same patient at the same  
19 time, you wouldn't have agreement that was quite this  
20 high. This is difficult but I think it would be  
21 worthwhile to go through it.

22 DR. YUSUF: I mean, I've told you what I

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 now, Milton. I think there is one thing, though. Our  
2 definitions and our forms match identically which is  
3 important. Our definitions are pretty stringent. I  
4 know severe angina is the least stringent of the three  
5 but you can always pick up interventions if you  
6 carefully chart it.

7           Since the need for intervention is a key  
8 part of refractory angina, I doubt that we truly  
9 missed refractory anginas because of the audit. And  
10 with MIs, too, we had very clear definitions and  
11 people had to meet them.

12           The other thing is we asked them to send  
13 us every enzyme value in every patient centrally so  
14 sometimes if a center said this is not an MI and  
15 scanning it centrally we found an enzyme pattern that  
16 was typical, we went back centrally and asked them,  
17 "Are you sure this is not an MI? Send us the case  
18 charts." And we scanned that.

19           In a sense, what you would normally do at  
20 a site audit we did it by fax centrally because of the  
21 way we managed the trial.

22           DR. CALIFF: I think the key thing here is

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 a requirement for intervention was part of the  
2 definition.

3 DR. PACKER: But not for severe.

4 DR. CALIFF: For refractory but not for  
5 severe. Right.

6 DR. PACKER: But I'm still confused.  
7 We're dealing with a putative primary endpoint that is  
8 driven primarily by severe. That constitutes most of  
9 that endpoint.

10 DR. YUSUF: That's partly true, Milton,  
11 but also when you look at the composite with  
12 refractory angina without severe angina, you get the  
13 same dose dependent relationship and the difference  
14 between unfractionated heparin and medium dose heparin  
15 is -- medium dose hirudin is nominally significant.  
16 It's a consistency.

17 DR. PACKER: I understand but we're still  
18 -- I'm still confused by this. It is a true statement  
19 that most of the endpoint that includes severe angina  
20 is driven by the episodes of severe angina.

21 DR. ARMSTRONG: It looks like it's about  
22 half, Milton.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. PACKER: About half. Right. But the  
2 delta is driven primarily by the delta on that  
3 component.

4 DR. ARMSTRONG: About half.

5 DR. YUSUF: On that endpoint, yes. But if  
6 you take the CV death MI refractory angina, it's  
7 really driven by both MI and the interventions.

8 DR. GRABOYS: The delta is 23.

9 DR. YUSUF: I'm sorry, Tom?

10 DR. GRABOYS: At day seven the delta is 23  
11 when you include severe angina, 16 when you don't, and  
12 when you just look at death MI it's 11.

13 DR. YUSUF: Okay. So they both contribute  
14 to it.

15 DR. PACKER: Can you tell us more about  
16 the audit and what was done and how it was carried  
17 out?

18 DR. LUZ: What was actually done was that  
19 in the patients that were randomly selected, the case  
20 record form was 100 percent verified meaning that each  
21 and every entry made into the case record form was  
22 reconciled with the medical charts. In addition, the

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 medical charts were screened to see whether there were  
2 any additional entries that were not captured by the  
3 case record form.

4 One point that you might want to be aware  
5 of, we're talking about a study of only 900 patients  
6 and a relatively small overall number of events. The  
7 four severe angina events are, in fact, a certain  
8 percentage. One certainly has to put this into  
9 perspective with the overall number of events and that  
10 it's maybe not this surprisingly low.

11 DR. PACKER: When you say the charts were  
12 screened for events, the patient's record, charts were  
13 screened for events, what -- and the criteria that  
14 were used by the auditors were the criteria, the  
15 definitions, that you showed us today?

16 DR. LUZ: Essentially, yes. Of course,  
17 the monitors were not medical doctors that were able  
18 to really make an assessment whether this is  
19 refractory, severe, or recurring angina. What they  
20 were looking for was each and every event that was  
21 marked to be ischemia or whether there was an ECG  
22 indicative of ischemic changes.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. PACKER: And that these were monitors  
2 that were employees of Aventis?

3 DR. LUZ: Yes.

4 DR. PACKER: And they were unblinded?

5 DR. LUZ: Well, they were as unblinded as  
6 the trial was.

7 DR. PACKER: This is an unblinded audit?

8 DR. LUZ: The trial was unblinded with  
9 respect to the comparison of heparin versus lepirudin  
10 and it was blinded within the lepirudin doses and the  
11 audits were done exactly the same way.

12 DR. PACKER: I'm just wondering.

13 DR. YUSUF: Can I make a --

14 DR. PACKER: Salim, I'm just wondering how  
15 much comfort we can take from an audit carried out by  
16 a sponsored where the auditor knew the identify of the  
17 treatment.

18 DR. YUSUF: Can I make two points? The  
19 audit consisted of really two parts. One part is  
20 every single enzyme value in every patient had to be  
21 sent to us documented in the form and then that was  
22 reviewed without knowing the allocation. That is

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 unbiased.

2           The second thing was the -- there are two  
3 other events they are looking for. I agree that  
4 severe angina could well be a little soft when  
5 somebody is looking at it at the center. The second  
6 part is refractory angina needed an intervention and  
7 that is a hard one to be biased on. It's a pretty  
8 hard one.

9           All the enzymes, B at the CCC reviewed  
10 blindly. MIs we would have definitely picked up. I  
11 believe the refractory anginas we picked up. I agree  
12 severe angina, that question that you raised, we'll  
13 have to think about.

14           DR. PACKER: I think it would be hard to  
15 feel reassured here that we're having a treatment  
16 effect on a putative endpoint driven by severe angina  
17 which is the most subject to interpretation in an  
18 unblinded trial where the investigator knows who's  
19 getting what, audited by company employees who are  
20 aware of the treatment assigned. I'm not reassured.

21           DR. CALIFF: Milt, you certainly pointed  
22 out the flaws in a phase to experience which was

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 designed, I think, to understand what an appropriate  
2 dose would be to take into Phase III. We're not  
3 cynical enough to believe that -- I just want to say  
4 this.

5 We're not cynical enough to believe that  
6 most investigators would alter the results or somehow  
7 in a cynical way try to make the treatment look better  
8 than it really is. It's just not as good a  
9 methodology as a blinded study. We're also not saying  
10 that company employees would alter records typically.  
11 Are we?

12 DR. PACKER: I'm just saying that you  
13 generally find what you look for.

14 DR. CALIFF: So the bias is not easy to  
15 quantify. I think you would agree with that.  
16 Wouldn't you, Salim?

17 DR. YUSUF: Well, I think on CV death MI  
18 and refractory angina it's very unlikely there was  
19 material bias. I agree with Milton that there is a  
20 certain concern of potential bias on the severe angina  
21 component. I hope most people think that is a  
22 reasonable position.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. PACKER: I wanted to ask one issue --

2 DR. FLEMING: Before leaving this point,  
3 just to quickly comment, Rob, the issue could  
4 certainly, though, be that chances or subconscious  
5 views of what the profile would be of a known  
6 intervention could influence decisions for when you  
7 would perform other interventions. So in a trial  
8 where the physician judgment influences the occurrence  
9 of the endpoint, the lack of blinding could, in fact,  
10 enter in.

11 DR. CALIFF: And it could work either way,  
12 though. Couldn't it? For example, if the nurse was  
13 really scared about this new experimental treatment  
14 and knew the patient was getting it, the patient might  
15 be watched more carefully.

16 DR. PACKER: I wanted to ask Tom to pursue  
17 this but let me see if I understand correctly and just  
18 for clarification purposes before turning it over to  
19 Dr. Fleming. There was no prespecified primary  
20 endpoint in this study. Is that correct?

21 DR. YUSUF: We did not put the word  
22 primary against any of the three endpoints. As I

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 said, there was one endpoint on which we calculated  
2 our calculation.

3 DR. PACKER: I just want to make a --  
4 endpoint that you calculate power is not necessarily  
5 the endpoint that one spends alpha on. One has all  
6 sorts of opportunities to power on endpoints which are  
7 not the primary endpoint. It's actually occurring to  
8 an increasing degree.

9 I'm trying to figure out that you had in  
10 the absence of a prespecified primary endpoint and in  
11 the absence of a statistical plan, one had three  
12 possible endpoints examined at three different times  
13 across three different treatments. How does one know  
14 what is the critical p-value to examine any of these  
15 endpoints?

16 DR. YUSUF: I think a point to note is  
17 there were only three endpoints and they were all  
18 specified to be looked at one time point. The others  
19 were consistency analysis. The long term was  
20 consistency to see you don't lose the benefit. The  
21 early one was to see when does the benefit emerge.

22 Really there were three analyses and I

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 think in this context we are looking at three that are  
2 concordant. All three that are concordant. There is  
3 really no statistical way to analyze what three events  
4 that are highly correlated and they are concordant.  
5 It is reassuring that for all three endpoints the  
6 pattern of the results is identical.

7 DR. PACKER: Tom, any questions?

8 DR. FLEMING: No.

9 DR. PACKER: I'm sorry, Joann.

10 DR. LINDENFELD: That's okay. The  
11 creatinine cut off for OASIS-1 was 2? Is that  
12 correct?

13 DR. YUSUF: Can you help me, Matthias?  
14 Was that right?

15 DR. LINDENFELD: I guess in follow-up to  
16 that, I wonder if we could see what the average  
17 creatinine was or how many there were that were 1.5 to  
18 2.

19 DR. YUSUF: It's about 97 if I remember.  
20 You know what I mean.

21 DR. LINDENFELD: Right. The point I'm  
22 getting at here is we're going to come --

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. YUSUF: We don't know that now, Joann.

2 DR. LINDENFELD: We're going to come back  
3 to this problem of how to use the drug with renal  
4 insufficiency and I think we're going to need to know  
5 that for both studies and to just maybe look at the  
6 patients in that upper creatinine range if we have  
7 any.

8 DR. LUZ: We can use the analogy with  
9 OASIS-2. Both studies specified in the protocols that  
10 patients would have to be excluded from participation  
11 if they had know renal insufficiency as assessed by  
12 creatinine level of 2.0. The creatinine level was not  
13 required to be available at the time of randomization.  
14 In OASIS-2 we had about five percent of all patients  
15 that had a level that was higher than 1.5. Very few  
16 that had more than 2.0

17 DR. YUSUF: And I think, Matthias --  
18 sorry, Joann. Matthias will show you data, at least  
19 from OASIS-2 by creatinine.

20 DR. LINDENFELD: Okay. Good. A second  
21 question I have is about pro times for INRs. The  
22 published paper says the warfarin was started within

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 12 to 24 hours but it was actually started later. Is  
2 that right?

3 DR. YUSUF: Yes. There were two phases.  
4 One was where it was started well after the infusion  
5 because the company was concerned.

6 DR. LINDENFELD: In the publication it  
7 says in Phase II it was started 12 to 24 hours after  
8 treatment.

9 DR. YUSUF: After treatment. So after  
10 three days?

11 DR. LINDENFELD: After three days. And do  
12 you have INRs? I recognize that --

13 DR. YUSUF: Yes.

14 DR. LINDENFELD: Between the two treatment  
15 groups?

16 DR. YUSUF: The target INR was in the  
17 first trial 1.5 to 2, very low INRs. In the second  
18 trial 2 to 2.5. Now, I don't remember what was  
19 achieved by seven days but the vast majority was  
20 subtherapeutic. That was one of the problems we found  
21 because, remember, you started on day three to day  
22 four and by seven days the vast majority we weren't

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 dosing.

2 DR. LINDENFELD: I just wondered if there  
3 was any difference between the two groups, heparin  
4 versus --

5 DR. YUSUF: No, there wasn't.

6 DR. PACKER: Paul.

7 DR. ARMSTRONG: Salim, our briefing notes  
8 suggest that severe angina was added in Phase B and  
9 not present in Phase A so I presume there was a  
10 protocol modification. They also suggest that the  
11 definition was two episodes of recurrent angina as  
12 opposed to one of which one required ST segment  
13 change. Can you just clarify that for me?

14 DR. YUSUF: Matthias, can you clarify?  
15 You're right, Paul, there were some changes between  
16 the first phase and the second phase.

17 DR. LUZ: You're right with both points.  
18 Severe angina was introduced before the second part of  
19 the OASIS-1 study took off and was actually introduced  
20 by the investigators group before knowing the exact  
21 results.

22 DR. YUSUF: And, in fact, well before

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 then.

2 DR. ARMSTRONG: So the planning must  
3 initially have occurred without recurrent or what you  
4 call severe angina as part of the analysis plan and  
5 then that analysis plan must have been modified when  
6 that diagnosis was incorporated in Phase B. Then how  
7 was that diagnosis established in retrospect in Phase  
8 A?

9 DR. LUZ: All patients who had recurrent  
10 angina were rejudicated by the blinded adjudication  
11 committee again.

12 DR. YUSUF: And all the recurrent anginas,  
13 which is a broader basket, were reported and we had  
14 required them to fill out whether there were ECG  
15 changes, recorded all the enzymes and the  
16 interventions so the committee was able to do it.

17 DR. ARMSTRONG: My second question is to  
18 further explore the issue of MI in the first 24 hours.  
19 As I understand it. it was either/or on enzymes or ECG  
20 changes in association with what was perceived by the  
21 investigator to be clinical symptoms. Can you clarify  
22 for me what the ECG definition of an MI was as opposed

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 to refractory ischemia in that similar time point and  
2 what component of the MIs were established by an ECG  
3 criteria alone?

4 DR. YUSUF: The ECG criteria in the first  
5 24 hours required ST elevation or persistent ST  
6 depression of more than 2 milliliters which wasn't  
7 there previously along with the symptoms. My  
8 recollection, and these analyses could be done but I  
9 don't have it right now.

10 My recollection is the majority of events  
11 that the adjudication committee classified were pain  
12 plus ECG because despite the fact we had enzymes for  
13 the first 24 hours. Just like Rob said, it was hard  
14 to interpret. I was not involved deliberately in the  
15 adjudication committee. It was run by Cam Joiner  
16 totally independent of Hamilton.

17 DR. ARMSTRONG: So the new ECG changes for  
18 refractory ischemia by contrast would be characterized  
19 as what?

20 DR. YUSUF: ST depression, ulteen version,  
21 or transient ST elevations.

22 DR. ARMSTRONG: And, finally, 13 percent

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 of the patients had an MI on admission as their  
2 qualifying event which would be substantially lower  
3 than a number of other large trials of a similar  
4 syndrome. Any comments in relationship to that  
5 frequency which is often 45 percent of the population?

6 DR. YUSUF: I don't know, Paul. Obviously  
7 it depends on the trial you look at. I mean, some  
8 trials like the GUSTO trial, you're right, had more  
9 people but I think there was an attempt to have so  
10 many people with MI without ST elevation.

11 We had no such attempt. We also did a  
12 large registry, as you know, and this is approximately  
13 in that ballpark. Right now we are running CURE and  
14 this isn't the same ballpark. I think it may be the  
15 way we've defined the entry criteria.

16 DR. ARMSTRONG: Thank you.

17 DR. PACKER: Marv.

18 DR. KONSTAM: Salim, there were three  
19 treatment groups, right? How many comparisons across  
20 those three groups were you intending to make?

21 DR. YUSUF: Well, we were really intending  
22 a dose-dependent analysis to look at whether there

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 were trends. And we were intending to look at the  
2 extremes versus the -- you know, the two extremes.

3 DR. KONSTAM: Well, I mean, the p-values  
4 that you showed us. For example, for the quadruple  
5 endpoint, I see a p-value of .018. What is that p-  
6 value?

7 DR. YUSUF: This is between the two.

8 DR. KONSTAM: Right. So this is between  
9 two of the groups. This is between the heparin and  
10 the high dose.

11 DR. YUSUF: And if you do one for trend,  
12 it's also significant. I don't remember the exact p-  
13 value. If you do an analysis of variance, it is  
14 significant. Then you go to the next step of finding  
15 out where the p-value comes from. It's like a second  
16 step procedure.

17 DR. KONSTAM: No, but the .018 is just --

18 DR. YUSUF: The extremes.

19 DR. KONSTAM: I mean, would you suggest  
20 there ought to be a correction of that given the fact  
21 that you are also comparing the low dose to heparin?

22 DR. YUSUF: There are problems in trying

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 to do a correction and here is the way. The first  
2 analysis when you have three doses is you look for  
3 trends. Once that is significant then you are  
4 exploring where that p-value for trend comes from so  
5 it's like a dependent analysis. I know where you're  
6 getting at. This is not sort of doing multiple  
7 analyses and then choosing the best p-value.

8 DR. KONSTAM: Maybe Tom will comment. I  
9 think the problem that we're going to have in trying  
10 to use this study as support for OASIS-2, one of the  
11 problems is we have multiple endpoints, multiple time  
12 points without really clear prespecification of a  
13 primary endpoint or time point in the protocol. Then  
14 we have three groups so there's lots of p-values. I  
15 guess, you know, it would be helpful to sort of get  
16 some feeling for how meaningful any one p-value is.

17 DR. FLEMING: Just to briefly comment,  
18 there are many issues and I'm awaiting the  
19 presentation of OASIS-2 before getting into them  
20 because it will be easier to address them globally but  
21 just one brief comment.

22 This analysis, as I understand, this

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 choice of this endpoint wasn't based on a  
2 predetermined idea that this was the most clinically  
3 relevant. Salim has already pointed out this is the  
4 endpoint that was going to have the most events and it  
5 gave you in a small screening trial at least some  
6 opportunity to have power on something.

7 This isn't even an endpoint that's in the  
8 labeling indication so there is a paradox right there  
9 in terms of is it important and is it significant or  
10 not. I guess I'm not worried in determining whether  
11 it's significant or not because there are so many  
12 other issues that are limiting the convincingness of  
13 this result in the total context of what we have.

14 DR. KOCH: Gary Koch, University of North  
15 Carolina. As was stated before, there was no formal  
16 analysis plan so all that one can do is to talk about  
17 what hypothetically might have been done. In a study  
18 of this type normally you would test the high dose  
19 against placebo first which would be the medium versus  
20 placebo. That's a comparison that is emphasized.

21 If that's significant, you then step down  
22 to the lower dose versus placebo. The other method

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 sometimes used is called the Hawkberg Method for  
2 multiple comparisons. With that method if both doses  
3 beat placebo with  $p$  less than 05, you get both doses.  
4 Otherwise, you test the dose with a stronger  $p$ -value  
5 at 025.

6 On the four-way endpoint you could say  
7 from a post hoc hypothetical perspective it would meet  
8 it. You could also say the study was powered for the  
9 four-way endpoint. You get a favorable result for the  
10 four-way endpoint, you step down to the three-way  
11 endpoint taking away the severes. You get a good  
12 result on that, you take away the refractories and  
13 step down to the other.

14 Hypothetically one could have said that  
15 had a rigorous analysis plan been written for this  
16 study, it might have emphasized the  $p$ -values that were  
17 shown. No such plan was written and so you just have  
18 to interpret these as a way of looking at the data and  
19 weight them as you consider appropriate.

20 DR. YUSUF: I think Tom's comment is  
21 appropriate. This is a study that was really designed  
22 to help us go to the next step and we have these

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 results and these p-values and, as you know, we didn't  
2 decide at that stage to claim victory. We wanted more  
3 data.

4 DR. CALIFF: But just to -- I mean, Gary  
5 stated the typical way it might be done but it's also  
6 true, isn't it, that depending on what you believe  
7 ahead of time, you could design an analysis strategy  
8 for the same problem that might emphasize one or  
9 another comparison and segment the total.

10 DR. YUSUF: I can tell you what we did.  
11 You're right. There wasn't a preanalysis plan but we  
12 did a thing for a three-way analysis which you do.  
13 That's the basis statistics. I've learned when you  
14 have three groups you test that across the three  
15 groups. Once that's significant, then you explore  
16 where the significance comes from. Maybe a more  
17 elementary approach. One little clarification. There  
18 is only one time point that was really specified when  
19 we do that.

20 DR. CALIFF: Another point I wanted to  
21 make was what Dr. Koch described might be the most  
22 often used approach but one could, for example, put

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 all your emphasis on the high dose comparison. It's  
2 hard to know unless it was written down.

3 DR. KOCH: That's what I said in this  
4 particular case. If a plan would have been written,  
5 it would have put all the emphasis either on a trend  
6 test or on the comparison of the medium dose versus  
7 placebo both of which are identical in this case.

8 In this study that is all the more likely  
9 hypothetically because a high dose was considered and  
10 then was abandoned before the study was implemented.

11 One could say hypothetically that if there  
12 was a dose that the investigators believed was going  
13 to have the most action, it was going to be the medium  
14 dose. Again, all of this is hypothetical.

15 DR. YUSUF: I think the key thing is that  
16 the aim of this part of the study, Milton, was to help  
17 us design the next study.

18 DR. PACKER: I just want to make sure that  
19 we have adequate clarification on Paul's comment. Has  
20 an analysis been done on the -- I think you stated,  
21 Salim, that the original sample size of OASIS-1A and  
22 1B combined was based on the quadruple endpoint, the

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 quadruple endpoint, however, being recurrent and not  
2 severe angina. Has an analysis been done of the  
3 endpoint that you stated was the original endpoint for  
4 a basis of power calculations?

5 DR. YUSUF: Milton, in an ideal world we  
6 would like to think that trials are sort of designed  
7 as so much power and then so much endpoint. In this  
8 case what happened is we were allowed to only study so  
9 many people so we then backed up and said this is what  
10 power we had in this endpoint.

11 The recurrent angina part of it we found  
12 was a mish mash of so many events that we could not  
13 get objective documentation. We recognize that in the  
14 first 50 to 100 patients. We said recurrent angina is  
15 something we can't place much emphasis on. So at that  
16 stage we always knew we would go for 900. Then we  
17 looked at this and said if we had severe angina with  
18 these event rates, this is what our power would be.

19 But, Salim, in all fairness, and I don't  
20 want to belabor this issue, we can all appreciate very  
21 much that you only had 900 patients and that was fine  
22 but it's a difference to say that you had a chance to

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 only study 900 patients compared with the statement  
2 that there was a prespecified quadruple endpoint  
3 defined in a specific way in the original protocol  
4 that was the basis of the claim that that was the  
5 primary endpoint.

6 DR. YUSUF: That wasn't stated in the  
7 protocol that recurrent angina is a quadruple. Is  
8 that right, Matthias?

9 DR. LUZ: Yes.

10 DR. YUSUF: No, it wasn't stated the way  
11 -- I may have given you the wrong impression.  
12 Recurrent angina was not stated as a primary endpoint.

13 DR. PACKER: According to the FDA review,  
14 the clinical markers were a recurrent angina  
15 refractory, angina subsequent MI and cardiovascular  
16 death.

17 DR. YUSUF: All four were collected as was  
18 also collected in OASIS-2. They were collected. Four  
19 endpoints were collected.

20 DR. PACKER: Okay. I think we've beaten  
21 this to death. Why don't we go on to OASIS-2.

22 DR. YUSUF: Okay. Can I have the next

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 slide and have a minute to breathe. The next two  
2 parts of the presentation will be on the results of  
3 OASIS-2. Then the third part is an analysis showing  
4 you the consistency of results between OASIS-1 and 2.

5 The OASIS-2 patient population was  
6 identical to that in OASIS-1. In contrast to OASIS-1  
7 two things were done. First, it was a double-blind  
8 study, and, second, we only focus on medium dose  
9 lepirudin versus the active control of unfractionated  
10 heparin.

11 10,141 patients were randomized. The  
12 primary endpoint was cardiovascular death or new  
13 myocardial infarction at seven days. Only one key  
14 secondary endpoint was identified. There were others  
15 identified but they were called other endpoints so the  
16 key secondary endpoint was the composite of  
17 cardiovascular death, new myocardial infarction or  
18 refractory angina at seven days which we've discussed  
19 for OASIS-1 and which was nominally significant there.

20 Other endpoints were the double and the  
21 triple endpoints, that is, these two endpoints, at the  
22 end of 72 hours which was the end of treatment. The

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 point of doing this was to look at biological  
2 coherence and see if the results emerge during  
3 treatment.

4 Then it was also done at 35 days to see if  
5 we had lost the treatment benefit. Revascularization  
6 procedures by seven days was also one of the three  
7 specified endpoints. Adjudication was again central  
8 and blinded.

9 There were the par calculations. Based on  
10 OASIS-1 and a large registry that we were running, we  
11 anticipated at seven days an event rate of 5.5 percent  
12 in the heparin group. If we observe this, then we  
13 would have 90 percent power to show a 25 percent risk  
14 reduction compared to heparin, or 80 percent power to  
15 show a 22 percent risk reduction.

16 We also did par calculations for slightly  
17 higher event rates and slightly lower event rates and  
18 we were comforted that if we got an event rate of five  
19 percent, we still had a reasonable trial.

20 For the second re-endpoint again, par  
21 calculations were made at 8.7 percent for the  
22 composite. These were the relative risk reductions

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 that would be detected at an alpha value of 0.01.

2                   However, we observed a lower  
3 cardiovascular death and new MI rate of only 4.2  
4 percent compared to 5.5 percent, almost 20 percent  
5 lower for the primary endpoint and also for the second  
6 re-endpoint it was 6.7 percent which is 8.7 percent.  
7 This seriously compromised the power of the study.

8                   These were the regimens. The lepirudin  
9 dose was identical to the medium dose used in OASIS-1.  
10 Unfractionated heparin was very similar and, as  
11 Matthias Luz pointed out, instead of a fixed infusion  
12 rate per hour, it was slightly modified to be a weight  
13 adjusted dose but the mean dose happened to be very  
14 close to what was used in OASIS-1.

15                   The same aPTT was started and the same  
16 recommendations for aspirin and, as before, the  
17 majority of patients received an anti-platelet drug.

18                   The first trial was entirely done in  
19 Canada. This trial was a global study with patients  
20 from North America, Western Europe, South Africa,  
21 Australia and Israel, South America, and Eastern  
22 Europe representing a broad range of clinical

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 practices.

2           Again, the baseline characteristics are  
3 similar between the two randomized groups. As before,  
4 about 60 percent were men, mean age was 64, unstable  
5 angina was about 88 percent, almost identical to the  
6 first study. Abnormal CG was seen in 90 percent and  
7 the mean time from pain to onset of randomization was  
8 just over six hours.

9           These are the data on key historical  
10 aspects and, like before, about 40 percent had a  
11 previous MI. The proportion of people who had a  
12 previous revascularization procedure was slightly  
13 lower than the first study which was entirely done in  
14 North America. All other factors were very similar.  
15 About half were hypertensives, about 20 percent were  
16 diabetics, and about four percent had previous  
17 strokes.

18           These are the data on aPTT. As before in  
19 OASIS-1 during the first 12 hours the aPTT values were  
20 higher with unfractionated heparin and then lepirudin  
21 caught up and then they were both within the  
22 established range of 60 to 100 seconds during the rest

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 of the infusion period.

2           These are the primary efficacy analysis.  
3 The primary endpoint was cardiovascular death and  
4 myocardial infarction at seven days. There was a 16  
5 percent risk reduction which was a p of 0.086 which is  
6 short of our prespecified 0.05 but came close.

7           A second re-endpoint was cardiovascular  
8 death, myocardial infarction, and refractory angina  
9 prespecified at seven days and there was an 18 percent  
10 risk reduction that is nominally significant and came  
11 very close to a prespecified 0.01.

12           These are the data to look at internal  
13 biological coherence which is again prespecified in  
14 the analysis plan so that at the end of 72 hours the  
15 entire difference between the two groups emerged so  
16 there is a 24 percent risk reduction at the end of  
17 treatment which is nominally significant. On the  
18 triple endpoint there's a 22 percent risk reduction  
19 that is nominally significant and you can see these  
20 two results are approximately the same in relative  
21 risk reduction. That was also true of the analysis at  
22 seven days.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1           These show you the time to event analysis  
2 which was prespecified in the protocol and as  
3 published in the paper. You will see the curves  
4 diverge for the first three or four days and then they  
5 remain absolutely parallel for the next few days  
6 indicating no evidence of an early rebound that has  
7 been of concern with antithrombin therapy.

8           Again, for CV death, myocardial  
9 infarction, and refractory angina the same pattern of  
10 the difference emerging in the first three to four  
11 days and the curves remaining parallel on this slide  
12 up to seven days.

13           These are the data on each of the  
14 individual components at seven days and at 72 hours.  
15 At both time points you will see numerically there are  
16 lowering rates of cardiovascular death, myocardial  
17 infarction, and refractory angina so that for the  
18 primary the pre-stated key second re-endpoint each  
19 component contributes to the difference observed.

20           Now, we further have provided in this  
21 slide key descriptors of what we mean by refractory  
22 angina. Cardiac catheterization only was in 49 cases

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 in the unfractionated heparin group and 55 in the  
2 lepirudin group and it was not different.

3 However, there was a difference in the  
4 rates of PTCA, 29 versus 15. CABG surgery 18 versus  
5 15. Need for urgent thrombolysis and transfer to a  
6 tursery center for intervention.

7 I will show you in a minute that the  
8 majority of these people indeed had intervention.  
9 After being discharged, a few people were  
10 rehospitalized with unstable angina and this was part  
11 of the prespecified definitions and this was lower.  
12 You will see there is a clear difference in those with  
13 ECG changes, i.e., objective data, and no difference  
14 in those without objective data.

15 In the next slide I will show you details  
16 of those who were transferred from centers without  
17 cardiac catheterization facilities to those that had  
18 it, 53 versus 34. Before this judge at that same  
19 rehospitalization all but one in each group had an  
20 intervention. Before midnight after the day of pain  
21 onset, 31 versus 20 difference. Later during the  
22 hospital stay 21 versus 13 and no intervention was

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 done in one patient each.

2 I don't have a slide on the data on  
3 rehospitalization but we've just looked at the results  
4 to see what happens to the rates of intervention in  
5 them. If you give me a minute, I'll tell you what it  
6 is. Out of the 14 people here and eight people here,  
7 in these 14 people 12 had an intervention, and in  
8 these eight, seven had an intervention. The majority  
9 of the difference in refractory angina in the OASIS-2  
10 study stems from the description of refractory pain,  
11 new ECG changes, and an intervention.

12 These are the data on any interventions.  
13 The previous was urgent interventions. These are on  
14 any interventions at seven days. You will see there's  
15 a 16 percent risk reduction which is nominally  
16 significant. The entire difference in that comes from  
17 differences in the rates of PTCA.

18 This is a summary of the results on the  
19 prespecified endpoints at seven days which was the  
20 primary point of evaluation. There is a reduction or  
21 lower rate of cardiovascular death on new myocardial  
22 infarction with the confidence limits just crossing

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 one.

2 There is a clear reduction in  
3 cardiovascular death, new myocardial infarction, or  
4 refractory angina and there is a similar order of  
5 magnitude of reduction of cardiac interventions of any  
6 kind within the first seven days. All of these point  
7 estimates are approximately the same.

8 The differences in these two are larger  
9 and emerge entirely during the treatment period which  
10 further adds plausibility to the differences observed  
11 here.

12 This slide shows you the data from 72  
13 hours up to six months. The key point here is to show  
14 you that the differences that emerge in the first 72  
15 hours persist right through up to 180 days. The  
16 difference of about .6 to .7 percent in absolute terms  
17 emerged early, 2.6 down to 2. During treatment is  
18 persisted out to seven days. It was about the same at  
19 35 days and about the same at 180 days.

20 This indicates that three days of  
21 treatment whatever benefit is observed persist long-  
22 term and there is no evidence of loss of that benefit.

**SAG, CORP**

4218 LENOIRE LANE, N.W.  
WASHINGTON, D.C. 20008



1           The next slide shows you the same data on  
2 the triple endpoint of cardiovascular death,  
3 myocardial infarction, and refractory angina is  
4 approximately a one percent difference a little less  
5 early, slightly greater at seven days, and it's about  
6 the same right throughout. Again, this indicates a  
7 persistence of the early benefit.

8           These are the data on non-cardiovascular  
9 deaths. There were no deaths in the first seven days  
10 classified as non-cardiovascular in both  
11 unfractionated heparin or lepirudin. Between seven  
12 days and 35 days there were eight deaths in the  
13 unfractionated heparin group and three deaths in the  
14 lepirudin group that were classified as non-  
15 cardiovascular.

16           At 180 days there was a total of 30 versus  
17 20 and these again indicate that this classification  
18 of using cardiovascular death in our analysis as  
19 opposed to total death certainly does not exaggerate  
20 the difference between the various groups.

21           The sponsor had prespecified in its  
22 analysis a modified intention to treat analysis which

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 excluded people who did not receive any drugs. You  
2 will see the difference between these two is only  
3 about 60 or .6 percent in this 10,000 patient  
4 population.

5 I have presented to you the intention to  
6 treat analysis which is what we as investigators  
7 publish. You will see the results are almost  
8 identical on the primary endpoint at seven days and at  
9 the second re-endpoint at seven days and the p-values  
10 are almost identical and hardly change. T h i s  
11 indicates the robustness of the data and also  
12 indicates that the entire difference has emerged from  
13 the people who were treated.

14 As you know, in this part of the trial as  
15 well patients were randomized if eligible to the  
16 warfarin component. Only about 20 percent of the  
17 people received warfarin in this trial and it was  
18 balanced between unfractionated heparin and  
19 lepirudin.

20 These are the overall data showing the  
21 relative risk reduction of .84, whereas these other  
22 data on the non-warfarin patients, which is almost

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 identical, with confidence limits overlapping. These  
2 indicate that the randomization to the warfarin in no  
3 way affects, or at least in no material way affects  
4 the overall results of the trial.

5 This is the summary of the results from  
6 OASIS-2. In this trial lepirudin appears to be  
7 superior to unfractionated heparin. On the  
8 prespecified endpoints at the prespecified time of  
9 seven days there is consistency across all three  
10 endpoints. On the primary endpoint of cardiovascular  
11 death and myocardial infarction there's a 16 percent  
12 relative risk reduction with confidence intervals from  
13 -3 percent to 31 percent which is a p-value of .086.

14 On the second re-endpoint there is also a  
15 reduction on cardiovascular death, myocardial  
16 infarction, refractory angina, which is an 18 percent  
17 risk reduction. That is nominally statistically  
18 significant with confidence limits between three  
19 percent to 30 percent.

20 The need for any intervention is also  
21 reduced by 16 percent which is nominally significant.  
22 The differences in these two endpoints entirely emerge

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 during treatment, so at the end of 72 hours there's a  
2 24 percent risk reduction on cardiovascular death and  
3 MI and a 22 percent reduction on cardiovascular death,  
4 myocardial infarction, and refractory angina that is  
5 nominally significant. Extended follow-up at 35 days  
6 and 180 days indicates preservation of absolute  
7 benefits. Now, about --

8 DR. PACKER: Salim, could you pause,  
9 please, and we'll open it up for questions on OASIS-2.  
10 I'll begin with our primary viewer Dr. Borer.

11 DR. BORER: Salim, I want to ask a couple  
12 of methodological issues and then a little bit about  
13 the data. First, I would like to echo what rob said.  
14 That is, in the first question I asked I am not in any  
15 way suggesting that anybody did anything  
16 inappropriate, but I would like to know how tight the  
17 blinding was in OASIS-2?

18 The reason I ask the question is that  
19 within our briefing documents it appears that some  
20 patients were begun in OASIS-2 on a therapy that they  
21 should not have received and that therapy was then  
22 changed after they had begun. How could they have

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 known after the wrong therapy was begun that it was  
2 wrong?

3 DR. YUSUF: What happens is -- and this is  
4 something we do in every trial including HOPE and the  
5 same thing actually happened in HOPE as well. People  
6 call in to a central number and they are given an  
7 allocation number, a package number, whatever it is.  
8 You know, a seven-digit number.

9 They could do one of two things. They  
10 could write the number wrong and take the wrong  
11 package. As soon as they randomize they access a page  
12 that has the information they gave us over the phone  
13 on patient identifiers and they write the treatment  
14 package as well.

15 Whenever there is a discrepancy between  
16 what's reallocated in our central computer and the  
17 centers which means they misheard the allocation, we  
18 immediately get back to the center and say, "You've  
19 chosen the wrong package. You must go back to the  
20 package that was allocated." Neither we, that is the  
21 staff who did it, nor the center knows what it is. It  
22 is simply based on the discrepancy between the package

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 number they wrote and the allocation number we gave.

2 DR. BORER: Okay. So nobody knew what was  
3 being given. They just switched packages. This, as  
4 I recall from the briefing document, and correct me if  
5 I'm wrong, the actual change occurred several days  
6 into the treatment.

7 DR. YUSUF: Sometimes the centers did not  
8 send us the fax. They meant to send it to us within  
9 24 hours. Sometimes they took another day. Remember  
10 treatment is only for three days so you can't do  
11 anything. When we weren't able to change the package  
12 to the truly allocated package, the analysis is  
13 intention to treat. By that I mean what we intended  
14 centrally, not what they did there. In a sense, Jeff,  
15 it is sort of slightly, very slightly sort of diluting  
16 out any result.

17 Now, again, we don't know whether heparin  
18 -- they may have got heparin and we gave them another  
19 number that was still heparin, or whether heparin was  
20 switched to hirudin or vice versa. I have no idea  
21 because based on just the fact we wanted people to  
22 adhere to the letter of the law of the protocol.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. BORER: Okay. The point is that  
2 although now we know that they were switched from one  
3 to the other, they didn't know what they were  
4 switching from or to.

5 DR. YUSUF: Neither did we know. Neither  
6 did the staff know.

7 DR. BORER: I'd like to ask a little bit  
8 more about the potential for a warfarin-based  
9 confound. Here again, it seems as if there were far  
10 fewer patients included in the warfarin follow-on  
11 study or substudy than were expected or anticipated  
12 suggesting that as you described for OASIS-1 there may  
13 well have been some bias on the part of investigators  
14 to enter or not to enter patients.

15 That wouldn't necessarily -- that might  
16 concern me even though you showed a slide in which the  
17 results in patients with warfarin and without warfarin  
18 look fairly similar. Still, there could be a confound  
19 but in our briefing document, there were data  
20 presented from centers that were pooled that didn't  
21 have warfarin available.

22 Those data really looked very different,

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 to me at any rate, than the data that you showed us  
2 for with warfarin and without warfarin in that it  
3 looked as if the treatment effect was, at best,  
4 minimal in those sites where somebody couldn't be  
5 biased to giving warfarin or not giving warfarin  
6 because they didn't have warfarin to give.

7 Now, I understand this is a post hoc small  
8 analysis of a relatively small subgroup, but I would  
9 like to have those data shown if you have a slide of  
10 them or, if not, at least I would like you to discuss  
11 how we got to that result.

12 DR. YUSUF: Is this the one you mean?  
13 These are always --

14 DR. BORER: Yes.

15 DR. YUSUF: Okay. So you will see these  
16 are 2,000 people who were randomized to active  
17 warfarin standard therapy. This is the randomized  
18 part of the trial. These are the people that got  
19 randomized. These are the people with no warfarin  
20 available. These are the not randomized. No warfarin  
21 available was -- these are not by center, Jeff. At  
22 the beginning of the trial the sponsors weren't able

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 to secure warfarin and deliver it to the centers so we  
2 started. This not randomized is then the rest where  
3 a physician said "I've done a PTC or a cath or  
4 somebody bled or I don't want to put them into the  
5 warfarin part." Although we didn't make it optional,  
6 in the end that's what it turned out to be.

7 We have also done an analysis in two ways.  
8 I don't know, Matthias, if we have slides. We did an  
9 adjustment, you know, to see whether warfarin makes a  
10 difference and it doesn't. The second thing is this  
11 group was equally split between hirudin and heparin so  
12 that would not -- as you can see, these are the event  
13 rates but the ends are the same.

14 All of these the ends are the same and  
15 there is no obvious imbalance in baseline  
16 characteristics. In a way you could think of it like  
17 any other treatment like beta blockers being given.  
18 Some centers use it and some don't. When the ones  
19 that use it, they use it in some patients and they  
20 don't use it in others.

21 DR. BORER: You could think about it that  
22 way but as I look at the data, it appears that there

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 is a difference or a suggest of a difference among  
2 those who were given warfarin in terms of treatment  
3 effective versus those who weren't versus those in  
4 whom no decision could be made because it wasn't  
5 available. I just raise that as a concern. Obviously  
6 we can't resolve that.

7 DR. KOCH: Your concern is addressed by  
8 the p-value of .964 which you see which is comparing  
9 the differences of .7 percent, .4 percent, .4 percent,  
10 and .8 percent with one another. Although that is a  
11 low-power test, that is a test which is looking at  
12 whether the heterogeneity across those four groups has  
13 any realness to it. It's basically random.

14 DR. BORER: I'm sure that you're right.

15 DR. YUSUF: There's one other thing I can  
16 help you with if you don't mind, Jeff.

17 DR. BORER: Let me make just one point if  
18 I may. I feel uncomfortable saying this because I'm  
19 a cardiologist and not a statistician and you're a  
20 statistician so you know more than I do about this.  
21 I think it's unfortunate to suggest when a p-value  
22 doesn't make it, and this obviously is way off, but p-

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008