

1 value doesn't make the nominal statistically  
2 significant cut point to say, well, that happened  
3 because we didn't have enough power. That's one  
4 possibility.

5 Another possibility is that there is no  
6 effect and we have to consider both. A nonsignificant  
7 p-value in a low-power test doesn't necessarily prove  
8 anything to me. If I said that wrong, say it right,  
9 please, Tom, or Dr. Koch.

10 DR. KOCH: Yes. I appreciate your concern  
11 but you should also appreciate that the patients who  
12 were not randomized at all had a magnitude of effect  
13 that was greater than or equal to the magnitude of  
14 effect that you're seeing in the warfarin subgroup.  
15 For the most part, the differences in the magnitudes  
16 here are all relatively small so there's really not  
17 much you can do with this.

18 DR. BORER: Okay. I don't want to belabor  
19 that.

20 DR. YUSUF: I want to give you some  
21 biological data, Jeff. One thing, just to look at  
22 this group non-randomized, because obviously if you

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 look at this group, you see that is almost identical  
2 to the overall result.

3 The next slide. It's worth noting that  
4 treatment with warfarin was initiated at a median of  
5 just under three days. Okay? And as we all know, it  
6 takes three to four days to get a warfarin effect.  
7 Now, this is showing you that the entire difference of  
8 warfarin occurred at 72 hours before you were able to  
9 get the warfarin effect.

10 Warfarin was just given about 2.8 days or  
11 so was when it was started. You know it takes three  
12 doses at least to get an effect. Biologically the  
13 entire difference is occurring before the warfarin is  
14 having an effect so this is just random chunks.

15 DR. BORER: Well, perhaps but, again,  
16 we're not going to resolve that here. Let me ask  
17 another question about your slide on -- in our slides  
18 it's page 48, OASIS-2, CVD/MI/RA absolute and relative  
19 benefit over time, intention to treat analysis, and  
20 the preceding slide where you show the deltas.

21 These deltas in absolute terms are very  
22 small and that's understood. As a percentage change

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 they are perhaps more impressive. The absolute values  
2 are small and I don't know that one can say anything  
3 that is statistically meaningful about the variation.

4 It appears to me that the deltas do get  
5 smaller over time. Now, you are looking at a seven-  
6 day endpoint. But in terms of the potential clinical  
7 utility of a therapy, if you know you're going to be  
8 losing benefit over time, then the strategy would have  
9 to be evaluated in the context of some additional  
10 management strategy to maintain benefit, I would  
11 think, or you might say that.

12 You dismissed the differences in these  
13 deltas as being basically insignificant or  
14 unimportant. I shouldn't use insignificant but  
15 unimportant. Yet, I see what looks like a trend to  
16 loss of benefit. I wonder what you think about that?

17 DR. YUSUF: I think conceptually on the  
18 fact that any short-term treatment must be followed by  
19 long-term treatment. I completely agree with you,  
20 Jeff. That's really why we in our group pursue long-  
21 term therapies. We try to do it with warfarin but it  
22 was a failure because people didn't like to use

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 warfarin. Conceptually I don't think three days of  
2 therapy with anything is the magic answer, you know,  
3 any drug.

4           The second thing is with this therapy all  
5 we're saying is there's a difference that emerges  
6 early and there is no evidence that it is really -- no  
7 clear evidence that it actually is lost. If you don't  
8 mind, when we get to the combined analysis of the two  
9 trials, you will see the numbers being slightly larger  
10 and more stable over time.

11  
12           We also have certain post hoc analysis  
13 which, if you'd like, I could show you which looks at  
14 higher risk groups. You'll see it is maintained over  
15 time. I agree with you that the difference is modest  
16 but remember it only emerges after three days of  
17 treatment.

18           As a clinician I want treatments I can use  
19 long-term as well. I think combination therapy is the  
20 way of the future.

21           DR. BORER: I just want to clarify one  
22 further methodological point.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. FLEMING: Jeff, before you leave that  
2 point, let me just --

3 DR. BORER: Oh, I'm sorry.

4 DR. FLEMING: I think the best -- I think  
5 you are raising an issue that for me was also a  
6 significant concern and I think the best place to look  
7 at this is in the briefing document on page 22 in  
8 section 421 where we have the exact data.

9 The issue that we will be discussing,  
10 probably several times later on, is what is the  
11 relative importance of a result at seven days versus  
12 35 days versus 180 days. Thirty-five and 180 days  
13 were secondary measures in the trial.

14 We've had extensive discussions about this  
15 including in the last meeting that this committee had  
16 in October as we were discussing what would be  
17 appropriate criteria for trials in this setting.  
18 Clearly there was considerable debate about the seven  
19 versus 35 days as being the proper primary endpoint.

20 At a minimum a criterion is that the  
21 difference that you see in numerical magnitude must be  
22 maintained over time. At seven days, for example, on

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 death MI we have a difference of 211 events versus 178  
2 events which is in excess of 33 events.

3 In a relative risk that .83. That  
4 relative risk becomes .95. As you go out to 180 days,  
5 i.e., a 17 percent reduction becomes a five percent  
6 reduction predominately because there are a large  
7 number of additional events that were anticipated to  
8 not be effective.

9 But it is of interest that the delta, the  
10 excess number of CV deaths and MIs that are prevented  
11 that were 33 dropped to 24. That's about 27 percent  
12 of those excess deaths and MIs are lost so you're  
13 observation was certainly consistent with mine.

14 When you look at the triple endpoint and  
15 adding refractory angina, the relative risk at seven  
16 days, .82 goes to .96 so a 16 percent reduction to a  
17 four percent reduction or four-fold diminishment in  
18 the relative risk. One might say that's because there  
19 are a lot of events that weren't expected to be  
20 affected longer term.

21 The excess number of prevented CVs, new  
22 MIs, and refractory anginas that were 57 dropped to

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 29. Not only is it that we're seeing a lot of events  
2 that weren't expected to be effected but those that  
3 were prevented, 57 numerically, it's in half. It's  
4 only 29, by day 180. So I look at these data and  
5 concur with you. I see that there is evidence to at  
6 least suggest that some of this excess that's  
7 prevented at day seven is not sustained longer term.

8 DR. FISHER: Can I make a comment here?  
9 I've heard Tom talk about this before and we disagree  
10 in various ways but I think it's important people  
11 understand the implications here. I actually agree  
12 with the sponsor that things look like they're  
13 maintained but I have told them they're lucky because  
14 you're adding so much additional noise it wouldn't be  
15 surprising actually if there were bigger drops and Tom  
16 can do the math as well as I can.

17 If, in fact, the standard were to be that  
18 short-term interventions expected to have effect in an  
19 acute setting have to be maintained for six months,  
20 and in a setting where most events are going to occur  
21 elsewhere, you'll be talking about trials up in the  
22 hundreds of thousands. I mean, in order to have

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1       adequate power.

2                       This is a tremendously large issue for  
3 drug development. In fact, it would also suggest  
4 action to withdraw the approval of a number of  
5 additional things also. We can look at it but I think  
6 Tom would certainly agree with me and Jeff as well  
7 that when you add a lot of additional events, you're  
8 going to have quite a bit of variability. That's just  
9 the reality of the statistics.

10                      As I mentioned, I actually think it  
11 wouldn't have been surprising if the drop had been  
12 even more or you could put things together and it can  
13 go the other way but there's a huge play of chance as  
14 you get out and add a lot of additional noise and I  
15 think that is very important to consider.

16                      DR. YUSUF: Actually, I can shed some data  
17 on that.

18                      DR. KONSTAM: Can I just -- I mean, I just  
19 want to -- yes, Lloyd, in that case why look at six  
20 months at all and what would you have taken at six  
21 months to say, yes, there is a suggestion that the  
22 effect is going down as Tom has suggested. Why look



1 at six months at all based on what you're saying?

2 DR. FISHER: Well, as I mentioned, this is  
3 a very difficult issue because it wouldn't be at all  
4 surprising -- and it's important for other sponsors  
5 developing compounds in similar situations because  
6 they are tremendously at risk in the play of chance.

7 Even given the fact they think they have  
8 the plan they are studying on, their biostatisticians  
9 can easily give them the distribution of the changes  
10 out par. Certainly I agree. I would be disturbed if  
11 it had entirely disappeared, although actually that  
12 would also be consistent with chance.

13 DR. YUSUF: Can I shed some light on this?  
14 Can I have slide 57 of my main presentation? These  
15 are data from both studies because exactly the  
16 opposite happened in OASIS-1. The curves became more  
17 exaggerated with time, and this is the point Lloyd  
18 says, that the play of chance can be in your favor in  
19 one trial or against you in another trial.

20 You will see here, and I hope this is  
21 reassuring, this is what the two trials together, the  
22 totality of the evidence on CV death/MI is. It's

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 really no hint of a loss of that.

2           Jeff, we're doing a analysis of all the  
3 thrombin inhibitor trials. At least up to 35 days we  
4 have been able to get the data from the big trials and  
5 there is absolutely no difference, no loss in the  
6 benefit that you see at 72 hours. It's just parallel.  
7 If this is a crucial issue, we can give it to the  
8 agency.

9           DR. BORER: Okay. I have one additional  
10 question. I just want to make the point here and it  
11 doesn't require a discussion and it doesn't require a  
12 defense.

13           I agree that a three-day treatment  
14 shouldn't necessarily be shown to have a persistent  
15 benefit six months later by itself but if it doesn't  
16 and the goal is to prolong life or prevent major  
17 events and you can only do it over three days or four  
18 days or five days, then it seems to me it's incumbent  
19 upon those who would propound the use of that  
20 treatment to show what the follow-on treatment is that  
21 does maintain the benefit. Otherwise, you are  
22 exposing people to risk for no apparent benefit.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 Again, that's in the record now and it doesn't require  
2 a discussion.

3 DR. KOCH: I think the only further  
4 comment that could be added to that is that because as  
5 the rates increase, the variability increases and you  
6 don't have statistical power at those later times.  
7 All you can show are favorable trends. You cannot  
8 demonstrate significance in the usual way.

9 DR. BORER: One final question here if I  
10 can find it. I think you may have answered this  
11 already but I want to know the differences in the 63  
12 patients that were excluded from the ITT for the MITT  
13 assessment. If I understood correctly, you actually  
14 answered that question earlier in that most of these  
15 people never got drugs. Can you say it again?

16 DR. YUSUF: Except for three. I think  
17 three people were lost to follow up at that stage. Is  
18 that what it is? Okay, Gary, you have the numbers.

19 DR. KOCH: M-105. This is the display  
20 showing the patients that are not in the MITT. It's  
21 also showing the patients who were crossed over. That  
22 is, 10 patients originally assigned to lepirudin.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 Sorry, 10 patients who got lepirudin should have  
2 gotten heparin and five patients who got heparin  
3 should have gotten lepirudin. None of the 30 patients  
4 at the bottom had events so the patients who had a  
5 misrandomization did not contribute either way.

6 M-106. These are the patients who were  
7 excluded from the MITT because they never received  
8 study drug. There were two patients who didn't have  
9 a seven-day endpoint and neither of them had events.  
10 Among the 63 excluded all together, there were two  
11 patients with CVD or MI added to the heparin group or  
12 added to the lepirudin group. Basically these are  
13 pretty much what you would expect by chance. The  
14 exclusion from the ITT is a chance type event.

15 DR. BORER: Thank you. Milton, I'll hold  
16 my other questions. They are not on primary data  
17 clarification.

18 DR. PACKER: Ileana.

19 DR. PIÑA: Salim, you seem to have  
20 abandoned the severe angina as an endpoint here. And  
21 then at the other endpoint you pooled out the  
22 revascularization issue. Why did you do that?

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. YUSUF: I think we were going for  
2 clinically more important endpoints in the big study.  
3 Second, being an international trial, severe angina  
4 was going to be a nightmare to adjudicate. We  
5 actually found that because we had to get every  
6 episode of recurrent angina and it would have just  
7 drowned us in work.

8 We wanted to go for the more clinically  
9 relevant endpoints. The refractory angina we felt was  
10 pretty objective. We could document it. We could  
11 verify it. CV death/MI obviously was that so that was  
12 one. Interventions obviously has health care resource  
13 implications so we wanted to find out information on  
14 that.

15 It was also mentioned in the protocol for  
16 OASIS-1 but we knew the event rates would be so low  
17 there wasn't going to be much in it. I'll show you  
18 some data on it as well.

19 DR. PIÑA: Don't you think that is very  
20 geographically mediated? In other words, there are  
21 some countries that will do this very often and some  
22 that just won't?

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. YUSUF: You're absolute right. There  
2 are geographic variations so the analysis was  
3 stratified by center because obviously a center with  
4 a cath lab will do it more often than a center without  
5 a cath lab. The analyses on those -- in fact, all our  
6 analyses are stratified by center which takes into  
7 account region as well.

8 DR. PACKER: Paul.

9 DR. ARMSTRONG: Can I just follow up?  
10 Salim, the definition of refractory ischemia was  
11 different in the OASIS-2 than the OASIS-1 study. How  
12 did the new definition affect the frequency of  
13 refractory ischemia as previously defined in OASIS-1?

14 DR. YUSUF: It would have a minuscule  
15 effect and the reason for that is when we did OASIS-2  
16 because of the fact that practice patterns in  
17 different countries were different in terms of  
18 discharging people earlier out of the hospital. Based  
19 on our registry we had found Australia was the one  
20 that was discharging people the earliest, U.S. the  
21 next, and then the other countries kept them in the  
22 hospital.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1                   We needed a mechanism of capturing events  
2 all up to the same day. That's why that was brought  
3 in so OASIS-2 actually has an added criteria compared  
4 to OASIS-1. I showed you the rehospitalizations for  
5 unstable angina on one of the previous slides. I  
6 don't know if you recall that. That is the  
7 difference.

8                   As you can see, they got rehospitalized  
9 within seven days so this is being discharged at three  
10 days to being rehospitalized before seven days.  
11 That's the data. There was a difference but the  
12 entire difference was in those who were rehospitalized  
13 admitted to a CCU, had ECG changes, and had an  
14 intervention.

15                   DR. ARMSTRONG: So when you used the new  
16 definition the event frequency of refractory ischemia  
17 in OASIS-1 went down or up? I'm just not clear.

18                   DR. YUSUF: We don't have a way of using  
19 it in OASIS-1 because, for one thing, I think patients  
20 were by in large discharged around seven days in  
21 Canada. Not all but by in large. We are not able to  
22 retrospectively go back and do that analysis.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. PACKER: Jeff and then Joann.

2 DR. BORER: I'm sorry. Just one more  
3 methodological question or analysis question, I guess.  
4 I asked you and you answered what the nonstudy heparin  
5 issue was in OASIS-1, but nonstudy heparin also was  
6 given in OASIS-2 and there are larger numbers here.

7 Do you have an analysis that would tell us  
8 what the potential or actual effects of nonstudy  
9 heparin -- I don't care about heparin that was given  
10 before so much as heparin that was given after the  
11 three days of therapy, what impact that may have had  
12 on outcomes with and without lepirudin.

13 DR. LUZ: Can I have 042? This slide  
14 shows you the influence of nonstudy heparin on both  
15 the double and triple composite endpoints in seven  
16 days. There are several observations that one can  
17 make. First is that the use of nonstudy heparin  
18 within 24 hours after end of the infusion was higher  
19 in the heparin group than was in the lepirudin group.

20 Second, and this is not unexpected, the  
21 event rates for both the double and the triple  
22 endpoint were higher in patients using nonstudy

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 heparin after the end of the infusion because in many  
2 cases those patients had ongoing symptoms and this was  
3 actually the reason why they were put on heparin.

4           Second, the difference between the two  
5 groups, if anything, was slightly bigger, at least in  
6 absolute terms, than in the overall population.  
7 Importantly, the entire difference was accounted for  
8 by the treatment period, i.e., the period during which  
9 the active study medication was given.

10           If you look at this slide, you'll see that  
11 in the time between end of study infusion in seven  
12 days, the difference is actually very minor. The same  
13 is seen with the triple endpoint where you have a full  
14 percent absolute difference in all patients using  
15 nonstudy heparin but only one percent difference after  
16 the end of the study infusion, i.e., while nonstudy  
17 heparin was used.

18           DR. YUSUF: I think, Jeff, this is an  
19 indication that the symptomatic benefits of lepirudin  
20 persisted for a few days versus unfractionated heparin  
21 so it's 900 more -- 100 more in the unfractionated  
22 heparin group compared to lepirudin in that 900 versus

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 800 is highly significant but we're not making much of  
2 that because we really didn't specify that as  
3 anything. The event rates were different.

4 DR. BORER: Thank you.

5 DR. PACKER: Joann.

6 DR. LINDENFELD: I'll save my question  
7 until we get into safety.

8 DR. PACKER: Okay. Tom.

9 DR. FLEMING: In the adjudication process  
10 there were 25 MIs, is that correct, that originally  
11 had been identified by the investigators and were not  
12 confirmed?

13 DR. YUSUF: You're ahead of me, Tom. Let  
14 me see. Do we have a slide on the adjudication? This  
15 is 1 or 2?

16 DR. FLEMING: I think it was OASIS-2.

17 DR. YUSUF: OASIS-2. Do we have a slide  
18 on the adjudication in OASIS-2? Okay. Here it is,  
19 Tom. This is the confirmation rates. It's not the  
20 absolute numbers. It's the rates. You'll see  
21 cardiovascular death was 100 percent, new MI was 95  
22 percent, 98 percent refractory angina. These are for

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 rehospitalization with ECG changes or without ECG  
2 changes.

3 Do we have another slide with the relative  
4 risk adjudicated and non-adjudicated? We have one.  
5 I know. Tom, here are the data for CV death and MI at  
6 72 hours and seven days, adjudicated and the  
7 investigator reported. You will see the relative  
8 risks are the same. The p-values are almost  
9 identical.

10 DR. FLEMING: Can you go back one slide?

11 DR. YUSUF: Sure.

12 DR. FLEMING: So five percent of the new  
13 MIs and two percent of the new MIs were not confirmed.  
14 I think what I had seen in the briefing documents was  
15 that there were 25, eight on Refludan and 17 on  
16 heparin. This seems to be consistent with that, about  
17 twice as many on heparin.

18 DR. YUSUF: That's right. So if you use  
19 the investigative report that the differences would  
20 slightly widen out.

21 DR. FLEMING: If we use the investigators,  
22 we would add back eight events on Refludan and 17 on

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 heparin.

2 DR. YUSUF: On heparin. That's right.

3 DR. FLEMING: And if that's at seven days  
4 and if there is an excess of 33 in the adjudication  
5 analysis, then wouldn't that difference of 33 excess  
6 drop to 24?

7 DR. YUSUF: No, it will increase 33 plus  
8 11. You have to add back. It will go the other  
9 direction, Tom.

10 DR. FLEMING: Go ahead and show the second  
11 slide.

12 DR. YUSUF: The next one. You see, you  
13 have one percent more events in the unfractionated  
14 heparin group in the investigative report. Not one  
15 percent but .1 percent. 2.6 would go up to 2.7 and  
16 two remains the same. Remember there's a little bit  
17 of rounding here. Then for seven days this 4.2 goes  
18 to 4.3, 3.5 goes to 3.6.

19 DR. FLEMING: And the relative risks?

20 DR. YUSUF: Are essentially identical.

21 DR. FLEMING: Slightly less but  
22 essentially identical with the investigator.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. YUSUF: Yes.

2 DR. FLEMING: All right. Could we see the  
3 same thing for the triple endpoint, though? That  
4 looks like it went --

5 DR. KOCH: Tom, whenever you maintain the  
6 same difference if the rates increase, the relative  
7 risks will also always mathematically increase towards  
8 one.

9 DR. YUSUF: So these are the triple  
10 endpoints, Tom, and this is the adjudicated on top and  
11 the investigative report. You will see again they are  
12 essentially the same. Obviously relative risk is  
13 sharpened here because equal numbers of people are  
14 being thrown out in both groups. Well, similar  
15 numbers. Equal is an exaggerated term but similar.

16 In both cases all the analyses are  
17 nominally statistically significant. In fact, in  
18 OASIS-1 it happened the other way around.  
19 Adjudication brought the relative risk up rather than  
20 down so I think it's a random process.

21 DR. KONSTAM: Just to clarify, if you want  
22 back two slides in terms of the triple endpoint, there

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 were more -- if you look at the 98 percent versus the  
2 91 percent and there presumably that's an endpoint  
3 with a greater degree of subjectivity, there were more  
4 such events thrown out in the hirudin group than in  
5 the heparin group.

6 DR. YUSUF: Slight differences.

7 DR. KONSTAM: Okay.

8 DR. YUSUF: These are the data, Marvin,  
9 and it was done blind. One can obviously argue they  
10 are not significantly different but these are the data  
11 and these are not -- all I can show you is the data.

12 DR. KONSTAM: So the only even really  
13 noticeable difference is the triple endpoint. The  
14 significance level goes from 01 to 02.

15 DR. YUSUF: To 02. They are consistent.  
16 I think that's the point.

17 DR. FLEMING: There was an algorithm in  
18 place that if the seven-day form was missing that you  
19 would count the events as deaths or MIs if it was  
20 known to have occurred according to the FDA summary on  
21 page 13 of our briefing document. How often was the  
22 seven-day form missing?

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. YUSUF: Seven in each group.

2 DR. FLEMING: Seven in each group.

3 DR. YUSUF: At the seventh day. We could  
4 have had it on the sixth day. You see what I mean?  
5 To be honest, we didn't put that algorithm in the  
6 investigation. It was something the company had put  
7 in its plan.

8 DR. FLEMING: In those cases were there  
9 any situations in which death or MI or had occurred?

10 DR. YUSUF: I don't know the answer to  
11 that. Is Janice here? Okay. There wasn't any.

12 DR. FLEMING: My last comment really is  
13 kind of a two-fold part. It does lead somewhat into  
14 the concepts of the pulling of the data. One of the  
15 issues that is problematic is looking at the clinical  
16 relevance of the effects of an intervention where you  
17 expect the influence on the endpoints to be early, to  
18 be in the first three days.

19 Yet, if in fact those differences that  
20 occur in the first few days are not sustained for a  
21 clinically reasonable period of time in any setting,  
22 we would discount those as being important. As we

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 have already begun the discussion at this session, it  
2 does impact how we interpret the primary endpoint and  
3 whether it should be day seven, day 35.

4 What's the relevance of interpreting day  
5 180 if, in fact, we were to choose day seven as the  
6 primary endpoint and information on day 35 or day 180  
7 is just used to show that you sustained the benefit.

8 As Dr. Fisher and others have pointed out,  
9 myself and many others have discussed in previous  
10 sessions the down side of the sponsor. The risk the  
11 sponsor takes there is with considerable variability  
12 that exist. In those events that occur after the time  
13 you have an effect, you may randomly miss the event.  
14 You may randomly miss an effect that is sustained.

15 Of course, the flip side to that is it's  
16 an effect that is very small in the context of what is  
17 really clinically important to these patients. If  
18 you're looking at death and MI, and death and MI is an  
19 event that's going to occur in 10 percent of these  
20 patients, and only a small fraction of those are  
21 occurring in the period when the intervention has an  
22 effect, then it is true that you're going to have to

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 have a very large trial.

2 But, then again, you need a very large  
3 trial in order to be able to discern the difference  
4 between an effect that is real and sustained versus  
5 one that doesn't, in fact, have persistence. It's led  
6 many of us to say for this reason you shouldn't, in  
7 fact, rely on seven days hoping to see it sustained  
8 because by chance you may be unlucky and you do, in  
9 fact, need to be looking at differences of larger  
10 magnitude or over a longer period of time.

11 That leads me to my comment looking at  
12 OASIS-1 versus OASIS-2. It is true that OASIS-1 tends  
13 to show the opposite, i.e., instead of losing eight or  
14 nine deaths or MIs you'll pick them up. In a sense if  
15 you do a meta-analysis, everything will look fine.

16 Actually, I'm more concerned by OASIS-1  
17 data than reassured by OASIS-1 data. The specific  
18 reason for this is that there is, at least by  
19 appearance, a striking inconsistency between OASIS-1  
20 and OASIS-2.

21 I'm leading up to a question, Salim. The  
22 primary analysis that we have been drawing attention

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 to in OASIS-1 was the four component endpoint at day  
2 seven which is the only one that in a sense by  
3 rigorous statistical methodology might be called  
4 significant. This corresponded to a relative risk of  
5 .56 or a 44 percent reduction in the event rate  
6 comparing control against the .4 dose regimen.

7 This 44 percent reduction in OASIS-2 on  
8 the same endpoint was only a 10 percent reduction.  
9 The relative risk was .9. Let me finish. This, in  
10 fact, was seen across the board on other measures. If  
11 you look at death and MI, the relative risk in OASIS-1  
12 is .53. The relative risk in OASIS-2 is .83.

13 The fact that the differences that there  
14 are, in fact, in death MIs and increase in the excess  
15 that's prevented between day 7 and day 180 whereas  
16 there's a decrease in OASIS-2 makes OASIS-1 and OASIS-  
17 2 even more inconsistent when you look longer term.

18 The relative risk in OASIS-2 at 180 days  
19 is .95 on death MIs, whereas it was .76 in OASIS-1.  
20 The exact same thing happens when you look at the  
21 triple endpoint as well. There is anywhere from a  
22 three to five-fold larger effect in OASIS-1 than in

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 OASIS-2.

2 Looking at OASIS-1 it's not surprising  
3 that one might have projected that it could have been  
4 plausible to achieve superiority. I think you were  
5 targeting a 23 percent reduction in the sample-size  
6 calculations. In fact, that was reasonable. Looking  
7 at the OASIS-1 magnitude of effect it was even larger  
8 than that, whereas the OASIS-2 magnitude of effect was  
9 much smaller.

10 I'm not reassured in this loss of effect  
11 by the fact that it's not showing up in OASIS-1  
12 precisely because that's just adding to the ways in  
13 which OASIS-1 effects are strikingly different than  
14 OASIS-2. Is there an explanation for that?

15 DR. YUSUF: First, I don't think the  
16 smaller the trial, as we all agree, the point estimate  
17 of the relative risk reduction is weaker. Therefore,  
18 you know, all of us over years have tended to think of  
19 the confidence intervals around so when you look at  
20 the confidence intervals of OASIS-1 and OASIS-2, there  
21 is no heterogeneity in the results at any time point.  
22 There's no statistical heterogeneity at any time

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 point.

2 The second thing, Tom, we all know that  
3 small trials, the best you can get out of it, even if  
4 you can get that out of it, is directionality of  
5 effect. That's why we do bigger trials. You're  
6 right. In the large trial we expected a smaller  
7 reduction. We designed it around that.

8 As you know, I have written for years  
9 articles saying that the real effects are going to be  
10 moderate. In my mind I did not expect a 40 or 50  
11 percent risk reduction so whatever I saw in OASIS-2  
12 had wide confidence limits. It was consistent with an  
13 effect at about 20 percent.

14 All I'm interested from OASIS-1 is that it  
15 gave me a direction that was promising enough to go to  
16 the next step. Obviously, if you get a big difference  
17 early and there's no loss of that big difference  
18 early, you'll see a bigger difference late. In some  
19 ways I completely agree with you. Take a small trial  
20 but don't believe large treatment effects. Believe it  
21 best the directionality of the effect.

22 Now, the best estimate of treatment from

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 a bunch of trials is not choosing the most effective  
2 difference or the smallest difference. It's to take  
3 a weighted average of all those. As you know, that  
4 has been my approach for 20 odd years and that's what  
5 people have been doing.

6 If you take the aspirin trials, you'll get  
7 trials where there's a 50 percent risk reduction.  
8 You'll get other trials like the Amos trial with  
9 aspirin which had a zero percent risk reduction. We  
10 all accept that the best estimate of the effect of  
11 aspirin is the totality of the data. The data from  
12 larger trials will contribute more to that totality  
13 than from the small trials for they all contribute.

14 DR. FLEMING: I'm not persuaded by a  
15 statistical argument that this is purely random  
16 variability. Is there any other factor that you're  
17 aware of in the two trials that would explain what is  
18 from the estimates striking differences?

19 DR. YUSUF: We've looked for biases, as  
20 I've told you, and we've really looked as hard as we  
21 can and we have no evidence of bias. I really believe  
22 it's random noise. In the discussion part of the

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 OASIS-1 paper, we said random play of chance may have  
2 exaggerated the differences so that when a larger  
3 trial is designed, we should look for more moderate  
4 benefits.

5 That's why when we went to the company we  
6 said we really need a much bigger trial, not another  
7 trial of using the estimates of effect size. And,  
8 Thomas, you know that to be completely consistent with  
9 the way I've done trials over the years.

10 DR. PACKER: Rob.

11 DR. CALIFF: In light of yesterday I want  
12 to ask two quick questions. First, the geographical  
13 distribution you label as North America but not U.S.  
14 Two questions related to that. First, how many  
15 patients were enrolled in the U.S? And, second, how  
16 many African American patients and what were the  
17 results of those patients?

18 DR. YUSUF: I think there were about 350  
19 patients from the U.S. in OASIS-2. As you know,  
20 OASIS-1 was entirely done in Canada. How many African  
21 Americans did we have, 60 or 80? Eight in OASIS-2.

22 DR. CALIFF: Eighteen?

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. YUSUF: Eighty, eight zero. In OASIS-  
2 1 obviously we may have had one or two Blacks but  
3 certainly not African Americans but African Canadians.

4 DR. CALIFF: Again, I just want to make a  
5 point. This is a real problem and I think it almost  
6 seems like in the panels I'm on now the majority of  
7 studies have almost no representation of black  
8 patients from around the world, much less --

9 DR. YUSUF: You know, what surprised us,  
10 Rob, was you know we did the study in Brazil as well  
11 as in South Africa and we just weren't getting Black  
12 patients in because, you know, I have an interest in  
13 ethnic variation in disease and I try to use my trials  
14 to study that but we just weren't getting them and the  
15 reason is at least in South Africa blacks have not yet  
16 got the epidemic of cardiovascular disease and I think  
17 the U.S. is leading the way amongst individuals of  
18 Black origin in getting the cardiovascular disease.  
19 Right now as an inside we're doing studies in Africa  
20 and we're told that in the capital of Botswana,  
21 Gabaron, there's only 12 MIs a year. This just  
22 illustrates how hard it is to get African Americans

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 into trials.

2 DR. CALIFF: Certainly if products are  
3 going to be sold in the United States, we have 15  
4 percent of the population, just to reiterate, that's  
5 being left out of the trials and it needs to be taken  
6 seriously by the companies that plan to make profits  
7 in the United States.

8 The second question is women. Because of  
9 the pharmacokinetics of the drug, you might be  
10 concerned about different outcomes in women and men in  
11 this case. Do you have a slide that shows the  
12 treatment effect in women?

13 DR. YUSUF: Matthias? I'm sure we do.  
14 Subgroup by gender.

15 DR. KOCH: While that's being gotten, I  
16 wanted to respond to Tom's concern about OASIS-1 and  
17 OASIS-2. Tom, while OASIS-1 did show a somewhat  
18 larger effect on the quadruple endpoint, it turns out  
19 on OASIS-2 the effect on the double endpoint was  
20 actually bigger in OASIS-2 than it was in OASIS-1.  
21 I'm sorry. I take that back. It was on death. I'm  
22 sorry.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 DR. YUSUF: No, no, no.

2 DR. FLEMING: In every measure it is  
3 strikingly different.

4 DR. YUSUF: Sure.

5 DR. FLEMING: Anywhere from a three-fold  
6 to a five-fold.

7 DR. YUSUF: Tom, one --

8 DR. FLEMING: You didn't volunteer, Salim,  
9 and I don't know how much to make of these but I've  
10 been trying to think a lot about what is different  
11 between those two studies and what could account for  
12 them. Some of the things that come to mind are there  
13 is a differing heparin regimen in terms of how it's  
14 scheduled.

15 It may be small. There is a difference,  
16 though, in the number of people that have increases in  
17 heparin dosing. It's 33 percent in OASIS-1 versus 52  
18 percent in OASIS-2. The concomitant meds, as I  
19 understand, aren't exactly the same in the two trials.  
20 OASIS-1 is not blinded. We've talked a lot about that  
21 and whether that could impact and it possibly could.

22 That was one of the ways in which it could

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 be showing up. There are some differences and there  
2 may be other ones that you are aware of. The data are  
3 strongly suggesting that something is different in  
4 these two trials and to pull them, which is what we  
5 are about to do, is especially of concern when you are  
6 pulling results that are so disparate.

7 DR. YUSUF: Tom, the differences between  
8 OASIS-1 and 2 in results are identical to what you  
9 would see between trials in any area that when you try  
10 to pull -- when we did the thrombolytic trials. The  
11 smaller the trials, the greater the heterogeneity  
12 results. I really think -- I truly believe, and  
13 honestly this is not because I'm standing here, I  
14 truly believe it's the play of chance.

15 I've wrestled about this for years. You  
16 get small trials and the point estimate is the least  
17 unreliable information from it. The best you can do  
18 from that is look for directionality of effects and  
19 use the data toward bringing together all the  
20 information to get the best point estimate.

21 DR. FLEMING: Well, the key is and  
22 philosophically what you're saying, I think, and we

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 don't need to get into an extensive statistical  
2 discussion about this, but the essence is, and I agree  
3 with you, the OASIS-1 trial is a very small screening  
4 trial that was done to provide important insights to  
5 the design of the OASIS-2 trial.

6 One of the unfortunately things about it  
7 is it gave us a strikingly higher estimate of efficacy  
8 leading us to expect the 23 percent reduction, at  
9 least, in the sample size calculations of OASIS-2.  
10 OASIS-2 has 20 times the data. The essence of the  
11 interpretation of efficacy is really driven by the  
12 OASIS-2 trial.

13 DR. FISHER: I wanted to make another  
14 point. Tom brings up an issue which is important, but  
15 you should remember that under the no hypothesis of no  
16 difference if the true odds ratio is one, you can  
17 combine all sorts of disparate trials. Where you run  
18 into trouble is when you think there is an effect and  
19 you look at these different odds ratios and try to  
20 understand why there's a difference. And then for the  
21 estimate of the effect that people might expect in  
22 different clinical settings it's very important.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 Under the no hypothesis of no effect in  
2 either trial, then as all we statisticians know, I  
3 think, it would be appropriate to pull them because  
4 you are just trying basically at that stage to say,  
5 yes, there's good biological activity.

6 Tom's concerns are actually more directed  
7 towards -- well, forgive me, Tom, because he won't say  
8 this, but he is mentally thinking, "Well, gee, if  
9 there's an effect here, why does it differ between  
10 these populations and this sort of magnitude."

11 One argument which is perfectly consistent with the  
12 data is chance but that doesn't prove it, as was  
13 mentioned.

14 DR. FLEMING: Lloyd, since you're at the  
15 mic, you made an important insight at our meetings in  
16 August that were coordinated by FDA and the Duke group  
17 leading up to the October meeting that we had here of  
18 the advisory committee looking at criteria and design  
19 guidelines. One of the issues --

20 DR. FISHER: I now deny whatever I said.

21 DR. FLEMING: It was intriguing because  
22 you had pointed out that if you do a meta-analysis and

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 you do so, for example, with a random effects model  
2 acknowledging the variability that can be existing  
3 among studies, you pointed out the paradox that if you  
4 have one trial that shows an effect and then you have  
5 another trial that shows a strikingly bigger effect  
6 but highly disparate in the magnitude from what the  
7 first trial showed, that a meta-analysis with a random  
8 effects model will actually give you an attenuation or  
9 a lower sense of --

10 DR. FISHER: Well, no. You can even lose  
11 everything. I've made the point even more extreme  
12 than that. If you have to be able to assess for  
13 possible differences between trial, that means one  
14 large trial, no matter how convincing, that's just one  
15 trial. Throw it out because there's no way of  
16 estimating inter-trial variability in the same  
17 context.

18 Most of these trials, of course -- and it  
19 could be there's differences because Canada is in one  
20 part and the rest of the world is in the other.  
21 There's a lot of hypotheses we can come up with.

22 DR. FLEMING: This, Lloyd, is one of those

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 situations so essentially we could spend all day but  
2 time is limited. My sense is from all of this there  
3 is enough that is perplexing about the inconsistency  
4 with OASIS-1 and OASIS-2 that -- and since OASIS-1 is  
5 only five percent of what the size of OASIS-2 is,  
6 OASIS-2 is the essence of the signal that we have.

7 DR. KOCH: Could we show E-187 just for  
8 purposes of completeness on this point? This is the  
9 test of heterogeneity across the two studies for both  
10 the double and the triple endpoint either using all  
11 the doses of lepirudin in OASIS-1 or only focusing on  
12 the use of the middle dose in OASIS-1. All four of  
13 these p-values are well above .10 so this supports  
14 that there is some homogeneity even though there  
15 certainly are recognized differences.

16 DR. FLEMING: Gary, that doesn't support  
17 homogeneity. That is so underpowered. It's saying  
18 relative to that particular measure, that particular  
19 assessment there is statistically convincing evidence  
20 of heterogeneity.

21 DR. KOCH: I understand the point you're  
22 making but the underpowered --

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. FLEMING: Clinically look at the data.  
2 There is a three to five-fold difference in the  
3 relative risk reduction. That's striking.

4 DR. KOCH: I understand, Tom, but when you  
5 say the thing is underpowered, you need to recognize  
6 that OASIS-2 is a very big study so you are looking at  
7 OASIS-1 relative to the standard that is expressed by  
8 a very large study.

9 DR. FLEMING: And we all come back to the  
10 same point and that is the main signal here is OASIS-  
11 2. You have 20-fold as much data and the only reason  
12 statistical tests of certain choices don't pick up as  
13 being statistically significant is the small sample  
14 size in OASIS-1.

15 DR. LUZ: I agree but one additional  
16 comment. OASIS-1 is a purely Canadian study. OASIS-2  
17 was a world-wide study but it had a considerable  
18 Canadian proportion. If you compare OASIS-1 with the  
19 Canadian population included in OASIS-2, you'll find  
20 first that the baseline characteristics match almost  
21 perfectly. Second, that the magnitude of effect is,  
22 in fact, very comparable between OASIS-1 and this

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 subset in OASIS-2 with the point estimate for heparin  
2 being exactly the same in both studies.

3 DR. YUSUF: There is a slight  
4 modification, like Matthias said, which is the  
5 relative risk in OASIS-2 in Canada was slightly better  
6 than the rest of the study but it didn't get exactly  
7 to that level. Isn't that right? It didn't get to  
8 what we had --

9 DR. FLEMING: I was going to say the  
10 OASIS-1 and the OASIS-2 data together next. I was  
11 hoping to see the outcomes in women.

12 DR. DIMARCO: Mr. Chairman, why do we have  
13 to see the -- I mean, we've already seen OASIS-1 and  
14 we've already seen OASIS-2. I think the committee  
15 members can add. Why do we have to see them put  
16 together?

17 DR. YUSUF: I would like to show them to  
18 make certain points, John, if you don't mind, please  
19 give me five minutes and we're done.

20 DR. PACKER: Before we go on I just want  
21 to see if I clarify this because the statistical  
22 issues that have been raised are clearly important.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 I must say being a nonstatistician we as a committee  
2 all of us who are clinicians struggle with how to  
3 weigh the statistical issues.

4 I guess I must say that I'm looking at  
5 this increasingly and feeling like an old country doc  
6 when it comes to fundamental principles of drug  
7 development. Let me see if I've got this right.

8 Salim, you did a study called OASIS-1  
9 which was a pilot trial, a trial which for all the  
10 reasons that have been mentioned it was considered to  
11 be a pilot trial. It was a small study. It didn't  
12 really have a primary endpoint. It didn't really have  
13 a statistical plan.

14 It really was an exploratory trial. It  
15 allowed you to set up a hypothesis that was attested  
16 in a big study, a definitive trial, a blinded trial,  
17 a trial with prespecified primary endpoint and  
18 assigned alpha, a trial that allows you to  
19 specifically address whether a preliminary finding is  
20 OASIS-1 was real or not real. Is that correct?

21 DR. YUSUF: Partly.

22 DR. PACKER: There cannot be any other way

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 of thinking about this. The documentation that OASIS-  
2 1 was a pilot trial for OASIS-2 is undeniable. You  
3 created OASIS-2. You set up the rules. You said the  
4 primary endpoint is cardiovascular death and new MI at  
5 seven days.

6 You said that you were going to test that  
7 at an alpha of .05 which after corrected for interim  
8 wilks is .048. You then pick the secondary endpoint,  
9 assign an alpha of .01. I'm not exactly certain how  
10 you got all that .01 to spend having spent it on the  
11 primary but, nonetheless, you've got a secondary  
12 endpoint with an alpha of .01. You needed to achieve  
13 a .048 for your primary. You got .0863. You needed  
14 to achieve .01 for your secondary. You got .0163.

15 What are we talking about here? You said  
16 you were going to do something. You used the data  
17 from OASIS-1 to set up OASIS-2. You did not achieve  
18 what you said you were going to be accountable to in  
19 OASIS-2. How can you declare victory having lost?

20 DR. YUSUF: Okay, Milton. This is getting  
21 interesting. I think it is how you view evidence and  
22 whether you view evidence on a sole isolated p-value

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 or on coherence of information from the totality of  
2 the data. We have been very frank, fair, open in  
3 presenting the data. The good thing is we all agree  
4 what the data and the p-values are so that's good.

5 Now we come to the more interesting part.  
6 I have believed, and so to a lot of people, that if  
7 you hit a certain p-value and you are just above or  
8 below it, it's not as if the evidence changes  
9 qualitatively. If you are far away from the p-value,  
10 sure, but if you're close to it, you are left with a  
11 difficult choice. In that circumstances, you look at  
12 first internal coherence, you look at the effect on  
13 other end points, you look at the effects on those end  
14 points in other trials, and then you say does the  
15 treatment work or not. I think in the end we are  
16 trying to assess whether the treatment works, not  
17 whether a given p-value is the thing because the p-  
18 values are out there. We all agree what the p-values  
19 are. My point is please evaluate this in the context  
20 of the totality of the data.

21 DR. PACKER: But, Salim, if the p-value  
22 doesn't really matter, why are you showing us a meta-

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 analysis?

2 DR. CALIFF: Milton, let me take up for  
3 Salim a little bit here on this one. This is the crux  
4 of the issue that really is going to swing the  
5 decision. After all, I think what this committee is  
6 supposed to do is to help give guidance or  
7 recommendations to the FDA about getting medical  
8 products available to patients if they are beneficial  
9 or withholding them if they are not beneficial or  
10 there is enough doubt and we are concerned that there  
11 is going to be harm from unleashing this on the  
12 public.

13 There's no question under the way things  
14 have been done for the most part in the past the way  
15 you described it is exactly the way it's been done.  
16 You do Phase II, you generate hypotheses, you test the  
17 hypothesis in Phase III. That is nice, from my  
18 amateur statistician point of view frequentist view of  
19 the world.

20 I completely agree with Salim that is not  
21 a very good way to look at the world but we are sort  
22 of -- and the issue that you're raising is whether I

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 agree or disagree. Can you change the rules post hoc  
2 from what you had said you were going to do.

3 I would argue that the best look at what  
4 a treatment will do when it's put out there is a  
5 combination of all the data that you have looked at in  
6 a reasonable way. I think an effort is being made  
7 here to put that point of view forward. It would be  
8 a change from the way things have typically been  
9 looked at.

10 DR. PACKER: It's more complicated than  
11 that. We have a history and some confidence in the  
12 process of determining a decision based on certain a  
13 priori rules that we set up for ourselves. We can do  
14 that for a single trial. We can do that for a number  
15 of trials. It is very hard to know how to do that for  
16 a meta-analysis. It's hard to know.

17 DR. CALIFF: Well, you have more  
18 confidence than I do in what we've done in the last  
19 five years. I think from this committee there have  
20 been several things we have put out there that turned  
21 out not to be so good because under the stringent  
22 rules we've set up, it might be better to design an

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 experiment that was less relevant to what the product  
2 does when it's out there in the world because you can  
3 easily reach this level of proof of principle. I'm  
4 arguing there may be another way to look at it that  
5 might be reasonable.

6 DR. KONSTAM: Milton, can I just chime in  
7 as well? Salim obviously needs some help. I think  
8 the statement that you made, I think we really need to  
9 defer, you know, on judgment here. I think if you  
10 really want to get into it, they are not asking for an  
11 indication after all that the drug is better than  
12 heparin. I think that --

13 DR. PACKER: Marv, no, no. Please, we  
14 need to be careful because there is a sequence of  
15 presentations today which are very important. The  
16 sponsor is concluding in their briefing document and  
17 in their presentation that the combined data from  
18 OASIS-1 and OASIS-2 provides persuasive evidence for  
19 the superiority of lepirudin versus heparin.

20 DR. KONSTAM: Well, I didn't see that  
21 wording in the indication so --

22 DR. FISHER: I was going to say maybe we

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 ought to wait because this is an active-control trial  
2 and we seem to be forgetting that here. I guess I  
3 won't go into the carbatalol story.

4 DR. KONSTAM: I didn't see anything to  
5 that effect --

6 DR. FISHER: But people have argued --

7 DR. PACKER: Why don't we do this. Pause  
8 for a moment. Salim, why don't you go on with your  
9 next few slides.

10 DR. FISHER: Just one other fact that  
11 Salim will soon say. This combination was actually  
12 suggested by the agency. It didn't come from --

13 DR. PACKER: Wait a minute. Lloyd, what  
14 does that mean? Is that a good thing?

15 DR. FISHER: Well, I'm sure within this  
16 room we can have a wonderful debate as to whether  
17 that's a good thing or a bad thing. Most sponsors  
18 will try to bend over backwards to go along with what  
19 the agency prefers. For example --

20 DR. PACKER: Wait a minute. With varying  
21 degrees of enthusiasm.

22 DR. FISHER: That may very well be but,

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 for example, I believe, and probably Tom Fleming  
2 believes for that matter, the modified intent to treat  
3 if you have good blinding is preferable because you  
4 eliminate noise and you have all the benefits of a  
5 randomized study. We discussed all that but we said  
6 it's such a minor issue here and it makes no  
7 difference. Throw up the ITT so that this will not be  
8 a big distraction.

9 As you'll see in my presentation, I think  
10 you can make a fairly strong case on the basis of  
11 OASIS-2 alone for approval.

12 DR. PACKER: Okay. Let's pause.

13 DR. YUSUF: At least let me present the  
14 data and then you can make the judgment.

15 DR. PACKER: Dr. Talarico. Hold on one  
16 second.

17 DR. TALARICO: There was no recommendation  
18 to make a definite combined analysis. We could not  
19 find any statement that clearly stated that the two  
20 trials could be combined for the analysis.

21 DR. PACKER: Please let me reassure the  
22 sponsor that whether it was their idea or not really

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 doesn't matter. It doesn't matter. Let's hear the  
2 analysis and let's see what we think of it.

3 DR. CALIFF: Milton, can I see the results  
4 in women?

5 DR. PACKER: Upon the combined analysis  
6 or --

7 DR. CALIFF: I'll accept either one.

8 DR. PACKER: Why don't we see the combined  
9 analysis first and then we can --

10 DR. CALIFF: Okay. That's fine.

11 DR. YUSUF: Okay. Can I have the next  
12 slide? Now, as Milton said, I actually agree with  
13 Milton. Even if the FDA did not say it, I would have  
14 done it because I always believe you look at the  
15 totality of the data. Indeed, this is what we also  
16 did in the manuscript. Apparently there is a paper  
17 trail that when meeting with key members of the cardio  
18 renal group, not with Dr. Talarico's group. Dr.  
19 Talarico is right, there are some minutes somewhere --  
20 I haven't seen it but I'm told there are -- that  
21 before completion of patient recruitment and before  
22 unblinding, that the two would be looked at together.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 DR. FLEMING: Although, Salim, you would  
2 say the fact that it was before unblinding, that is,  
3 of course, not before unblinding of OASIS-1.

4 DR. YUSUF: Sure.

5 DR. FLEMING: So you already knew that you  
6 had really positive results.

7 DR. YUSUF: Yes.

8 DR. FLEMING: And it wasn't a particularly  
9 noble effort not to include it.

10 DR. YUSUF: Absolutely. Tom, I'm glad you  
11 at least say some things come easy to me. The next  
12 slide, please.

13 So these are the results of OASIS-1 and 2  
14 side by side and over all. You will see side by side  
15 on the double endpoint of cardiovascular death and MI  
16 at seven days directionally there are similar results  
17 in the two trials. The event rates are about the same  
18 in the unfractionated heparin. As Tom pointed out,  
19 the effect size seemed to be somewhat larger.

20 However, I truly believe the best estimate  
21 of the treatment effect is the totality of the  
22 evidence and these are the data on the combined

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 analysis indicating a 19 percent relative risk  
2 reduction with a p-value of 0.033 which is nominally  
3 significant. Not an overwhelming p-value but it's  
4 nominally significant.

5           These are data on the triple endpoint  
6 cardiovascular death, myocardial infarction, and  
7 refractory angina. Again, you will see these are the  
8 two arms of OASIS-1. If you only included the medium  
9 arm, the results are somewhat better but these are the  
10 two arms because we are going to the concept of the  
11 totality of the evidence and you will see  
12 directionally similar results in the two trials. As  
13 Tom pointed out, the data will be heavily swayed by  
14 OASIS-2 because it is 90 percent of the data. You  
15 will see 6.7 percent down to 5.4 percent, a relative  
16 risk reduction of .8 with a p-value that is again  
17 nominally significant.

18           The next slide shows you the data on  
19 therapeutic cardiac interventions up to seven days,  
20 directionally similar effects in OASIS-1 and OASIS-2.  
21 And the combined data indicate a 17 percent risk  
22 reduction that is again nominally significant.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 On the three endpoints across the two trials, at least  
2 directionally the results are consistent.

3           These are the data on looking at the  
4 durability of the effects on cardiovascular death and  
5 myocardial infarction using the totality of the  
6 evidence. Again, you will see it's 2.7 percent down  
7 to 2 percent at the end of treatment which is a .7  
8 percent difference which remains essentially unchanged  
9 right throughout the trial so that the only difference  
10 on the totality of the data is persistent right  
11 throughout.

12           The next slide shows you data on non-  
13 cardiovascular deaths. There were no  
14 non-cardiovascular deaths in the lepirudin group at  
15 seven days, but after that and by the end of the  
16 study, 34 such deaths in the unfractionated heparin  
17 group and 22 in the lepirudin group.

18           If you add this to all the others, we  
19 would show a slight divergence but I believe that  
20 would be inappropriate because I have no reason to  
21 believe hirudin or unfractionated heparin will have a  
22 beneficial effect on these endpoints. Therefore, I

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 believe the combined CV death MI is the right one to  
2 use, although this would exaggerate the p-value a  
3 teensy weensy bit.

4           Therefore, the overall data from OASIS-1  
5 and 2, the entire program, I believe, provides  
6 persuasive evidence that lepirudin is superior to  
7 unfractionated heparin in this population. Remember,  
8 yesterday I used the word convincing. Today I'm using  
9 the word persuasive.

10           Now, this is based on the following, that  
11 cardiovascular death and MI and the triple endpoint  
12 are significantly reduced at 72 hours and at seven  
13 days. There is also an additional reduction in  
14 therapeutic cardiac interventions at seven days.

15           There is at least directional consistency  
16 of results from OASIS-1 and 2 and no evidence of  
17 statistical heterogeneity on the results, although a  
18 strong point about that doesn't say we have  
19 homogenesis results. The absolute benefits that we  
20 observed early are preserved long term.

21           I think I should now say thank you very  
22 much.

1 DR. PACKER: Okay. Marv, I just wanted to  
2 clarify the fact that both the sponsored document and  
3 the sponsors presentation, in fact, says either  
4 clearly superior or persuasive evidence for  
5 superiority. Let me just make a point.

6 The reason for asking the issue is because  
7 if one concluded that this agent was superior to  
8 heparin, there would be no need for Dr. Fisher's  
9 presentation.

10 If this committee believes in the  
11 conclusion stated in the last slide, then there would  
12 be -- we could shorten this meeting considerably.  
13 That was the only purpose for my asking the question.

14 DR. KONSTAM: But nobody believes that.

15 DR. PACKER: We will find out. We will go  
16 to now questions on the meta-analysis. We'll begin  
17 with Jeff.

18 DR. BORER: Actually, I have no questions  
19 about the analysis itself. I think the issue is the  
20 appropriateness of the combination and I think that is  
21 really for a later point in the discussion here. I  
22 think you've presented the data and I have no more

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 questions about the data.

2 DR. PACKER: I am a little bit remiss.  
3 Dr. Califf really would like to see the data in women.

4 DR. BORER: That's a question.

5 DR. PACKER: Do we have the data in women  
6 anywhere?

7 DR. YUSUF: These are the data, Rob. This  
8 is younger and older, males and females directionally  
9 similar results. These are the data by race. These  
10 are the data by weight and weight is an important  
11 issue as will be discussed later on the safety part.

12 DR. CALIFF: This is somewhat to make a  
13 point that I think particularly with drugs that are  
14 renally clear, we need to look specifically at women.  
15 We are learning that about QT interval prolonging  
16 drugs and I think with any thrombotics that are  
17 renally clear because of that intersection of weight,  
18 creatinine, and gender.

19 DR. YUSUF: And we'll come to that in the  
20 safety because that's your concern. Isn't it?

21 DR. CALIFF: Yes.

22 DR. PACKER: Okay. Ileana, I think you

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 were next.

2 DR. PIÑA: Salim, in your combination here  
3 of both trials, you have therapeutic cardiac  
4 interventions up to seven days. Why did you include  
5 them when that alone was never an endpoint in OASIS-1  
6 except as included in the definition of refractory  
7 angina?

8 DR. YUSUF: Why did we include that in  
9 OASIS-2?

10 DR. PIÑA: No, no. I'm saying why do you  
11 use this analysis in the combination as if it had been  
12 an analysis separate in OASIS-1 when, in fact, it  
13 wasn't?

14 DR. YUSUF: When you do meta-analysis you  
15 try to get the same endpoints across all the trials as  
16 long as it was collected even if it's not "a  
17 prespecified primary or secondary outcome." For  
18 instance, many years ago there were at least two  
19 instances -- there are three instances I know that  
20 this committee did use meta-analysis to assist in the  
21 decision making. I was involved in three of them, or  
22 two of the three.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1           The first was the aspirin meta-analysis  
2 going back to 1984, in which case no single trial had  
3 provided convincing evidence that aspirin post MI  
4 reduced CV death or MI. Some trials had mortality as  
5 the endpoint. Other trials had CV death as the  
6 endpoint. Other trials had MI as the endpoint.

7           The people who presented it got the same  
8 endpoints from all the trials and put it  
9 together. When you do a meta-analysis what you're  
10 trying to do is totality of information and not any  
11 data derived emphasis.

12           The second thing was when we did the  
13 streptokinase meta-analysis, which I think Jeff was  
14 the chairman when that came to the committee, there  
15 were two bits of data that helped. One was the GC-1  
16 study which was clear on mortality.

17           The second part was the meta-analysis of  
18 20 trials and there we had some trials that were small  
19 that were completely negative suggesting hazard like  
20 a two-fold hazard. Others suggesting a 20 to 30  
21 percent benefit but the confidence limits overlap.  
22 There, too, some of the small trials, Ileana, did not

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 have mortality as their primary endpoint.

2 They had changes in the thrombolytic  
3 system or patency but we got the mortality data. The  
4 approach of using the same endpoint, even if that  
5 endpoint was not a primary or secondary endpoint, is  
6 standard in meta-analysis.

7 DR. PIÑA: In OASIS-1 did you collect  
8 cardiac intervention separate from refractory angina?

9 DR. YUSUF: Yes, we did.

10 DR. PIÑA: In other words, an investigator  
11 may have decided to do an intervention even in the  
12 absence based perhaps on cardiac cath data. You had  
13 collected those.

14 DR. YUSUF: Yes, systematically it was  
15 collected on a standardized form.

16 DR. PACKER: Rob.

17 DR. CALIFF: I don't think anyone is going  
18 to argue with the numbers that you've aggregated here.  
19 The numbers add up and I guess I've already said that  
20 in general I would be in favor more of development  
21 programs that stated out front we're going to combine  
22 all of our data because it seems to me that there is

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 some risk and I'm sure it's happened to this committee  
2 before that you see the Phase III trial which comes in  
3 just under the wire but you don't see all the other  
4 data which may not be as favorable. I guess the  
5 worrisome thing, Salim, I know you do have a long  
6 history of doing this but would you be up here talking  
7 about it if OASIS-1 had tended to make the data go the  
8 wrong direction?

9 DR. YUSUF: That's a good question and, in  
10 fact, we wouldn't be here. In fact, I would have  
11 tried very hard to persuade the sponsors that the p  
12 that was just short of significant really was even  
13 more short of significance than what OASIS-2 showed  
14 because there is no supported data.

15 To me the concept of supported data and  
16 looking at the totality of the evidence is true no  
17 matter what intervention, no matter what the result of  
18 one of the trials is. You are absolutely right.  
19 Often when we are on the committee we are only  
20 presented with the best data. We aren't presented  
21 with the all the data.

22 Let's think of a scenario where we have

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 two trials done by a sponsor. One hits 0.06 and  
2 another one also hits 0.06. Let's say that the  
3 difference in area where one hits 0.04 and another one  
4 was p .10 totally, I would take the first one as being  
5 more persuasive than the second one.

6 DR. CALIFF: I agree with you but it's  
7 just that this wasn't laid out like this in the  
8 development program which is the point Milton made and  
9 that's the problem.

10 DR. YUSUF: And Milton is right, and  
11 actually Tom made a good point. Remember when we did  
12 the OASIS-2 study we knew the results of OASIS-1 so  
13 had we even written it down somewhere we would combine  
14 the data, you know, in a sense it is based on our  
15 knowledge of OASIS-1.

16 DR. PACKER: Marv.

17 DR. KONSTAM: You know, I mean, isn't this  
18 something analogous to a regression to the mean in the  
19 sense that nobody would have done the second trial had  
20 the first trial not clearly pointed you in that  
21 direction. I mean, to me any attempt retrospectively  
22 to combine them is sort of stacking the deck.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 I think this is analogous to what Rob is  
2 saying. You wouldn't have been doing it. I think if  
3 OASIS-2 were more positive than OASIS-1, you clearly  
4 would not have bothered us with it. I think it's  
5 something like regression to the mean.

6 DR. YUSUF: I think, Marvin, what you said  
7 is true. We are doing a world-wide meta-analysis in  
8 all the thrombin inhibitor trials. If you take all  
9 the hirudin data including some that were stopped  
10 because of "not favorable results."

11 The point estimates are identical to what  
12 we saw and the p-values are about 401. Because of the  
13 format that here we only look at the evidence from one  
14 agent, obviously we can only give you the two trials  
15 with that one agent.

16 If you take the GUSTO series of trials,  
17 the TIMI-9 series of trials and you put it all  
18 together, you get the same result and it's there in  
19 our manuscript, in the Lancet manuscript. That would  
20 probably allay some of your concerns.

21 DR. KONSTAM: I'm arguing that it doesn't  
22 -- you know, that I don't find it particularly valid

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 to combine the two because --

2 DR. CALIFF: So, Marvin, you would just  
3 ignore all previous data?

4 DR. KONSTAM: No, I'm talking about this  
5 specific situation where you have two studies. One  
6 was clearly performed as a pilot to the second and the  
7 second would never have taken place if the first had  
8 not been positive. To then go back and say, okay, now  
9 to make the p-value work let's combine the two just  
10 doesn't seem valid to me.

11 DR. YUSUF: Marvin, we didn't make the p-  
12 value work. In fact, if the p-value were wholly  
13 nonsignificant, we wouldn't be here. That's right.  
14 I think that most of you recognize that my approach to  
15 the evaluation of the data has always been on the  
16 totality of the data. What I've done here is the  
17 totality of the data I have available to me.

18 DR. KONSTAM: I was just involved with a  
19 trial called ELITE-2 which was based on ELITE-1 which  
20 seemed like this amazingly positive p-value, and then  
21 ELITE-2 which was neutral. I don't think that anybody  
22 could view justification to combine the two and say

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 the right answer is the combination of the two.

2 DR. YUSUF: Can I just make a point which  
3 we may agree on. We don't need to combine it. Just  
4 look at them qualitatively side by side. We would  
5 agree there is directionality that is similar on the  
6 same endpoints at the same time point. The  
7 combination is only one step further but visually you  
8 would say in both trials the direction is the same.  
9 Would we agree on that?

10 DR. PACKER: There are going to be  
11 philosophical issues that are of great interest and  
12 importance that will not be resolved today. I think  
13 that we have to try to focus on the issues and the  
14 data at hand. Let me ask the committee are there any  
15 other questions about the combined analysis? Tom.

16 DR. FLEMING: I have two brief questions.  
17 Let me just concur with the statement that we surely  
18 do want to look at all the data and that is always  
19 critical when an advisory committee is reviewing to  
20 know that, in fact, there are other studies beyond  
21 these two that are highly relevant to this issue.

22 We have to be confident we are seeing

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 everything that's most relevant and we need to look at  
2 it in the totality. It's the subtleties in  
3 interpretation that are critical and Marv is raising  
4 an important one about the regression of the main  
5 phenomenon. Where we will have troubles here is when  
6 we put p-values on these meta-analyses differences and  
7 use that in some way as the basis for a conclusion, as  
8 Milt has pointed out, a conclusion of superiority.

9 I have just two quick questions. At least  
10 one of them is really quick. Given the imbalanced  
11 randomization, the 433 and the OASIS-1, I take it all  
12 of you estimates were based on stratifying by study  
13 taking into account the imbalanced randomization?

14 The second point, and it's what diminishes  
15 my confidence that this meta-analysis is telling me  
16 something I can really interpret, is the  
17 heterogeneity, as I have mentioned, between studies.

18 Dr. Fisher's insight last August on the  
19 difference between a fixed effects and random effects  
20 model when you're considering center as random  
21 effects, is really getting at what is intuitively of  
22 concern to me. When you see such heterogeneity and

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 the random effects model is particularly sensitive to  
2 that heterogeneity across studies. I assume this was  
3 a fixed effect.

4 DR. YUSUF: This is a fixed effect. We've  
5 also done it by random effects and obviously the p-  
6 values go up a little more, but in every case it's  
7 less than 0.05. You're right. I don't hang on the p-  
8 value. I mean, I believe in coherence of information  
9 and so, you know, just to be reassured, if you do an  
10 random effects model, the conclusions still hold.

11 DR. CALIFF: I have a point. Hanging has  
12 two meanings. You said you didn't want to hang on the  
13 p-value. It has two meanings.

14 DR. YUSUF: What's that?

15 DR. PACKER: It's okay. He'll explain it  
16 to you later, Salim. Any other questions or comments?  
17 Okay.

18 What I want to do is take an unusual step  
19 because it's very relevant to the process that we are  
20 going through today. There is no question to the  
21 committee specifically on superiority but it is very  
22 important in the thinking process of this committee

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 and in the relevance of the presentation going forward  
2 to make a judgment here.

3 After discussions with the division, it  
4 seemed very appropriate to ask the committee for a  
5 vote on the issue of OASIS-1 plus OASIS-2 based on the  
6 issue of superiority. The question to the committee  
7 is a very relevant question and we will discuss it  
8 briefly and then take a vote, a binding vote, on it.

9 The question is based on the data from  
10 OASIS-1 and OASIS-2 individually or combined,  
11 depending on your opinion, based on the discussion  
12 that has been presented already, do you believe that  
13 there is reasonable evidence to support a statement  
14 that lepirudin is superior to heparin for the  
15 indication being sought?

16 Let me again ask the question. Do you  
17 believe that there is reasonable evidence for a  
18 superiority claim for lepirudin over heparin for the  
19 indication being sought? If the answer to the  
20 committee is yes, we can shorten this meeting  
21 considerably. If the answer is no, we will take a  
22 break. Okay? Because if the answer is that it is

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 superior, then the concept of putative placebo is  
2 irrelevant. Okay. Any discussion on this matter?  
3 Jeff.

4 DR. BORER: Well, obviously what you're  
5 raising here is probably the most fundamental issue  
6 that we ever deal with at these meetings which is what  
7 are the standards of evidence necessary for approval  
8 of the drug. The subhead here is what's the standard  
9 for equivalence or superiority versus an active  
10 comparator.

11 The secondary issue there would be the  
12 standard of evidence for superiority to a putative  
13 placebo all of which are separate but combined issues.  
14 What we are being asked is whether the data in  
15 aggregate provides sufficient evidence to allow us to  
16 say confidently that lepirudin is effective, that it's  
17 not less effective and, in fact, it's more effective  
18 than a therapy commonly used in the community and that  
19 it's acceptably safe for its intended use.

20 What you're asking us really is to provide  
21 an opinion, if we're going to provide an opinion about  
22 this, to determine whether there is any objective

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 standard that we need to apply or whether it's  
2 necessary to employ our collective intuition without  
3 any a priori objective criteria or do we somehow get  
4 in the middle of those two which I'm not sure how you  
5 would do.

6 We also need to know whether it's  
7 necessary only to consider short-term benefit, to  
8 consider longer-term benefit, to require more safety  
9 information before we make this determination, and  
10 whether a follow-on strategy needs to be added on.  
11 And we need to see an analysis of what happens after  
12 everybody gets the follow-in.

13 There are all these questions. I would  
14 have to say that at this point I think there are  
15 highly suggestive data that lepirudin may be superior  
16 to heparin but in the absence of more safety data,  
17 some further consideration of the short-term versus a  
18 longer-term benefit issue, I'm concerned about drawing  
19 a firm conclusion about this when the objective  
20 standards that we have commonly employed don't seem to  
21 be met here. I would have to say, although at the end  
22 of the day I might vote for approval or something, at

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 this point I would have to say no in answer to your  
2 question.

3 DR. PACKER: Discussion?

4 DR. DIMARCO: How can we talk about  
5 whether it's superior if we haven't discussed safety  
6 yet?

7 DR. PACKER: Because superiority is in the  
8 simplest term here efficacy. Safety is going to --

9 DR. DIMARCO: But we're not comparing two  
10 dose ranging trials where we know we're at the maximum  
11 dose and since it looks like safety is proportional to  
12 efficacy to some degree, or inversely proportional to  
13 efficacy, I don't see why you want to talk about just  
14 efficacy until we've looked at the relative safety.  
15 If there's a lot more bleeding with a drug and it's a  
16 little more effective in producing acute coronary  
17 syndromes, it may be superior but no one would use it  
18 and so why do we want to talk about it?

19 DR. PACKER: I guess the question is not  
20 so much a ruling on a claim the sponsor is not making  
21 or is not asking for. The ruling is an assessment at  
22 this point in time whether the combined data from

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 OASIS-1 or OASIS-2 together or alone allows for a  
2 conclusion that one is better than the other on  
3 efficacy parameters alone.

4 The reason is that is not asked of us in  
5 any of the questions. Otherwise, I would save it to  
6 the end. Normally we would be asked to make that  
7 assessment separately for efficacy and safety anyway.  
8 It is only in an attempt to move the discussion along  
9 in a logical fashion that I'm bringing that point,  
10 which is not mentioned later, up in the discussion at  
11 this point in time. Any other discussion? Rob.

12 DR. CALIFF: Well, I guess -- well, this  
13 is a tough one for me on this issue because there's no  
14 question about the standard of this committee  
15 statistically and this doesn't meet it. Even the  
16 overview doesn't meet the standard of this committee  
17 purely statistically of the past which is .05 squared  
18 as we've talked about for primary endpoint.

19 On the other hand, I do think things need  
20 to change to where we're looking at all the evidence  
21 rather than just Phase III experience and ignoring the  
22 rest. There is a concern that in the tougher areas

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 that we begin to make development of new therapies  
2 almost impossible with the standard that we've had.

3 We may push the drug development industry  
4 into areas that are easier to deal with when this is  
5 the number one cause of death and disability in the  
6 world and projected to be by even a bigger factor the  
7 number one cause of death and disability.

8 I've been involved in other committees now  
9 on several occasions in the last year and we have a  
10 tough standard of evidence than other committees.  
11 Having said that, by our usual standard this doesn't  
12 make it even combining the studies.

13 DR. KONSTAM: Rob, I mean, it seems to me  
14 that your concerns ought to be held off until the end  
15 of the day because I think, you know, we're going to  
16 get into the fact that the problem is here we've got  
17 an act of control.

18 I think the question that Milton is asking  
19 us is simply do the data clearly demonstrate to us  
20 that we have a drug that's better than heparin. That  
21 is the question. I'm not sure that the answer to that  
22 based on rigorous statistical criteria is going to

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 result in any kind of indictment of the process.

2 DR. PACKER: Okay. Marv, why don't you  
3 begin. Yes or no?

4 DR. KONSTAM: On this question?

5 DR. PACKER: On this question.

6 DR. KONSTAM: We have basically a single  
7 pivotal trial with a p-value of .08 on its primary  
8 endpoint so I think you have to start there and I  
9 don't know how you can really make that any better.  
10 I would say no.

11 DR. GRABOYS: I say yes.

12 DR. GRINES: I would say yes but not to  
13 the primary endpoint. I think again that this is one  
14 of these situations in the unstable angina trials  
15 where the company may have selected a different  
16 endpoint than some of the other drugs we approved. If  
17 you look at the secondary endpoint, the triple  
18 endpoint, I think it's clearly superior to heparin.

19 DR. PACKER: Let me just make sure. Tom,  
20 you said yes. Cindy, you said yes. Is that correct?

21 DR. GRINES: Correct.

22 DR. PACKER: Okay. Tom.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 DR. FLEMING: It is true that this  
2 assessment certainly needs to look at the benefit and  
3 the risk in the context of risk. If we look at what  
4 are the measures that the sponsor is focusing on,  
5 which is the double endpoint and the triple endpoint,  
6 the OASIS-1 trial is not significant on these measures  
7 at day 7 or at day 35. The OASIS-2 trial also is not.

8 There is, in fact, a non-trivial, as we  
9 will be discussing later, excess in bleeds and major  
10 bleeds. My assessment of benefit to risk, jumping  
11 ahead not having thoroughly had the risk data  
12 presented to us, is that these data don't establish  
13 superiority.

14 DR. ARMSTRONG: No.

15 DR. LINDENFELD: No, I don't believe they  
16 clearly established superiority.

17 DR. PACKER: Jeff has voted no. Rob.

18 DR. CALIFF: A very reluctant no based on  
19 our standard.

20 DR. PIÑA: No.

21 DR. DIMARCO: No.

22 DR. PACKER: And my vote is no. That's

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 one, two, three, four, five, six, seven, eight, nine  
2 to two. Okay. Which brings us to the next part of  
3 the presentation which we will do after the lunch  
4 break. We will take a 45 minute break and reconvene  
5 at about 20 minutes after 1:00.

6 (Whereupon, off the record at 12:38 p.m.  
7 for lunch to reconvene at 1:20 p.m.)

8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

1

2

1:28 p.m.

3

4

5

6

7

8

9

10

11

12

13

DR. PACKER: Can I ask everyone to take their seats, please. It is going to be very important for this -- it is going to be very important for this committee to complete its deliberations in a timely manner today because many members of the committee have flight commitments and we will do everything in our power to retain a quorum of this committee. Some who will be unable to stay until the final votes have already provided me with their votes on the questions that are in front of the committee. That's not so unusual.

14

DR. FISHER: So I should sit down.

15

16

17

DR. PACKER: No, no, Lloyd. You have most of us here. We'll begin the presentation after the lunch break now with Lloyd Fisher.

18

19

20

21

22

DR. FISHER: I was going to open thanking the FDA for making me at home by bringing the Seattle weather but I made the mistake of going out at lunch and there was a strange ball in the sky so I won't make that remark.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1           You have already heard the primary  
2 evidence comparing lepirudin plus aspirin to heparin  
3 plus aspirin. However, the U.S. drug regulations are  
4 by in large written using the concept that an  
5 effective drug should be able to beat a placebo. In  
6 situations where placebos are not considered ethical  
7 we do the best we can which is to estimate the effect  
8 of what might have happened versus placebo.

9           What we will do here is we will not only  
10 use the OASIS data but we will try to put it into  
11 context by looking at how heparin plus aspirin tends  
12 to compare to aspirin and then combining the two.

13           Here is an outline of my talk. I'm going  
14 to use the odds ratio as a measurement of treatment  
15 effect and I will explain to you why I prefer the odds  
16 ratio. We'll talk about the selection of the  
17 randomized clinical trial data we want to use to  
18 estimate the heparin plus aspirin versus aspirin  
19 effect.

20           Then I will put that together in the  
21 putative placebo analysis, first for OASIS-2 and then  
22 for OASIS-1. Finally I'll combine them with your

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 permission. If you want, I can skip the third slide.  
2 I think the issue will be clear before that. Then I  
3 will tell you the conclusions that I have from these  
4 data.

5           Epidemiologists in general and people  
6 doing meta-analyses prefer odds ratios to absolute  
7 measures of treatment effect for a very good reason  
8 and the reason is empirically it tends to be much more  
9 stable across different studies. That is, of course,  
10 immediately obvious if you had a fixed percentage of  
11 -- if you have a fixed odds ratio, then the absolute  
12 delta depends very much upon the proportion of the  
13 events you observed.

14           In addition, there are theoretical reasons  
15 that suggest why this might be true. I'm not going to  
16 mention that in the interest of time but Professor  
17 Gary Koch, who is here, and is published on this, the  
18 reference on the screen, would be happy to speak to  
19 this after the talk if you prefer.

20           Let's move down to the second bullet here  
21 and this is the primary point to be made. The OASIS  
22 trials, of course, had only two arms and I'm going to

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 forget the fact that aspirin is in there because  
2 everything will be on top of aspirin, lepirudin and  
3 heparin.

4 Suppose for the moment contrary to the  
5 facts that a placebo arm had been ethical and was in  
6 the trial. Then it's a simple mathematical identity  
7 and easy to demonstrate that the odds ratio for  
8 lepirudin to placebo is equal to the product of two  
9 terms, lepirudin to heparin odds ratio and the heparin  
10 to placebo odds ratio.

11 Now, from the OASIS trial or trials we  
12 have this odds ratio directly from the data you have  
13 already looked at and talked about considerably. What  
14 we will do now is look at what data are available  
15 which might allow us estimate the odds ratio for  
16 heparin to a placebo for heparin all on top of  
17 aspirin. The idea is the following.

18 We estimate the first term in this product  
19 from the OASIS trials. We estimate the second term  
20 from previous controlled randomized clinical trial  
21 data. When we have those two estimates, we can  
22 estimate the combined effect and put them together.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1           At least as important as estimating the  
2 combined effect is if one is willing to make the  
3 assumption, and it is an assumption and I'm sure  
4 you'll hear about it from the FDA shortly because I  
5 was talking to some of them at lunch, but if you make  
6 the assumption that the odds ratio is transportable,  
7 if there had been a placebo arm in OASIS that odds  
8 ratio would be the same, then we can come up with  
9 mathematically appropriate confidence intervals, p-  
10 values, and so on.

11           The selection of the heparin plus aspirin  
12 data is described very briefly here. We have a number  
13 of backup slides we can get into if you would like,  
14 the characteristics of the people in the difference  
15 studies and so on. Most of the work was initially  
16 done by Oler in a meta-analysis published in 1996 in

17 JAMA.           The endpoint that he had that was  
18 closest to the endpoint in the OASIS studies was all-  
19 cause mortality or new MI. I'm going to use that  
20 because that was the endpoint that was uniformly  
21 available across the studies. I might also mention I  
22 think this endpoint is actually more appropriate

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 because it's certainly a more meaningful thing to the  
2 patient than studying an only-cause specific endpoint.

3 Because of the length of time that had  
4 transpired between Oler's meta-analysis, a MEDLINE  
5 search was performed to look for additional randomized  
6 studies involved heparin or aspirin or both and really  
7 not much had been done, although dalteparin had been  
8 compared both to aspirin and also to heparin.

9 And using the same methodology that I'm  
10 going to use to put together the putative placebo  
11 data, one can get an estimate of the aspirin versus  
12 heparin plus aspirin effect by combining these two  
13 studies. You might ask why would one combine these  
14 two studies. There's a variety of reasons. One is  
15 these studies are the closest in time to the OASIS  
16 studies that are being talked about here.

17 Therefore, one would expect concomitant  
18 therapy and so on to be a little more contemporary and  
19 relevant. Also, as a general statistical principle,  
20 I like to use the maximum amount of information.  
21 Finally, I first became aware of this possibility from  
22 some other sponsor who presented me with the fact that

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 these studies existed and they said, Is it appropriate  
2 to combine them?"

3           They didn't tell me what the data was or  
4 give me a clue to what was going on. I said yes, and  
5 especially in this area where there is so little  
6 information it's appropriate to combine them. The  
7 reason I bring that up is it will turn out these data  
8 give a very favorable, although not out of line,  
9 estimate of the heparin effect.

10           People tend to think of we statisticians  
11 as totally objective and computers. Well, I can  
12 assure you we're not. A lot of the subtle issues that  
13 come up on this committee, it helps to have a standard  
14 of the way you behave with respect to things, to know  
15 how you analyze the data, what you would do. This was  
16 a decision that I made prior to myself being unblinded  
17 to the dalteparin data.

18           One other issue which we've heard  
19 discussed today is what level of proof might one  
20 expect against a placebo. Dr. Packer claimed that if  
21 there was a statically significant difference with  
22 heparin, I would submit that wouldn't have been true.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 Number one, Rob Califf said people use .05 squared  
2 divided by 2 but that's in a placebo controlled  
3 setting without a serious irreversible endpoint.

4 Historically with serious irreversible  
5 endpoints one study at .05 has been sufficient.  
6 However, because of recent events people would like to  
7 see more evidence. As far as I know, there is no  
8 agency-wide position on how much evidence. I think it  
9 is fair to say that when people come in with a serious  
10 irreversible endpoint in one study, that they are  
11 often requested to have power for more than a  
12 significant level of .05.

13 This is the first of a series of three  
14 build-up slides so let me orient you to this. What we  
15 have here are the two sources of data I mentioned; the  
16 Oler meta-analysis, which is a combination of six  
17 fairly small studies. It was not statistically  
18 significant as you can see from the fact that the odds  
19 ratio crosses the line at one. That was published and  
20 is often sites as the reason the medical community  
21 started using heparin and to this day there is a very  
22 strong belief, as far as I can see, in the value of

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 heparin in the setting.

2           The FRIC and FRISC combined actually.  
3 Just this study standing alone has a statistically  
4 significant estimated odds ratio for the heparin  
5 versus placebo effect and arguably gives you more  
6 evidence that heparin is effective and the entire Oler  
7 meta-analysis thus justifying what the physicians have  
8 been doing anyway. My preferred approach is --

9           DR. CALIFF: Lloyd, I hate to interrupt  
10 you but I want to be sure that we're clear on what  
11 FRIC and FRISC were actually comparing. My  
12 understanding is one of them is actually low-molecular  
13 weight heparin versus placebo.

14           DR. FISHER: No, the dalteparin is a low-  
15 molecular weight heparin and it was compared to  
16 unfractionated heparin. The estimate I have come up  
17 with -- thank you. This was in my notes and I forgot  
18 -- is for unfractionated heparin versus placebo.  
19 That's why two studies had to be used.

20           DR. CALIFF: This is an indirect  
21 comparison?

22           DR. FISHER: Yes, this is indirect

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 comparison of unfractionated heparin versus placebo.  
2 This odds ratio is analog rhythmic scale for a variety  
3 of reasons, but perhaps the best reason is that an  
4 odds ratio of three is equivalent to an odds ratio of  
5 one if you took the treatments in the opposite  
6 direction. As I mentioned, here we see evidence that  
7 heparin, in fact, is better than placebo when used in  
8 addition to aspirin.

9           What we have here are the data from OASIS-  
10 2. We're talking about OASIS-2 up here for the  
11 endpoint of all-cause death plus new MI. You can see  
12 this is not statistically significant, the p-value  
13 .086. That is reflected in the fact that the  
14 confidence interval for the odds ratio just crosses 1.

15           Now what we're going to do is to put the  
16 two parts together using not only the point estimates  
17 from the two parts but also the estimates of the  
18 variability from the two parts.

19           This is my preferred estimate on the  
20 bottom that I will focus on because it uses all of the  
21 data. I should mention FRIC plus FRISC looks a little  
22 out of line here but, in fact, of the six studies in

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 the Oler meta-analysis two of the odds ratio estimates  
2 were .29 and .36 and this is .35 so it's not  
3 especially far out of line of the other data, although  
4 a lot of this is based on very small samples.

5 The other thing I want to mention is on a  
6 log scale the confidence intervals are symmetric and  
7 you see some things that are not symmetric. That is  
8 because we truncated the picture in order to enlarge  
9 things at an odds ratio of .25. When you don't see  
10 the left-hand side, it's a conservative graphical  
11 approach in the following sense. All I have done is  
12 thrown out values that are even more in favor of  
13 lepirudin than the ones that you see.

14 Here we have if you use Oler alone, FRIC  
15 and FRISC or Oler plus FRIC and FRISC, an estimate  
16 granted based upon certain assumptions that had their  
17 been a placebo arm in the OASIS-2 trial, that the  
18 correct odds ratio, the best estimate is .49 with as  
19 confidence interval running from .32 to .75 with the  
20 small associated p-value that you have here.

21 One thing you might notice is one could  
22 argue the case that there is adequate evidence for

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 approval based on OASIS-2 alone just looking at this  
2 one slide. That depends upon how robust you think  
3 findings have to be and a variety of other factors.

4 Now what I'm going to do is to show you  
5 precisely the same figure both for OASIS-1 and the  
6 combination. This is the same data from OASIS-1. The  
7 first three lines, of course, are identical because we  
8 are using precisely the same control data. In the  
9 middle of the slide you'll see the OASIS-1 data which  
10 for all-cause mortality and death is just right at  
11 statistical significance and the confidence interval  
12 just touches a value of 1.

13 When we combine these you'll notice  
14 there's a missing circle on this thing. The reason is  
15 this point estimate of .17 is even to the left of .25  
16 so it's been truncated. What you see here is the  
17 upper limit of that confidence interval.

18 Again you can see there's a fairly low  
19 point estimate from OASIS-1. As Tom and others have  
20 pointed out those data look for favorable and not  
21 surprisingly then you have a smaller estimated odds  
22 ratio, again small p-values.

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1           This finally is the combined data of -- I  
2           guess beauty is in the eye of the beholder -- either  
3           appropriately or inappropriately combined. I'll just  
4           say it's consistent with the other two slides not  
5           surprisingly. Actually, if you go back and look at  
6           the other two slides, this looks very much like OASIS-  
7           2 alone because, as you know, most of the data comes  
8           from OASIS-2. The estimate rather than being .49 is  
9           .47 but it is essentially the same.

10           I have done some other analyses which I  
11           will not present unless the panel requires it. Rich  
12           Simon has a Bayesian approach using very conservative  
13           priors. I have modified that for odds ratios and  
14           absolute treatment effects and have that prepared in  
15           backup if you would like to see it.

16           I did an analysis on the percentage of the  
17           estimated heparin effect preserved by lepirudin. All  
18           the point estimates, of course, are greater than 100  
19           percent since things are close to statistical  
20           significance. Of a variety of analyses the absolute  
21           worse thing I came up with was 95 percent confidence  
22           was that the lepirudin preserved at least 88 percent

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 of the heparin effect.

2 First, I conclude there is evidence, not  
3 as strong as one would like that, in fact, the use of  
4 heparin in acute coronary syndrome is not as off the  
5 wall as one might argue it could be if you're will to  
6 accept these sorts of assumptions. That is  
7 statistically significant at the 05 level with FRIC  
8 and FRISC alone or with a combination.

9 Lepirudin plus aspirin looks superior to  
10 aspirin with the associated confidence intervals and  
11 estimates of the odds ratios and p-values. In my own  
12 mind these types of analyses, by the way, have been  
13 presented to this committee before. It was presented  
14 for clopidogrel compared to the active-control  
15 aspirin. A Bayesian analysis was presented for  
16 enoxaparin.

17 I think compared to the enoxaparin the  
18 inference here is at least as strong. It's not as  
19 strong in some ways as clopidogrel because aspirin had  
20 been studied to a very large extent and there were  
21 literally tens of studies in almost any area you could  
22 think about.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1           Given that, in my mind I also buttress  
2 this by several other things. One, if you use a  
3 triple endpoint and you look at the medium dose, then  
4 actually both OASIS-1 and OASIS-2 individually are  
5 statistically significant. The effect size that we  
6 see here, the OASIS data looked very much like other  
7 hirudin data from desirudin which Dr. Hirsh will be  
8 presenting shortly. Let me stop here and ask for  
9 questions and/or comments.

10           DR. PACKER: We'll start with our primary  
11 reviewer, Dr. Borer, and then Dr. Fleming.

12           DR. FLEMING: Just a quick procedural  
13 question just also thinking of efficient use of time.  
14 Is the FDA -- we've been given some handouts. Did  
15 they intend to provide some comments on these issues?

16           DR. PACKER: Yes. The sequence that we  
17 had envisioned was that we would lead with our primary  
18 reviewer, move to our primary statistical reviewer,  
19 and then move to the FDA statistical reviewer, and  
20 then have a discussion.

21           DR. FLEMING: In the interest of  
22 efficiency to avoid my overlapping what they're going

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 to say, I'll defer my comments until after they  
2 present.

3 DR. PACKER: Okay. Dr. Borer.

4 DR. BORER: Yes. I want to preface what  
5 I'm going to say with the comment that I really don't  
6 think it's appropriate dealing with the issues with  
7 which we're dealing to be doctrinaire and rigid and  
8 all that kind of stuff. I think that, Lloyd, you made  
9 the appropriate point. We have to do the best that we  
10 can and there does have to be a way to develop new  
11 drugs.

12 I also have to point out that virtually  
13 everything I know about putative placebo calculation  
14 I learned from you. In fact, virtually everything I  
15 know about analyzing clinical trial data I learned  
16 from you.

17 DR. FISHER: By the way, one other  
18 additional point I forgot to add is during the  
19 discussions with a consultant from the Cardio-Renal  
20 Division, Dr. Lipecky, so I don't pin this on GI, but  
21 he suggested -- not surprisingly because the history  
22 of this committee -- he suggested that putative

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 placebo be looked at before OASIS-2 was unblinded.

2 DR. BORER: Okay. Having said all those  
3 things, I need to ask a few things for my edification  
4 and probably for everyone else's except for Tom. My  
5 understanding of the putative placebo construct that  
6 we have used in the past, or that we talked about and  
7 use sometimes, is that for optimal confidence one  
8 would like to have multiple trials showing  
9 quantitatively similar treatment effects of the  
10 comparator drug versus placebo. Not just  
11 qualitatively similar but quantitatively similar.  
12 That's what we'd like to have.

13 I look at the Oler meta-analysis and it's  
14 true that the relative risk varies from .29 to .89.  
15 the .29 is based on one event in 69 patients divided  
16 into two groups and on and on and on. The point is  
17 that what we have here to make up that meta-analysis  
18 if you didn't just put the meta-analysis point up  
19 there but the individual trials including FRISC and  
20 whatever, FRIC and FRISC, is that we have multiple  
21 small trials on the Oler meta-analysis with a wide  
22 variance. None was individually significant. The

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 meta-analysis for all of them together wasn't  
2 significant at the .05 level.

3 I don't want to misquote you but I think  
4 I learned this from you also at the meeting a couple  
5 of years ago about calcium channel blockers that for  
6 meta-analyses we generally expect a higher standard of  
7 proof; that is, .01 rather than .05 but we'll forget  
8 that for the moment. Then we added a different trial  
9 using --

10 DR. FISHER: By the way, Jeff, the  
11 standard of proof related to the final end of the  
12 thing, not the individual components. If we had a  
13 billion small trials, I would be a lot happier  
14 obviously.

15 DR. BORER: Okay. I know you would. I'm  
16 just building up to a question here which is your  
17 comment. Then we added another trial or pair of  
18 trials, FRIC and FRISC, that dealt with a different  
19 drug as a comparator for both of our drugs that we  
20 wanted to compare so we could compare a third drug to  
21 placebo and did some manipulations there. That trial  
22 is far more impressive than really any of the

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 comparators from the Oler meta-analysis and that  
2 really drives the significance of the subsequent meta-  
3 analysis including FRIC and FRISC.

4 Then we are judging the effectiveness of  
5 lepirudin compared with that commish. Now, I don't  
6 say that's wrong and it's probably the best we can do.  
7 I may be sufficient to draw a conclusion. But I'm  
8 concerned about the confidence that we can have in a  
9 putative placebo based on a number of very small  
10 trials with a wide variance, etc., etc., etc. I would  
11 like you to comment about that. Tell me where I've  
12 made my mistake here.

13 DR. FISHER: Well, No. 1, of course, I  
14 would be much happier, as would you be, if before this  
15 therapy was widely instituted the medical community  
16 had done what to me would have been the appropriate  
17 steps.

18 I guess I also have to say, and I hesitate  
19 to say this because one of the quotes I like is,  
20 "There's lies, damn lies, in statistics," in my  
21 clinical experience." I have somehow gotten the  
22 feeling and I even had a line up here about biology

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 and everybody jumped all over me.

2           It was perfectly clear to me I couldn't  
3 defend myself one biological iota so we took that off.  
4 Given the consistency of all these interventions in  
5 this area and so on, I guess I have more belief in  
6 heparin than the meta-analysis indicates. You have to  
7 insert your own judgment there.

8           I have more discomfort with this than I  
9 would had there been a number of larger studies. This  
10 is the best that can be done. Actually, in a lot of  
11 ways, I'm more comfortable in some ways with the  
12 dalteparin, FRIC and FRISC put together than the other  
13 studies to be perfectly frank rather than the other  
14 way around.

15           As a general principle, as I've mentioned,  
16 unless I have a good reason to throw things out I go  
17 with maximum data and certainly there is not as much  
18 data here as one would like and I can't manufacture  
19 it. All I can do is talk about what's there. I have  
20 no magic answer to your concerns.

21           DR. PACKER: Could we ask the two FDA  
22 statistical reviewers to present their review of the

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 putative placebo issue? I think we'll begin with Dr.  
2 Permutt first.

3 DR. PERMUTT: Thank you. I want to make  
4 a few general comments about this method as it is  
5 applied to the problem we have in hand. Then Dr. Sue-  
6 Jane Wang, who has reviewed it in greater detail, I  
7 think has some more specific things to say.

8 First of all, I heard both Dr. Fisher and  
9 Dr. Luz earlier refer to FDA regulations about the  
10 standard of approval being a comparison to placebo.  
11 Now, I am not an expert in the code of federal  
12 regulations and I might be wrong but in connection  
13 with this very question, I have looked for that  
14 regulation and I can't find it.

15 I don't think there is any such  
16 regulation. What there is in the code of federal  
17 regulations is a considerable discussion about the  
18 kinds of trials that might be considered to be  
19 adequate and well-controlled trials for the purpose of  
20 demonstrating what the law requires which is that the  
21 drug has the effect it purports or is represented to  
22 have.

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1           Now, one kind of trial that is discussed  
2 at some length is the historical control trial. I  
3 think that is very relevant because that's what we  
4 have here. When you are fairly convinced that you  
5 know what happens to people if they don't get your  
6 drug, then you don't even have to do randomized trials  
7 at all because you can do one-armed trials and find  
8 out what happens to people when they do get your drug  
9 and then compare that to what happened to people who  
10 don't get your drug.

11           That's in the code of federal regulations  
12 but it's not used very much and we all know why it's  
13 not used. It's because it is very difficult in  
14 general to say that what would happen to the patients  
15 in your trial if they hadn't got your drug is the same  
16 as what happened to patients in the past who haven't  
17 gotten your drug. As Dr. Fisher said, the practice of  
18 medicine changes. All kinds of things change from one  
19 population to another.

20           What we have here is a version of a  
21 historical control trial. We have an unsuccessful --  
22 by its own light according to this protocol and the

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008



1 committee seemed to agree this morning, unsuccessful  
2 trial designed to demonstrate that Refludan is better  
3 than heparin.

4 Quite a large trial, one that if it had  
5 produced the expected effects would have been  
6 extremely significant. We also have some historical  
7 data comparing heparin to placebo in the presence of  
8 background therapy of aspirin.

9 As a member of the committee just  
10 remarked, maybe that's the best we can do here. Maybe  
11 there is some way of inferring in our minds what the  
12 comparison of Refludan to placebo would have been.

13 But to get to that to a p-value with three  
14 or four zeros on it and say you have actually compared  
15 Refludan to placebo and you know what the p-value is  
16 and so we have the equivalent of a placebo controlled  
17 trial so we're done I think is a very big leap.

18 I think I'll leave it there unless there  
19 are questions and let Sue-Jane Wang tell you more.

20 DR. PACKER: Can we proceed to Dr. Wang's  
21 presentation.

22 (Whereupon, waiting for the machine to

**SAG, CORP**

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 warm up.)

2 DR. PACKER: Is there any historical data  
3 as to how long this takes? Are things in general  
4 going in the right direction?

5 DR. FLEMING: There is a trend.

6 DR. LINDENFELD: No statistical  
7 significance.

8 DR. PACKER: I have a feeling that the  
9 point estimate of delay has wide confidence intervals.  
10 When I was in college I used to be a stand-up comic.

11 DR. PIÑA: Now, that makes sense.

12 DR. KONSTAM: Now you just sat down?

13 DR. PIÑA: It finally made sense.

14 DR. PACKER: It was a long time ago.  
15 Maybe you can -

16 DR. WANG: I think the problem is I really  
17 need to show the graphics to explain what's going on.

18 DR. PACKER: The panel has the graphics.

19 DR. WANG: So I'll just be talking to the  
20 panel.

21 DR. PACKER: Okay. Let me see. Dr. Wang,  
22 we do have the -- I guess it's hard to discuss this in

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 the absence of being able to point to the slides.

2 DR. WANG: If the graphics doesn't explain  
3 exactly what's going on, when is it appropriate, when  
4 is it not appropriate.

5 DR. PACKER: Does the committee have any  
6 other questions they want to ask, Dr. Fisher, while  
7 we're waiting?

8 DR. CALIFF: I certainly -- this FRIC and  
9 FRISC routine with no direct comparison between  
10 unfractionated heparin and placebo, how much  
11 confidence can we have in A is greater than B and B is  
12 greater than C. Therefore, A is greater than C by  
13 some finite number.

14 DR. FISHER: Well, number one --

15 DR. CALIFF: I'm interested in Tom's point  
16 of view on this also.

17 DR. FISHER: Number one, the syllogism  
18 that A is equal to B and B is greater than C and A is  
19 greater than C is how it turns out. Number two, the  
20 confidence went down because there were two studies.  
21 The variances were added. But the real concern that  
22 the agency has been speaking about is not that part of

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008

1 it because we statisticians can deal with that. It's  
2 the --

3 DR. CALIFF: Wait. Don't give me this we  
4 statistician stuff. That's not acceptable to me.

5 DR. FISHER: No, no. I was going to point  
6 out --

7 DR. CALIFF: You're telling me if you can  
8 deal with it that we never need to directly compare  
9 things. We can always confer.

10 DR. FISHER: If you let me finish my  
11 statement, Rob, what I started to say is we  
12 statisticians can deal with that but the real issue is  
13 the assumption that this odds ratio would have been  
14 the same in both studies for the other thing that  
15 wasn't there. That is definitely a big assumption.

16 I'm in print as saying if you can  
17 ethically use a placebo, it's unethical not to use a  
18 placebo. I don't literally mean that but you really  
19 should and I'm no big fan of historical controlled  
20 data and everybody who knows me knows that. It's a  
21 situation what do we do where you're in an era where  
22 people ethically feel that they cannot use a placebo,

SAG, CORP

4218 LENORE LANE, N.W.  
WASHINGTON, D.C. 20008