

FOOD AND DRUG ADMINISTRATION

+ + + + +

ANTIVIRAL DRUGS ADVISORY COMMITTEE

+ + + + +

TUESDAY
JULY 25, 2000

+ + + + +

8 4 6 5 ' 0 0 A U G 2 3 M I N

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

The Committee met at 8:30 a.m. at the Holiday Inn - Gaithersburg, Two Montgomery Village Avenue, Gaithersburg, Maryland, Dr. Roy M. Gulick, Acting Chairman, presiding.

MEMBERS PRESENT:

- HENRY MASUR, M.D., Chairman
- ROY M. GULICK, M.D., M.P.H., Acting Chairman
- EDWARD P. ACOSTA, Pharm.D., Guest
- JOSEPH S. BERTINO, JR., Pharm.D., Voting Consultant
- TERRENCE F. BLASCHKE, M.D., Non-Voting Consultant
- BENJAMIN CHENG, Patient Representative
- COURTNEY V. FLETCHER, Pharm.D., Member
- CHARLES FLEXNER, M.D., Guest
- KEITH GALLICANO, Ph.D., Guest
- JOHN GERBER, M.D., Guest
- I. CELINE HANSEN, M.D., Voting Consultant
- RICHARD M. V. HOETELMANS, Pharm.D., Ph.D.,
Guest Speaker
- PRINCY N. KUMAR, M.D., Member
- WILLIAM C. MATHEWS, M.D., M.S.P.H., Member
- STEVE PISCITELLI, M.D., Member
- ROGER J. POMERANTZ, M.D., Member
- JONATHAN M. SCHAPIRO, M.D., Guest
- BRIAN WONG, M.D., Member
- RAM YOGEV, M.D., Member
- NANCY CHAMBERLIN, Pharm.D., Executive Secretary

FDA REPRESENTATIVES:

HEIDI M. JOLSON, M.D., M.P.H.

SANDRA L. KWEDER, M.D.

JEFFERY S. MURRAY, M.D.

ALEXANDER RAKOWSKY, M.D.

KELLIE SCHOOLAR REYNOLDS, Pharm.D.

KIMBERLY STRUBLE, Pharm.D.

PUBLIC SPEAKERS:

JULES LEVIN, National AIDS Treatment Advocacy
Project

C-O-N-T-E-N-T-S

	<u>Page</u>
Welcome	4
Conflict of Interest Statements	6
Introduction/Opening Remarks Heidi Jolson	10
Clinical Pharmacology Overview from the Antiviral Perspective, Kellie Reynolds	21
Anti-infective Perspective Alex Rakowsky	62
Antiretroviral PK/PD Overview Richard Hoetelmans	78
Future Considerations for PK/PD Research Terrence F. Blaschke	117
Open Public Hearing Jules Levin	157
Charge to the Committee Kimberly Struble	160
Committee Discussion	170

P-R-O-C-E-E-D-I-N-G-S

(8:30 a.m.)

CHAIRMAN GULICK: Good morning, everyone. I'm Trip Gulick from Cornell in New York. It's my pleasure to welcome everyone today to this important meeting of the Antiviral Advisory Committee. It promises to be a very interesting day. We have some very important presentations to discuss.

I'd like to start by having the members sitting at the table introduce themselves and say where they're from. Why don't we start at one end.

DR. GALLICANO: Keith Gallicano from Axelson Biopharma Research in Vancouver, and formerly from Ottawa General Hospital in Ottawa.

DR. GERBER: John Gerber from the University of Colorado Health Sciences Center in Denver.

DR. ACOSTA: Ed Acosta, University of Alabama at Birmingham.

DR. PISCITELLI: Steve Piscitelli, Pharmacokinetics Lab, NIH.

DR. SCHAPIRO: Jonathan Schapiro from Tel

1 Aviv University and Stanford University.

2 DR. FLEXNER: Charles Flexner from Johns
3 Hopkins University in Baltimore.

4 MR. CHENG: Ben Cheng from Project Inform
5 in San Francisco.

6 DR. BERTINO: Joe Bertino from Bassett
7 Healthcare in Cooperstown, New York.

8 DR. POMERANTZ: Roger Pomerantz, Thomas
9 Jefferson, Philadelphia.

10 DR. WONG: Brian Wong, Yale University.

11 DR. MATHEWS: Chris Mathews, University of
12 California, San Diego.

13 DR. YOGEV: Ram Yogev, Children's Memorial
14 Hospital, Chicago.

15 DR. CHAMBERLIN: Nancy Chamberlin, Exec.
16 Sec.

17 DR. KUMAR: Princy Kumar. Princy Kumar,
18 Georgetown University Medical Center.

19 DR. MASUR: Henry Masur, Clinical Center,
20 NIH.

21 DR. FLETCHER: Courtney Fletcher,
22 University of Minnesota, Minneapolis.

1 DR. HANSEN: Celine Hansen, Baylor College
2 of Medicine, Houston, Texas.

3 DR. REYNOLDS: Kellie Schoolar Reynolds,
4 Office of Clinical Pharmacology and Biopharmaceutics,
5 FDA.

6 DR. STRUBLE: Kim Struble, FDA.

7 DR. MURRAY: Jeff Murray, FDA.

8 DR. JOLSON: Heidi Jolson, FDA.

9 DR. KWEDER: And I'm Sandra Kweder from
10 the FDA.

11 CHAIRMAN GULICK: Thank you very much.
12 Nancy will now read the conflict of interest
13 statements.

14 DR. CHAMBERLIN: Bear with me. It's three
15 pages. The following announcement addresses the issue
16 of conflict of interest with regard to this meeting,
17 as made a part of the record, to preclude even the
18 appearance of such at this meeting, since the
19 Committee's discussions will not have a unique impact
20 on any particular firm or product, but rather may have
21 widespread implications with respect to all
22 pharmaceutical firms that make antiretroviral products

1 for use in the treatment of HIV infection.

2 In accord with 18 USC 208, general matters
3 waivers have been granted to all special government
4 employees participating in this meeting. A copy of
5 these waiver statements may be obtained by submitting
6 a written request to the agency's Freedom of
7 Information Office, Room 12A-30 of the Parklawn
8 Building.

9 With respect to the FDA's invited guests,
10 there are interests which we believe should be made
11 public in order to allow the participants to
12 objectively evaluate the guests' comments. Edward
13 Acosta, Pharm.D., would like to disclose for the
14 record that he has accepted consulting fees from
15 Merck, DuPont, and Roxane, and has received speaker's
16 fees from Merck and Roxane.

17 Ben Cheng would like to disclose that
18 Project Inform has received educational grants from
19 Glaxo Wellcome, DuPont, Hoffmann-La Roche, Roxane,
20 Bristol-Myers Squibb, Merck, Pharmacia, and Agouron.

21 John Gerber, M.D., reports that he has
22 received consulting fees, speaking fees, and grants

1 and contracts from Agouron and Merck. Dr. Gerber has
2 also received speaker fees from Vertex, DuPont, and
3 Bristol-Myers Squibb.

4 Dr. Richard Hoetelmans has received
5 contracts and grants from Roche, Bristol-Myers Squibb,
6 Glaxo Wellcome, Boehringer Ingelheim, Abbott, and
7 Merck. He has also received speaker fees from
8 Bristol-Myers Squibb, Roche, Abbott, and Boehringer
9 Ingelheim.

10 Steve Piscitelli, Pharm.D., has received
11 honorarium through unrestricted educational grants
12 from Glaxo Wellcome, Agouron, and Bristol-Myers
13 Squibb.

14 Jonathan Schapiro, M.D., would like to
15 disclose that he has received support through
16 unrestricted educational grants from Hoffmann-La
17 Roche. In addition, Glaxo Wellcome, Hoffmann-La
18 Roche, Merck, Agouron, and Bristol-Myers Squibb have
19 provided research grants. Further, Dr. Schapiro has
20 received consultant fees from Hoffmann-La Roche,
21 Agouron, and has been a scientific advisor to both
22 firms. Dr. Schapiro has also received speaker fees

1 from Glaxo Wellcome, Merck, Agouron, Hoffmann-La
2 Roche, and Bristol-Myers Squibb.

3 Lastly, he is the chair of a National
4 Educational Incentive, a CMU program through the
5 University of Alabama at Birmingham. He has also
6 received a substantial education -- substantial amount
7 of honorarium from Hoffmann-La Roche through
8 unrestricted educational grants.

9 In event that the discussions involve any
10 other products or firms not already on the agenda for
11 which any participant has financial interest, the
12 participants are aware of the need to exclude
13 themselves from such involvement, and their exclusions
14 will be noted in the record. With respect to all
15 participants, we ask, in the interest of fairness,
16 that they address any current or previous financial
17 involvement with any firm whose product they may wish
18 to comment upon.

19 Okay, we have a pretty full agenda and
20 we're going to try to stick to our schedules. And
21 we've been asked to announce if there's any
22 disturbances, that they will be escorted out. Thank

1 you.

2 CHAIRMAN GULICK: Thanks very much, Nancy.

3 I'd like to turn it over to Heidi Jolson
4 for some introductory remarks.

5 DR. JOLSON: Thank you, Dr. Gulick, and
6 good morning. I'd like to extend a welcome to our
7 Committee who's joining us back today, and a special
8 welcome to our invited guests and speakers.

9 We've enjoyed planning this meeting. We
10 think it's really timely, and we're excited to have
11 the opportunity to hear the presentations today and
12 your discussion.

13 We'll be spending the bulk of today
14 talking about the availability of data to evaluate
15 alternative antiretroviral dosing regimens. And this
16 is -- reflects a discussion that we've had internally
17 with many sponsors over the years. This discussion's
18 not unique to products for HIV, nor for products to
19 antivirals, but we'll be focusing today on
20 antiretroviral virals because of the tremendous amount
21 of interest from industry in developing alternative
22 dosing regimens. Next slide, please.

1 I'm sure everyone in this room knows that
2 drug development does not stop at the time of product
3 approval, indicated here by this bar. And the point
4 of this figure is just to point out really the
5 spectrum that the agency sees in terms of product
6 development, starting with the innovator or original
7 product that's approved, all the way to generics; and
8 then the focus of today's discussion, which are new
9 formulations which don't necessarily have the same
10 pharmacokinetic profile as the innovator drug.

11 Prodrugs of the innovator drug,
12 alternative dosing regimens, which are usually
13 simplified regimens allowing for a lower dosing
14 frequency per day, or something that's -- I think it's
15 fairly unique to the antiretrovirals, which is
16 coadministration with a PK enhancer such as low-dose
17 Ritonavir in order to alter the pharmacokinetic
18 profile in an advantageous way. Next slide, please.

19 And there are many reasons for all these
20 post-approval changes. In general, these are looked
21 at as positive changes. One reason might be that
22 there's just some sort of a manufacturing improvement

1 to allow a better process for manufacturing product.
2 But more likely the reasons are clinical, that the
3 post-approval change results in better bioavailability
4 which hopefully will translate into increased
5 effectiveness; improved tolerability or palatability;
6 or as I mentioned, simplified dosing which is believed
7 to be related to better patient adherence. Next
8 slide, please.

9 So the question that comes up then is:
10 What's the evidence standard of the agency for these
11 different spectrum of products? Because clearly it
12 just wouldn't make sense if the same evidence standard
13 were applied all along the spectrum. And so, as this
14 Committee well knows, and it spends most of its time
15 considering, it's the randomized controlled clinical
16 trials that support approval of the innovator product.
17 Next slide, please.

18 And that's what the Food, Drug, and
19 Cosmetic Act defines as substantial evidence, which is
20 evidence consisting of adequate and well-controlled
21 investigations conducted by experts, which allow the
22 conclusion that the drug will have the effect it

1 purports. And so those are the classic, randomized
2 controlled trials that would support new drug
3 approval. Next slide, please.

4 While that piece of information, that body
5 of evidence is enough to support a new drug approval,
6 at the other end of the spectrum is generic approval.
7 And as again it wouldn't make sense to require the
8 same amount of evidence to support a generic or a
9 formulation that is essentially a generic, that is
10 almost identical. And so the law recognizes a
11 bioequivalent standard to approve generics. And Dr.
12 Reynolds is going to discuss the type of study that
13 would support bioequivalence. But it should be clear
14 to everyone that that's a very different standard than
15 required for the innovator. Next slide, please.

16 We're going to be spending our time,
17 however, in this box down here which is around either
18 new formulation --

19 (The overhead projector goes off.)

20 Well, that's all right. I can continue on
21 without the slides, although they looked quite nice.

22 Before the lights went out, you saw the

1 question mark. And the question mark is really
2 representative or symbolic of the questions that you
3 all will be asked to discuss today, which are really:
4 What's the level of evidence to support approval in
5 those circumstances that were enclosed by the box,
6 such as the new formulation, a prodrug, an alternative
7 dosing regimen, and coadministration with a PK
8 enhancer? How much data is really necessary?

9 And you might ask: Well, what is the
10 agency's perspective on that level of evidence? And
11 since I mentioned, this situation is not unique to
12 antiretrovirals. There is a guidance document that's
13 available that was published on the Web in May of
14 1998, a guidance for industry called "Providing
15 Clinical Evidence of Effectiveness for Human Drug and
16 Biological Products." And that document represents
17 many different things, but it includes our current
18 thinking on the quantity of evidence that's necessary
19 to support effectiveness. And it explicitly says that
20 there are circumstances when effectiveness can be
21 extrapolated from efficacy data for either another
22 claim or another product, and then goes on to give

1 several examples. And if you had the slide, you could
2 see the examples.

3 But the examples that the document
4 references are pediatric uses. And that would be the
5 case where the disease is thought to be the same in
6 adults and children, and the treatment effect is
7 reasonably similar. Bioequivalence, that I already
8 mentioned.

9 And then what we're going to talk about
10 today are modified release dosage forms and different
11 dosage regimens or dosage forms. And the agency makes
12 a distinction about the level of evidence that's
13 necessary, depending on whether or not the exposure
14 response is understood.

15 (The overhead projector comes on.)

16 Excellent. This is easier with slides.

17 So this was the box that I told you about.
18 This is where -- these were the examples from the
19 guidance document, and this is what we're really going
20 to be focusing on today. Next slide, please.

21 So that the document, if you were to read
22 it, says that even if blood levels are quite

1 different, if there's a well understood relationship
2 between blood concentration and response, it may be
3 possible to conclude that a new dose, regimen, or
4 dosage form is effective on the basis of PK data,
5 without an additional clinical efficacy trial.
6 However, if the exposure response is not understood
7 and the pharmacokinetics of the new dose, regimen, or
8 dosage form differ from the previous one, clinical
9 efficacy data will likely be necessary. In that case,
10 in general, a single additional efficacy study should
11 ordinarily be sufficient. Next slide.

12 In our division -- DAVDP is the Division
13 of Antiviral Drug Products -- we've been asked on
14 numerous occasions by a variety of industrial sponsors
15 how much evidence do they need to support their new
16 formulation or new regimen, and this slide would
17 summarize what our recommendation has been. In
18 general we've required -- and consistent with the
19 agency's guidance -- a single, adequately powered 48-
20 week equivalence designed clinical trial, although
21 there may be occasions where a superiority trial could
22 also be acceptable. And we've routinely reviewed 24-

1 week interim results of that trial to include in the
2 labeling with a Phase 4 commitment to submit the final
3 48-week results, and then that trial is then supported
4 by PK and safety data. Next slide.

5 While there's some advantages and
6 disadvantages of that approach, certainly having a
7 clinical efficacy study with a new regimen or new
8 formulation provides some level of confidence about
9 both the safety and the effectiveness of the new
10 regimen. However, we're well aware of several down
11 sides, which are that a large sample size may be
12 required, certainly if the design is an equivalence
13 design.

14 The sample size is probably going to be
15 many hundreds of patients, and there may be actually
16 limited available patients in the given patient
17 population. It provides for a longer delay in product
18 availability in order to conduct the study. We
19 certainly heard very loud and clear that it's resource
20 intensive for the pharmaceutical sponsor, and that it
21 results in a label which actually could lag
22 substantially behind clinical practice.

1 And this brings us to today's meeting, to
2 discuss in a scientific forum and group forum, and in
3 the public, what really the current knowledge is of
4 exposure response relationships for approved
5 antiretrovirals, and to explore both their role and
6 their limitations, and to provide advice to the
7 division of how we should consider this data in
8 support of new formulations and regimens.

9 We want to ask the Committee today to
10 discuss the amount, duration, and circumstances when
11 clinical data are necessary; to discuss the
12 implications of available knowledge for special
13 populations, which would include both pediatrics and
14 also treatment experienced patients; and we hope, as
15 a follow-up to today's meeting, to use the discussion
16 to generate the basis for an industry guidance
17 document on this topic.

18 In planning this meeting several months
19 ago, the division issued a letter to pharmaceutical
20 sponsors requesting any available data that they might
21 have that would address exposure response
22 relationships with either their product or another

1 product. And in terms of response, we were interested
2 both in virologic response, as well as parameters of
3 safety.

4 I'd like to acknowledge the contributions
5 of these sponsors who very graciously provided very,
6 very informative reviews of experiences with their
7 products, and a summary of the data that they've been
8 able to generate. And certainly we would not have
9 been able to put together this meeting without the
10 help from the companies listed here in providing us
11 with data.

12 In today's meeting we're going to provide
13 an overview of data that we've received in the public
14 domain, and that will be presented in one of this
15 morning's presentations. I'd like to just mention, as
16 an editorial remark, that we can't discuss this issue
17 without really seeing what we've learned, in order to
18 figure out what we still need to learn.

19 And so, although we may mention specific
20 products as examples or refer to their data, I just
21 want to remind everyone that the purpose today is not
22 to discuss any specific product or any specific

1 regimen or formulation. We're using the data to try
2 and figure out really where the state of the art is
3 for antiretrovirals. Next slide.

4 So in brief, today's agenda, we have the
5 morning -- we have several invited presentations, both
6 from FDA, an antiviral overview from the clinical
7 pharmacology perspective from Dr. Reynolds. We've
8 asked Dr. Rakowsky, our colleague in the Division of
9 Anti-Infective Drug Products, to talk a little bit
10 about what's learned from the antibiotic perspective
11 and their experience. Dr. Hoetelmans will discuss
12 PK/PD relationships for antiretroviral drugs. And Dr.
13 Blaschke will conclude the morning with considerations
14 for PK/PD research in this field.

15 Following lunch, we have an open public
16 hearing scheduled to convene at 1:00, and then
17 Kimberly Struble will give a charge to the Committee
18 and introduce the questions for the Committee to
19 discuss.

20 In closing, I would just like to reiterate
21 that we look forward to the discussion, and I would
22 like to acknowledge the folks inside of the division

1 who've worked very hard in putting together both the
2 background -- or the meeting and the presentations for
3 today. We've had a large working group who's been
4 involved in this, and the scientific leadership has
5 been Kellie Reynolds, Kim Struble, and Jeff Murray,
6 who you'll hear from today.

7 Thank you, and I'll turn the meeting back
8 to Dr. Gulick.

9 CHAIRMAN GULICK: Thanks very much, Heidi.
10 The next speaker will be Kellie Reynolds
11 from the FDA.

12 DR. REYNOLDS: Pharmacokinetic data could
13 potentially be used to increase the efficiency of the
14 evaluations of new formulations and alternative dosing
15 regimens. However, to use these data appropriately,
16 we need to know the relationship between drug
17 exposure, and safety and efficacy.

18 I'll first define some terms that will be
19 used throughout all of the presentations today. Next,
20 I will describe bioequivalence, which is the most
21 frequent way that PK data are used for approval of new
22 formulations, including generic drugs. I will then

1 describe several scenarios that we face with
2 antiretroviral drugs. I'll describe how each scenario
3 differs from the typical bioequivalent situation. I
4 will also discuss important considerations when
5 evaluating the available PK/PD data for antiretroviral
6 drugs. Finally, I will discuss the standard of
7 evidence that is necessary for approving these
8 changes.

9 As Dr. Jolson just mentioned, throughout
10 this presentation there will be several real examples
11 of data, and these examples were chosen to illustrate
12 our decision process, not really to comment on the
13 drug or the sponsor.

14 "Pharmacokinetics" is the time course of
15 drug concentrations in the plasma or sometimes other
16 fluids or tissues, resulting from a particular dosing
17 regimen. "Pharmacodynamics" is the relationship
18 between drug concentrations and a resulting
19 pharmacologic effect. And the resulting effect can be
20 related to either efficacy or safety.

21 This graph illustrates the time course of
22 plasma drug concentrations over 24 hours following

1 administration of a drug every eight hours. The "Y"
2 axis is concentration and the "X" axis is time. The
3 "AUC" is the area underneath the curve. The " C_{max} " is
4 the highest concentration, and the " C_{min} " is the lowest
5 concentration. And C_{min} is also referred to as trough
6 concentration or predose concentration.

7 There's really not good agreement on the
8 definitions of these terms, but I'm just going to give
9 two examples that we use. "IC" is inhibitory
10 concentration. So IC_{50} is a concentration of a drug
11 required to inhibit viral replication by 50 percent.

12 "EC" is effective concentration. So EC_{50}
13 is the concentration where patients demonstrate 50
14 percent maximal reduction of HIV RNA. And based on
15 the scientific principle that it's essential to
16 maintain plasma concentrations above a threshold
17 necessary to inhibit viral replication such as IC_{50} or
18 EC_{50} , many investigators consider C_{min} the most
19 important exposure measure for predicting virologic
20 success. Although this concept is highly plausible,
21 clinical data have not yet confirmed this. And AUC or
22 total exposure is also presumed to be related to

1 efficacy.

2 I will next discuss bioequivalence.
3 You'll probably hear bioequivalence discussed most
4 frequently in a context of generic drug approval. But
5 we also evaluate it in other situations where a
6 formulation change is made. When evaluating whether
7 two drug products are bioequivalent, the
8 bioavailability of a test product relative to a
9 reference product is determined. And the test and
10 referent products may be the proposed commercial
11 formulation compared to what was used in pivotal
12 clinical trials, it may be a generic drug versus a
13 reference-listed drug or innovator drug, or it may be
14 a drug product that is changed after drug approval, as
15 compared to the drug product that was approved.

16 And this is the regulatory definition of
17 "bioequivalence." And simply it states that
18 bioequivalence is a lack of a difference in the rate
19 and extent to which a drug becomes available at the
20 site of action when administered at the same molar
21 dose. In a typical bioequivalence study, healthy
22 volunteers are studied, but it is acceptable to use

1 patients. Each subject receives a single dose of each
2 formulation, with an appropriate washout period
3 between treatments, and the formulation should be
4 administered under fasting conditions.

5 The current design of bioequivalence
6 studies is expected to be the most sensitive for
7 detecting any differences between the two
8 formulations. And exposure measures are determined
9 for each formulation. The test versus reference ratio
10 is determined for both AUC and C_{max} . And then we
11 determine a 90 percent confidence interval around the
12 ratios. Using log transform data, the 90 percent
13 confidence interval for both AUC and C_{max} must fall
14 entirely within 0.8 to 1.25 to determine
15 bioequivalence.

16 And this graph just illustrates the
17 concentration versus time profile for two products
18 that would be considered bioequivalent. And you can
19 see that the profiles are almost superimposed on each
20 other.

21 When using bioequivalence to approve new
22 products, we make the following assumptions. We

1 assume: That the plasma concentration data are a
2 surrogate for drug concentrations at the active site.
3 We assume that if rate and extent of absorption are
4 similar, there'll be no significant difference in
5 exposure to the drug over time. And we assume that we
6 can extrapolate safety and efficacy data from the
7 reference product to the test product.

8 With generic drugs there's really no
9 flexibility in the bioequivalence criteria. However,
10 with innovator drugs we do have some room for
11 flexibility. We have safety and efficacy data or
12 exposure response data that may make it possible to
13 determine that differences in AUC or C_{max} are not
14 meaningful.

15 And here is one example where we used that
16 flexibility. These are the results of the
17 bioequivalence study comparing the Ritonavir soft
18 gelatine capsule to the approved liquid formulation.
19 And the results of the bioequivalence study indicated
20 that both AUC and C_{max} following the soft gelatine
21 capsule was 35 percent higher when compared to the
22 liquid formulation.

1 In our review of the data we noticed that
2 there were several subjects, mainly following
3 administrations of the liquid, who had very low,
4 almost undetectable Ritonavir concentrations.
5 Although it was not documented, we considered it was
6 possible that these subjects vomited soon after the
7 dose was administered. So we compared these data to
8 previous studies using the liquid formulation, and it
9 appeared that the 35 percent difference could be due
10 to low bioavailability of the reference liquid, not
11 due to increased concentrations following the soft
12 gelatine capsule. We also evaluated the potential
13 impact of higher Ritonavir concentrations in case the
14 soft gelatin capsule did actually have higher
15 bioavailability.

16 Supporting safety data from the original
17 Ritonavir NDA indicated that the 700 milligram twice
18 daily dose was not tolerated as well as a 600
19 milligram dose, but it didn't pose any new safety
20 concerns, so we approved the soft gelatin capsule
21 formulation.

22 I'm now going to discuss several scenarios

1 we faced with antiretroviral drugs that may benefit
2 from pharmacokinetic comparisons similar to the
3 determination of bioequivalence. There are several
4 scenarios where sponsors may want to extrapolate from
5 an approved dosing regimen or formulation to a
6 different regimen or formulation. The sponsor may
7 also want to make comparisons to approved regimens on
8 their evaluation drug interaction data, and they may
9 compare PK data from children to data from adults.

10 Although bioequivalence technically refers
11 only to comparisons of two formulations administered
12 at the same dose, the principles of bioequivalence can
13 be used in other situations. And in these cases we
14 attempt to demonstrate comparable pharmacokinetic
15 profiles rather than bioequivalence. So I'll define
16 each one of these scenarios, give some examples for
17 the scenarios, and I'll indicate how they differ from
18 the typical bioequivalent situation.

19 The first scenario is the development of
20 new formulations. In this situation we can apply the
21 typical bioequivalence criteria. However, in many
22 cases we really don't expect the formulations to be

1 bioequivalent. And some examples include modified
2 release formulations, prodrugs, and formulations with
3 increased bioavailability.

4 This graph can either compare a modified
5 release, delayed release product as the test product,
6 to an immediate release product that was approved
7 first; or it could compare a prodrug to administration
8 of the active drug. And for both of these situations
9 there may be a delay in the appearance of the drug in
10 the plasma. This delay may lead to a plateau, rather
11 than the sharp peak of the previously approved
12 formulation.

13 And in many cases, when the exposure
14 measures are compared in a bioequivalence study, the
15 AUC is the same or similar between the two
16 formulations. The C_{min} may be similar, but there may
17 be a big decrease in the C_{max} , maybe around 50 percent.

18 The regulations do allow us to determine
19 that products with such differences in C_{max} are
20 bioequivalent, but there are some caveats to that.
21 And particularly important to this discussion are the
22 words "intentional," "not essential to the attainment

1 of effective body concentrations on chronic use," and
2 "medically insignificant." So there really needs to
3 be concrete evidence that the difference in C_{max} is not
4 significant.

5 There are no approved antiretroviral drug
6 products that are modified release products or
7 prodrugs. But another situation in which
8 bioequivalence criteria will not be met is new
9 formulations with intentionally increased
10 bioavailability. One example with increased
11 bioavailability is the Fortovase formulation of
12 Saquinavir. When the proposed 1200 milligrams three
13 times daily dose of Fortovase was compared to the
14 approved 600 milligram three times daily dose of
15 Invirase, there was an approximately ninefold increase
16 in Saquinavir AUC.

17 There was a safety question due to the
18 increased concentrations, but there was also a need to
19 demonstrate improved efficacy to provide a rationale
20 for the dramatic increase in exposure. So we
21 requested a safety database of approximately 500
22 patients who were followed for 16 to 24 weeks, and

1 efficacy data were submitted for a smaller number of
2 patients.

3 The second scenario we encounter is a
4 change in dosing regimen. Many sponsors are now
5 attempting to simplify dosing regimens, three times
6 daily to twice daily, or twice daily to once daily.
7 And they attempt to demonstrate comparable plasma drug
8 exposures to the approved regimen, but it's really not
9 likely that all exposure measures will be similar
10 between the regimens.

11 Nelfinavir is an example of a protease
12 inhibitor where we have approved a less frequent
13 dosing regimen. The originally approved regimen was
14 750 milligrams three times a day, and the new regimen
15 is 1250 milligrams twice a day. The sponsor did
16 conduct a clinical trial evaluating the new regimen.
17 Pharmacokinetic data were submitted with the clinical
18 trial data, and these data came from a subset of
19 subjects in the clinical trial.

20 When we compared the exposure measures for
21 the twice daily regimen to the three times daily
22 regimen, AUC, C_{max} , and the morning C_{min} were increased.

1 And the afternoon C_{min} was decreased. And the
2 afternoon C_{min} compares the end of the first eight-hour
3 interval for the three times daily regimen to the end
4 of the first 12-hour interval for the twice daily
5 regimen.

6 So if we were reviewing these PK data with
7 no supporting clinical trial, there would be a safety
8 question due to the increased AUC and C_{max} , and an
9 efficacy question due to the decreased C_{min} in the
10 afternoon. And I want to point out that although
11 Nelfinavir pharmacokinetic are complicated due to the
12 presence of an active metabolite, the comparisons are
13 really the same when we do just parent drug or parent
14 plus active metabolite.

15 The clinical trial was conducted in
16 protease inhibitor naive patients, and the results at
17 48 weeks indicated that similar proportions of
18 patients in each arm had less than 400 copies of HIV
19 RNA per mL, and the safety was similar for both
20 regimens. The elimination half-life of Nelfinavir is
21 approximately four hours.

22 For another example, we'll consider what

1 might happen with a protease inhibitor that has a much
2 shorter half-life. In this case, if there is a change
3 from three times daily to twice daily, we might expect
4 similar or higher AUC every 24 hours, and this would
5 depend on whether the pharmacokinetics were dose
6 proportional, a higher C_{max} which would lead to a
7 safety question, and a lower C_{min} which would lead to
8 an efficacy question.

9 An example of efficacy data for this type
10 of drug compares Indinavir 800 milligrams every eight
11 hours with the 1200 milligrams every 12-hour regimen,
12 in combination with two NRTIs in protease inhibitor
13 patient -- in naive patients. At 24 weeks the
14 efficacy, based on proportion of patients with
15 undetectable virus, was superior for the Q eight-hour
16 regimen as compared to the Q 12-hour regimen.

17 As both of those examples illustrate, it's
18 really not likely that all exposure measures are going
19 to be similar between the dosing regimens. But in
20 some cases a sponsor may change a formulation and
21 dosing regimen at the same time in an effort to match
22 all exposure measures. The formulation change may

1 allow a change in regimen with little change in AUC,
2 C_{max} , or C_{min} .

3 However, in addition to comparing these
4 exposure measures, it's important to consider the
5 shape of the concentration versus time profile, and
6 that's illustrated with this example.

7 In this example, the two sharp profiles
8 are for the original formulation administered twice a
9 day, and that is in red; and the blue, broader profile
10 is for the new formulation administered once per day.
11 In this case, the C_{max} is similar for both regimens;
12 the AUC over 24 hours is similar for both regimens;
13 and the C_{min} is similar. However, the shape of the
14 curve is different. For the new formulation
15 administered once per day, there's only one peak, and
16 there's a longer consecutive period of time with very
17 low concentrations.

18 As indicated in the efficacy guidance to
19 which Dr. Jolson referred, this type of change can be
20 made using pharmacokinetic data, but there must be an
21 understanding of the relationship between blood
22 concentrations and response, including the time course

1 of the response.

2 The next scenario I will discuss is drug
3 interactions. Drug interaction with antiretroviral
4 drugs really occurs under two different circumstances.
5 Under the first situation, coadministration of two or
6 more drugs results in a change in exposure and the
7 potential need for a dose adjustment. In the PK
8 enhancer situation there's the intentional use of a
9 subtherapeutic dose of one drug to increase
10 concentrations of another drug.

11 The conventional dose modification
12 situation occurs when antiretroviral drugs are
13 administered in combination with any other drug. One
14 example is the coadministration of Indinavir and
15 Rifabutin. Because the sponsor already knew that
16 Indinavir increases Rifabutin concentration, this
17 interaction was studied using one-half the usual dose
18 of Rifabutin.

19 When Indinavir exposure measures following
20 administration of the 800 milligrams every eight hours
21 with Rifabutin 150 once daily were compared to those
22 following Indinavir 800 milligrams alone, the

1 Indinavir AUC, C_{max} , and C_{min} were decreased. And our
2 recommendation, based on these data, was to increase
3 the Indinavir dose to 1000 milligrams every eight
4 hours when administered with Rifabutin.

5 The Rifabutin and metabolite exposure
6 measures were also compared after the 150 milligram
7 dose with Indinavir as compared to the usual 300
8 milligram dose of Rifabutin. The Rifabutin AUC and
9 C_{max} were increased, and the metabolite AUC and C_{max}
10 were also increased. Our recommendation here was to
11 reduce the dose to one-half the standard dose of
12 Rifabutin when administered with Indinavir. And this
13 recommendation was made by evaluating previous
14 Rifabutin and metabolite safety data, and considering
15 the available dose strengths of Rifabutin.

16 We encounter a similar drug interaction
17 situation when two antiretroviral drugs are
18 coadministered. In this situation there was first a
19 medical decision to coadminister two specific
20 antiretroviral drugs. However, there may be an
21 interaction between the drugs, and we need to know
22 whether the dose of either drug should be altered.

1 For example, there may be a decision to
2 coadminister Efavirenz and Indinavir. In the first
3 study of this combination, following administration of
4 Indinavir 800 milligrams with Efavirenz, there was no
5 significant change in Efavirenz PK, but Indinavir AUC
6 and C_{max} decreased. So based on those results, the
7 combination was evaluated with an increased dose of
8 Indinavir 1000 milligrams every eight hours. The AUC
9 was similar to what's typically observed with the 800
10 milligram dose; the C_{max} was higher and the C_{min} was
11 similar. This may lead to a safety concern because of
12 the increased C_{max} . However, one of the clinical
13 trials for Efavirenz included Indinavir 1000
14 milligrams every eight hours with Efavirenz, so we had
15 safety data for this combination.

16 The pharmacokinetic enhancer situation is
17 quite different from the examples I've just given. In
18 this case the protease inhibitor is administered in
19 combination with a potent metabolic inhibitor such as
20 low-dose Ritonavir. The intent is to increase
21 concentrations of the protease inhibitor, not to
22 obtain antiretroviral efficacy from the second drug.

1 This usually also involves altering the dosing regimen
2 for the protease inhibitor, decreasing the frequency.
3 And the exposure measures may be quite different from
4 what you see with the approved regimens.

5 In some cases, AUC, C_{max} , and C_{min} may be
6 increased with the PK enhancer. And this is the case
7 for the two dosing regimens that combine Indinavir
8 with low-dose Ritonavir in BID regimens. When
9 Indinavir 800 milligrams twice daily is administered
10 with 100 milligrams of Ritonavir, Indinavir AUC, C_{max} ,
11 and C_{min} are increased. When the Indinavir is
12 administered with 200 milligrams of Ritonavir, there's
13 a slightly greater increase in AUC and C_{max} , and a much
14 greater increase in C_{min} . So for both regimens the
15 increased exposure measures raise safety questions.
16 In other cases, the C_{min} may be higher with a regimen
17 that includes the enhancer, but some other exposure
18 measures may be lower.

19 The Amprenavir exposure measures for the
20 Amprenavir-Ritonavir combinations are based on
21 simulated data. These are not data from actual
22 clinical trials. The simulated Amprenavir exposure

1 measures were compared to measures following the
2 approved 1200 milligram twice daily Amprenavir
3 regimen.

4 The first two regimens that include low-
5 dose Ritonavir are twice daily regimens. In these
6 cases there's no increase or a small increase in the
7 AUC, and approximately 50 percent decrease in the C_{max} ,
8 and a large increase in the C_{min} .

9 The next two regimens are once daily
10 regimens. And in this case there's again no change or
11 a small increase in the Amprenavir AUC; no change or
12 a less than 50 percent decrease in Amprenavir C_{max} ; and
13 again, a large increase in C_{min} . Of course, for all of
14 these combinations, and for the Indinavir-Ritonavir
15 combinations, there is a change in the overall shape
16 of the plasma concentration versus time profile.

17 The final scenario, I will discuss
18 pediatric dosing. As I have been discussing, there
19 are many factors to consider when evaluating new
20 formulations, alternative dosing regimens, and drug
21 interaction results for antiretroviral drugs, and
22 considering these factors in the context of dosing

1 pediatric patients as another layer of complexity.

2 The regulations do allow the inclusion of
3 pediatric use information in the label without
4 controlled clinical trials for the use in children.
5 But for this to apply, the course of the disease
6 should be similar in pediatric and adult populations,
7 and the sponsor must provide other information to
8 support the use in children. The additional
9 information may include PK data for the drug in the
10 pediatric population to allow dose selection.
11 Evidence of comparable concentrations between children
12 and adults or exposure response data can link the
13 efficacy data from the adults to the children, and
14 some additional safety data may be requested.

15 One example of the approval of pediatric
16 dosing based on a comparison to adult PK data is
17 Nelfinavir. The pediatric clinical study was ongoing
18 at the time the NDA was submitted. Because early PK
19 studies indicated that Nelfinavir clearance was more
20 rapid in children, a dose two to three times the adult
21 dose on a milligram per kilogram basis was selected
22 for study.

1 The PK results submitted with the NDA
2 indicated that after two weeks of treatment with 20 to
3 30 milligrams per kilogram three times daily,
4 Nelfinavir plasma concentrations in children were
5 similar to those in adult patients who received 750
6 milligrams three times daily. There was higher PK
7 variability in the pediatric patients, however. We
8 did request some safety data for the pediatric
9 patients, and multiple dose data from 47 patients were
10 submitted and reviewed. And there are no twice daily
11 PK data available for pediatric patients, so we can't
12 extrapolate the adult BID regimen to the children.

13 As a summary, I will indicate how each
14 scenario I have discussed differs from the well-
15 defined bioequivalent situation. With many new
16 formulations, I have pointed out that they may not
17 need the bioequivalence criteria, particularly for
18 C_{max}. When there's a change in dosing regimen, the
19 sponsor may target AUC or C_{min}, but other exposure
20 measures will be different, and there's also a
21 different shape of the concentration versus time
22 profile.

1 When drug interactions occur, the change
2 in dose and regimen may target AUC or C_{min} , but there's
3 usually not enough flexibility to match all exposure
4 measures to the approved regimens. When PK enhancers
5 are used, there may be an increase in all exposure
6 measures which would lead to a safety question; or
7 there may be an increase in some exposure measures and
8 a decrease in others, which would lead to safety and
9 efficacy questions. With pediatric dosing, the
10 sponsor may try to match AUC or C_{min} , but other
11 exposure measures may be different from the adult
12 regimen.

13 So overall, in most situations it's not
14 going to be possible to match all exposure measures.
15 In some cases there'll be lower concentrations where
16 there'll be an efficacy question; and in other cases
17 there'll be higher concentrations leading to a safety
18 question.

19 Although we would like to determine PK/PD
20 relationships for antiretroviral drugs that would
21 allow us to use pharmacokinetic data to approve new
22 non-bioequivalent formulations and alternative dosing

1 regimens, there are several important considerations
2 that complicate matters. A goal, when evaluating a
3 PK/PD relationship, is to identify specific exposure
4 measures that are related as to pharmacodynamic
5 endpoints.

6 One could then design exposure response
7 studies that would allow the assessment of the
8 clinical implications of changing formulations or
9 dosing regimens. And it's important to remember that
10 the PD endpoints include both efficacy and safety, and
11 the efficacy endpoint of most interest is durable
12 suppression of the virus.

13 During our preparation for this meeting,
14 we consulted with the Pharmacometrics Group in the
15 Office of Clinical Pharmacology and Biopharmaceutics,
16 and this is a group that has a great deal of expertise
17 in PK/PD evaluations and modeling. Drs. Peter Lee and
18 Dan Wang from the Pharmacometrics Group evaluated the
19 available data for antiretroviral drugs. Their
20 ultimate goal was to provide suggestions for the
21 design of exposure response studies that would allow
22 the assessment of the clinical implications of

1 changing formulations or dosing regimens. And many of
2 the considerations I'm presenting were either
3 determined or confirmed during their review of these
4 data. Due to these issues, it's not possible for us
5 to recommend a specific exposure response study design
6 for antiretroviral drugs at this time.

7 The pharmacokinetic considerations listed
8 on this slide complicate the evaluation of PK/PD
9 relationships. I'm going to discuss each
10 consideration.

11 Many studies published in the literature
12 correlate either AUC or C_{min} with the efficacy of
13 specific antiretroviral drugs. However, the design of
14 most studies does not allow us to rule out the
15 contribution of other exposure measures such as C_{max} .
16 In most cases, efficacy and safety data are available
17 for only a few doses of a particular drug. And
18 usually the same regimen, either twice daily or three
19 times daily, is used for all the different doses. And
20 that results in the type of relationship you see in
21 the left graph up there.

22 The PK parameters are correlated with each

1 other. In order to conclude that one exposure measure
2 is important for efficacy and another is not, the
3 measures cannot be correlated with each other. You'd
4 really like to see the type of relationship you see on
5 the right there, where C_{min} and C_{max} are not correlated
6 with each other. But in order to end up with that
7 type of relationship, the sponsor would really have to
8 collect safety and efficacy data following a mix of
9 regimens -- once daily, twice daily, and three times
10 daily -- for any specific drug.

11 In some cases the reported pharmacokinetic
12 differences between regimens that were evaluated in
13 different studies may be due to different PK sampling
14 schemes, not really due to difference in the regimens.
15 For example, consider a drug whose typical C_{max} is
16 observed at one hour. If you sample at 0, 0.5, 1, 2,
17 4, and 6 hours, the C_{max} may be 50/100. But if you get
18 rid of the one hour sampling time, the C_{max} may be
19 4000; and if you get rid of the one hour and one-and-
20 a-half-hour sampling time, the C_{max} may be 3000. And
21 there would also be a change in AUC with the different
22 sampling schemes.

1 Diurnal variations should also be
2 considered when comparing AUC values across regimens.
3 When we compare different regimens, the AUC 0 to 24
4 hours is usually estimated as AUC 0 to 8 multiplied
5 times three for three times daily dosing; or AUC 0 to
6 12 multiplied times two for twice daily dosing. And
7 this estimation assumes that the PK profile is the
8 same in the morning and the evening.

9 There's some evidence that this estimation
10 is not appropriate. AUC in the afternoon may be lower
11 in the morning, so this method of estimation would
12 overestimate AUC 0 to 24, but we don't have data for
13 most of the drugs.

14 As mentioned previously, demonstrating
15 comparable AUC, C_{max} , and C_{min} between regimens does not
16 guarantee that the shape of the concentration versus
17 time profile is the same. And we discussed this graph
18 previously.

19 Traditionally, C_{min} has been considered one
20 of the most important exposure measures for protease
21 inhibitor and NNRTIs. The literature may indicate
22 that obtaining a specific C_{min} predicts success, but

1 it's really difficult to interpret the meaning and
2 utility of that conclusion. C_{min} values can be very
3 variable, and there will be a difference in the value
4 reported, depending on whether it's summarized by
5 arithmetic mean, geometric mean, or median.

6 For example, if you consider a
7 representative series of approximately 70 C_{min} values,
8 if you summarize, its arithmetic mean is 145;
9 geometric mean, 102; and median, 121. And some
10 individual patients may have values much lower than
11 those summarized. It's also important to consider the
12 time of sample collection when you consider C_{min} ,
13 because the C_{min} value may differ for different dosing
14 intervals possibly due to diurnal variation.

15 The final pharmacokinetic concern I'll
16 discuss is adjustment for protein binding. It's the
17 unbound drug that is active. When we adjust for
18 protein binding, can we really assume that all
19 patients have the same fraction of drug bound to
20 protein?

21 Example, consider a drug that is, on
22 average, 99 percent protein bound. If Patient 1 and

1 Patient 2 both have a C_{min} equal to 100 based on total
2 concentrations, consider that Patient 1 might have 99-
3 1/2 percent of the drug bound to protein, one-half
4 percent unbound, so the corrected C_{min} would be 5.
5 Patient No. 2 might have 98 percent bound, two percent
6 unbound, and the corrected C_{min} of 20.

7 For pharmacodynamics, our biggest concern
8 is related to suppression of virus. There have been
9 several instances in which different doses or regimens
10 had similar efficacy to one another early in
11 treatment, but diverged at later times. For example,
12 efficacy may diverge between 16 and 24 weeks.
13 Recently available data indicate that differences can
14 even emerge between 24 and 48 weeks.

15 In addition to the factors I have
16 discussed, there are a number of other considerations
17 that complicate the evaluation of PK/PD relationships
18 for antiretroviral drugs. These include mechanism of
19 action. The NRGIs require intercellular activation.
20 Thus, it's more difficult to determine the relevance
21 of plasma exposure measures. There may be exposure
22 measures other than AUC, C_{max} , and C_{min} that might be

1 important.

2 For example, time of a specific threshold
3 concentration, like IC_{50} or EC_{50} . It's more difficult
4 to evaluate PK/PD relationships for one drug when
5 patients are receiving other drugs for the same
6 indication, and most HIV patients are on multiple drug
7 therapy. Response may be less than optimal if
8 patients do not comply with the prescribed regimen.

9 Consumption of other agents, such as
10 botanical products or food, may alter exposure to the
11 drug and alter response. The prescriber may not be
12 aware of the patient's consumption of these other
13 agents.

14 Active metabolites complicate the
15 evaluation of a PK/PD relationship. It may be
16 necessary to include the metabolite in the PK/PD
17 model. In situations of drug interactions, the
18 proportions of parent drug and metabolite may change.

19 And finally, the relationship between drug
20 exposure and response may be different in naive and
21 previously treated patients due to the presence of
22 different strains of the virus.

1 So if we are able to establish a PK/PD
2 relationship for antiretroviral drugs, does it apply
3 in all situations? Would the same model apply to all
4 three drug classes or to all drugs within a class, or
5 even to all populations? If pharmacokinetic and
6 pharmacodynamic considerations make it difficult to
7 design exposure response studies that allow the
8 approval of new formulations or dosing regimens, we
9 may consider whether we can find a study design that
10 may allow more effective screening of the regimens.
11 Such a design might allow us to weed out some failures
12 early, and then a longer-term study would be needed to
13 confirm the efficacy of the promising regimens or
14 formulations.

15 In my concluding remarks I would like to
16 comment on the standard of evidence needed for
17 regulatory decisions. Under different scenarios there
18 may be different standards of evidence needed. New
19 formulations are held to a high standard. The new
20 formulation may replace the previous one, leaving no
21 room for patient management. All formulations need to
22 be of high, well-defined quality, because they are

1 really the backbone of a dosing regimen.

2 There's more room for flexibility when
3 interpreting drug interaction data. First, the
4 combination may not last for the duration of therapy
5 with the antiretroviral drug, and in many cases the
6 drug interactions were encountered during the pivotal
7 clinical trials. However, when two antiretroviral
8 drugs are combined, dose adjustment recommendations
9 may possibly be viewed as an approved dosing regimen,
10 which may mean a higher standard is needed. And the
11 standard of evidence for a change in dosing regimen or
12 a PK enhancer interaction probably falls between the
13 standards for new formulations and drug interactions.

14 Finally, how much uncertainty can we
15 accept for pediatric patients? There's certainly
16 feasibility issues with clinical trials in pediatric
17 patients, and these patients have less treatment
18 options. However, we want to be certain that the
19 options we approve are well understood, safe, and
20 effective.

21 When considering the standard of evidence
22 needed for these different situations, it is important

1 to remember that the standard of evidence differs for
2 regulatory decisions as compared to managing
3 individual patients. And more details about PK/PD
4 modeling and relationships for antiretroviral drugs
5 will be discussed by later speakers today.

6 CHAIRMAN GULICK: Thanks very much, Dr.
7 Reynolds.

8 Are there specific questions or
9 clarifications for Dr. Reynolds about her
10 presentation?

11 One question: What's the mechanism behind
12 the diurnal variation that you might expect to see
13 with different drugs?

14 DR. REYNOLDS: We think that there may be
15 faster metabolism when people are awake versus when
16 they're asleep, and that's one closed mechanism for
17 some drugs. And it may be due to different meals.
18 And sometimes there's actually a longer dosing
19 interval overnight. So it's really multiple
20 mechanisms.

21 CHAIRMAN GULICK: Other questions? Dr.
22 Mathews.

1 DR. MATHEWS: Two quick points. One
2 relates to sample sizes for the PK studies; and the
3 other, you didn't mention in your otherwise very
4 comprehensive discussion the whole issue of
5 susceptibility of the virus in terms of interpreting
6 or generalizing from the PK/PD relationship, and that
7 obviously relates to the effect of concentrations.
8 That would be highly variable depending on the
9 susceptibility of the virus in the population studied.

10 But on the sample size issue, most of the
11 PK studies that the Committee has seen over the years
12 have relatively small sample sizes. Now, when you
13 present an average AUC or an average C_{min} , what does
14 the agency or the division consider acceptable limits
15 of variation?

16 DR. REYNOLDS: We really look at all of
17 the data, we don't just look at the mean values.
18 Often we report that just because it's the easiest
19 thing to do, but we look at the coefficient of
20 variation, we look at the 90 percent confidence
21 interval. And, I mean, usually the PK studies are
22 small, so we can look at all of the individual data

1 and consider that in our decisions.

2 DR. MATHEWS: So that that range of the 90
3 percent confidence interval that you showed for the
4 bioequivalent standard is what you would hope to see
5 in evaluating PK parameters in vicinity viral context?

6 DR. REYNOLDS: We look at that, and that's
7 really the standard that's used for considering no
8 change. But in most of these cases we really don't
9 expect to see no change, but we do use the 90 percent
10 confidence interval.

11 CHAIRMAN GULICK: Dr. Yogev?

12 DR. YOGEV: Two quick questions. I
13 noticed that, very satisfactory to me, that you
14 defined pediatric as different. But for some reason
15 pregnant women are not defined as specific. What
16 you're saying is, it's so much different, both from
17 safety and pharmacokinetic, that they should be
18 defined as one of the issues that you need to see some
19 data from, because those -- this specific population
20 represent a unique situation, both pharmacokinetically
21 and also from what we have today for prevention.

22 The other question I have is: You define

1 EC₅₀ as efficacy. In many studies we see that the
2 viral load media is run ten to the three over ten to
3 the four. If it's ten to the three viral load, 50
4 percent reduction is almost in the variation of the
5 method that you test. Shouldn't we put EC₅₀
6 definition only if it's more than ten to the four of
7 the population, and this allow enrollment of patients
8 to studies of equivalence? Is that too low?

9 I notice in many studies there are even
10 patient enroll in a thousand or less, and they are
11 fit, in my opinion, the end product, if the end is not
12 big, and especially over, say, pediatric or pregnant
13 women. And I would like to hear your response to
14 that.

15 DR. REYNOLDS: As far as the pregnant
16 women, we realize that there really are not much data
17 at all on that population. And we are starting to see
18 more studies where they are collecting data on
19 antiretroviral drugs on pregnant women.

20 DR. KWEDER: The agency in general is
21 quite concerned about this. And while not related to
22 this meeting specifically, we're working with the NIH.

1 We're cosponsoring two conferences this fall to try
2 and generate more research interest in studying
3 pharmacokinetics on this population.

4 There's a workshop in September being
5 sponsored by the NICHD to look at study design and
6 state-of-the-art methodology for this, and a larger
7 one that will be the lead-on in early December for the
8 same thing. This is not just an issue with
9 antiretrovirals, but for drugs in general, and it
10 covers both pregnant women and lactating women.

11 DR. JOLSON: Just one final comment, and
12 it's that the division also is aware of the fact that
13 most labels don't have any dosing information, and
14 internally we've discussed sending letters to
15 manufacturers asking for the availability of data.
16 There is some data out there so that we can start to
17 include that information in product labeling.

18 CHAIRMAN GULICK: Dr. Piscitelli?

19 DR. PISCITELLI: Kellie, what's the
20 agency's feeling in support of accepting simulated
21 data in support of an NDA package? I saw you present
22 some here.

1 DR. REYNOLDS: The data that I presented
2 were not in support of an NDA package. That was just
3 the available data that we found for --

4 DR. PISCITELLI: In any cases would that
5 be acceptable or useful to support something?

6 DR. REYNOLDS: It's possible, since we do
7 have the Pharmacometrics Group, I mean, they have
8 expertise in that area and could evaluate the quality
9 of the data. So it's not something that we can rule
10 out.

11 CHAIRMAN GULICK: Other questions? Dr.
12 Murray?

13 DR. MURRAY: On the EC₅₀ issue, I mean, I
14 think that's just -- Kellie was just trying to define
15 some terminology, and I don't think we've used that
16 necessarily as a benchmark for making any decision.
17 And I guess if you were to try to calculate an EC₅₀,
18 I mean, you'd want to do it in a study that enrolled
19 a range of individuals with a range of HIV RNA.

20 But so far, I think there's a lot of
21 confusion around those terms. And, you know, they
22 might be important for a ballpark kind of benchmark,

1 but we certainly haven't used them for a drug approval
2 or a new formulation approval.

3 CHAIRMAN GULICK: Dr. Yogev?

4 DR. YOGEV: Just because mentioned that,
5 can you clarify to me why we accept IC_{50} as an
6 indication of sensitivity, not IC_{90} at least? We have
7 a major problem in a quasi species as a whole, and we
8 know that each human being have a lot of them, and we
9 check the majority. So wouldn't IC_{90} represent better
10 the population?

11 DR. MURRAY: Well, from my understanding -
12 - and probably somebody else could comment better on
13 this -- but I guess the IC_{50} might be a little bit
14 easier to measure technically than the IC_{90} because
15 it's on the plateau portion of a curve. And we
16 haven't accepted any of those measurements. I mean,
17 we think that they're useful in drug development to
18 let you know that maybe you're in the right ballpark,
19 and then you go and try to prove that with some
20 clinical data. But I think it's just kind of a useful
21 tool, and the 50 was because it's technically easier
22 to maybe measure.

1 CHAIRMAN GULICK: Dr. Bertino?

2 DR. BERTINO: Dr. Reynolds, thank you.

3 Very nice presentation.

4 One of the things I'd just like to
5 introduce to the Committee -- and then probably save
6 more of the discussion for Dr. Struble's questioning
7 of us this afternoon -- is pharmacogenetics which you
8 didn't make mention of. But for antiretrovirals, in
9 many ways, pharmacokinetics is kind of the expression
10 of pharmacogenetics; how your genetic makeup and
11 environment affects drug metabolism.

12 And I think there are many questions
13 involved with pharmacokinetics that you presented that
14 really are pharmacogenetically based, that may
15 actually change over time in HIV patients and affect
16 exposure to antiretrovirals. So, and I'd like to
17 bring that up more later on this afternoon when we get
18 to Dr. Struble's presentation.

19 DR. KWEDER: Kellie, I just have one
20 question for you. It's maybe just to ask you to
21 expand a little bit. You made the comment early on
22 that a lot -- in many situations the types of PK data

1 that we have are usually in -- sometimes in healthy
2 subjects, sometimes in patients as well.

3 Some of the questioning has gotten to the
4 issue of some special populations; you know, children,
5 pregnant women, we could include the elderly in that,
6 different pharmacogenetic -- groups with different
7 pharmacogenetic profiles. How much of that sort of
8 special population data do you typically see in the
9 applications for new formulations or dose regimen
10 changes that you review?

11 DR. REYNOLDS: New formulations, we
12 usually don't see any. I mean, the studies are
13 usually done in men and women, but it's usually not
14 broken down any further than that. For new dosing
15 regimens, so far any data we've seen have been from a
16 subset of the clinical trial, and they wouldn't really
17 pick out just men from the clinical trial or just
18 women from the clinical trial. So it'd really be just
19 by chance, whatever the population is in the clinical
20 trial.

21 CHAIRMAN GULICK: And just to follow up on
22 that, what about populations with hepatic or renal

1 insufficiency? Are there requirements to provide data
2 or --

3 DR. REYNOLDS: In order to have something
4 in the labeling, a study needs to be done, if it's
5 appropriate. If they know that a drug is completely
6 metabolized, we may not need to study renal
7 insufficiency. If we know that the drug is entirely
8 renally eliminated, we don't really need to do a study
9 of hepatic insufficiency. It depends on the drug, and
10 it would really affect labeling; not really a
11 requirement for approval, but for providing dosing
12 instructions.

13 CHAIRMAN GULICK: Other comments or
14 questions?

15 Okay, why don't we take a 15 minute break.
16 We can reconvene at five of 10:00.

17 (Whereupon, the foregoing matter went off
18 the record at 9:40 a.m., and went back on the record
19 at 10:01 a.m.)

20 CHAIRMAN GULICK: Okay, we'll go ahead
21 with the next presentation, which is Dr. Alex Rakowsky
22 from the FDA.

1 DR. RAKOWSKY: Hi. Usually after a break
2 it's nice to get reoriented, kind of like a mini-glass
3 glaucoma scale.

4 So this is the Antiviral Advisory
5 Committee. This is today's date. I'll let everybody
6 fill in their own name.

7 Mine's Alexander Rakowsky. I'm a medical
8 team leader in the Division of Anti-Infective Drug
9 Products, one of the sister divisions of antiviral and
10 the Office of Drug Evaluation 4 and CDER at FDA.

11 Basically, the purpose of this talk is to
12 give a brief presentation of how the antibacterial
13 folks have been using PK/PD parameters in various
14 situations. It'd be nice to kind of focus in on the
15 discussion on field today. There are various places
16 where PK/PD has been used in antibacterials; for
17 example, in new drug development or for approved drugs
18 when you have a change in dosing formulation or a
19 combination of other drugs. Also, there has been use
20 in systemic agents versus topical, but that they all
21 focus on approved systemic agents in this
22 presentation.

1 There have also been various documents and
2 guidances for a division, and affecting review of
3 indications in our division through the years. The
4 classic is the anti-infectives points to consider from
5 1992, the same year IDSA and FDA came up with
6 guidances looking at various indications that our
7 division at that time was approving. And there is
8 some mention of PK/PD usage, essentially more for new
9 drug approval and dose guidances.

10 There have been recent rewrites of the
11 guidances for various indications, and as mentioned by
12 Drs. Jolson and Reynolds, there is the clinical
13 effectiveness document from 1998 which focuses more on
14 our topic of conversation here, which again is
15 approved drugs with changes in dosing formulation and
16 combinations which lead to a non-bioequivalent state.

17 I'm not a pharmacokineticist, so I'm
18 basically here just giving historical perspective, so
19 please don't kill the messenger. This basically is a
20 brief primer of PK/PD parameters using the two
21 divisions that deal with antibacterials; namely, anti-
22 infectives and special pathogens. And we'll have a

1 brief discussion of how these parameters have been
2 used, what these parameters are, and give one example
3 of an approval where they were used.

4 A real basic divide in the antibacterial
5 world is essentially concentration-dependent drugs and
6 time-dependent drugs. I want to start off with the
7 caveat that many classes do not cleanly fall into one
8 or the other, but still this is considered to be one
9 of the basic parameters. As far as time-dependent,
10 the major parameter of activity appears to be the time
11 usually in serum that the drug is above the MIC for a
12 certain pathogen. And examples of classes of drugs
13 where this is the important parameter, the beta-
14 lactams, such as penicillins and cephalosporins, and
15 vancomycin.

16 Concentration-dependent, the examples of
17 which are fluoroquinolones and aminoglycosides, appear
18 to be more dependent on two other parameters: Either
19 peak concentration to MIC ratio -- in other words, how
20 high the peak is above the MIC -- and/or the AUC to
21 MIC ratio. Slide.

22 What is an MIC? It's essentially the mean

1 inhibitory concentration, a similar concept commonly
2 used in antibacterial as being the mean bacterial
3 bactericidal concentration. The nice thing about MIC
4 is that it's based on standardized *in vitro* work using
5 specific preset conditions; growth media;
6 concentrations; and for fastidious organisms, nutrient
7 additives, et cetera. So you come up with a fairly
8 reproducible stable number if you use NCCLS guidances
9 for a drug-bug combination. Next slide.

10 The difficulty in MICs, however, is not in
11 the reproducibility, it's in the interpretation of the
12 MIC. Namely, what is "susceptible," what is the
13 definition of "intermediate," and what is the
14 definition of "resistant." One of the major issues,
15 when deciding the interpretation, is the achievable
16 drug levels. And this goes back to your typical ADME
17 parameters: absorption, distribution, metabolism, and
18 excretion. If you cannot achieve a certain drug
19 level, doesn't matter how active the drug is *in vitro*
20 when you're trying to define the interpretation.

21 Clinical data is also of great importance.
22 And it should be mentioned that the interpretations

1 are defined after lengthy discussions either by a
2 Committee such as NCCLS, or by review of clinical data
3 by us at the FDA. And even though there is a great
4 effort to come up with use and definitions, there
5 still is occasional disagreement.

6 Let's start talking about time-dependent
7 antibacterials. The major parameter is time above the
8 MIC. There's some early work done in animal models,
9 such as Bill Craig's work in the University of
10 Wisconsin, looking at acute otitis media models. And
11 it has been confirmed by some clinical trials that
12 time above MIC for several classes, such as beta-
13 lactams, is the most important parameter. But if you
14 look at the definition of time above, it is dependent
15 on a range of parameters; again, the ADME parameters.

16 You need to have a certain C_{max} achieved,
17 and that depends on the actual patient, depending on
18 concentration, as it were, in the case of oral from
19 the gut, et cetera. You also have to look at the
20 distribution of the drug, serum versus tissue,
21 penetration into CSF fluid, and also the issue of
22 protein binding that Dr. Reynolds had brought up.

1 And lastly, if you look at the time above,
2 it's just as important to look at the half-life of the
3 drug. And metabolism and excretion are major issues
4 which are again dependent on the ranges depending on
5 the individual. The MIC also has a lot of
6 variability. It's pathogen-dependent. And for
7 pathogens you have the sticking point of resistant
8 strains.

9 And then there are other factors that need
10 to be taken into account, such as the inoculum effect;
11 the effect of PH on activity. A classic example is
12 aminoglycosides in abscesses where they are not as
13 active in low PHs, and other factors which make the
14 MIC different in clinical practice than what you get
15 in an *in vitro* setting.

16 As far as the animal and the human
17 studies, the classic studies have shown that time
18 above MIC for the drugs in the time-dependent
19 category, if you have a time above MIC in the 40 to 60
20 percent range, this appears to be predictive of
21 clinical success. Is this 100 percent correlation?
22 Unfortunately not. But it is a strong predictor.

1 And it does vary among the members of the
2 same class of drugs, and one of the variables that may
3 account for this is something called the post-
4 antimicrobial effect. When looking at concentration-
5 dependent, again we discussed the major parameters
6 before: the peak to MIC ratio; and AUC to MIC ratios.
7 Animal studies have been done, and some human studies
8 have been recently published, looking at the recent
9 fluoroquinolones, such as Dr. Drusano's work up in
10 Albany.

11 Again, you're still depending on ADME
12 parameters. Here, since you're looking at the max
13 achieved, you're looking at absorption and
14 distribution. And if you're -- one of the major
15 assumptions has always been that a serum level is
16 predictive of other tissues in the body. But there
17 appears to be a certain amount -- there appears to be
18 a definite correlation of local levels, penetration,
19 et cetera, when it comes to activity of the drug.
20 Plus you have the local effect such as discussed
21 before, such as PH, protein binding, et cetera.
22 Again, clinical studies are predictive, but not 100

1 percent correlation.

2 So what are the conclusions so far? The
3 variables are based on ranges of classic PK
4 parameters. The work has shown good predictiveness,
5 but not a one-to-one correlation. The MICs do vary
6 depending on the pathogens studied and on resistant
7 strains. And again, the majority work has been done
8 on beta-lactams and fluoroquinolones.

9 The question that has come up multiple
10 times: What is the role of PK/PD in this study and
11 approval of antibacterial agents? And there have been
12 two Division of Anti-Infective Drug Product Advisory
13 Committee meetings either solely dedicated to this, or
14 as part of the Committee discussion looking at this
15 exact question. And it was in July of '98 and October
16 of '98.

17 We had a meeting of industry in July of
18 '98 as a preamble to this meeting, and lastly in March
19 of '99 there was the FDA ISAP -- ISAP being the
20 International Society of Anti-Infective Pharmacology -
21 - workshop at which various presentations were given
22 and discussions held regarding PK/PD parameters in

1 antibacterials.

2 In addition to the difficulties already
3 raised at these four meetings, these other
4 difficulties were mentioned almost every time. When
5 you look at the models, the emphasis has been more on
6 effectiveness and not on safety. Most work has been
7 done with single drug-bug combinations. At least in
8 antibacterials we're used to acute models, but for
9 some of the more chronic use indications such as
10 osteomyelitis, there have not been good animal studies
11 done, so chronic use/chronic illness has not been well
12 studied.

13 And in addition, one of the few divisions
14 in the center that has a moving target. You have a
15 susceptible pathogen one day, and the next day it
16 becomes resistant. So resistance development,
17 especially if these are chronic use in a patient or
18 use-over-time in any population, will change the
19 activity of your drug.

20 So is all lost? I've been pretty negative
21 so far. But there is actually several positive
22 impressions at these meetings. First, the PK/PD for

1 certain classes has been very well worked out. The
2 models are improving greatly, and a good example of
3 that, at ICAAC over the last few years there've been
4 several workshops discussing primarily the improvement
5 of models for antibacterial agents. And lastly, as
6 can be seen in the proper context, PK/PD parameters
7 and PK/PD data can be strong supportive evidence.

8 So let's give an example of how it has
9 been used. Augmentin seven-to-one NDAs were submitted
10 in 1994 and 1995. In these two NDAs there was a
11 change in the formulation for adults from 500
12 milligrams TID to 875 BID; and 250 TID to 500
13 milligrams BID. In pediatrics, the divided dose of
14 amoxicillin went from 40 milligrams per kilogram per
15 day divided TID, to 45 milligrams per kg per day
16 divided BID.

17 In all the formulations the amount of
18 clavulanic acid stayed the same, so this was a four-
19 to-one, 500 to 125 ratio. This was a seven-to-one,
20 875/125 ratio, et cetera. So with the BID dosing,
21 there was a one-third less daily amount of clavulanic
22 acid. Next slide.

1 In all settings, as predicted, AUC and
2 half-life was comparable between the new and the old
3 dosing regimens. The C_{max} was higher by about 50 to 80
4 percent in the BID dosing regimens. Again, that's
5 predicted. The time above MIC, however, was lower in
6 the BID regimens. On average, these regimens had ten
7 out of 24 hours above the MIC. On average, the
8 approved doses at that time were 11 out of 24, so
9 there was a concern about a decrease in the time above
10 the MIC, especially since this was approaching the
11 cuts with the 40 to 60 percent range. And there is
12 also concern with the one-third lower amount of beta-
13 lactamase inhibitor activity.

14 The sponsor came in with strong *in vitro*
15 data showing both a post-antibiotic effect for
16 amoxicillin and a post-beta-lactamase inhibitor effect
17 for clavulanic acid. Animal studies were done which
18 showed comparable efficacy rates for the BID and TID
19 dosing regimens.

20 But regardless of this data, due to
21 concerns of the lower time above MIC and the decrease
22 in clavulanic acid, clinical studies were still asked

1 for. However, instead of asking for the historical
2 two studies for indication, one study was conducted
3 for indication. And ultimately the NDA was approved
4 based on the combination of the *in vitro* micro and
5 animal work; the PK/PD data; the one adequate, well-
6 controlled study per indication compared to the
7 historical two; and an agreement to study, instead of
8 BID, Q12, so as to have a more -- so as not to have a
9 14-hour dosing regimen for the evening dose. Overall,
10 there's about a 50 percent decrease in the subjects
11 enrolled compared to what would be historically
12 required. And we see this as a good example of how
13 PK/PD parameters have been used and will be continued
14 to be used in our divisions as a way to kind of cut
15 back on the number of patients enrolled.

16 So lastly, the conclusions are that for
17 certain parameters and certain drug classes there is
18 a fairly well worked out relationship. There are
19 issues of variability in ranges, especially with the
20 PK parameters, MICs, local effects, et cetera. And
21 despite multiple meetings where it has been discussed
22 whether PK/PD can stand on its own, the conclusion in

1 all four cases has been that supportive -- PK can be
2 seen as strong supportive evidence, but that for the
3 reasons and the efficiencies listed above, should not
4 be used in lieu of clinical evidence. Thank you.

5 CHAIRMAN GULICK: Specific questions or
6 clarifications for Dr. Rakowsky? Dr. Flexner?

7 DR. FLEXNER: I notice with the change in
8 the Augmentin formulation, the major pharmacokinetic
9 shift was an increase in the C_{max} for amoxicillin. I
10 was wondering whether there was any data on
11 concentration-dependent toxicity of amoxicillin or
12 whether this was just a precautionary step to ask for
13 an additional clinical study?

14 DR. RAKOWSKY: Actually one of the reasons
15 for asking for the clinical study, one was
16 effectiveness, and two was a safety concern. At the
17 time that the NDAs came in, there was some European
18 data looking at BID dosing with the higher C_{max} . It
19 appeared to be a safe dosing at that time, so that was
20 used kind of as supportive evidence as well. But that
21 was a concern when we asked for the clinical study.

22 CHAIRMAN GULICK: Dr. Yogev?

1 DR. YOGEV: You said that MBC is a similar
2 concept. I wonder, just because you use this example,
3 what we call a cidal drug, there's no difference
4 between MIC and MBC, your drug, erythromycin and the
5 like, there is a major difference between the at least
6 more than four dilutions. Should we look more into
7 the MBC parameter than the MIC?

8 DR. RAKOWSKY: I guess I answered that in
9 two ways. First, I agree that the MBC is very
10 different than MIC, and it does vary depending on
11 whether it's a static or a cidal drug. The reason I
12 was asking -- the reason I was basically pointing that
13 out is that for MIC -- for MBCs it's -- you can come
14 up with more objective data, more objective
15 reproducible numbers. And that's what I meant by them
16 being similar.

17 As far as use of MBC in clinical trials,
18 it has been discussed in multiple scenarios. For
19 example, Dr. Reller, who is now the head of our
20 advisory committee, is a big believer that MBC should
21 be used for approval. But that's only the discussion,
22 and at this time MIC₉₀ is still what's commonly used

1 for approval.

2 DR. YOGEV: You know, the MIC₉₀, that's
3 important that you mention, because the MIC₅₀ is the
4 one which usually is in the literature. The reason
5 why is MIC₉₀ is closer to the MBC, and the data,
6 especially meningitis, suggesting that the inoculum is
7 a major factor in the MBC -- in the MIC.

8 And I think that's part of the issue we
9 need to discuss, is how you do the test *in vitro*.
10 Because if you put what is now ten to the four, ten to
11 the five nationally agreed -- internationally agreed
12 for *in vitro* studies, they are way away from what you
13 find in the CSF. And I think that's part of the
14 problem of accepting such an entity without relating
15 it to where you're looking for the drug to work, like
16 in meningitis.

17 DR. RAKOWSKY: Yes, agreed. And actually,
18 as far as the label's concerned, we usually ask for
19 MIC₉₀ data to be part of the approval process, not
20 MIC₅₀s.

21 CHAIRMAN GULICK: Other questions? Dr.
22 Fletcher?

1 DR. FLETCHER: To the amoxicillin example
2 again, I wonder if you can comment on what was done
3 study-wise for pediatrics.

4 DR. RAKOWSKY: I guess we come from a
5 slightly different scenario, since one of the major
6 indications for us tends to be acute otitis media. So
7 for most of the oral drugs, we get very strong
8 pediatric data right up front.

9 In fact, some NDAs are approved for
10 pediatrics first, and then we extrapolate to adults.
11 Rarely, but we still get the -- you know, so it's kind
12 of like a very different scenario than what would
13 traditionally be seen.

14 So for amoxicillin they actually did a
15 full acute otitis media study. In fact, that was the
16 first study that was done. It was probably the
17 easiest patient enrollment, and that was where the
18 Europeans were already using the BID regimens, so
19 there was some historical safety data as far as that
20 was concerned. And we have a slightly different
21 perspective, antibacterially, because of that one
22 indication.

1 CHAIRMAN GULICK: Okay, thank you.

2 Our next speaker is Dr. Richard
3 Hoetelmans. And he's from the Slotervaart Hospital in
4 Amsterdam, The Netherlands.

5 DR. HOETELMANS: Okay, thank you very
6 much. And first of all I would like to thank the FDA
7 for inviting me here to give an overview of what's
8 been published in the literature about relationships
9 between pharmacokinetics and dynamics for the
10 antiretroviral drugs.

11 PK/PD relationships can be defined as
12 an -- at least a finding of it, as an attempt to
13 correlate pharmacokinetic parameters of a drug and its
14 efficacy or toxicity. And for the antiretroviral
15 drugs, I will focus in this presentation on the
16 protease inhibitors and the non-nucleoside analogs.
17 As for the nucleoside analogs, not any relationships
18 have been found.

19 For the nucleoside analogs, these are
20 prodrugs, and if you look at the plasma exposure of
21 those nucleosides and try to relate their C_{max} or AUC
22 or whatever to the efficacy, not many relationships

1 have been found.

2 This might be explained by the fact that
3 the triphosphates are active and there is not a good
4 relationship between what you find in the plasma as
5 nucleoside concentration, and the intercellular
6 triphosphate concentrations. And at this moment there
7 are not a lot of data available that allow us to
8 interpret the relationships between the triphosphates
9 intercellularly and the efficacy, so I won't speak on
10 this topic during this talk, but will focus on the
11 protease inhibitors. Because for these drugs
12 relationships between PK and PD have been established,
13 and non-nucleosides reverse transcriptase inhibitors,
14 for this group recently also some indications of
15 relationships between the PK and PD have been
16 established.

17 First of all, Indinavir. When you look in
18 the literature, it turns out that Indinavir is the
19 best studied drug in this respect, so most studies on
20 relationships between PK and PD have been established
21 for Indinavir in a dosing regimen of 800 milligrams
22 TID with two nucleoside analogs. And these are some

1 six studies that have been published, and they all
2 have looked at several PK parameters of Indinavir
3 ranging from AUC minimum concentration, maximum
4 concentration, and the so-called concentration ratio,
5 and they've linked this to various PD parameters.
6 Most of them are the change in HIV-1 RNA in patients
7 after 24 weeks.

8 And in these studies, these authors, they
9 all find relationships between either the AUC or the
10 trough concentrations of Indinavir 800 milligrams TID
11 in various populations. Most of the patients have
12 been pre-treated with nucleoside analogs, and the HIV-
13 1 RNA response of 24 weeks.

14 So some -- but not all -- studies show, in
15 retrospective -- these were all retrospective studies
16 -- relationships between the Indinavir PK and HIV-1
17 RNA response over 24 weeks. These relationships have
18 mainly been established in nucleoside analog pre-
19 treated patients, and reported PK parameters for
20 Indinavir are the AUC, the C_{min} , and the C_{max} .

21 But these parameters were in most studies
22 all correlated. So if there was -- if a relationship

1 was found for the AUC, it was also found for the C_{min}
2 and the C_{max} . From these studies it's not easy to
3 extrapolate which parameter is the most important one
4 in this respect.

5 When you look at the use of Indinavir with
6 either low dose or higher dose of Ritonavir, I could
7 not find studies that show that there are clear
8 relationships between Indinavir exposure and the
9 efficacy, in terms of HIV-1 RNA response. When you
10 look at Indinavir PK and the relationships with
11 adverse effects, there was one paper from AIDS from
12 Dieleman, and they showed in patients -- this was a
13 case cohort study -- the patient had neurological
14 complaints, had higher exposure to Indinavir as
15 compared to patients with no neurological complaints.

16 So anecdotal data showed that the high
17 exposure to -- that patients with a high exposure to
18 Indinavir experienced an increased risk for
19 neurological complaints. It has been hypothesized
20 that the C_{max} of Indinavir is mainly responsible for
21 the renal toxicity of this drug, but I would like to
22 point out at preliminary data of the best trial that

1 compare Indinavir three times daily 800 milligram
2 versus Ritonavir/Indinavir 100 -- 800 milligrams BID,
3 and it appears in these preliminary data that the
4 renal toxicity is more often observed in the Ritonavir
5 boosted arm, which might suggest that the AUC or the
6 time above a certain concentration is more important
7 in predicting the renal toxicity of Indinavir, as
8 opposed to the C_{max} . So we don't have enough data at
9 this moment, but it might not be the C_{max} that is the
10 most important parameter in this respect.

11 We look at Saquinavir. PK/PD
12 relationships have also been found for this protease
13 inhibitor, and have mainly been found in studies with
14 monotherapy of the protease inhibitor, or when
15 combined with two nucleoside analogs. In these four
16 studies, both in naive patients and pre-treated
17 patients, various parameters you see, and the
18 concentration ratio have been linked to HIV-1 RNA
19 response over eight weeks or 48 weeks or even two
20 weeks, so the initial decline of HIV-1 RNA.

21 In a very recent analysis from our group,
22 in patients treated with a combination of

1 Saquinavir/Ritonavir 400/400 BID, showed that we were
2 not able to find any PK parameter that linked to HIV-1
3 RNA responses over 48 weeks of therapy in a cohort of
4 over 100 patients.

5 So for Saquinavir some -- but again, not
6 all -- studies show that there are, in retrospective,
7 relationships between some Saquinavir PK parameters
8 and HIV-1 RNA responses. These relationships have
9 been established both in naive patients and in
10 nucleoside analog pre-treated patients.

11 The reported PK parameters in the
12 literature are both the AUC and the trough
13 concentrations; but again, these are related to each
14 other. And at this moment there are no clear data on
15 these relationships when Saquinavir is used in
16 combination with either low or high dose of Ritonavir.

17 Saquinavir and adverse effects in the Adam
18 study using four drugs, amongst which was Saquinavir,
19 there was a relationship found between complaints by
20 the patients about gastrointestinal adverse effects
21 and the exposure to Saquinavir over a 48-week period.
22 So, for the adverse effects, high Saquinavir exposure

1 has been linked in studies with an increased risk for
2 gastrointestinal complaints, but it is at this moment
3 unclear which parameter is best linked to this
4 phenomenon.

5 Then, Nelfinavir, if you look at
6 Nelfinavir, there are not a lot of studies at this
7 moment available that have looked into PK/PD
8 relationships; and the active metabolite, M₈, has not
9 often been taken into account in this respect. These
10 are two studies, first of all by Kerr, et al, and
11 they also looked at the M₈ metabolite, and they found
12 in naive patients that Nelfinavir concentrations two
13 hours after ingestion were related to an HIV-1 RNA
14 response after 24 weeks.

15 And again, in the Adam study we found that
16 the exposure to Nelfinavir was related to the initial
17 HIV-1 RNA decline in the first two weeks, but we were
18 not able to show that this very -- that patients with
19 a rapid decline also had a better response in the long
20 term. And as an example of what we found in this
21 Adams study, on the "X" axis you find the exposure of
22 Nelfinavir in patients during the first two weeks of

1 therapy expressed as a concentration ratio.

2 And what you can see here is that there is
3 quite a large variability in the exposure to
4 Nelfinavir which we also find for the protease
5 inhibitors, and on the "Y" axis you find the speed of
6 which HIV-1 RNA is disappearing from the plasma, and
7 there was clear correlation between the exposure to
8 Nelfinavir and the initial HIV-1 RNA decline.

9 So for Nelfinavir, some studies have
10 shown, again in retrospective, relationships between
11 the Nelfinavir concentrations and the initial or 24-
12 week HIV-1 RNA decline, and these relationships have
13 all been established in naive patients. In that study
14 it was also reported that patients with a high
15 exposure to Nelfinavir had an increased frequency of
16 gastrointestinal adverse effects, so we were able to
17 show that high Nelfinavir exposure has been associated
18 with an increased risk for gastrointestinal
19 complaints; but again, it is unclear which parameter
20 is best associated with this phenomenon.

21 For Ritonavir there was one paper that's
22 been published in AIDS and from the group -- from

1 Gatti, et al, and they looked at patients with
2 gastrointestinal and neurological complaints when they
3 used Ritonavir 600 milligrams BID, and they compared
4 the maximum concentration of Ritonavir and a trough
5 concentration to dose in a patient group who did not
6 report these adverse effects, and this group found
7 that there was a relationship, or that patients with
8 adverse effects had higher C_{max} and C_{min} values for
9 Ritonavir as compared to patients without complaints.

10 So, for Ritonavir it has been reported
11 that a high exposure is associated with an increased
12 risk for gastrointestinal and neurological complaints,
13 and these associations have been reported both for the
14 AUC, the C_{max} value, and the trough level. But again,
15 these were all related, so it's not possible to say at
16 this moment which parameter is mainly responsible for
17 these associations.

18 Then over to the non-nucleoside analogs.
19 When we looked into the ENCAS database, we found that
20 patients with high Nevirapine levels during the study
21 had a better chance of reaching a detectability for
22 HIV-1 RNA. Over a 52-week period they showed a more

1 rapid decline of HIV-1 RNA after start of therapy, and
2 the duration of response in those patients was better
3 than patients with low exposure to Nevirapine.

4 So for Nevirapine, very limited data on
5 retrospective relationships between the PK and HIV-1
6 RNA response both in the short and the long term have
7 been established in naive patients, and in this study
8 the reported PK parameter was the median concentration
9 that was found after a random sample had been analyzed
10 in this ENCAS study, because this study was not set up
11 to be a study to look into PK/PD relationships. So
12 again it's not possible to extrapolate from these data
13 whether the value is like an AUC, or more likely a
14 trough level is important when you look at those
15 relationships.

16 This graph summarizes the results of this
17 ENCAS trial when patients had a median concentration
18 above 3.4 micro molar per mL. There was a hard
19 chance of predicting which patients would be
20 undetectable for HIV-1 RNA after 52 weeks, or which
21 patients would not be undetectable using an ultra
22 sensitive assay of 20 copies per mL.

1 Recently for Efavirenz similar
2 relationship was reported by Joshi, et al, at ICAAC,
3 and this group found that trough levels of Efavirenz
4 in patients were related to treatment failure. This
5 group looked into five different studies of Efavirenz,
6 and they defined a trough level in patients based on
7 an extrapolation of the sensitivity of a K-103-N
8 mutant. This mutant would still be sensitive to
9 Efavirenz if trough levels would be above 3.5 micro
10 molars, and to use this threshold to divide the
11 patients into two groups.

12 And it was clear that in patients with
13 trough levels below this threshold, 63 percent showed
14 a failure in those studies as defined by the
15 protocols, and patients with higher trough levels
16 showed only 21 percent of a chance of a failure. So
17 in this study, trough levels were associated with a
18 chance of pharmacological failure for Efavirenz. So
19 just like the case is with Nelfinavir, limited data on
20 retrospective relationships have been established
21 between the Efavirenz trough levels and treatment
22 failure.

1 Now I would like to go further into why
2 sometimes we do find relationships and sometimes we
3 don't, and how this might be. In general, when we
4 look at the PK of protease inhibitors, there is a
5 large variability in the AUC or other exposure -- a
6 parameter that you might look at. So when you give a
7 patient population all the same dose of drug, you find
8 patients with very low AUC values and patients with
9 very high AUC values, and I don't believe that there
10 is a big difference between the protease inhibitors,
11 for instance, at this moment, that are available at
12 this moment.

13 Now, when you look at the relationship
14 between the drug concentration and the efficacy, in
15 general you will, in most cases, find a curve like
16 this: Patients with a very low concentration of the
17 drug in the blood or at the site of action have a low
18 chance of responding, and as the concentration
19 increases, the chance of full suppression of feral
20 replication rises. Now, when this is the median
21 concentration that is obtained in the population, you
22 will have patients that have much higher

1 concentrations, you will have patients with much lower
2 concentrations. But if you look at the effect that
3 you might see in those patients, the difference
4 between those two groups is quite small.

5 So in these situations it might be very
6 difficult to find relationships because you are at the
7 upper limits of the plateau. And when the virus
8 becomes more or less resistant to the drugs, the
9 exposure to the drugs will still be more or less the
10 same, there will still be patients with a relatively
11 high exposure to the drug and patients with a
12 relatively lower exposure to the drug. And in this
13 case there will be a substantial difference between
14 the effect that you might find in the patient, and in
15 this situation it becomes much easier to find
16 relationships between PK and PD.

17 And it might well be that in the case of
18 single PI use where the exposure to the drugs is lower
19 than in the case of boosted PI use -- that we are
20 looking into this situation -- a relationship between
21 PK and PD are more easily found than when you look
22 into the boosted PI strategy where the exposure is

1 much higher to the protease inhibitors and it becomes
2 more difficult to find PK/PD relationships in this
3 population.

4 And again, it becomes more difficult to
5 find relationships when, for instance, resistant virus
6 is obtained. If you have highly resistant virus --
7 for instance, for the non-nucleoside analogs -- it is
8 very unlikely that you will find PK/PD relationships,
9 because all the patients will still have the same
10 exposure to the drug; some have higher exposure, some
11 a lower. But the final efficacy that you will find
12 will, in both cases, be quite low, and in this
13 situation it will again be quite difficult to find
14 relationships.

15 A topic that has been discussed quite
16 often recently is the use of trough versus IC_{50} ratios
17 as a measure of efficacy. And I think if used, these
18 threshold values for trough versus IC_{50} values, ratios
19 should be established for each drug. What has been
20 done recently is that these values for IC_{50} have been
21 corrected for protein binding, and this is a step in
22 the right direction. But it is also insufficient,

1 because many other factors, such as the penetration of
2 drugs into compartments; intracellular accumulation,
3 for instance.

4 Recently I think it's David Beck's group
5 mainly who has shown that also protease inhibitors
6 show very interesting intracellular profiles. They
7 accumulate; at least some of them seem to accumulate
8 intracellularly as compared to what you see in the
9 plasma. Active metabolites play a role; the synergy
10 and antagonism of other drugs that are being used; all
11 these factors all should be taken into account when
12 interpreting these trough versus IC_{50} ratios.

13 For the non-nucleoside analogs these
14 ratios may well be, for instance, over 500 since the
15 IC_{50} values for the non-nucleoside analogs are quite
16 low, in the low nanogram per mL range, while the
17 trough concentrations in the patients are more in the
18 microgram per mL range.

19 For Efavirenz, you might take into account
20 that this is a drug that is very highly protein bound.
21 But, for instance, Nevirapine is only 60 percent
22 protein bound. So this cannot explain why, when the

1 IC₅₀ value for Nevirapine is very low, you still see
2 patients -- with very high trough levels as compared
3 to these IC₅₀ values -- have failed, whereas patients
4 with somewhat higher trough values for Efavirenz do
5 not fail.

6 The protease inhibitors, I think we should
7 realize that these required ratios may actually be
8 smaller than one if the intracellular accumulation of
9 protease inhibitors is an important factor, because we
10 are looking at the trough levels in the plasma, while
11 the actual trough levels intracellularly might be many
12 times higher than those that are found in the plasma.
13 So I think that these trough versus IC₅₀ ratios can
14 most likely not be used to compare the potency or
15 durability of drugs amongst each other.

16 So when extrapolating from *in vitro* IC₅₀
17 values to *in vivo* trough values or C_{max} values or AUCs,
18 I think we should take into account many more than
19 only protein binding, what's been done until now.
20 It's also the accumulation of drugs, the presence of
21 active metabolites, synergy or antagonism with other
22 drugs that are given, P glycoprotein plays a role,

1 phytodiversity, and probably many other pieces of the
2 puzzle that we do not know about at this moment.

3 Briefly to the topic of IC_{50} versus EC_{50} .
4 And this has been explained earlier on. And the IC_{50}
5 represents the concentration of a drug that is
6 required for 50 percent inhibition of feral
7 replication *in vitro*. And this can be corrected for
8 by protein binding, but many other factors may play a
9 role in the correct interpretation of IC_{50} values.

10 Whereas, the EC_{50} value, the effective
11 concentration represents the plasma concentration or
12 the AUC value that is required for obtaining 50
13 percent of the maximum effect *in vivo* in patients.
14 And I think we should strive for looking more into
15 EC_{50} values rather than IC_{50} values, because when you
16 directly obtain EC values in patients, you circumvent
17 the problem of extrapolating IC values to plasma
18 concentrations, and there are many factors that we do
19 not know at this moment how to account for.

20 So, conclusions, for the protease
21 inhibitors, PK/PD relationships have been established,
22 but not always. And I should tell, when I was asked

1 to prepare a review, I was quite disappointed how
2 little information is available at this moment in the
3 literature. I imagine that it would be much more, but
4 it -- actually, if you look what's in the public
5 domain at this moment, it's not a lot. It is also
6 unclear which PK parameter should be used; either a
7 trough level, an AUC value, or something else. And
8 until now PK/PD relationships have mainly been found
9 for single PI therapy, with or without nucleoside
10 analogs, and there've been only negative results for
11 the boost PI strategy or still no results, because
12 this strategy is -- has just been implemented.

13 For the non-nucleoside analogs, indication
14 of PK/PD relationships have been reported. Also, in
15 this case it is unclear which PK parameter should be
16 used, C_{min} or AUC, and these relationships might rather
17 be explained by the presence of resistant mutants than
18 the ratio between exposure to IC_{50} values for wild-
19 type viruses.

20 Models of PK/PD in the field of
21 antiretrovirals have largely not yet included the
22 sensitivity of the virus that is present in the

1 patient as a parameter. And when linking phenotypic
2 data with the pharmacokinetics, I think that IC_{50}
3 values should, if they are used, rather be used in the
4 IC_{90} or IC_{95} values, because the error that you make
5 when obtaining an IC_{50} value is much smaller than when
6 looking into IC_{90} or 95 values, and that EC values
7 should rather be established than IC values. It would
8 be interesting to know if the boosted PI strategy
9 overcomes the PK/PD relationships that have been
10 reported for the unboosted strategies for the protease
11 inhibitors.

12 I think, to conclude, that based on PK/PD
13 relationships, PK data can and should be used as a
14 background for new formulations or dosing regimens,
15 but clinical data are still essential, given the
16 modest information that is available at this moment.
17 And these are some people that I would like to
18 acknowledge. Thank you for your attention.

19 CHAIRMAN GULICK: Thank you, Dr.
20 Hoetelmans.

21 Dr. Schapiro?

22 DR. SCHAPIRO: Richard, thanks for the

1 wonderful review. You mentioned something with the
2 dual PI therapy, there were no correlations found. Do
3 you think that some of that may be due to the
4 interaction of Ritonavir on the accumulation of the
5 other protease inhibitors which comes after the drug
6 level determination?

7 DR. HOETELMANS: Do you mean that the drug
8 levels, for instance, whenever Indinavir are that
9 high, that you are reaching the -- at the plateau of
10 the response curve?

11 DR. SCHAPIRO: That Ritonavir is not only
12 affecting to what degree you've got a certain blood
13 level, but it also has a second effect between the
14 blood level and the intracellular level, which
15 therefore you lose the correlation between the blood
16 level you have in the single PIs.

17 DR. HOETELMANS: It's quite difficult to
18 answer, because if you look at the effect of Ritonavir
19 on, for instance, P glycoprotein, the results from
20 various groups are quite contradictory.

21 Some groups report that Ritonavir is very
22 effective in inhibiting those bumps. This you might

1 expect, that Ritonavir increases other PI
2 concentrations intracellularly. Whereas other groups
3 show that with the other concentrations of Ritonavir
4 achieved in vivo, it's never possible to inhibit P
5 glycoprotein, but to an extent that it's going to be
6 clinically relevant. So I think it's not possible at
7 this moment to answer this question, but it might.

8 CHAIRMAN GULICK: Mr. Cheng?

9 MR. CHENG: I have a question regarding
10 all of the studies that have shown a relationship
11 between drug exposure and side effects. Did they also
12 look at the relationship between body weight and drug
13 exposure?

14 DR. HOETELMANS: As far as I'm aware of,
15 no, they didn't. Well, not in those particular
16 studies. I don't think it was clear from those
17 studies that it was the patients with the low body
18 weight that has more adverse effects based on maybe
19 higher concentrations because of the low weight. No.

20 CHAIRMAN GULICK: Dr. Gerber?

21 DR. GERBER: Richard, a question that I
22 have, you talked about a lot of confounders in terms

1 of why you can't interpret PK/PD. But one of them
2 that has not been talked about so far is drug-taking
3 behavior, which I think is a very important aspect and
4 might explain a lot of the variables, and might also
5 explain why we're having such difficulty finding a
6 relationship between concentration and response. And
7 I wonder if you want to comment about that.

8 DR. HOETELMANS: Yes, I agree. If you
9 look at -- if you perform a PK analysis on patients
10 that you admit into the hospital, you draw the blood
11 and you know that they ingested drugs, you get a AUC
12 value in that patient. You don't know if the values
13 for the PK parameters that you obtained will also be
14 obtained on the other days if the patients don't or
15 not always take the drugs. So it is very important,
16 I think, that we go to studies where you look at drug
17 levels in patients as they are in real life, so
18 whenever they come to the clinic you have a blood
19 sample drawn. I don't work with observed intake of
20 drugs. I think this is important in establishing
21 PK/PD relationships in large cohorts in groups that --
22 well, as patients are treated in day-to-day practice.

1 CHAIRMAN GULICK: Dr. Bertino?

2 DR. BERTINO: It seems as if -- and Dr.
3 Fletcher and Acosta may want to comment on this --
4 there's some fairly big problems with antiretrovirals.
5 One is there's a huge variability in the
6 pharmacokinetics; and secondly, is that we don't have
7 these same kind of dynamic relationships that we do
8 with antibiotics in terms of peak MIC ratio or time
9 above MIC.

10 And drawing from some of the bacterial
11 data, if you take a look at some of the data from
12 Mouton and Craig where they actually showed that for
13 different antibiotics you have, you use different
14 dynamic predictors, that if we're not looking at the
15 whole picture of antiretrovirals in the patients, you
16 can't just look at protease inhibitors, NNRTIs, NRTIs.
17 You need to figure out if you need to measure them
18 all, make your relationships that --

19 I think some of the data in the literature
20 that says, well, there's this correlation between
21 Indinavir exposure and reduction in viral load, but
22 the correlations are always poor, and they're probably