

*Dockets*

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR DRUG EVALUATION AND RESEARCH

+ + + + +

JOINT MEETING OF THE

NONPRESCRIPTION DRUGS ADVISORY COMMITTEE

AND THE

ENDOCRINOLOGIC AND METABOLIC ADVISORY COMMITTEE

+ + + + +

THURSDAY

JULY 13, 2000

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service; the Food and Drug Administration makes no representation as to its accuracy.

The Committees met at 8:00 a.m. in the Versailles II Room of the Bethesda Holiday Inn, 8120 Wisconsin Ave, Bethesda, Maryland, Dr. Eric P. Brass, Chairman of the Nonprescription Drugs Advisory Committee, presiding.

MEMBERS PRESENT:

- ERIC P. BRASS, M.D., Ph.D., Chairman, NDAC
- GEORGE A. BLEWITT, M.D.,  
Non-Voting Industry Liaison, NDAC
- LUTHER T. CLARK, M.D., Guest Expert
- JAIME A. DAVIDSON, M.D., Consumer  
Representative, EMDAC
- JANET ELASHOFF, Ph.D., Consultant
- MARIE C. GELATO, M.D., Ph.D., EMDAC Member
- EDWIN E. GILLIAM, Ph.D., NDAC Member
- DEBORAH GRADY, M.D., M.P.H., EMDAC Member
- JULIE A. JOHNSON, Pharm. D., NDAC Member
- EDWARD P. KRENZELOK, Pharm. D., NDAC Member
- BARBARA P. LUKERT, M.D., EMDAC Member
- MARK E. MOLITCH, M.D., EMDAC Member
- RICHARD A. NEILL, M.D., NDAC Member
- WILLIAM V. TAMBORLANE, M.D., EMDAC Member
- DONALD L. UDEN, Pharm. D., NDAC Member
- HENRY W. WILLIAMS, JR., M.D., NDAC Member
- SANDRA TITUS, Ph.D., Executive Secretary

## MERCK REPRESENTATIVES:

JEFFREY L. ANDERSON, M.D.  
POLLY BEERE, M.D., Ph.D.  
JOHN COOK, Ph.D.  
JOHN W. FARQUHAR, M.D.  
EDWIN HEMWALL, Ph.D.  
SCOTT KORN, M.D.  
STEPHANIE LAROUCHE, M.D.  
EVE SLATER, M.D.  
JONATHAN TOBERT, M.D, Ph.D.  
JOSE VEGA, M.D.

## FDA REPRESENTATIVES:

ROBERT J. DELAP, M.D.  
CHARLES GANLEY, M.D.  
DAVID HOBERMAN, Ph.D.  
JOHN JENKINS, M.D.  
LINDA M. KATZ, M.D., M.P.H.  
KAREN LECHTER, J.D., Ph.D.  
DAVID ORLOFF, M.D.  
MARY H. PARKS, M.D.  
ANDREA LEONARD SEGAL, M.D.  
ROBERT TEMPLE, M.D.

## PUBLIC SPEAKERS:

PENNY KRIS ETHELTON, Ph.D., R.D.  
JOHN A. GANS, Pharm. D.  
SUZANNE HUGHES, R.N.  
DEBRA JUDELSON, M.D.  
BERNARD L. KASTEN, M.D.  
BRETT KAY  
WAINE KONG, Ph.D., J.D.  
ERNEST C. MADU, M.D.  
THOMAS PEARSON, M.D., Ph.D.  
WARREN PINCKERT  
RENE F. RODRIGUEZ, M.D.  
SIDNEY WOLFE, M.D.

## C-O-N-T-E-N-T-S

|   | <u>Page</u> |
|---|-------------|
| Call to Order and Introductions . . . . . | 4           |
| Conflict of Interest Statement . . . . .  | 6           |
| Open Public Hearing . . . . .             | 10          |
| Merck and Company Presentation . . . . .  | 71          |
| Questions and Answers . . . . .           | 141         |
| FDA Presentation . . . . .                | 193         |
| Questions and Answers . . . . .           | 246         |
| Discussion . . . . .                      | 268         |
| Adjournment . . . . .                     | 354         |

P-R-O-C-E-E-D-I-N-G-S

(8:02 a.m.)

CHAIRMAN BRASS: We're going to go ahead and get started. I'd like to welcome you all to this joint meeting of the Nonprescription Drugs Advisory Committee and the Endocrinologic and Metabolic Advisory Committee.

My name is Eric Brass from Harbor-UCLA Medical Center and I appreciate you all getting up at 5 o'clock in the morning for this meeting. I think we will begin by just going around the Committee table and allowing everyone to introduce themselves. This will also serve as microphone practice. You have to press the on button and turn it off again or all your whispered comments will be broadcast throughout the room. Perhaps if we could start at the end and just go around and introduce ourselves.

DR. DELAP: Robert Delap, Director of the Office of Drug Evaluation V at FDA.

DR. JENKINS: I'm John Jenkins. I'm the Director of the Office of Drug Evaluation II at the FDA.

DR. ORLOFF: I'm David Orloff. I'm the Deputy Director of the Division of Metabolic and Endocrine Drug Products.

1 DR. WILLIAMS: Yes, I'm Henry Williams  
2 from Howard University and I'm a member of the  
3 Committee.

4 DR. GELATO: I'm Marie Gelato from  
5 Stonybrook University, and I'm member of the  
6 Committee.

7 MR. KRENZELOK: I'm Ed Krenzelok. I'm  
8 Director of the Pittsburgh Poison Center, a Professor  
9 of Pharmacy and Pediatrics at the University of  
10 Pittsburgh, and on the NDAC.

11 DR. DAVIDSON: Jaime Davidson, member of  
12 the panel. University of Texas Southwestern Medical  
13 School, Clinical Practices.

14 DR. ELASHOFF: Janet Elashoff,  
15 Biostatistics from Cedar-Sinai and UCLA, Consultant.

16 DR. NEILL: Richard Neill. I'm a Family  
17 Physician Faculty member from Family Practice and  
18 Community Medicine at the University of Pennsylvania,  
19 member of the NDAC Committee.

20 DR. TITUS: I'm Sandy Titus. I'm the  
21 Administrator for the Nonprescription Drugs Advisory  
22 Committee.

23 MS. JOHNSON: Julie Johnson from the  
24 University of Florida, Department of Pharmacy Practice  
25 and Division of Cardiology, and member of NDAC.

1 DR. TAMBORLANE: I'm Bill Tamborlane,  
2 Chief of Pediatric Endocrinology at Yale, and I'm a  
3 member of the Endocrine Committee.

4 DR. LUKERT: Barbara Lukert, University of  
5 Kansas School of Medicine, Division of Endocrinology.  
6 I'm on the Endocrine Committee.

7 DR. GILLIAM: Edwin Gilliam. I'm a Family  
8 Nurse Practitioner from Tucson, Arizona, and I'm on  
9 the NDAC Committee.

10 MR. UDEN: I'm Don Uden from the  
11 University of Minnesota College of Pharmacy and on the  
12 NDAC Committee.

13 DR. GRADY: I'm Deborah Grady. I'm an  
14 internist and epidemiologist from the University from  
15 California, San Francisco, and I'm on the Endocrine  
16 Committee.

17 DR. BLEWITT: George Blewitt. I'm  
18 Industry Representative to the NDAC.

19 DR. CLARK: I'm Luther Clark, Chief of  
20 Cardiology, SUNY Downstate in Brooklyn, a Consultant.

21 CHAIRMAN BRASS: Thank you. I'll now turn  
22 the microphone over to Dr. Titus for the reading of  
23 the Conflict of Interest Statement.

24 DR. TITUS: The following announcement  
25 addressed the issue of conflict of interest with

1 regard to this meeting and is made a part of the  
2 record to preclude even the appearance of such at this  
3 meeting. Based on the submitted agenda for the  
4 meeting and all financial interests reported by the  
5 Committee participants, it has been determined that  
6 all interests and firms regulated by the Center for  
7 Drug Evaluation and Research present no potential for  
8 an appearance of a conflict of interest at this  
9 meeting with the following exceptions:

10 In accordance with 18 USC 208(b)(3), full  
11 waivers have been granted to Doctors Eric Brass,  
12 Barbara Lukert, Jules Hirsch, Robert Kreisburg, and  
13 Mark Molitch. A copy of the waiver statements may be  
14 obtained by submitting a written request to the  
15 Agency's Freedom of Information Office, Room 12A-30 of  
16 the Parklawn Building.

17 We would also like to note that Dr. Jaime  
18 Davidson has interest in Bristol-Myers Squibb, Warner  
19 Lambert, and Parke-Davis which are unrelated to  
20 Mevacor or its competing products.

21 In addition, we'd like to note that Dr.  
22 Barbara Lukert has an interest in Merck, the  
23 manufacture of Mevacor and of Zocor, a competing  
24 product to Mevacor which is unrelated to the firm's  
25 product or competing product. Further, Dr. William

1 Tamborlane's employer, The University School of  
2 Medicine, has interest in Pfizer and in Parke-Davis,  
3 a subsidiary of Pfizer, the manufacturer of a  
4 competing product to Mevacor, which are unrelated to  
5 the firm's competing product.

6 Although these interests do not constitute  
7 a financial interest in the particular matter within  
8 the meaning of 18 USC 208, they could create the  
9 appearance of a conflict; however, it has been  
10 determined notwithstanding these interests, that it is  
11 in the Agency's best interest to have Dr. Davidson,  
12 Dr. Lukert, and Dr. Tamborlane participate in the  
13 Committee's discussions concerning Mevacor.

14 Further, we would like to note for the  
15 record that Dr. George Blewitt is the non-voting  
16 Industry Representative and is on the Committee to  
17 represent Industry's interest. As such, he has not  
18 been screened for any conflict of interest.

19 With respect to FDA's invited guest, Dr.  
20 Luther Clark has reported interests which we believe  
21 should be made public to allow the participants to  
22 objectively evaluate his comments. Dr. Clark would  
23 like to disclose that he is an investigator for  
24 research, has served as an educational consultant, and  
25 receives speaker's fees from Bristol-Myers Squibb,



1 Merck, and Parke-Davis.

2 In the event that the discussions involve  
3 any other products or firms not already on the agenda  
4 for which an FDA participant has a financial interest,  
5 the participants are aware of the need to exclude  
6 themselves from such involvement and their exclusion  
7 will be noted for the record.

8 With respect to all other participants, we  
9 ask in the interest of fairness that they address any  
10 current or previous financial involvement with any  
11 firm whose products they may wish to comment upon.

12 That concludes the official announcement.  
13 Now I'd like to just unofficially talk about the Open  
14 Public Hearing speakers.

15 I didn't get to talk to all of you, I  
16 talked to some of your staff. There is an expectation  
17 before you begin your speaking today to disclose to us  
18 what your financial arrangements are in terms of this  
19 discussion today.

20 You need to disclose if you have received  
21 money from either of the basic products being looked  
22 at today or tomorrow and you need to make a simple  
23 statement to the record please. And I won't time  
24 that. That will be a free part of your speech.

25 CHAIRMAN BRASS: I'm not sure I endorse

1 that not timing part. Thank you Dr. Titus.

2 We will now move to the Open Public  
3 Hearing and I would just like to reinforce the request  
4 that disclosure of financial interest be made and  
5 particularly request that the five-minute time  
6 allocation be adhered to strictly as we have a very  
7 full agenda today.

8 Our first presenter in the Open Public  
9 Hearing will be Dr. Rodriguez. Is Dr. Rodriguez here?

10 Going. Going. Our next speaker, thank  
11 you for sticking to the five minutes --

12 (laughter)

13 Then our next speaker will be Dr. Kong.  
14 And if you could come to the front of the room please.

15 DR. KONG: Good morning ladies and  
16 gentleman. My name is Waine Kong. I am the CEO for  
17 the Association of Black Cardiologists. On my left is  
18 Dr. Ernest Madu, cardiologist and Assistant Professor  
19 at Vanderbilt University. He will be speaking on  
20 behalf of the Association of Black Cardiologists today  
21 and he will address all the clinical issues involved  
22 in this issue.

23 The Association of Black Cardiologists was  
24 founded in 1974. We have 700 members and are  
25 committed to the concept that children should know

1 their grandparents and become great-grandparents  
2 themselves, and we are awfully concerned about the  
3 high rate of cardiovascular disease in the black  
4 community and want to do as much as we can to preserve  
5 that.

6 We've provided packages for everyone.  
7 That includes a position paper that was developed by  
8 the Cholesterol Committee of the Association of Black  
9 Cardiologists and approved by the Board of Directors.

10 In the package is a copy of our annual  
11 report divulging all of our financial relationships  
12 and we receive funding from all the major  
13 pharmaceutical companies to support our programs. We  
14 supported our activity here today out of our own  
15 funds.

16 Now I'd like to introduce Dr. Ernest Madu  
17 who will make the formal statement.

18 DR. MADU: Good morning and thank you for  
19 the opportunity to address the panel and the audience  
20 today.

21 We have deliberated on this issue and the  
22 Cholesterol Committee of the Association of Black  
23 Cardiologists and have come up with a position paper.  
24 Catastrophic cardiovascular events occur more commonly  
25 in African-Americans and other underserved minority

1 populations.

2           Reasons for this disproportionate burden  
3 of disease include a high prevalence of cardiovascular  
4 risk factors, limited access to healthcare, and  
5 underutilization of interventions and medications that  
6 could prove lifesaving.

7           One such class of lifesaving medications  
8 is the HMG-CoA reductase inhibitor class, commonly  
9 referred to as statins. The past decade has produced  
10 overwhelming evidence that cholesterol-lowering  
11 therapy with statins leads to a striking reduction in  
12 coronary events, cerebrovascular events, and total  
13 mortality. These improved outcomes have been seen in  
14 both primary and secondary prevention trials.

15           African-Americans are at a high baseline  
16 risk of potentially having cardiovascular or  
17 cerebrovascular events and stand to gain enormously  
18 from statin medications should these be available over  
19 the counter.

20           Despite the documented benefit of statins,  
21 however, many high-risk patients fail to receive them,  
22 often times because of limited access. Failure to  
23 receive these medications, in our opinion, may  
24 contribute to the continued disproportion in the  
25 problems of cardiovascular and cerebrovascular illness

1 in African-American and other underserved minority  
2 populations.

3 The mission of the Association of Black  
4 Cardiologists is to make exemplary healthcare  
5 available and affordable to all in need. Given this  
6 charge, the Association of Black Cardiologists is  
7 continually seeking ways to improve patient's access  
8 to beneficial therapies such as statins.

9 One potential way to increase the  
10 accessibility of statins is through over-the-counter  
11 dispensing of this product. The Association therefore  
12 endorses the proposition that statins should be  
13 available for over-the-counter dispensing under  
14 certain circumstances and with specific guidelines in  
15 place. We base these recommendations on several  
16 premises.

17 One is the unique safety profile of  
18 statins. Secondly, we believe there is a large body of  
19 evidence, the most rating the overwhelming safety and  
20 efficacy of this product class. At the present time  
21 we do not have evidence to suggest that there are  
22 differences of safety among the class of the entire  
23 statin family.

24 We also believe that over-the-counter  
25 statins will be appropriate only for lower-risk,

1 primary-prevention patients and that SART should only  
2 be targeted to this population. Also, in order to  
3 ensure safety, routine monitoring procedures must be  
4 clearly specified in the product literature and  
5 package insert.

6 Finally, the Association of Black  
7 Cardiologists wishes to emphasize that endorsement of  
8 over-the-counter dispensing of statins is based on an  
9 overwhelming body of evidence demonstrating the unique  
10 safety and efficacy of this class of medications and  
11 should not be generalized to other medications for the  
12 treatment of chronic asymptomatic conditions. Thank  
13 you.

14 CHAIRMAN BRASS: Thank you very much. I  
15 understand that Dr. Rodriguez is now here? Yes, if  
16 you could come to the front please.

17 Since you missed the opening comments, if  
18 you could identify any financial support that  
19 contributed to your visit today.

20 DR. RODRIGUEZ: Good morning. My name is  
21 Rene Rodriguez. I am an orthopedic surgeon and  
22 President of an organization of Hispanic physicians  
23 based here in Washington, DC.

24 We have received financial assistance, no  
25 assistance, but we get some financial from

1 pharmaceutical membership, but I have not been paid  
2 for being here and our organization doesn't receive  
3 any remuneration for myself being here today.

4 As a national organization representing  
5 over 39,000 health professionals in the Hispanic  
6 community, the ICPS's, the Inter-American College of  
7 Physicians and Surgeons, primary goal is to strengthen  
8 the health service delivered to Hispanic community.  
9 We believe that offering this reductase inhibitor over  
10 the counter is an important step in the direction to  
11 providing greater access to a clinically proven  
12 therapy for treating elevated cholesterol.

13 Today, cardiovascular disease remains the  
14 leading cause of death for Hispanics in the United  
15 States, representing 26.9 percent and 33 percent of  
16 total deaths for males and females respectively in  
17 1996. Moreover, Hispanics who are less likely to have  
18 access to health insurance and adequate preventive  
19 medical care, suffer a greater incidence of  
20 cardiovascular disease than the general population.

21 For example, 39 percent of Mexican-  
22 American men and 38 percent of Mexican-American women,  
23 age 20 and older, have LDH-C greater than 130  
24 milligrams.

25 The problem of elevated cholesterol

1 requires additional treatment options to reinforce  
2 ongoing efforts and we believe that offering the  
3 statins over the counter will provide patients with  
4 greater access to a proven therapy for treating  
5 elevated cholesterol.

6 Numerous randomized placebo-controlled  
7 trials have shown that statins dramatically reduced  
8 the degrees of heart disease, even for patients who  
9 showed no signs of heart disease. Statin products  
10 have a long history of safe use and minimal side  
11 effects and could be used safely and responsibly in an  
12 OTC setting.

13 For people who continue to struggle with  
14 elevated cholesterol levels despite healthy diet and  
15 exercise, OTC statin will provide a new safe and  
16 effective treatment option approved by the FDA.

17 Today, consumers want and deserve statin  
18 products over the counter. There is an increasing  
19 trend in self-care among consumers. Functional foods,  
20 dietary supplements, and other alternative therapies  
21 claiming to lower cholesterol are already used  
22 extensively, even though the jury is still out there  
23 on this.

24 OTC statin will provide a clinically  
25 proven alternative approved by the FDA. In addition,



1 OTC products advertised in educational information  
2 will increase awareness about this serious public  
3 health threat. The Inter-American College of  
4 Physicians and Surgeons believes that making the  
5 statins available over the counter is a significant  
6 step in the battle against heart disease. Thank you  
7 very much.

8 CHAIRMAN BRASS: Thank you. Our next  
9 speaker will be Dr. Judelson.

10 DR. JUDELSON: Thank you. My name is Dr.  
11 Debra Judelson. I'm an internist and cardiologist  
12 with Cardiovascular Medical Group of Southern  
13 California, and I'm Medical Director of their Women's  
14 Heart Institute.

15 As past president of the American Medical  
16 Women's Association, or AMWA, and the creator of our  
17 education project on coronary heart disease in women,  
18 I'd like to speak to you today on the issue of the  
19 FDA's interest and the approval over-the-counter  
20 drugs.

21 My disclosure statement: I am a speaker  
22 and receive honorarium for a variety of pharmaceutical  
23 companies. I receive less than one-half of one  
24 percent of my income from Bristol-Myers Quibb or  
25 Merck. For the organization AMWA, in addition to its

1 membership dues, it also receives funds from a variety  
2 of foundations, government, and industry sponsors.  
3 Less than one-half of one percent of our annual budget  
4 come from Bristol-Myers Squibb or Merck  
5 pharmaceuticals.

6 I'm with a national organization of 10,000  
7 women physicians and medical students dedicated to  
8 promoting women's health. A cornerstone of our  
9 efforts has been raising awareness about heart disease  
10 in women. Our education project stressed the under-  
11 recognition and undertreatment of risk factors for  
12 heart disease and their symptoms that we've  
13 identified.

14 We feel the wide availability of drugs to  
15 lower cholesterol for the prevention of heart disease  
16 and the regulation are cornerstones to women's health.  
17 AMWA is in favor of the concept of over-the-counter  
18 drug product use for elevated cholesterol levels when  
19 the drugs are shown to be safe and efficacious in  
20 unmonitored situations.

21 Our reason: Heart disease is the number  
22 one killer of American women as well as men and the  
23 risk factor of LDL cholesterol elevation is well known  
24 and recognized. Numerous studies and articles have  
25 been published detailing the gender disparity in

1 cardiac risk evaluation and treatment including the  
2 undertreatment of LDL cholesterol leading to  
3 unnecessary mortality, especially in the underuse of  
4 statin drugs. The disparity is unwielding. We cannot  
5 live with this.

6 Women with known heart disease already get  
7 adequate treatment; however, it's the woman without  
8 heart disease or the asymptomatic woman with a modest  
9 or moderately elevated cholesterol who is not being  
10 offered these proven therapies.

11 The primary therapy is lifestyle  
12 modification. We follow this, but when we talk about  
13 medication use, the most effective medication that is  
14 being promulgated by physicians for postmenopausal  
15 women is the use of hormone replacement; however, we  
16 have had recent studies that have identified some  
17 problems with this and until these are clarified,  
18 we're not sure what to do.

19 Even more problematic, is the fact that  
20 risk factors in premenopausal women are often not even  
21 addressed by physicians who mistakenly believe that  
22 these women are not at risk for the development of  
23 heart disease. We have proven benefits of lower LDL  
24 cholesterol for all levels of cardiac risk, including  
25 in asymptomatic women with modest to moderate LDL

1 cholesterol elevations with the use of the statin  
2 drugs.

3 We feel FDA approval will give women the  
4 options they need to improve their health and  
5 healthcare. I'm not going to address safety and  
6 monitoring of efficacy issues because I'd like to  
7 focus predominantly on the barriers to care.

8 Women want to use self-help therapies to  
9 improve their personal risk factors and improve their  
10 personal health risks. Women need options. We must  
11 acknowledge that every patient does not have the  
12 opportunity to fully discuss all of their perceived  
13 risk factors and all of their fears and concerns with  
14 their health with a professional on a regular basis.

15 Very often our healthcare situations occur  
16 in an acute setting with an acute problem. This may  
17 be due in part to the failure of most insurance plans  
18 to cover the cost of well visits and general health  
19 screening.

20 This may be due in part to the lack of  
21 recognition by healthcare professionals of an  
22 individual's risk or of their willingness to be  
23 evaluated or treated for asymptomatic conditions.  
24 This may also be due to the willingness of many women  
25 to acknowledge their own health risks and concerns to

1 busy professionals, or to take time out of their own  
2 lives to come in for asymptomatic conditions.

3 But most seriously, it may also be due to  
4 the unwillingness on the part of certain healthcare  
5 professionals to make treatment available to women  
6 patients because of their own biases or  
7 misinformation.

8 AMWA is addressing the biases and  
9 misinformation of primary care physicians with our  
10 education project on coronary heart disease in women;  
11 however, our efforts and the efforts of so many other  
12 groups to raise awareness have not been able to  
13 improve the knowledge deficit of every primary care  
14 physician. Women who want to improve their health or  
15 face these barriers need options.

16 In conclusion, because of AMWA's interest  
17 in improving women's health and our belief that the  
18 statin class of medications may be safely and  
19 efficaciously used in the over-the-counter setting by  
20 individuals with an awareness of their LDL cholesterol  
21 level, who discern their personal risk factors, and  
22 especially those who face barriers, or perceive they  
23 face barriers, to getting care from their physician,  
24 the American Medical Women's Association endorses the  
25 concept of over-the-counter LDL cholesterol-lowering

1 medications and urges the FDA to proceed with the  
2 appropriate evaluation of those that may be safely and  
3 efficaciously used in the over-the-counter setting.

4 I thank you for your time and attention.

5 CHAIRMAN BRASS: Thank you. Our next  
6 speaker will be Suzanne Hughes.

7 MS. HUGHES: Good morning. I'm Suzie  
8 Hughes, I'm a nurse clinician in the Department of  
9 Preventive Cardiology at the Cleveland Clinic  
10 Foundation. But I'm here today representing the Board  
11 of Directors of the Preventive Cardiovascular Nurses  
12 Association, formerly the Lipid Nurse Task Force.

13 We are a 1500-member national organization  
14 of professional nurses whose daily work is  
15 cardiovascular risk reduction. We are supported by  
16 our membership dues and by our pharmaceutical round  
17 table. My time and travel here today has not been  
18 underwritten either of the sponsors or anyone in the  
19 pharmaceutical industry.

20 Because our membership is on the front  
21 lines in the battle against death and disability due  
22 to cardiovascular disease, our Board felt strongly  
23 that we should go on record here today in support of  
24 the consideration of certain cholesterol-lowering  
25 medications being made available over the counter.

1           One of the more difficult fronts in this  
2 battle is that of primary prevention. The National  
3 Cholesterol Education program, Adult Treatment Panel  
4 2, defines desirable total cholesterol as less than  
5 200 milligrams per deciliter. It is estimated that  
6 only 15 percent of those eligible for lipid-lowering  
7 therapy as secondary prevention receive it. This  
8 falls to less than 5 percent who qualify for lipid-  
9 lowering treatment as in primary prevention who  
10 actually receive therapy.

11           In the first 16 years of the Framingham  
12 Heart Study, 40 percent of those who sustained  
13 myocardial infarction had cholesterol levels between  
14 200 and 250 milligrams per deciliter. We all know the  
15 sad fact the first symptom of cardiovascular disease  
16 is tragically often sudden cardiac death. We has  
17 healthcare professionals do not get a shot at seeing  
18 whether we might perform better at secondary  
19 prevention for those in that group.

20           My fellow Board members and colleagues  
21 average 25 years experience in cardiovascular nursing.  
22 Our roles as patient educators and advocates is  
23 constantly changing. In an ever-increasing number of  
24 cases, our patients do not come to us with a blank  
25 slate eager for the healthcare professional to

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 dispense information and advice.

2 In the year 2000, they come instead with  
3 information, along with a great deal of  
4 misinformation, that they've obtained from the print  
5 and broadcast media and more recently from the  
6 internet. They now arrive bearing lists of over-the-  
7 counter herbal remedies, vitamins, and concoctions,  
8 the ingredients of which are often mysterious and  
9 unknown, the safety and efficacy even more so.

10 We believe that this trend toward self-  
11 care is only like to grow. The availability of low-  
12 dose statins that are effective not only in lowering  
13 total cholesterol and LDL, but in preventing first  
14 coronary events, has the power to save lives.

15 It's critical that the sponsors of these  
16 statin agents proposing the switch to OTC demonstrate  
17 the following: One, that the appropriate population  
18 will utilize these agents in the appropriate dose.  
19 Number two, that those who choose to use these OTC  
20 products will initiate dialog with their healthcare  
21 providers. Three, that those who use these agents  
22 will not abandon hygienic measures, diet, exercise,  
23 and smoking avoidance, when these agents are employed.

24 Four, that the labeling is understandable.  
25 Five, that the marketing efforts are target toward the



1 appropriate consumer. Six, that the majority who use  
2 these agents in the OTC setting will reach and set  
3 goals.

4 If the above criteria are met, we support  
5 the OTC availability of such agents with full  
6 agreement that this action will not be a panacea for  
7 the entire underscreening and undertreatment gap. We  
8 feel that it constitutes an important adjunct in  
9 increasing death and disability from cardiovascular  
10 disease in the United States.

11 Thank you for your consideration.

12 CHAIRMAN BRASS: Thank you. Our next  
13 speaker will be Dr. Etherton.

14 Okay, I've been told we are going to  
15 temporarily skip over to Dr. Gans if Dr. Gans is  
16 available. Is Dr. Gans here? Yes, thank you.

17 DR. GANS: Good morning. Thank you for  
18 the opportunity to present the views of the American  
19 Pharmaceutical Association, the National Professional  
20 Society of Pharmacists. I am Dr. John A. Gans, Vice  
21 President of the Association.

22 APhA's more than 53,000 members include  
23 pharmacy practitioners, pharmaceutical scientists, and  
24 pharmacy students. Pharmacists help consumers manage  
25 and improve medication use, including the selection of

1 nonprescription drugs.

2           It is in the interest of full disclosure  
3 that the APhA frequently partners with federal  
4 agencies, consumer groups, the pharmaceutical  
5 industries, and others to develop educational tools  
6 for pharmacists and consumers. Some of the research  
7 I will describe today was supported by the  
8 pharmaceutical industry, but without influence as to  
9 outcome.

10           The association did not receive funding to  
11 participate in today's meeting, but it did cost me  
12 \$2500 to fly here, so I'll take any kind of  
13 contributions that you like. Times are changing. And  
14 the views I am presenting are solely those of the  
15 association and its membership.

16           Over the next two days you will hear how  
17 hyperlipidemia affects 50 million Americans. In most  
18 cases, the first approach to lowering cholesterol  
19 levels is through lifestyle changes; however, most of  
20 us aren't able to sustain such lifestyle changes over  
21 the long term. Making more effective drug therapy  
22 more readily available to consumers would facilitate  
23 improvements in managing this very costly problem.  
24 Making antihyperlipidemic products available over the  
25 counter may contribute a solution.

1           There are two salient questions we would  
2           like to address today. One, can consumers select the  
3           appropriate therapy to treat their elevated  
4           cholesterol? And two, can these products be used  
5           safely and effectively in an OTC setting?

6           APhA offers the following recommendations  
7           regarding the ability of consumers to choose the  
8           therapy. The determination of whether a product  
9           should be switched from prescription to OTC status  
10          should include, in our minds, a review of all existing  
11          therapies in the self-care market. If existing  
12          options for self-care raise questions of safety,  
13          effectiveness, or product quality, the relative safety  
14          of the switch candidate increases in our minds and the  
15          risk-benefit analysis shifts in favor of OTC  
16          availability.

17          Today's consumer self-care options for  
18          cholesterol management are limited to diet, exercise,  
19          and dietary supplements. Dietary supplements such as  
20          garlic and other preparations are marketed as self-  
21          care aids to maintain healthy cholesterol levels which  
22          have not been yet subject to the rigors of FDA review  
23          of safety and efficacy.

24          Further studies have documented problems  
25          with product content and the release of active

1 ingredients in some dietary supplements. Therefore,  
2 consumer self-care options are currently limited to  
3 products whose content may not match the claims on the  
4 label and whose value has not been shown through  
5 rigorous testing.

6 In contrast, the statin drugs to be  
7 presented over the next two days have been studied as  
8 prescription products in rigorous scientific trials,  
9 post-marketing surveillance, and now as potential OTC  
10 products. These would provide the consumer with well-  
11 documented, well-studied clinically proven products as  
12 a self-care option.

13 Pharmacists can assist by identifying  
14 untreated patients, referring consumers to the  
15 healthcare system when their cholesterol level  
16 requires medical care, by aiding in the selection of  
17 appropriate agents, and by supporting consumer self-  
18 care behaviors.

19 At the recent Part 15 hearing, a  
20 distinction was drawn very clearly between the  
21 treatment of signs such as elevated cholesterol and  
22 high blood pressure, and the treatment of symptoms.  
23 As mentioned at the hearing, however, this distinction  
24 is changing as consumers now have increasing access to  
25 tools to check their signs. Consumers want to know

1 their numbers and more are taking advantage of this  
2 technology to determine their health status.

3 Pharmacists are ready to increase access  
4 by providing tools to monitor these in their  
5 pharmacies and are conducting point-of-care screening  
6 as I speak today.

7 Increased access to tools to monitor are  
8 now changing the distinction between signs and  
9 symptoms. This increased consumer access to  
10 management tools supports the management of signs by  
11 self-care and as self-care expands, consumers will  
12 recognize and self-treat with OTC drugs. To do this  
13 well, they must have safe and efficacious products.

14 Regarding the second question, I'd just  
15 like to briefly mention that we completed a study  
16 called Project IMPACT: Hyperlipidemia. APhA prepared  
17 pharmacists in 25 community pharmacy settings. They  
18 were focusing on discussing the health status and  
19 treatment and by obtaining lipid profiles by a finger-  
20 stick method each month.

21 The results of this program were dramatic.  
22 Persistence and compliance increased significantly  
23 when patients were empowered and educated. Almost 400  
24 patients were studied over a two-year period.  
25 Persistence was measured at 93 and compliance at 90

1 percent respectively. These results were better than  
2 what was seen in the best studies at 40 percent over  
3 just 12 months.

4 More importantly, 62.5 percent of the  
5 patients achieved NCEP goals which are seen typically  
6 in the range of 8 to 33 percent. While you won't find  
7 this practice in every community pharmacy, it's  
8 expanding and Project ImPACT demonstrates the  
9 potential of this system. Further, it demonstrates  
10 that pharmacists can help raise awareness and  
11 understanding that high cholesterol is a modified  
12 risk.

13 In summation, as I have described, OTC  
14 availability of drug therapy would provide consumers  
15 a valuable tool in managing hyperlipidemia. We  
16 encourage the FDA to work with manufacturers of OTC  
17 hyperlipidemia products to develop the proper consumer  
18 education materials and directed messages to encourage  
19 consumers, pharmacists, and physicians to work  
20 together on primary prevention.

21 Product labeling should reinforce these  
22 messages and we believe that the availability of  
23 statin products directly to the consumer would provide  
24 another important avenue to help manage high  
25 cholesterol and heart disease.

1           As the nation's pharmacists, we will do  
2 our part has health professionals to work with  
3 consumers, physicians, and other healthcare providers  
4 to provide and become a valuable partner in OTC drug  
5 therapy. Thank you very much for this opportunity  
6 this morning.

7           CHAIRMAN BRASS: Dr. Etherton.

8           DR. ETHERTON: Okay. I'm Penny Kris  
9 Etherton. I'm a distinguished professor of nutrition  
10 at Penn State University and I've been on the faculty  
11 there since 1979.

12           I have a long-standing interest and  
13 expertise in understanding how diet affects risk of  
14 cardiovascular disease. I was a member of the second  
15 Adult Treatment Panel of the National Cholesterol  
16 Education Program. I chaired the diet subcommittee of  
17 the DELTA study, Dietary Effects on Lipoprotein  
18 Thrombogenic Activity, a multicentered, NIH-funded  
19 clinical study to look at the effects of diet on risk  
20 factors for cardiovascular disease.

21           Presently, I'm a member of the American  
22 Heart Association Nutrition Committee and the National  
23 Academy of Sciences DRI Committee for Macronutrients.

24           I'd like to disclose that I have been a  
25 consultant for Merck for their OTC lovastatin clinical

1 development program; however, the views that I share  
2 with you today are my own. Another organization,  
3 National Academy, paid for my plane ticket here,  
4 although Merck has paid for the taxi cab ride to this  
5 meeting here.

6 Since my area of expertise is nutrition,  
7 and cardiovascular nutrition in particular, I'd like  
8 to comment on what I see as a unique opportunity to  
9 achieve added benefits to the OTC statin program on  
10 cardiovascular disease with a nutrition program that  
11 will be a part of the OTC program. There is also an  
12 opportunity to prevent other diseases through good  
13 nutrition practices that will be promoted in the OTC  
14 program.

15 So my comments this morning point to the  
16 opportunity that is before us to have a significant  
17 impact on cardiovascular disease and other diseases  
18 with the OTC statin program because of the diet and  
19 lifestyle program that is a part of it.

20 While cardiovascular disease continues to  
21 be the leading cause of death for both men and women  
22 in the United States, and on my first overhead you  
23 see, between 1979 and 1991 there was a significant  
24 decline in cardiovascular disease mortality for men;  
25 however, since 1991 the incidence has remained



1 unchanged. Perhaps it's increased a little bit.

2 In contrast, cardiovascular disease  
3 mortality for women has increased over this time line.  
4 These trends are alarming, giving the marked increase  
5 in our understanding of risk factors as well as the  
6 development of effective intervention strategies for  
7 cardiovascular disease.

8 Collectively, the cardiovascular disease  
9 mortality data indicate that much more remains to be  
10 done on a population-wide basis to reduce both  
11 morbidity and mortality of cardiovascular disease.  
12 The CVD morbidity and mortality trends are a concern  
13 because they are a burden to society, they impact the  
14 healthcare system, and adversely affect the quality of  
15 life of individuals and families.

16 Diet and other lifestyle practices have  
17 been the cornerstone of intervention strategies for  
18 the prevention and treatment of coronary disease. A  
19 STEP I diet is recommended for the prevention of  
20 cardiovascular disease for the population at large and  
21 a STEP II diet is advised for coronary patients and  
22 persons at high risk of suffering a coronary event.

23 The obvious question that scientists have  
24 addressed then is how effective are these  
25 interventions? And based on a recent analysis of 37

1 dietary intervention studies that include weight loss  
2 and exercise, a STEP I diet decreases LDL cholesterol  
3 about 12 percent and a STEP II diet lowers LDL  
4 cholesterol about 16 percent.

5 While this LDL cholesterol lowering is  
6 important clinically, it's evident that additional  
7 strategies are required to have a more substantive  
8 impact on the incidence of cardiovascular disease.

9 Low-dose, over-the-counter statin therapy  
10 would be expected to decrease LDL cholesterol  
11 appropriate 15 to 20 percent and on this overhead here  
12 we see the results of drug studies that have been done  
13 showing a decrease of LDL cholesterol of about 25 to  
14 26 percent and a greater than 30 percent reduction in  
15 the incidence of cardiovascular disease.

16 The combination of diet and low-dose over-  
17 the-counter statin therapy can provide a powerful and  
18 effective means to dramatically lower the incidence of  
19 cardiovascular disease on a population-wide basis.

20 Moreover, adoption of a healthy diet as  
21 part of a comprehensive consumer education and support  
22 program that includes low-dose, over-the-counter  
23 statin therapy could well have benefits far beyond  
24 those associated with cholesterol lowering and there  
25 are severe recent studies to support that.

1           One recent study, not shown on this  
2 overhead, found that women following a healthy diet  
3 were 30 percent less likely to die from all causes  
4 during their six-year study period compared to women  
5 who had the most unhealthy eating habits.

6           And on this slide, going beyond  
7 cholesterol lowering, we see two recent studies that  
8 show very dramatic effects of diet only on reducing  
9 incidence of cardiovascular disease. The Leon Diet  
10 Heart Study and the GISSI Prevention Trial.

11           Thus, approval of low-dose, over-the-  
12 counter statins may well facilitate implementation of  
13 a healthy diet and other lifestyle practices that  
14 could markedly reduce the incidence of CVD and other  
15 chronic diseases.

16           Over-the-counter availability of low-dose  
17 statins offers an exciting opportunity for population-  
18 wide health promotion efforts that both target CVD and  
19 extend beyond CVD when implemented and they will  
20 significantly improve the health of Americans.

21           Consequently, there is an urgent need to  
22 move forward in providing Americans with the  
23 opportunity to have access to these lifesaving  
24 products. Thank you.

25           CHAIRMAN BRASS: Thank you. Our next

1 speaker is Brett Kay.

2 MR. KAY: Good morning and I thank you for  
3 the opportunity to present here today. To give a  
4 quick disclosure, I am Brett Kay, I'm with the  
5 National Consumers League and the National Consumers  
6 League has received educational grants from  
7 pharmaceutical companies regulated by the FDA  
8 previously. These funds are less than 5 percent of  
9 our total budget and we have not been paid to speak  
10 here today.

11 The National Consumers League, which is  
12 America's oldest nonprofit consumer advocacy  
13 organization is pleased to testify today about  
14 possible switch of low-dose statin medications to  
15 nonprescription status. NCL has a long history of  
16 advocating for and educating consumers about safe and  
17 appropriate medication use, both prescription and  
18 nonprescription.

19 NCL is aware of the growing trend by  
20 consumers to take a more active role in their own  
21 healthcare and we are working to ensure that consumers  
22 are well informed in order to make the most beneficial  
23 choices about their own health.

24 According to consumer surveys that we have  
25 commissioned during the past several years, consumers

1 see their increased role in the healthcare system as  
2 a positive change. That an overwhelming majority, 86  
3 percent, of consumers feel they have an increased role  
4 in their own healthcare is positive.

5 I'm going to cite a few samples from  
6 surveys that we've done over the past two or three  
7 years to give you some trends and then I'd like to  
8 present a couple of quick overheads on our latest  
9 survey that we did just about in the middle of June.  
10 So that is fresh data that just came back. We also  
11 presented some of that at the FDA OTC Part 15 hearing  
12 on June 28th as well.

13 NCL has also, as I said, focused more  
14 specifically in the area of coronary heart disease,  
15 which is America's leading cause of mortality.

16 In a survey that we did in 1998 to discern  
17 consumer knowledge about attitudes about coronary  
18 heart disease, 88 percent of respondents said that  
19 they would like to know as much as possible about  
20 lowering their risk of coronary heart disease and 52  
21 percent did not know their cholesterol levels.

22 Further, 64 percent of Americans are  
23 confused about how to live a healthy lifestyle, but on  
24 a positive note, 85 percent did cite their doctor as  
25 the most reliable source for information about

1 lowering their risk of coronary heart disease.

2 NCL feels it is important to understand  
3 consumer's attitudes toward possible OTC cholesterol-  
4 lowering medications and more importantly, we want to  
5 see how a new OTC product would be perceived and how  
6 consumers say they would use such a product and that  
7 is some of the data I'll show you in a moment.

8 We are concerned that consumers would not  
9 consult their doctors before or during the use of an  
10 OTC statin. However, our survey results  
11 overwhelmingly demonstrate that consumer willingness  
12 to consult with their doctors and follow their advice.

13 Another concern has to do with the use of  
14 dietary supplements. Many consumers are currently  
15 using these products, which are untested and unproven  
16 to safely or effectively treat high cholesterol as  
17 well as many other serious health conditions.  
18 Further, many consumers do not tell their doctors  
19 about them which possibly may lead to dangerous  
20 interations or other side effects.

21 In our most recent survey, 28 percent of  
22 the population use these products regularly or often  
23 and another 65 percent are using vitamins. If  
24 consumers are already using these products to treat  
25 their cholesterol, they should at least be using ones

1 that are well tested and have a well-documented  
2 history of use. It is quite apparent that low-dose  
3 cholesterol-lowering drugs work when used  
4 appropriately to lower people's cholesterol levels.

5 If the FDA determines that the safety  
6 profile of a low-dose statin medication is sufficient  
7 and that consumers will be able to use them  
8 appropriately, we recommend that if such a product  
9 does switch to a nonprescription status, it is  
10 important that there first be a dual status of a  
11 prescription-nonprescription for such a medication.

12 Further, there must be clear labeling  
13 directions about warnings, precautions, side effects,  
14 and interactions. We also feel that there should be  
15 clear label directions urging people to check with  
16 their physicians before using such a product and to  
17 continue to have regular physician visits while taking  
18 the medication. And of course, we want to make sure  
19 that the labeling and package inserts are easy to read  
20 and to understand.

21 The methodology briefly, you saw up there  
22 already. We wanted to give you some of the survey  
23 topics, disease prevention and activities information,  
24 the attitudes, treatment in general, and then  
25 treatment specifically about cholesterol and OTC

1 treatment, whether or not it was a good idea or a bad  
2 idea which we'll move on to. I'm going to go through  
3 them quickly.

4 Some of the sample demographics for people  
5 that we pulled out total, about half were female, 41  
6 percent age 55 and over, which is a population that  
7 probably would be most concerned with this area.

8 The education, some college or greater, 59  
9 percent, so you have a fairly educated population.  
10 And the income of \$35,000 and over was half of the  
11 population in this survey that we did.

12 And this was a nationally representative  
13 random dial of one thousand people, plus or minus  
14 three. Some of the same description, 49 percent,  
15 which is consistent with some of the other data we had  
16 previously, know their cholesterol levels, 41 percent  
17 believe their cholesterol level is high, and 29  
18 percent believe they have a high risk because of that.  
19 Eighty-one percent have visited a doctor and of the  
20 people that we surveyed, 91 percent have health  
21 insurance and 89 percent have a prescription drug  
22 coverage.

23 We asked if it's a good idea or a bad  
24 idea. Sixty-five percent thought it was a good idea  
25 and the reasons they cited were that of expense, it



1 would be more readily available, it would help to  
2 lower their cholesterol, and only 13 percent said they  
3 wouldn't have to see their doctor. The bad idea, the  
4 29 percent, as you see, said that there is a need to  
5 consult a doctor, 20 percent would be worried that  
6 they're not sure how to take it.

7 The recommendations, again, it's important  
8 we feel to have cholesterol tests and know the  
9 numbers, know the warnings, precautions, side effects.  
10 There should be an emphasis on interactions and  
11 ongoing consumer education campaign to keep people  
12 informed and aware about this issue. Thank you very  
13 much.

14 CHAIRMAN BRASS: Thank you. Our next  
15 speaker is Dr. Kasten.

16 DR. KASTEN: Good morning. I'm Dr. Bernie  
17 Kasten, Vice President and Chief Medical Officer of  
18 Quest Diagnostics Ventures. I'm a pathologist.

19 I'm here today to represent Quest  
20 Diagnostics Incorporated, the nation's largest  
21 laboratory provider. Quest Diagnostics has not  
22 received any monetary or nonmonetary incentives from  
23 any pharmaceutical company to present information to  
24 the FDA. Quest Diagnostics has business relationships  
25 with several pharmaceutical houses, but none has

1 sponsored our presentation.

2 Quest Diagnostics is the nation's largest and  
3 most experienced provider in diagnostic testing,  
4 information, and healthcare services, with locations  
5 throughout the United States, Mexico, and United  
6 Kingdom. We have 30 major regional laboratories, 300  
7 rapid response laboratories, and 1,400 patient service  
8 centers conveniently located throughout the country  
9 making it easy for patients and people to have their  
10 samples collected. This is the nation's largest  
11 network of walk-in patient sites.

12 Why is a laboratory company testifying  
13 before an FDA panel that is considering the approval  
14 of prescription-only statin drugs for over-the-counter  
15 use? Simply, doctors rarely make a diagnosis or  
16 prescribe medications without first ordering  
17 laboratory tests to support their decisions. But our  
18 role doesn't stop there. Our testing and information  
19 are critical to the monitoring and treatment of  
20 patients once the doctor has prescribed medication.

21 There is perhaps no better example of this  
22 than with the statin therapies and other cholesterol-  
23 lowering therapies. In 1999, Quest Diagnostics  
24 performed more than 250 million tests. Over 30  
25 million of these involved a cholesterol determination

**S A G CORP.**

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 ordered by a physician to diagnose, treat, or monitor  
2 patients at risk for cardiovascular disease.

3 As the leader in clinical testing, Quest  
4 Diagnostics offers patients and their physicians  
5 access to the nation's broadest network of laboratory  
6 services. Today the vast majority of testing we  
7 perform is as the result of a doctor's order; however,  
8 we are seeing patients taking increased responsibility  
9 for managing their own health.

10 We recognize that consumers are far more  
11 knowledgeable about health conditions and diseases  
12 than in the past. As a result, we are focusing our  
13 attention on specific diseases or disease groups and  
14 introducing new tests and information that offers  
15 insights to physicians and consumers in areas such as  
16 women's health, cardiovascular disease, and diabetes  
17 to name but a few.

18 Consumers are recognizing the value of  
19 these new tests. A recent report entitled Laboratory  
20 Industry Strategic Outlook 2000 observed, and I quote,  
21 "More patients are either asking their physicians for  
22 specific tests and paying for such tests out of  
23 pocket, or seeking such tests directly from  
24 laboratories and they are paying out of their pocket  
25 as well."

1           In response to an escalating demand from  
2 patients, direct-to-consumer tests will be more  
3 readily available in the future. Today, there are 30  
4 states in which a patient can order a limited number  
5 of laboratory tests for themselves directly without  
6 physician involvement.

7           Direct-to-consumer tests are provided by  
8 laboratories and are becoming more readily available.  
9 Home testing kits also are becoming more readily  
10 available. Consumers want to know their cholesterol  
11 level. The National Cholesterol Education Program has  
12 contributed to this and many of our efforts have as  
13 well.

14           In the laboratory business, we are  
15 responding to clear demand from the consumers for  
16 greater access to their own personalized healthcare  
17 information.

18           A recent study published by the VHA and  
19 Deloitte & Touche indicated that 43 percent of the 40  
20 million adults in the U.S. who use the internet were  
21 seeking health-related information. The study also  
22 showed that senior citizens were the largest consumers  
23 of healthcare information accessed over the net, that  
24 they were interested in services such as their test  
25 results and prescription information.

1 With the emergence of the internet as a  
2 major source of health information, Quest Diagnostics  
3 recently launched an web-enabled service for our  
4 patients, allowing them to gain secure online access  
5 to their own confidential results over the internet.  
6 We make patient's laboratory results available online  
7 in the 26 states where patient's right to access their  
8 own test results is not limited by local law.

9 If the FDA chooses to make statin drugs  
10 available as nonprescription drugs, Quest Diagnostics  
11 recognizes the continuing need to perform laboratory  
12 tests to assess a patient's treatment success,  
13 compliance, and potential side effects. We stand  
14 ready to provide all consumers and their physicians  
15 with lab services to support appropriate consumer lab  
16 testing nationwide for prescription as well as  
17 nonprescription drugs. Thank you.

18 CHAIRMAN BRASS: Thank you. Our next  
19 speaker will be Warren Pinckert.

20 MR. PINCKERT: Good morning. I'm Warren  
21 Pinckert, CEO of Cholestech Corporation. We are here  
22 on our own nickel, although all of the major  
23 pharmaceutical companies who have cholesterol-lowering  
24 drugs are our customers.

25 Cholestech is a company that manufactures

1 a point-of-care clinical instrument and also has a  
2 national testing service and recently launched a  
3 website called WellCheck.com that is focused on  
4 lowering cholesterol through interactive tools, goal  
5 setting, and measurement and motivation.

6 You've heard a lot of facts today about  
7 cardiovascular disease. Heart disease. We can be  
8 doing a much better job on it obviously. The cost of  
9 coronary heart disease estimated by the CDC in 1999  
10 was \$287 billion and 96 million Americans have high  
11 cholesterol and you just heard that only 50 percent of  
12 them even know their cholesterol levels.

13 I'm here today to try to make the  
14 Committees aware that technology now exists for  
15 consumers to know their numbers and to actively and  
16 personally manage their own healthcare. Cholestech  
17 makes the LDX which I brought up here. It is a CLIA-  
18 waived instrument. On a single drop of blood in less  
19 than five minutes, this instrument gives a complete  
20 lipid profile. Total cholesterol, HDL cholesterol,  
21 triglycerides, calculates LDL cholesterol, and if you  
22 want, it even throws in glucose.

23 We have ALT that has been approved by the  
24 FDA, 510(K) approval, and we have that in for  
25 submission for CLIA waiver. Our instrument is waived

1 under CLIA and that's very important because being  
2 waived under CLIA, we've learned, is a heck of a lot  
3 more than just being 510(K) approved.

4 We had to prove with this instrument  
5 through clinical studies that it is accurate and  
6 precise at the medical decision points that physicians  
7 need to make a decision and so we went through a lot  
8 of rigorous studies with the CDC and now we're  
9 involved with the FDA on the waiver thing to make sure  
10 that this gives accurate and precise results and can  
11 be used by an untrained user.

12 You put a single drop of blood into a  
13 disposable cassette, you put it into the machine, push  
14 run, that's all the operator has to do, and then less  
15 five minutes later you get your results.

16 That's important as we move on to trying  
17 to determine who should be on cholesterol-lowering  
18 drugs. If you're going to have to determine if your  
19 cholesterol level is 200 or 240, this instrument does  
20 it and you can tell right in a convenient site to you.  
21 You don't have to get up at 7 o'clock in the morning  
22 and go down to your hospital lab and have a venous  
23 draw.

24 We have a national testing service that  
25 we're developing that will provide testing in consumer

1 convenient locations. Locations that have pharmacies  
2 so that you're tested when you want to be tested and  
3 it is consistent high quality. We test under NIH  
4 guidelines.

5 We are trying to make it as low cost or  
6 free to consumers by using contributions from sponsors  
7 such as food companies and obviously pharmaceutical  
8 companies to offset the cost of the testing.

9 We also have an internet site,  
10 WellCheck.com that was just launched. That site was  
11 developed in conjunction with Stanford's Center for  
12 Disease Prevention.

13 We also used the NCEP diet one and two  
14 information that they have developed and we provide a  
15 fitness, a diet and nutrition, a pharmacy section, all  
16 virtual experts with contents from people who are not  
17 businessmen like us, but are trained professionals.

18 It's been reviewed by our medical advisory  
19 board and we have developed it so that that website  
20 will be able to be accessed by the patient's physician  
21 so that they are kept informed about what cholesterol  
22 levels currently the person has, how they're  
23 improving, and how they are doing on their fitness  
24 regimen, whether they have stopped smoking, their  
25 smoking cessation, all kinds of lifestyle tools.



1 I just wanted to be sure that the  
2 Committee was aware of this technology and other  
3 technology beyond the LDX. This just happens to be  
4 the one that I'm most interested in and obviously I  
5 know the most about. But there is other technology  
6 that is available at the point of care, so people can  
7 be tested. They can be tested at a low cost. They  
8 can know their numbers. And through technology like  
9 the internet, they can personally manage their  
10 cholesterol levels.

11 CHAIRMAN BRASS: Thank you. Our next  
12 speaker will be Dr. Pearson.

13 DR. PEARSON: Good morning. My name is  
14 Tom Pearson. I'm Chair of the Department of Community  
15 and Preventative Medicine at the University of  
16 Rochester.

17 By way of disclosure, I have had a number  
18 of speaker's bureaus and research grants from numerous  
19 pharmaceutical companies. I have been a paid  
20 consultant from both Johnson & Johnson-Merck, and  
21 Bristol-Myers Squibb advising them on the over-the-  
22 counter issues. However, for this meeting, I have  
23 received no remuneration or reimbursement whatsoever  
24 to come here and speak today.

25 My background is as a preventive

1 cardiologist interested both in the prevention of  
2 heart disease on the individual, but also on the  
3 population bases and my comments I have distributed on  
4 a two-page handout. I hope the Committee has  
5 available about the rationale for new approaches in  
6 population-based cholesterol lowering.

7 What I'd like to do is examine here this  
8 morning, very briefly, the public health need, perhaps  
9 the urgent need for new strategies to shift downward  
10 the population distribution of serum total and LDL  
11 cholesterol.

12 I had the opportunity to chair for the  
13 National Heart, Lung, and Blood Institute last  
14 September, a conference called the National Conference  
15 on Cardiovascular Disease Prevention, where we  
16 reviewed the trends in cardiovascular disease in the  
17 last decade in the United States.

18 In terms of morbidity and mortality, and  
19 the panel may not be aware of this, is that the  
20 morbidity and mortality for cardiovascular disease in  
21 general has declined at a slower rate in the 1990s  
22 than in the 20 years before that. Particularly with  
23 women and minority groups having even slower rates or  
24 even a stopping of decline in their cardiovascular  
25 disease rates.

1           In fact, stroke mortality from multiple  
2 sources of information has been flat since 1990 and  
3 possibly even going up in some subgroups.

4           Looking within this then, you can  
5 actually, with a couple of studies, much harder to  
6 come by data, suggest that the incidence of coronary  
7 disease, the new cases of coronary disease since 1990,  
8 actually has been flat. There hasn't been any  
9 decline. The declines in mortality have been from  
10 good, probably secondary care, but the new cases of  
11 coronary disease coming into our community are no  
12 longer going down.

13           This led us in that conference to examine  
14 risk factor trends in the 1990s and there is very good  
15 evidence that we've had stagnation of any progress in  
16 this area. You probably already know that smoking,  
17 blood pressure control, and physical activity have not  
18 changed much. Those are data from the Centers for  
19 Disease Control. We also know that obesity and  
20 diabetes, making the cholesterol issue a more  
21 important one, are now an epidemic scale.

22           In other data presented by Dr. Russell  
23 Luepker from University of Minnesota, show the  
24 cholesterol levels, which have reduced from 1980 to  
25 1990, have not changed thereafter, suggesting that our

1 dietary and our high-risk pharmacologic interventions  
2 are basically burned out in terms of further progress  
3 in reducing population cholesterol.

4 So our conclusion from this, is that new  
5 strategies are needed to restart the declines in risk  
6 factors and their subsequent cardiovascular morbidity  
7 and mortality. Any such strategy will need to reduce  
8 moderate levels of serum cholesterol. That is between  
9 200 and 240 for which the OTC statins are targeted.

10 This includes a high proportion of adults  
11 in this middle of the cholesterol distribution. About  
12 30 percent of Americans have cholesterol between 200  
13 and 240. And this moderate-risk group contributes,  
14 according to the Framingham Risk Predictions,  
15 approximately one-third of the myocardial infarctions  
16 and coronary events, and this group then are that not  
17 currently targeted for treatment, certainly under the  
18 prescription rules unless, ironically, they become  
19 high-risk by having a cardiac event.

20 One of the problems with that kind of a  
21 strategy is that the first presentation of coronary  
22 heart disease may be the last presentation of coronary  
23 disease because it's fatal in 20 to 40 percent of such  
24 individuals. These also of course bear high societal  
25 and healthcare costs for coronary heart disease

1 prevention.

2 The use of pharmacological agents in  
3 healthy adults has been shown to be safe and  
4 efficacious in the moderate-risk group. The very risk  
5 group that we're talking about as shown by the AFCAPS  
6 and TexCAPS study. Efficacy is demonstrated that we  
7 can reduce by 25 to 40 percent coronary outcomes  
8 including not only myocardial infarction, but the need  
9 for bypass surgery, etc., and the safety in that study  
10 has been demonstrated.

11 Therefore, our conclusion, or my  
12 conclusion, is that over-the-counter statins, in  
13 addition to other nutritional and lifestyle  
14 interventions, offer a safe and effective opportunity  
15 to reduce total and LDL cholesterol levels in that  
16 large population of Americans at moderate risk and  
17 which contribute a substantial portion of coronary  
18 heart disease cases in our society.

19 For this group we currently have no  
20 pharmacotherapy available which has had the testing  
21 and safety demonstrated that we've had for the over-  
22 the-counter statins.

23 Thank you for the opportunity to talk to  
24 the panel.

25 CHAIRMAN BRASS: Thank you. Our next

1 speaker will be Dr. Wolfe.

2 DR. WOLFE: Thank you. Our organization  
3 has a policy of not taking any funding from the  
4 pharmaceutical, diagnostic, or any other industry.

5 I'm going to talk about several things.  
6 One, risk assessment and the effectiveness of the  
7 drug. Secondly, compliance, monitoring of doses, and  
8 of adverse drug reactions. Third, safety issues,  
9 particularly focusing on interactions. And then  
10 finally the benefit-risk ration which is really what  
11 this should be all about.

12 Before even evaluating the risk  
13 implications of different cholesterol levels, a  
14 reliable test must be done, as you've just heard  
15 before. The FDA has recently approved several home  
16 diagnostic kits for cholesterol and there are serious  
17 questions about the accuracy of these tests,  
18 particularly because of the inexperience of the user.  
19 At the end of the testimony, I've got a verbatim, very  
20 complicated algorithm that someone who uses one of  
21 these tests needs to go through.

22 Other related steps in terms of arriving  
23 at risk assessment include the validity of patient's  
24 own self-assessment in terms of cholesterol level and  
25 other cardiovascular risk studies.

1           In the Merck study submitted to the FDA,  
2           significant proportions, almost a third and over half  
3           of people thought that they had cholesterol levels  
4           that were in range for eligibility for the over-the-  
5           counter drugs, but they weren't.

6           The serious problems in self-selection  
7           which would not likely be caught in the real world as  
8           opposed to the experimental world where people screen  
9           themselves, often without a cholesterol test, and  
10          decide to use the drug, are only the beginning of a  
11          cascade of other serious problems.

12          Even if patient self-selection worked,  
13          which it doesn't, and arrived at a group of patients  
14          who met the defined eligibility criteria, total 200 to  
15          240, LDL over 130, a huge proportion of these people  
16          will not have any clinical benefit from using these  
17          drugs.

18          There is no evidence of a clinical benefit  
19          for a large proportion of these people. In the well-  
20          known AFCAPS/TexCAPS study published two years ago in  
21          the Journal of the American Medical Association,  
22          whereas those taking 20 to 40 milligrams of a statin  
23          did have an overall reduction in cardiovascular  
24          endpoints.

25          This was not true in the group that had an

1 HDL, a topic not discussed this morning at all, over  
2 40. There was no clinical benefit in people with an  
3 HDL over 40 and it turns out that using the kind of  
4 population that seems to be targeted by Bristol-Myers  
5 Squibb and Merck, that a huge proportion of these  
6 people, estimated by the FDA to be 78 percent, have  
7 HDLs of 40 or over. And this is with a 10-milligram  
8 dose.

9 A repeat, there is no evidence at all of  
10 reduction in any group with a 10-milligram dose, but  
11 certainly, even with a 20- to 40-milligram dose, there  
12 is no clinical benefit if your HDL is over 40.

13 As you all know, HDL is an extremely  
14 important risk factor and tends to overwhelm some  
15 other risk factors, particularly with people with  
16 total cholesterols of between 200 and 240.

17 Compliance and monitoring. Prevention of  
18 cardiovascular disease must be part of a multi-pronged  
19 strategy to reduce risk. The use of heavily  
20 advertised statins out of the context of medical  
21 consultation may impair the development of an  
22 integrated long-term strategy for preventing strokes  
23 or heart attacks.

24 Diet and exercise, critically important  
25 components, may be thought to be less important if the



1 primary strategy seems to be a statin drug. And in  
2 that one survey done by the National Consumers League,  
3 a certain percentage of people thought you could just  
4 sort of go out and binge eat and you didn't have to  
5 pay any attention to diet, which sort of confirms  
6 that.

7 The evidence of poor compliance in these  
8 various trials that have been submitted by Merck, even  
9 in the short term, six months or less, with 25 to 31  
10 percent of people dropping out by that time, bodes  
11 very poorly for the long-term compliance necessary for  
12 the drug to work in those people for whom it may  
13 actually be appropriate. Which is again I believe, a  
14 very small fraction of those who are targeted to get  
15 it.

16 Safety issues. According to FDA's  
17 analysis, which you'll hear much more of, of Merck's  
18 label comprehension study, only 66 percent of low  
19 literacy label readers, only 82 percent of high  
20 literacy readers, knew about the contraindicated  
21 lovastatin-erythromycin interaction, whereby  
22 erythromycin inhibits the metabolism of lovastatin and  
23 leads to accumulation of dangerously high levels of  
24 lovastatin.

25 In just two years alone of FDA's data,

1 there were eight reported cases of rhabdomyolysis, a  
2 very severe life-threatening disease with acute  
3 destruction of muscle, liberation of myoglobin, and  
4 acute kidney failure in some cases. Eight cases in  
5 patients who were simultaneously taking lovastatin and  
6 erythromycin.

7 This is a drop in the bucket because only  
8 a small fraction of these cases get reported and it's  
9 only two years worth of cases, it's only one statin  
10 drug, and this is with it not available over the  
11 counter.

12 The odds of interactions which are  
13 worrisome enough when drugs are available only by  
14 prescription, rocket up, and I would expect that if  
15 these drugs go over the counter we will see an  
16 enormously increased number of serious life-  
17 threatening reactions such as this.

18 Particularly when someone picks up  
19 something over the counter in a supermarket or a  
20 Seven-Eleven store, there is not likely to be any  
21 record in the pharmacy about this, unlike prescription  
22 drugs, and so the pharmacist doesn't even have a  
23 chance to intervene and say, "Whoops, you're already  
24 taking something else."

25 Finally, the benefit-risk ratio. The

1 benefit-risk ratio for the approval of a drug must  
2 clearly be greater than one to merit approval. If the  
3 benefit is zero, and I would argue that there is no  
4 significant evidence of clinical benefit in a large  
5 proportion of the people for whom these drugs are  
6 targeted, if the benefit is zero, then no amount of  
7 risk, however small, and I think it will grow with the  
8 interaction and other problems, is acceptable.

9 Finally, I'd just like to quote a now-  
10 deceased neighbor to the north, from Baltimore, H.L.  
11 Mencken, one of whose most famous statements was, "For  
12 every complicated problem there is a simple solution,  
13 and it's usually wrong."

14 The switch of statins from prescription to  
15 OTC status is really recklessly simplistic and it is  
16 not the right kind of solution to a complex problem.  
17 No one denies that we are not doing as good a job as  
18 we should in educating people about primary  
19 prevention, but to do it this way I think is a serious  
20 mistake and we strongly oppose the switch. Thank you.

21 CHAIRMAN BRASS: Thank you. Dr. Orloff.

22 DR. ORLOFF: Good morning. My name is  
23 David Orloff and I'm the Deputy Director of the  
24 Division of Metabolic and Endocrine Drug Products.  
25 Welcome.

1           Let me begin by recognizing the hard work  
2 of the FDA team, not all of whom you will hear from  
3 today, in their review of this drug application and  
4 their preparation for today's meeting.

5           What I'd like to do is to frame for you,  
6 the Committee that is, some of the broad issues that  
7 bear generally on the question of over the counter for  
8 chronic asymptomatic disease, and then to highlight  
9 for you some of the specific points on which we would  
10 like you to focus as you listen to the data  
11 presentations.

12           First, let me review for you the  
13 regulatory standard that guides us in our decisions  
14 regarding the over-the-counter marketing of drugs. A  
15 drug should be over the counter, according to  
16 regulation, if prescription dispensing requirements  
17 are not necessary for the protection of the public  
18 health, by reason of the drug's toxicity or other  
19 potentiality for harmful affect, or the method of its  
20 use or the collateral measures necessary to its use,  
21 and the drug is safe and effective for use in self-  
22 medication as directed in proposed labeling.

23           In other words, safe and effective, when  
24 used according to the label, without the necessary  
25 involvement of the physician or other healthcare

1 professional.

2 It is in this context that traditional  
3 over-the-counter drugs have been nontoxic generally,  
4 low abuse potential treatments, targeted to low-risk  
5 acute or chronic intermittent conditions characterized  
6 by mild, but present symptoms, and amenable to self-  
7 diagnosis.

8 These drugs have been generally intended  
9 for short-term use as monotherapy with the duration of  
10 use limited by response or nonresponse of symptoms.  
11 More specifically, recurrence, persistence, or  
12 worsening of symptoms logically and practically  
13 prompts the patient to search for more definitive  
14 diagnosis and treatment and presumably to cease use of  
15 the over-the-counter drug.

16 What is at issue here, however, is  
17 something markedly different. Dyslipidemia with  
18 atherosclerotic cardiovascular disease risk. This, in  
19 contrast, is an asymptomatic condition where diagnosis  
20 and follow-up require blood testing and  
21 interpretation. Where the treatment is intended  
22 solely to reduce the risk of life-altering or life-  
23 threatening outcomes. Where optimum benefit requires  
24 long-term compliance not only with the drug regimen,  
25 but with diet and lifestyle.

1           And where particularly as time goes on,  
2           the rule rather than the exception in treatment is  
3           titration to optimal effect, combination therapy, not  
4           only to address the dyslipidemia, but also to address  
5           co-morbid conditions.

6           And finally, all important in the long-  
7           term follow-up of this disease is vigilance for an  
8           anticipation of clinical coronary disease or  
9           cardiovascular disease in order to effect the best or  
10          the most favorable long-term outcome.

11          Let's talk in a little bit more detail  
12          about this issue of method of use and collateral  
13          measures necessary to use with specific reference to  
14          hypercholesterolemia.

15          When we approve a drug for prescription  
16          use, we do so based upon a reasonable judgement  
17          supported by data that the drug will be safe and  
18          effective if used according to the label, and at that  
19          point the responsibility passes to the healthcare  
20          professional and to the patient in a collaborate  
21          effort in the treatment of the patient's condition.

22          While we all recognize that particularly  
23          in the instance of hypercholesterolemia and  
24          cardiovascular disease risk prevention, there are  
25          serious limitations or failings of our current system

1 that make it a far from perfect means by which to  
2 address this problem for individuals and for the  
3 public.

4           What are some of those limitations? Well,  
5 the condition may be unknown to the patient so he or  
6 she may not seek healthcare. Healthcare may not be  
7 accessible to the patient. If healthcare is sought,  
8 the physician may miss the diagnosis, either because  
9 he or she doesn't look or because of the lack of  
10 knowledge of the disease.

11           The treating physician may have discomfort  
12 with existing therapies and thereby not prescribe when  
13 indicated, or alternatively may have an ignorance of  
14 some of the risks of some of the therapies and  
15 therefore inappropriately prescribe.

16           And even if everything else goes fine and  
17 the patient is initiated on therapy, there are a whole  
18 host of influences that impact on the follow-up system  
19 which will affect the system of refills, monitoring  
20 for both positive and negative effects, and for the  
21 progression of the disease.

22           Well, let's consider the hypothetical  
23 situation of an over-the-counter cholesterol-lowering  
24 drug. Again, when we approve a drug for over-the-  
25 counter use, it's based upon a reasonable judgement

1 supported by data that it will be safe and effective  
2 if used according to labeling.

3 At that point, in the case of over the  
4 counter, the responsibility or burden for its safe and  
5 effective use falls squarely on the shoulders of the  
6 consumer and there can be no relying upon the learned  
7 intermediary healthcare professional.

8 Well, what are the limitations in this  
9 system with specific reference to the treatment of  
10 hypercholesterolemia? Well, I think it's fair to say  
11 at the very least what is a potential problem in the  
12 Rx example is likely to be a much more prevalent  
13 problem here in the OTC example.

14 That is, the lack of ancillary care, the  
15 lack of reinforcement for long-term adherence, the  
16 lack of follow-up of the disease.

17 Furthermore, there are theoretical  
18 problems related to encouragement simply by the over-  
19 the-counter availability of the drug for treatment  
20 where it's not warranted, or for the use of the low-  
21 dose over-the-counter product when more aggressive  
22 therapy is necessary. This leads to the whole issue  
23 of off-label use, particularly related to the use of  
24 higher-than-recommended doses.

25 Furthermore, there may be an inference on



1 the part of many consumers that this over-the-counter  
2 therapy is optimally effective as monotherapy for the  
3 condition targeted.

4 And lastly, a theoretical trap, which  
5 exists certainly in the prescription realm of patients  
6 using drug without lifestyle change, which I think we  
7 all have to agree will clearly limit any potential  
8 effectiveness of the drug.

9 Well, what's the regulatory history in  
10 this area? This is the third time in the last five  
11 years that the issue of over-the-counter cholesterol  
12 lowering has been brought before the Joint Advisory  
13 Committee.

14 In 1995 and in 1997, the Advisory  
15 Committee considered the over-the-counter switch for  
16 cholestyramine, a nonabsorbed cholesterol-lowering  
17 agent. It was largely on the basis of the discussions  
18 of the 1997 meeting that the center for drugs issued  
19 a guidance for industry on over-the-counter treatment  
20 of hypercholesterolemia that in essence concluded  
21 irrespective of the intrinsic safety and efficacy of  
22 the drugs targeting this disease, that  
23 hypercholesterolemia per se was not an over-the-  
24 counter disease.

25 It went into more detail in stating that

1 healthcare practitioner supervision was necessary in  
2 diagnosis, individualization of treatment, and in  
3 follow-up, and that safe and effective use of drugs in  
4 this area and the overall treatment of the disease  
5 could be assured only within the context of  
6 prescription access.

7 Well what has changed in the interim?  
8 First, let me make it clear that the lovastatin over-  
9 the-counter develop program was undertaken independent  
10 of FDA input. This is consistent with our stated  
11 position on the issue and our 1997 guidance.

12 Furthermore, the landscape on which this  
13 whole debate takes place has changed over time. There  
14 has been the marketing of dietary supplements for  
15 lowering cholesterol, most notably Cholestin,  
16 significantly a product containing lovastatin in spite  
17 of the legal efforts of the FDA.

18 There are foods available for cholesterol  
19 lowering, notably Benecol, a margarine made from plant  
20 sterols that inhibit the intestinal absorption of  
21 cholesterol. And there has been a proliferation of  
22 foods labeled as "heart healthy," notably oat bran and  
23 psyllium.

24 Finally, over 13 years of marketing since  
25 the initial approval of lovastatin and with the

1 completion of five placebo-controlled hard  
2 cardiovascular endpoint megatrials, there is a vast  
3 clinical experience with statins.

4 With this as the backdrop, what is the  
5 essential rationale for the over-the-counter switch of  
6 lovastatin 10 mg as put forward by the sponsor?

7 It is that there is a well-known, graded,  
8 and continuous relationship between cholesterol level  
9 and coronary heart disease risk that on the basis of  
10 the accrued clinical experience, the benefits of LDL  
11 lowering with statins has been established.

12 That there is a contention that there is  
13 an unmet medical need in an at-risk, non-NCEP-eligible  
14 population. There is a changing landscape as I've  
15 described, therefore unrestricted access for this low-  
16 risk population is warranted.

17 Now the sponsor actually relies more  
18 specifically for their rationale on the results of the  
19 AFCAPS/TexCAPS trial. You've heard mention of it  
20 already, you'll clearly hear mention of it as we go  
21 along today.

22 That trial showed a substantial benefit of  
23 lovastatin 20 to 40 milligrams in a low-risk,  
24 relatively low-risk, primary prevention population.

25 There was a similar benefit to that seen

1 for the total cohort in the 58 percent of that AFCAPS  
2 population who were OTC eligible according to the  
3 sponsors proposed definition. There is a large  
4 segment of the United States population that is OTC  
5 eligible by that definition.

6 Therefore, the sponsor concludes that the  
7 over-the-counter target population stands to benefit  
8 and should have lovastatin 10 milligrams over the  
9 counter as a treatment option.

10 Sufficed to say that, and you'll hear more  
11 about this on subsequent presentations, the degree to  
12 which the results of AFCAPS can be extrapolated to an  
13 expectation of benefit in the targeted over-the-  
14 counter population is something that I think merits  
15 your attention as you listen to the presentations.

16 Let me point out for you, however,  
17 something further important. The sponsor is proposing  
18 what we consider to be a new indication for the use of  
19 lovastatin. Specifically, as I've mentioned before,  
20 the proposed over-the-counter population is not  
21 currently targeted for drug therapy and, as you'll  
22 hear in more detail, the over-the-counter population  
23 is not the AFCAPS population.

24 And parenthetically you should also  
25 understand that prescription lovastatin is currently

1 labeled for use in the AFCAPS population, so there is  
2 no denying that that trial has demonstrated a benefit  
3 in the target population.

4 We need to address the question of whether  
5 this indication is supported by data and if it is, we  
6 need to address the question of whether over-the-  
7 counter access to lovastatin 10 milligrams is the way  
8 to address this presumed unmet medical need in this  
9 at-risk population.

10 You will hear presented today, by both the  
11 sponsor and FDA, data on the efficacy of lovastatin  
12 from controlled clinical trials, looking at both  
13 lipid-altering or lipid endpoints and hard  
14 cardiovascular endpoints, and you'll also hear  
15 efficacy data presented from the actual use trials,  
16 studies intended to mimic real-world, over-the-counter  
17 use.

18 You'll hear presentations on the safety of  
19 lovastatin, in this instance from the database of  
20 controlled trials, from the spontaneous reporting  
21 system in open market use, and, as inferred, from  
22 pharmacokinetic studies that shed light on the  
23 potential for adverse drug-drug or drug-food  
24 interactions with lovastatin.

25 And you'll hear discussion of label

1 comprehension and consumer behavior studies, all  
2 important issues in an assessment of the expectation  
3 of safe and effective use for an over-the-counter  
4 drug.

5 Lastly, let me jump forward and introduce  
6 to you the essentials of the questions that you'll be  
7 asked later which touch on the issues that I discussed  
8 earlier.

9 The first question: Whether Rx or OTC, is  
10 therapy with lovastatin 10 milligrams in the target  
11 population proposed warranted based upon evidence of  
12 clinical benefit and clinical safety and considering  
13 the balance of risk and benefit?

14 Second, if treatment with lovastatin 10  
15 milligrams of the proposed target population is  
16 justified, can benefit be reaped with an acceptable  
17 level of risk in an OTC setting? And here issues of  
18 method of use and collateral measures necessary to use  
19 have to be important considerations.

20 And finally, is the evidence presented  
21 sufficient to support the expectation of safe and  
22 effective use of lovastatin 10 milligrams in the  
23 target over-the-counter population, directed by the  
24 consumer without the necessary involvement of a  
25 healthcare professional?

1 I thank you for your attention and I'll  
2 yield to the first presenter from Merck.

3 CHAIRMAN BRASS: Thank you. I'll now turn  
4 over the floor to Dr. Slater from the sponsor and as  
5 the sponsor prepares, I just want to inform the  
6 Committee that because we have a very tight time  
7 schedule today, there will not be an opportunity to  
8 begin discussion with the sponsor or the FDA until the  
9 afternoon session.

10 Therefore, in terms of issues raised this  
11 morning, I would ask the Committee to limit themselves  
12 only to the most succinct question of specific  
13 clarification of information presented and save the  
14 dialog for this afternoon.

15 DR. SLATER: All right, we're ready to  
16 begin. Good morning Dr. Brass, members of the  
17 combined FDA advisory panels, members of FDA,  
18 colleagues, guests, ladies, and gentleman.

19 Approximately 25 years ago the American  
20 physicians Brown and Goldstein published their elegant  
21 data that the hepatic LDL receptor was responsible for  
22 internalization of the atherogenic particle LDL, and  
23 that its absence resulted in this syndrome familial  
24 homozygous hypercholesterolemia.

25 Soon thereafter, drugs called statins were

1 discovered which inhibited cholesterol biosynthesis  
2 which resulted in up regulation of LDL receptor and  
3 sustained cholesterol lowering.

4 Approximately 13 years ago, in February  
5 1987, I stood before a panel very similar to  
6 yourselves to describe the biochemical actions and  
7 safety of lovastatin and on that day lovastatin was  
8 recommended for approval in the United States by  
9 unanimous vote.

10 Since then five additional statins have  
11 been approved for use and an estimated total 101  
12 million patients have received prescription statin  
13 therapy over these years.

14 Five major controlled long-term endpoint  
15 studies have been conducted with statins in over  
16 30,000 patients and easily that number continue under  
17 study to further research the benefits of these  
18 medications.

19 You are well familiar with the data. The  
20 evidence to date demonstrates that therapy with  
21 specific statins reduces cardiovascular morbidity and  
22 mortality by one-third. Whether preventing subsequent  
23 events in patients with established cardiovascular  
24 disease or new events in otherwise healthy persons  
25 with higher than average cardiovascular risk, many



1 lives have been saved and quality of life improved for  
2 many more.

3           Nevertheless, against this background of  
4 major public health achievement, the incidence of  
5 coronary disease remains unchanged. While there is  
6 now widespread and growing appreciation of the risks  
7 of high cholesterol and while cholesterol testing is  
8 becoming more easily accessible, in the United States  
9 at least, it is estimated that only 50 percent of  
10 patients for whom the NCEP guidelines recommend  
11 cholesterol reduction by medication, are actually  
12 receiving such medication, and of these, a further  
13 approximate half have discontinued their therapy after  
14 only short-term use.

15           A burdened healthcare system often has  
16 little time for education about prevention and most  
17 patients prescribed statins to prevent a first event  
18 must pay voluntarily for this intervention.

19           With this in mind and believing in the  
20 lifesaving potential of lovastatin and its long-term  
21 safety, we at Merck embarked upon a program to  
22 determine whether healthy individuals, but those  
23 identified as being at higher-than-average-risk for a  
24 first coronary event, could appropriately select and  
25 use lovastatin in an over-the-counter setting.

1           Our OTC program began formally in 1996 and  
2 as submitted to FDA, this NDA contains ten studies  
3 including controlled use, pharmacokinetic, open-label  
4 use, and label comprehension trials.

5           To describe this program to you today, our  
6 speakers are listed as follows: I'm Eve Slater, a  
7 cardiologist who has directed regulatory affairs at  
8 Merck for the past ten years and also have  
9 responsibility for this program.

10           Dr. Polly Beere will describe the subset  
11 of individuals who Merck has targeted for OTC use and  
12 the several lines of evidence which includes the  
13 AFCAPS/TexCAPS trial which she directed which have  
14 been used to estimate the individual benefit of OTC  
15 use.

16           Dr. Scott Korn will describe the safety  
17 information accumulated in our OTC trials, and as Dr.  
18 Orloff said, in the prescription clinical trial  
19 database, in the two large-scale endpoint trials  
20 conducted with lovastatin, AFCAPS and EXCEL, in a  
21 dosage ranging from 20 to 80 milligrams, and of course  
22 of AFCAPS in a primary prevention population, and in  
23 the post-marketing database which draws from 24  
24 million patient-years over 13 years exposure.

25           Finally, Dr. Edwin Hemwall will describe

1 the series of trials which have led, in our view, to  
2 unique education and support system which achieves  
3 both proper selection and safe use.

4 With us today are the following outside  
5 experts who would welcome the opportunity to address  
6 your questions and concerns and they are Doctors Jeff  
7 Anderson, John Farquhar, Keith Tolman, and James  
8 Willerson.

9 In addition, we at Merck Research  
10 Laboratories have developed substantial in-house  
11 expertise in statin therapy and I won't read you their  
12 names, but the following individuals are here to  
13 represent their specific areas of specialty and  
14 certainly can be called up on to resolve any issues  
15 that come in discussion.

16 In the words of FDA, and I quote, "This  
17 NDA raises precedent-setting issues." Indeed, you are  
18 being asked to judge whether a medicine with exposure  
19 and safety consistent with other widely used OTC  
20 medicines can be made available for a chronic  
21 asymptomatic and life-threatening condition.

22 We at Merck believe that the time has come  
23 to set a new precedent as there is an unmet need. We  
24 believe that you should require of such a product a  
25 higher standard of safety and an unprecedented patient

1 education and support program.

2 We are hoping to convince you today that  
3 we have met these demands and that it is possible to  
4 carve out a subset of the population who are  
5 appropriately motivated to use lovastatin safely and  
6 responsibly in order to improve their risk profile.

7 In the words of Dr. Craig Ventor of  
8 Celera, "Medicine today has become the business of the  
9 consumer." If we as physicians and healthcare  
10 professionals fail to respond to what many are asking  
11 of us, increasing numbers of citizens will resort to  
12 alternative measures.

13 To fail to respond responsibly would, in  
14 my opinion, be an abrogation of our duty as healthcare  
15 providers.

16 So in the tradition of Merck Research  
17 Laboratories and in the true tradition of lovastatin,  
18 I am proud to present my colleagues and request your  
19 consideration of Mevacor for over-the-counter use.  
20 Thank you very much. I would like to introduce Dr.  
21 Polly Beere.

22 DR. PEERE: Thank you Dr. Slater. Good  
23 morning ladies and gentleman. I will present an  
24 overview of our rationale and the evidence that we  
25 believe supports the benefit of approving lovastatin

1 10 milligrams for nonprescription use.

2 The rationale for nonprescription access  
3 to lovastatin is to provide an effective additional  
4 option to achieve and maintain a favorable lipid  
5 profile for the individual who chooses to supplement  
6 current nonpharmacologic interventions to promote  
7 cardiovascular health. My presentation will review  
8 the following:

9 First, I will present the relevant  
10 background and considerations which support our  
11 selection of the population for which this product is  
12 proposed. Next, I will describe the characteristics  
13 of our proposed OTC population and review the data  
14 indicating that the risk of coronary heart disease can  
15 be significantly reduced by effective lowering of  
16 total and LDL cholesterol for this type of population.

17 Then I will demonstrate that the efficacy  
18 of treatment with lovastatin 10 milligrams daily  
19 produces significant and beneficial modification of  
20 the lipid profile. And finally, I will describe how  
21 we estimated the potential impact given the observed  
22 efficacy of lovastatin 10 milligrams, of having this  
23 additional option for effective lipid modification to  
24 promote cardiovascular health.

25 We based our rationale for identification

1 of the population for which this product is proposed  
2 upon three major considerations.

3 First, the relationship between  
4 cholesterol and the risk of coronary heart disease.  
5 Second, the evidence that risk reduction by chronic  
6 treatment to modify lipids is beneficial for persons  
7 at risk. And third, the recommendations of current  
8 clinical guidelines for risk assessment and treatment.

9 The importance of the cholesterol  
10 hypothesis as originally described by the Framingham  
11 Study demonstrates the positive and continuous  
12 relationship between serum cholesterol and the risk of  
13 coronary heart disease beginning at levels less than  
14 180 milligrams per deciliter, indicated here by a risk  
15 ratio greater than one.

16 For persons in the range of total  
17 cholesterol between 200 and 240, which represents  
18 approximately 30 percent of the population,  
19 prescription treatment to lower cholesterol would not  
20 generally be recommended. It is from within this  
21 subset of the adult U.S. primary prevention population  
22 that we will consider the potential benefit of  
23 nonprescription use of lovastatin 10 milligrams.

24 As seen on this slide, the relationship  
25 between total cholesterol and the risk of coronary

1 heart disease is continuous and graded such that for  
2 each 1 percent increase in total cholesterol, there is  
3 an increased risk of approximately 2 percent.

4 In addition, there have been many  
5 prospective intervention studies with treatments of  
6 various efficacy ranging from diets to drug that also  
7 demonstrate that for each 1 percent decrease in total  
8 cholesterol there is a reduction in risk of 2 percent.

9 With the availability of HMG-CoA reductase  
10 inhibitors, or statins, it has been possible to study  
11 the benefit of more aggressive lipid modification in  
12 controlled clinical trials.

13 Now I will briefly review the evidence  
14 from these studies. Shown on this slide are the four  
15 statin studies that have been referred to previously  
16 of cohorts with relatively high risk. These were  
17 designed to test the general hypothesis that treatment  
18 to lower total and LDL cholesterol would reduce the  
19 risk of coronary heart disease.

20 Three were designed as secondary  
21 prevention interventions pertaining to persons with  
22 preexisting coronary disease. And one, WOSCOPS, was  
23 designed as a primary prevention intervention  
24 pertaining to persons without a history of coronary  
25 disease; however, this study cohort had relatively

1 high risk due to male gender and high total  
2 cholesterol.

3           These studies demonstrated that chronic  
4 statin treatment using prescription doses of  
5 simvastatin or pravastatin for an average duration of  
6 at least five years significantly reduced the relative  
7 risk of coronary heart disease by at least 24 percent  
8 compared to placebo. Shown here for the composite  
9 endpoint of nonfatal myocardial infarction or coronary  
10 heart disease death.

11           The efficacy that was produced by these  
12 treatments in terms of LDL reduction, ranged between  
13 an average of 25 to 36 percent. This benefit was  
14 evident across the range of risk for cohorts with and  
15 without preexisting disease. The benefit was also  
16 evident across a broad range of LDL cholesterol and  
17 HDL cholesterol.

18           Of note, the majority of persons enrolled  
19 in these four studies would be recommended under  
20 current guidelines for prescription treatment to lower  
21 cholesterol.

22           Now I would like to briefly describe the  
23 results of a recently completed clinical trial that  
24 provides evidence of benefit for this type of  
25 treatment for persons who would not generally be



1 recommended for prescription treatment.

2 This study, the Air Force-Texas Coronary  
3 Atherosclerosis Prevention Study that has been  
4 referred to several times, was designed in 1989 to  
5 test the hypothesis that treatment with lovastatin in  
6 addition to recommendations for healthy diet and  
7 lifestyle will reduce the risk of coronary heart  
8 disease defined as a composite of the first fatal or  
9 nonfatal myocardial infarction event of unstable  
10 angina or sudden cardiac death.

11 The cohort of over 6,000 generally healthy  
12 men and women had on the average what would be  
13 considered moderate risk due to the presence of at  
14 least coronary heart disease risk factor, namely age.  
15 For men at least 45 years and for women at least 55  
16 years of age. Unlike earlier primary prevention  
17 studies, inclusion of persons with ages greater than  
18 65 years was also allowed.

19 The cohort had what would be considered  
20 generally average total and LDL cholesterol and below  
21 average HDL cholesterol based up on the U.S. adult  
22 primary prevention population within this age range.  
23 Of note, only 83 percent of the cohort would not be  
24 recommended for prescription treatment under current  
25 guidelines, 17 percent would be. At the time the

1 study was designed, none would have been recommended  
2 for treatment.

3 The treatment was lovastatin 20 to 40  
4 milligrams daily compared to placebo. This resulted  
5 in an average LDL reduction of 25 percent. The  
6 results of this study, shown here as the cumulative  
7 incidence by group for the primary end-point analysis,  
8 demonstrated that treatment with lovastatin for an  
9 average duration of 5.2 years significantly reduced  
10 the risk of the first acute major coronary event by 37  
11 percent.

12 Note that the difference between the  
13 groups in terms of the cumulative incidence appears to  
14 begin within the first year of treatment and continues  
15 to grow over time. This magnitude of benefit was  
16 consistent for all risk subgroups compared to the  
17 cohort overall.

18 For example, for both smokers and  
19 nonsmokers, those with and without hypertension, and  
20 all lipid subgroups as well as the other risk  
21 categories. Similar benefit was demonstrated for the  
22 secondary endpoints such as revascularizations and  
23 fatal or nonfatal MI.

24 The findings of AFCAPS/TexCAPS shown here  
25 in comparison to the four studies that I presented

1 earlier for the secondary endpoint nonfatal MI or  
2 death from coronary heart disease, demonstrates that  
3 the magnitude of risk reduction is consistent with the  
4 studies of much higher risk cohorts. The results of  
5 AFCAPS/TexCAPS demonstrate that treatment with  
6 lovastatin reduced the risk of a first myocardial  
7 event by 40 percent.

8 Unlike the other study cohorts, these  
9 results pertain to persons who would not generally be  
10 recommended for treatment according to treatment for  
11 prescription under current guidelines.

12 So in summary of the background  
13 considerations I have just reviewed, I demonstrated  
14 that the risk of coronary heart disease is positively  
15 associated with total cholesterol for the adult U.S.  
16 primary prevention population including the range that  
17 would be considered average.

18 I presented the evidence that the risk of  
19 coronary heart disease can be significantly reduced by  
20 lowering cholesterol for both higher and lower risk  
21 cohorts and that this benefit is evident even for  
22 persons who would not be treated according to current  
23 clinical guidelines.

24 With these considerations in mind, I will  
25 now describe how we identified our proposed OTC

1 eligible population.

2 It was the intention of this OTC  
3 development program to complement and support current  
4 clinical guidelines. Therefore, demographic and lipid  
5 characteristics were selected on the basis of their  
6 association with relatively increased risk of coronary  
7 disease within the primary prevention population.

8 Our population is defined for men having  
9 at least the age of 40 and women at least one year  
10 postmenopause, who further have a total cholesterol in  
11 the range of 200 to 240 milligrams per deciliter, and  
12 an LDL of at least 130 milligrams per deciliter.

13 We chose this cholesterol range for which  
14 coronary heart disease risk management other than  
15 prescription drugs is currently advised and selected  
16 the LDL criteria to include persons who would have an  
17 LDL above the current desirable goal for this risk  
18 group.

19 We did not want to include persons who  
20 might warrant more individualized risk management and  
21 prescription treatment. They are directed to their  
22 physician if they are considering use of this product.

23 Having defined our OTC-eligible  
24 population, we estimated the risk of coronary heart  
25 disease in this segment of the adult primary

1 prevention population. We did this by looking at  
2 subgroups of the Framingham Heart Study and the  
3 placebo group of AFCAPS/TexCAPS that would be OTC  
4 eligible according to the criteria I just presented.

5 Based upon the five-year incidence, we  
6 estimated that the risk of myocardial infarction would  
7 be in the range of 2.8 to 3.6 percent and that the  
8 risk as a border composite would be in the range of  
9 5.3 to 5.9 percent.

10 Of note, observations from the Framingham  
11 Heart Study exist for beyond two decades demonstrating  
12 that the 20-year incidence of coronary heart disease  
13 in this population, the OTC-eligible subgroup, is 22  
14 percent.

15 Therefore, we estimate that for our OTC-  
16 eligible population, the average annual risk of a  
17 major coronary heart disease event would be  
18 approximately 1 percent.

19 To put this risk in perspective, we  
20 estimated that based upon over 170 million U.S. adult  
21 primary prevention candidates, approximately 15.5  
22 million, or 9 percent, would meet our criteria for OTC  
23 eligibility and use of nonprescription lovastatin.

24 We also estimate that this OTC-eligible  
25 subgroup, given the approximate annual risk of

1 coronary heart disease of 1 percent, would contribute  
2 greater than 150,000 new cases of major coronary  
3 events annually, or in other words, 17 percent of the  
4 projected estimate of almost 1 million new cases.

5 Based upon these estimates, we believe  
6 that our OTC criteria appropriately define a segment  
7 of the U.S. adult primary prevention population at  
8 substantial risk of coronary heart disease for which  
9 additional risk management and risk reduction by more  
10 effective modification of the lipid profile would be  
11 beneficial.

12 Now I will present the data pertaining to  
13 the effectiveness of lovastatin 10 milligrams.

14 I will review the data that demonstrates  
15 consistent and clinically meaningful efficacy of  
16 lovastatin at the proposed nonprescription dose of 10  
17 milligrams with the reference of two placebo-  
18 controlled studies and two large open-label OTC-use  
19 studies.

20 On this slide, the results of the two  
21 randomized placebo-controlled studies, number 61 and  
22 75 are presented. They are shown as the percent  
23 change from baseline for the major lipid parameters.  
24 Both studies have a placebo run-in phase of diet, and  
25 they both have 12 weeks duration for double-blind

1 treatment. Baseline lipid profiles were similar for  
2 these two studies.

3 The standard intention to treat analysis  
4 was used to compare the two groups and establish  
5 efficacy. Changes in the total cholesterol, LDL  
6 cholesterol, and the ratio of total to HDL cholesterol  
7 compared to placebo were highly significant.

8 Furthermore, the magnitude of efficacy  
9 demonstrated by each protocol was similar. The  
10 results of these studies can now be compared to the  
11 efficacy that we observed in the OTC-use studies.

12 This slide shows, in addition to the two  
13 placebo-controlled studies, the efficacy demonstrated  
14 by the two open-label OTC-use studies. The  
15 observations are based upon paired comparisons for  
16 those persons who had data at both baseline and at  
17 eight weeks, those who used the product.

18 The magnitude of efficacy upon all of the  
19 lipid parameters was similar to the observations from  
20 the placebo-controlled studies, and the average data,  
21 therefore, are presented on the right in yellow. I  
22 will refer to these values later for estimates of  
23 benefit.

24 As Dr. Hemwall will present, the magnitude  
25 of this efficacy persists for persons who continue to

1 take drug for up to 18 months.

2 We addressed efficacy in another way, by  
3 assessing how many people within the study cohorts had  
4 an untreated LDL of less than 130 milligrams per  
5 deciliter. We chose this because it is the most  
6 aggressive treatment goal according to current  
7 clinical guidelines for either prescription or  
8 nonprescription treatment of primary prevention  
9 candidates at risk.

10 For the 10-milligram dose shown on the  
11 left for the three OTC studies, we found that between  
12 68 and 75 percent of the cohort had an untreated LDL  
13 less than 130 with a 10-milligram dose. Shown on the  
14 right for comparison, for the AFCAPS lovastatin-  
15 treated patients only on 20 milligrams before  
16 titration, we can see that an additional 12 percent of  
17 the cohort are able to have an untreated LDL less than  
18 130.

19 From the results of these two placebo-  
20 controlled studies, we conclude that lovastatin 10  
21 milligrams significantly reduces total and LDL  
22 cholesterol as well as the ratio of total to HDL  
23 cholesterol in persons with a lipid profile meeting  
24 our criteria for OTC use. We also observed that this  
25 same magnitude of effect can be achieved in OTC-use



1 studies.

2 Therefore, we believe that these studies  
3 clearly establish the efficacy of the 10-milligram  
4 dose in the OTC setting.

5 Now I will present how we estimated the  
6 impact of long-term treatment with lovastatin,  
7 considering the evidence of primary prevention, the  
8 relationship between lipid changes and the risk of  
9 coronary heart disease, and the projected benefit  
10 given the observed efficacy of lovastatin 10  
11 milligrams.

12 First, to consider the evidence of primary  
13 prevention, we addressed how the primary prevention  
14 study AFCAPS/TexCAPS is relevant to the proposed OTC-  
15 eligible population.

16 First, it is important to note that the  
17 overall results of the study with lovastatin extends  
18 the benefit of treatment to modify the lipid profile  
19 to a primary prevention population of generally  
20 healthy men and women with average total cholesterol  
21 and LDL and below average HDL. The majority of whom  
22 would not be treated with a prescription under current  
23 guidelines.

24 In addition, even with the consideration  
25 of the differences in dose regimen, the cohort, the

1 duration between AFCAPS and what our proposed OTC  
2 population and treatment would be. This study  
3 confirms and extends the applicability and the  
4 relevance of the relationship between beneficial  
5 modifications of the lipid profile and reduction and  
6 risk of coronary heart disease that has been  
7 established as I demonstrated earlier by many studies  
8 of relatively higher risk cohorts.

9 This relationship can be applied to  
10 persons with a total cholesterol in the range of OTC  
11 eligibility. Specifically, the findings are relevant  
12 and supportive because 58 percent of the study  
13 population would be OTC eligible.

14 Therefore, we explored the effect of  
15 treatment upon the risk of coronary heart disease for  
16 the large subgroup of AFCAPS/TexCAPS that would be OTC  
17 eligible and I'd like to illustrate how we carved out  
18 this subgroup. We defined it by the total cholesterol  
19 range of 200 up to 240 and an LDL of greater than 130.

20 As you can see, this represents 62 percent  
21 of the cohort overall, and we further restricted it by  
22 excluding persons with diabetes or with hypertension  
23 treated with more than one drug, and that is how we  
24 arrived at the 58 percent of this larger cohort that  
25 would be OTC eligible.

1           And we performed the same primary endpoint  
2 analysis that was done for the study overall, shown on  
3 this slide. A highly significant reduction of 44  
4 percent in the risk of coronary heart disease was  
5 observed for this subgroup with a similar early  
6 separation between the two treatment groups within the  
7 first year of treatment.

8           The magnitude of benefit with treatment  
9 was similar to what was observed for the cohort  
10 overall. Using this data therefore, we are able to  
11 describe the relationship between risk reduction and  
12 changes in the lipid profile.

13           We found that for a 1 percent decrease in  
14 total cholesterol or LDL cholesterol, or the ratio of  
15 total to HDL cholesterol, the estimated risk reduction  
16 ranged between 2.3 and 2.8 percent. This  
17 relationship, based upon the results of the  
18 AFCAPS/TexCAPS for the OTC-eligible subgroup, is  
19 consistent with earlier studies of higher-risk  
20 cohorts.

21           Therefore, for this reason, we believe  
22 that it is appropriate to apply this relationship to  
23 estimate the impact of long-term treatment in our OTC-  
24 eligible population given the observed efficacy of  
25 lovastatin 10 milligrams.

1           We used the observed efficacy from the 10-  
2 milligram studies to project the relative risk  
3 reduction if this dose was used long term by applying  
4 the estimated decrease in risk as I just described.  
5 Shown on this slide is the actual observed efficacy  
6 based on the average that I presented previously.

7           We used the relationship as described and  
8 the estimate of risk reduction in order to project the  
9 impact of long-term lipid modifications to estimate  
10 the relative additional benefit that could be expected  
11 upon public health by making this treatment option  
12 available.

13           With the following assumptions of  
14 approximate annual risk of 1 percent, defined as I did  
15 based upon the Framingham Heart Study and the AFCAPS  
16 placebo group, a treatment and observation period of  
17 at least five years, and an estimated risk reduction  
18 of 30 percent approximately, which is taken from the  
19 estimates shown on the previous slide from 27 to 35  
20 percent, the impact of chronic treatment can be given  
21 with the following example:

22           For every 10,000 people at risk, 500  
23 events would be expected without OTC treatment. With  
24 treatment, we would expect 350 events. Therefore,  
25 treatment would prevent 150 or one-third of the

1 expected first major coronary events.

2 Alternatively, to prevent one event, the  
3 number of persons needed to treat would be  
4 approximately 60 to 70 to prevent one of them. Such  
5 estimates are similar to calculations for stroke  
6 prevention in the elderly with antihypertensive  
7 medication for which the number need to treat is  
8 approximately 200.

9 Furthermore, we believe that this is an  
10 appropriate estimate as it is consistent with the  
11 actual rates observed in the OTC-eligible subset of  
12 AFCAPS/TexCAPS using the 20- to 40-milligram dose,  
13 based upon which we can calculate that for 10,000  
14 people treated, 230 events would be prevented for more  
15 than 500 expected. In other words, this would  
16 translate to a number needed to treat of approximately  
17 43 with a higher dose.

18 Therefore, we believe that this treatment  
19 would result in a substantial primary prevention  
20 benefit.

21 In summary, we have demonstrated that the  
22 proposed OTC-eligible population is at substantial  
23 risk of coronary heart disease. The OTC-use studies  
24 confirmed the efficacy of lovastatin 10 milligrams and  
25 demonstrated in the OTC-eligible population that

1 effective modification of the lipid profile can be  
2 achieved by treatment with lovastatin 10 milligrams.

3 Furthermore, we have demonstrated that the  
4 projected impact of chronic treatment with lovastatin  
5 10 milligrams is to significantly reduce the risk of  
6 coronary heart disease for both the population and the  
7 individual.

8 Therefore, we believe that there is  
9 substantial evidence to conclude that the benefit of  
10 Mevacor OTC would be an effective new option to  
11 promote cardiovascular health by clinically meaningful  
12 modification of the lipid profile.

13 Thank you for your attention and  
14 consideration of this proposal. Dr. Korn.

15 DR. KORN: Good morning. I've had the  
16 opportunity to review the lovastatin safety data from  
17 the prescription trials, from the spontaneous reports  
18 during marketed use, and from the nonprescription  
19 studies. My talk will summarize the data from these  
20 three sources.

21 Merck believes that a nonprescription  
22 medication should have the following attributes:

23 It should have a very well-characterized  
24 safety profile. There should be a low incidence of  
25 medically significant adverse experiences. There

1 should be a large margin of safety. There should be  
2 no need for periodic laboratory tests to monitor  
3 safety. And the labeling should be able to clearly  
4 communicate how to safely use the product.

5 The data I will present this morning shows  
6 that lovastatin 10 milligrams meets these criteria.

7 My presentation will begin with a review  
8 of the data from two large post-approval trials of  
9 lovastatin. These studies evaluated prescription  
10 doses of 20 to 80 milligrams per day.

11 I will then discuss three issues that have  
12 been recognized for years and are addressed in the  
13 current prescription label. These issues related to  
14 the liver, the skeletal muscle, and the potential for  
15 drug-drug interactions.

16 At the end of the talk, I will give an  
17 overview of the safety from the nonprescription  
18 clinical studies with lovastatin 10 milligrams.

19 As Dr. Slater mentioned, we have extensive  
20 experience with lovastatin. It has been marketed  
21 since 1987 in the United States and there have been an  
22 estimated 24 million patient-treatment-years  
23 worldwide.

24 The vast majority of that exposure has been at  
25 doses of 20 milligrams per day or higher. There have

1       been, we estimate, 720,000 patient-years with the 10-  
2       milligram dose available by prescription.

3               The safety profile has been very well  
4       characterized in two large post-approval placebo-  
5       controlled trials. The Expanded Clinical Evaluation  
6       of Lovastatin, referred to EXCEL, randomized patients  
7       to receive placebo or 20, 40, or 80 milligrams per day  
8       of lovastatin for 48 weeks.

9               As Dr. Beere mentioned, in AFCAPS/TexCAPS  
10       participants were randomized to placebo or received  
11       lovastatin 20 or 40 milligrams per day for an average  
12       of five years.       Approximately 15,000 people  
13       participated in these two studies.

14               Lovastatin was extremely well tolerated in  
15       both of these long-term trials.       The adverse  
16       experience profile of lovastatin 20 milligrams was  
17       similar to that of placebo in both of these studies.  
18       EXCEL demonstrates the large margin of safety with  
19       this product.       In that study, doses up to 80  
20       milligrams per day were well tolerated.

21               In both trials, the age, gender, or race  
22       of the participant did not impact the safety of  
23       lovastatin.

24               This slide presents data from EXCEL and  
25       the data is included in the current prescription



1 labeling. An adverse experience is defined as any  
2 unfavorable change in the body, whether or not it is  
3 considered related to the use of the product.

4 There were ten clinical adverse  
5 experiences that were considered possibly, probably,  
6 or definitely drug related by the investigator and  
7 occurred in 1 percent or more of the patients  
8 receiving lovastatin 80 milligrams. That data is  
9 shown in this column, the 20 milligram and placebo  
10 data is shown for comparison.

11 As we see, the most common adverse  
12 experience the lovastatin was flatulence, followed by  
13 constipation, headache, or muscle soreness, referred  
14 to here as myalgia. None of these adverse experiences  
15 occurred in the statistically higher incidence with  
16 lovastatin than with placebo in this trial.

17 AFCAPS/TexCAPS confirmed the excellent  
18 tolerability of lovastatin that was seen in EXCEL.  
19 The lovastatin and placebo groups were similar with  
20 regard to the proportion who had a serious adverse  
21 experience, drug-related adverse experience, the  
22 proportion who discontinued due to an adverse  
23 experience, and a proportion who had a serious drug-  
24 related adverse experience.

25 In fact, there was only one participant

1 who received lovastatin and had an adverse experience  
2 that was serious and considered possibly drug related.

3 I would like to point out that the 34  
4 percent number is not surprising given the five-year  
5 duration of treatment in the trial.

6 Review of the published literature and  
7 spontaneous report databases further provides  
8 additional reassurance as to the large margin of  
9 safety with lovastatin. We are unaware of any case  
10 where a patient died after an overdose of lovastatin  
11 alone.

12 The American Association of Poison Control  
13 Center database contains information on 2,634  
14 exposures to lovastatin. There was no serious  
15 toxicity in any of those exposures to lovastatin  
16 alone.

17 It is also worth noting that there have  
18 been no reports of drug abuse with lovastatin. There  
19 is no pharmacologic reason to expect abuse with  
20 lovastatin given that its primary site of action is  
21 the liver and the drug has no recognized effect on the  
22 central nervous system.

23 I would now like to turn our attention to  
24 three safety issues that are discussed in the  
25 prescription labeling. These are the potential to

1 develop abnormalities related to liver function tests,  
2 the muscle syndromes that have been rarely observed  
3 with the statins, and the potential for drug-drug  
4 interactions.

5 On in-depth review, these three potential  
6 issues do not appear to be absolute barriers to OTC  
7 approval of lovastatin 10 milligrams.

8 There are two types of liver events that  
9 have been observed with lovastatin. There are  
10 asymptomatic aminotransferase elevations and there are  
11 very rare reports of bona fide, clinically-apparent  
12 liver disease.

13 In patients treated with lovastatin, these  
14 asymptomatic elevations in what are usually called  
15 liver enzymes, do not in fact indicate drug-induced  
16 hepatotoxicity and do not pre-stage the development of  
17 very rare reports of liver disease. Rather, these  
18 asymptomatic elevations appear to be related to the  
19 pharmacologic effect of the product.

20 This slide presents the mean ALT by  
21 treatment week from AFCAPS/TexCAPS. The liver  
22 responds to inhibition of HMG-CoA reductase by  
23 increasing the number of LDL receptors and the liver  
24 also has an undermined physiologic response that  
25 increases alanine aminotransferase, or ALT.

1           We see there is a small, clinically  
2 insignificant increase in ALT over the first six weeks  
3 of treatment with lovastatin that is maintained for  
4 the duration of treatment. This mean value remains  
5 well below the upper limit of normal in this  
6 population.

7           This clinically insignificant increase has  
8 been observed in other trials of lovastatin and with  
9 other statins, but the mechanism intracellularly  
10 responsible for this has not been determined.

11           The fact though, that this increase occurs  
12 during the same time frame as the maximum change in  
13 cholesterol metabolism indicates that the increase is  
14 probably related to the cellular decreases in  
15 cholesterol.

16           Now asymptomatic aminotransferase  
17 elevations have been considered to be potentially  
18 clinically significant if they are confirmed to be  
19 greater than three times the upper limit of normal.

20           These elevations have been observed with  
21 all the statins, with the fibrates and with niacin.  
22 With lovastatin, we have seen that these elevations  
23 generally resolve when treatment is discontinued or  
24 even if treatment is continued.

25           In many cases, the clinical trials show