

1 appears to be poor due to poor drug adherence as  
2 observed in the actual use studies with more than 30  
3 percent dropout rate by week eight in protocol 079 and  
4 week 24 in protocol 076.

5 The second measure of efficacy is that of  
6 clinical cardiovascular benefit and the question that  
7 we're asking here is the following: Does LDL  
8 cholesterol lowering with lovastatin 10 milligrams in  
9 the OTC target population confer a clinical benefit?  
10 Simply stated, there is no evidence from controlled  
11 clinical trials.

12 Although there are no data from controlled  
13 trials to support clinical benefit with drug treatment  
14 in the OTC target population, the sponsor turned to  
15 AFCAPS, and from AFCAPS, they selected out 3,805  
16 individuals meeting their definition of OTC  
17 eligibility and again that definition include total  
18 cholesterols of 200 to 240, LDL cholesterol of 130 or  
19 greater, no evidence of diabetes or significant  
20 hypertension. Again, HDL cholesterol is not part of  
21 this definition.

22 So these 3,805 individuals were included  
23 in a post-hoc analysis of which the same acute  
24 coronary event rate, or approximately the same acute  
25 coronary event rate, was observed in the lovastatin

1 group of 3 percent and in the placebo group, about 5.3  
2 percent.

3 So from these results, the sponsor  
4 concluded that this is suggestive of clinical benefit  
5 in their OTC target population. But can we  
6 extrapolate from this post-hoc analysis to the  
7 sponsor's OTC target population, and in order to  
8 answer that question, I'd like to point out the  
9 differences between these two populations.

10 The first difference is that of dose. In  
11 AFCAPS, the dose used was 20 to 40 milligrams, and  
12 indeed more than half of this subgroup required their  
13 dose titrated to the 40-milligram dose in order to  
14 achieve an LDL less than 110.

15 In contrast, in the OTC target population,  
16 the proposed dose will be 10 milligrams. And as  
17 expected, the 20-milligram dose results in a greater  
18 LDL cholesterol reduction.

19 After 12 weeks we see a mean reduction of  
20 24 percent for the 10-milligram dose, a mean reduction  
21 of about 18 percent.

22 The second difference between these two  
23 populations lies in the HDL cholesterol. I've  
24 mentioned now on several slides during the  
25 presentation, that HDL cholesterol was not part of the

1 selection process for OTC eligibility.

2 Furthermore, AFCAPS specifically recruited  
3 a population with low HDL cholesterol levels. So how  
4 does HDL affect the event rate in the AFCAPS OTC  
5 subgroup?

6 Well, let's first look at the placebo  
7 event rate in this slide here. We see that the risk  
8 of heart disease is highest in those whose HDLs are  
9 low. And that this risk of heart disease decreases as  
10 one's HDL increases. And this makes sense given that  
11 HDL cholesterol is a negative risk factor for heart  
12 disease.

13 But now let's look at the event rate in  
14 the lovastatin treatment group compared to the placebo  
15 group. We see the reductions in risk associated with  
16 lovastatin treatment is only observed in those  
17 individuals whose HDLs are less than 40. For those  
18 individuals whose baseline HDL cholesterol levels were  
19 40 or greater, there was not observed risk reduction  
20 here with lovastatin treatment.

21 If HDL cholesterol is such a significant  
22 determinant of baseline risk for heart disease and if  
23 any potential benefit with lovastatin treatment in  
24 this population, we must ask, what is the HDL  
25 cholesterol distribution in the sponsor's OTC target

1 population?

2 In other words, is the sponsor's OTC  
3 target population comprised primarily of individuals  
4 in this category here in which the HDL cholesterol  
5 levels are lower, there is a greater risk of heart  
6 disease, and there is a potential for benefit, or is  
7 the sponsor's OTC target population comprised  
8 primarily of individuals in this category in which  
9 there is a lower risk for heart disease and no offer  
10 of benefit from lovastatin treatment.

11 This slide here summarizes the proportion  
12 of several populations in which the HDL cholesterol is  
13 greater than 40. The populations I'm talking about  
14 are the AFCAPS OTC subgroup, the three studies  
15 reviewed in this division, and the NHANES subgroup  
16 which are representative of the OTC target population.

17 Indeed, only about a third of the AFCAPS  
18 subgroup population had HDLs greater than 40. This is  
19 expected. The study recruited specifically  
20 individuals with low HDLs.

21 In the three studies reviewed in this  
22 division for the OTC clinical development program,  
23 because HDL cholesterol was not part of the selection  
24 process for study inclusion, we see that a majority of  
25 these individuals had HDLs greater than 40.

1                   But more importantly, in the sponsor's OTC  
2 target population right here, we see that 78 percent,  
3 close to 80 percent, had HDLs greater than 40. In  
4 other words, of the sponsor's estimated 15.5 million  
5 people who are eligible for lovastatin OTC, about 12.5  
6 million people, there was no evidence of benefit from  
7 drug treatment.

8                   And in the remaining three million, we're  
9 not certain about the benefit of the 10-milligram dose  
10 because prospectively it has never been studied in a  
11 clinical trial.

12                   The final difference I want to point out  
13 between the two populations is that of adherence to  
14 drug therapy and why this is important. It's  
15 important because dyslipidemia is a chronic  
16 asymptomatic condition and so too its management  
17 requires long-term adherence to therapy including  
18 nonprescription lovastatin.

19                   In the AFCAPS cohort, I've mentioned that  
20 the five-year study completion rate was about 70  
21 percent. What would we expect in the actual use  
22 population?

23                   This is actually a slide of a study  
24 completion rate in another actual use study that Dr.  
25 Segal will be discussing momentarily, but I chose this

1 actual use study because this is a study, it's the  
2 only actual use study in which consumers were asked to  
3 purchase medication. The other consumer use studies,  
4 the consumers were actually dispensed medication. So  
5 this study here more closely simulates a  
6 nonprescription environment.

7 And we see that after three months,  
8 including a two-month extension period, that the study  
9 completion rate was only 40 percent, a sharp  
10 difference to the AFCAPS five-years 70 percent study  
11 completion rate.

12 So what can we conclude about clinical  
13 cardiovascular benefit? We cannot rely on AFCAPS as  
14 evidence of clinical benefit for drug treatment in the  
15 OTC target population. We cannot rely on it because  
16 it is not representative of the OTC target population,  
17 particularly with the HDL cholesterol level.

18 AFCAPS is comprised of a population with  
19 an HDL cholesterol level that is low and a greater  
20 risk for heart disease. The OTC target population is  
21 comprised of individuals with an HDL that is much  
22 higher and a lower risk for heart disease.

23 It was also evident from the actual use  
24 studies that adherence to drug therapy for this  
25 chronic asymptomatic condition is poor, such that any

1 measure of efficacy, whether it be LDL cholesterol  
2 reduction or that of clinical cardiovascular benefit,  
3 will be compromised by so many individuals in the  
4 population not remaining on therapy for long term.

5 In the absence of established clinical  
6 benefit for drug treatment in the OTC target  
7 population, what are the risks of drug treatment? And  
8 the risk of drug treatment was evaluated in the safety  
9 review in this application.

10 Now the safety in nonprescription  
11 lovastatin should not be limited to just the 10-  
12 milligram dose because in the nonprescription setting  
13 we're talking about unrestricted access to a  
14 medication, so individuals will self-titrate.

15 Not everybody, but there will be some who  
16 will self-titrate up and so safety was looked at  
17 across the approved dosage range of lovastatin.

18 First it was looked at in the clinical  
19 trial setting. At the 10-milligram dose we found that  
20 the safety and tolerability of the 10-milligram dose  
21 of lovastatin to be comparable to that of placebo.  
22 And the incidence of myalgias is low and is similar  
23 across the studies. There were no cases of  
24 rhabdomyolysis, myoglobinuria, or liver toxicity  
25 reported.

1                   Interestingly, the discontinuation rate of  
2 study medication due to reported adverse events was  
3 slightly higher in the actual use studies compared to  
4 the controlled clinical trials. The reasons for this  
5 we do not know, but it may speak to the poor adherence  
6 to therapy observed in the actual use setting.

7                   At the higher dose of lovastatin, we see  
8 that consecutive elevations in liver enzymes to more  
9 than three times the upper limit of normal is dose  
10 related and at the highest approved dose, the instance  
11 was about 1.5 percent, but there were no cases of  
12 liver toxicity associated with this enzyme elevation.

13                   Myopathy, defined as skeletomuscle  
14 symptoms and CPKs greater than ten times the upper  
15 limit of normal, was also rare with no cases observed  
16 at doses less than 40 milligrams and none of these  
17 cases here actually resulted in rhabdomyolysis. And  
18 in the entire clinical trial experience with  
19 lovastatin, there has only been one case of rhabdo  
20 reported at the 20-milligram dose.

21                   However, we acknowledge that there are  
22 limitations to safety assessments from clinical trials  
23 and those limitations relate primarily to the  
24 exclusion of high-risk individuals, particularly  
25 exclusion of patients on interacting medications,



1 exclusion of patients with co-morbid medical  
2 conditions.

3 And furthermore, in many clinical trials,  
4 with the exception of the actual use trials in this  
5 clinical development program, there are scheduled  
6 physician visits and scheduled safety monitoring such  
7 that at the earliest sign of trouble patients are  
8 asked to interrupt their therapy or discontinue  
9 medication, so that the safety findings from clinical  
10 trials are often not predictive of the real-world use  
11 of a product, particularly in a nonprescription  
12 environment where we would expect fewer physician  
13 visits and little to no monitoring.

14 To get a better grasp on the safety  
15 concerns in the real-world use of a product, we often  
16 turn to the spontaneous post-marketing reports. And  
17 in collaboration with the office of post-marketing  
18 drug risk assessment, we looked at the following  
19 safety concerns.

20 We first looked at liver toxicity with  
21 respect to liver failure and then we looked at muscle  
22 toxicity with respect to rhabdomyolysis. In  
23 particular we looked at drug-drug interactions and  
24 drug-food interactions.

25 The following case definition was used for

1 liver failure. Unduplicated domestic cases in which  
2 a clinical diagnosis of liver failure was reported or  
3 the individual received a liver transplant.

4 The time period was from marketing until  
5 recently in this year, February of this year,  
6 approximately 13 years. And given an estimated  
7 background rate of idiopathic liver failures being 1  
8 per million person-years, the estimated four-year  
9 reporting rate for lovastatin-associated liver failure  
10 was only slightly increased at 1.4.

11 So in conclusion, lovastatin-associated  
12 liver failure cases have been reported, but these are  
13 extremely rare cases and often assignment of causality  
14 is complicated by individuals be on other medications  
15 or having co-morbid medical conditions.

16 The other safety concern was that of  
17 muscle toxicity with rhabdo being the most concerning  
18 safety aspect of this toxicity. Now this is something  
19 that is seen not only in lovastatin, but across all  
20 the statins. And we used the following case  
21 definition.

22 We used the unduplicated domestic cases  
23 again in which there was a clinical diagnosis of  
24 rhabdo reported and a CPK elevation of greater than  
25 10,000. The time period was again about 13 years from

1 marketing until recently this year. And of note, the  
2 background rate for this adverse event is not known.

3 Using that case definition, we found 191  
4 cases of rhabdomyolysis. And this slide here is  
5 summarizing the percent of cases reported by dose.

6 Of note, there were no cases reported for  
7 the 10-milligram dose; however, again, we don't want  
8 to limit the safety review of nonprescription  
9 lovastatin only to the lowest proposed dose. At the  
10 high dose, indeed, we see that there were cases of  
11 rhabdo.

12 Furthermore, about 18 percent of these  
13 cases did not have a dose reported, so it is quite  
14 possible that some of the 10-milligram cases could  
15 have fallen into this category. But it is also  
16 possible that we're not seeing any toxicities or  
17 rhabdo cases reported at the 10-milligram dose because  
18 of its limited use in the general population. And  
19 that is suggested from this slide here obtained from  
20 IMS HEALTH.

21 This slide here summarizes the number of  
22 prescriptions dispensed for lovastatin in the United  
23 States last year and we see that indeed, the 10-  
24 milligram dose is the least prescribed dose of  
25 lovastatin. And it's conceivable that the

1 availability of lovastatin as an OTC drug at the 10-  
2 milligram dose could result in increased use at that  
3 dose and possibly more adverse events reported.

4 I want to point out that this is the  
5 number of prescriptions written, not number of people  
6 using the medication, so incidence rates cannot be  
7 calculated for adverse events obtained from  
8 spontaneous reports.

9 I mentioned earlier that when we were  
10 looking rhabdomyolysis we want to look specifically at  
11 drug-drug interaction cases and drug-food interaction  
12 cases. This slide here breaks down the 191 cases of  
13 rhabdomyolysis by lovastatin and the fibrate  
14 interactions, drugs that were nonfibrates, lovastatin  
15 food interaction, in this case here it was grapefruit  
16 juice, and most monotherapy lovastatin use.

17 If we combine the fibrate and the  
18 nonfibrate drugs together, we see that more than half,  
19 about 61 percent of the 191 cases were due to a drug  
20 interaction. And these were the drugs that were  
21 listed as concomitantly used in these lovastatin-  
22 associated rhabdo cases. Most of them are drugs which  
23 interact through the 3A4 metabolic pathway.

24 The mechanism of rhabdomyolysis in the  
25 setting of lovastatin use with some of these

1 medications, which are the potent 3A4 inhibitors, have  
2 been evaluated in several PK studies, particularly  
3 that of erythromycin, itraconazole, and cyclosporin.  
4 And the combination of those two products in these  
5 studies has been observed to increase lovastatin drug  
6 levels by anywhere from sixfold up to 20 fold.

7 The interaction between gemfibrozil and  
8 niacin and lovastatin causing rhabdo is thought to be  
9 more through the pharmacodynamic mechanism.

10 In recent years it has become recognized  
11 that grapefruit juice is an inhibitor of a 3A4  
12 isoenzyme, particularly in the small intestine. And  
13 several studies have also looked at the effects of  
14 grapefruit juice on lovastatin pharmacokinetics. And  
15 particularly one study in which lovastatin, a single  
16 dose at 80 milligrams, was co-administered with  
17 grapefruit juice at the same time, the drug level of  
18 lovastatin increased to about 15 fold.

19 Despite these studies, however, we only  
20 have one clinical case reported of grapefruit juice  
21 that could have potentially caused rhabdomyolysis in  
22 a patient who was on lovastatin. And after discussing  
23 this case, the reporting physician, I have to point  
24 out that there were baseline risk factors in this  
25 individual that put this individual at risk for

1 myopathy and those risk factors included high-dose  
2 combination therapy with lovastatin and a fibrate, and  
3 baseline renal impairment.

4 But what really made this case compelling  
5 was the onset of rhabdomyolysis. The onset of  
6 rhabdomyolysis in this patient occurred two weeks  
7 after he switched from a daily consumption of orange  
8 juice to grapefruit juice.

9 In conclusion for rhabdomyolysis, most  
10 reported cases are associated with drug-drug  
11 interaction and many of these interactions are due to  
12 competition with a 3A4 metabolic pathway.

13 Now the sponsor does acknowledge that this  
14 is a safety concern, and they propose that the safety  
15 concern can be adequately conveyed through consumers  
16 through proper labeling and the proposal is to  
17 warn/advise consumers not to take nonprescription  
18 lovastatin if they are on one of these medications.

19 These include erythromycin or  
20 clarithromycin, ketoconazole or itraconazole,  
21 nefazodone, cyclosporin, protease inhibitor, niacin,  
22 gemfibrozil, one of the prescription statin drugs.  
23 This is quite an extensive list and it's not complete,  
24 but more likely it's going to increase with time as  
25 more drugs are approved.

1           So we feel that this is challenging to  
2 consumer. This method of risk communication will be  
3 challenging. And why do we think that it's  
4 challenging? From our own experience in the  
5 prescription setting.

6           These are the drugs that have been  
7 withdrawn from the United States market due to a  
8 toxicity related to 3A4 metabolic pathway. And these  
9 withdrawals occurred despite changes to the label  
10 warning section, dear healthcare professional letters,  
11 and block box warnings.

12           This method of risk communication in the  
13 prescription setting was apparently not effective  
14 enough to avoid some of the drug-related toxicities.  
15 so it raises concern that the proposed method of risk  
16 communication for nonprescription lovastatin will also  
17 be ineffective.

18           In conclusion for safety, there are rare,  
19 but serious adverse events associated with lovastatin  
20 use, particularly that of muscle toxicity which can be  
21 potentiated by certain drugs or substances which  
22 impair lovastatin's metabolism through the 3A4  
23 isoenzyme.

24           The safety concern is further compounded  
25 by the use of lovastatin as a nonprescription drug.

1 As an OTC drug it would be in an unsupervised,  
2 unrestricted, unmonitored setting such that the safety  
3 of OTC lovastatin is really dependent upon the  
4 consumer's comprehension that the label, the use of  
5 the product according to label instructions, such that  
6 there would be no self-titration to higher doses, and  
7 no use by individuals at risk for drug-related  
8 toxicities.

9 In conclusion, in evaluating the  
10 prescription to nonprescription switch of lovastatin  
11 10 milligrams, we need to ask the following question:  
12 What is the balance of benefit versus risk of  
13 nonprescription lovastatin? And I'd like to address  
14 that question by highlighting the issues that were  
15 addressed in this review.

16 On the benefit side of this equation we  
17 could talk about LDL cholesterol reduction and indeed,  
18 lovastatin does reduce LDL cholesterol. But the  
19 effectiveness of this treatment approach in the OTC  
20 population will likely be diminished by poor adherence  
21 to drug therapy.

22 Another part of the benefit side of this  
23 equation is that of clinical cardiovascular benefit.  
24 Will treatment, drug treatment, in the OTC target  
25 population result in reductions in cardiovascular



1 mortality and cardiovascular morbidity? And there is  
2 no evidence from controlled clinical trials to suggest  
3 that. And furthermore, any potential benefit again  
4 may be offset by poor adherence to drug therapy.

5 On the risk side of this equation, I just  
6 mentioned, and the safety concerns are again rare, but  
7 the serious concerns about primarily of muscle  
8 toxicity potentiated by certain drugs and furthermore  
9 compounded by the unrestricted, unsupervised use of  
10 this product in the OTC environment.

11 That concludes my presentation. I now  
12 would like to turn the podium over to Dr. Andrea  
13 Segal. Thank you for your attention.

14 DR. SEGAL: Good afternoon. My name is  
15 Dr. Andrea Segal. I'm a physician in the Division of  
16 Over-The-Counter Drug Products and I'm going to be  
17 talking to you today about three actual use trials.

18 You just heard Dr. Parks describe efficacy  
19 and safety issues for trials 076 and 079. I'm going  
20 to be discussing self-selection and compliance issues  
21 for 076, compliance issues for 079, and self-selection  
22 and safety for 081.

23 But before I get into the individual  
24 trials themselves, I want to talk about a little bit  
25 of important background material.

1           Why do we need actual use studies? We  
2 need to be able to simulate the over-the-counter use  
3 of a product so that we can understand what will  
4 happen if it becomes available in the drug store for  
5 people to buy.

6           Therefore, the fewer exclusion criteria in  
7 these trials the better, so that we can understand  
8 that a person will self-select properly because there  
9 will be no physician standing between the purchaser  
10 and the product to inform or to screen.

11           So we need to know, are people choosing  
12 the product properly based on indications and  
13 contraindications? Are dosing and duration of use  
14 according to directions? What are the adverse  
15 experiences? Efficacy information is often limited in  
16 actual use trials by the open-label uncontrolled  
17 design.

18           There are many actual use issues for  
19 lovastatin and I'd like you to try to bear these in  
20 mind as I go through them. They comprise several  
21 slides. I've grouped them according to topic. The  
22 first topic is cholesterol.

23           Do people know their values? Do they  
24 understand total cholesterol, LDL cholesterol, and HDL  
25 cholesterol? Do they understand when to treat? What

1 is the treatment goal? And do consumers understand  
2 it?

3 Cholesterol measurement. Can over-the-  
4 counter desktop cholesterol screening offer accurate  
5 cholesterol measurement? What is the appropriate  
6 duration of fasting prior to measuring cholesterol?  
7 How many measurements should be performed to obtain an  
8 accurate value? If averaging multiple cholesterol  
9 values is recommended, can consumers do the math?

10 Self-selection. Can consumers understand  
11 what underlying conditions and concomitant medications  
12 put them at safety risk if they take lovastatin? Do  
13 consumers know when they are taking contraindicated  
14 drugs? Do consumers understand when to seek the  
15 counsel of a physician?

16 Compliance issues. Are consumers  
17 sufficiently compliant in the over-the-counter setting  
18 to derive clinical benefits of lovastatin treatment  
19 over the long term?

20 Benefit and risk. Is monitoring needed to  
21 determine if there has been a benefit of use as well  
22 as no adverse safety events? Can consumers identify  
23 symptoms associated with adverse events?

24 Labeling. Can a label adequately convey  
25 all necessary information about lovastatin so it can

1 be used properly?

2           These three trials had many criteria in  
3 common, both inclusion and exclusion. Because there  
4 were so many criteria, in the interest of brevity,  
5 I've decided to list the inclusion criteria in common  
6 on this slide and the exclusion criteria in common on  
7 to subsequent slides.

8           In common for inclusion criteria were a  
9 total cholesterol value of 200 to 240 milligrams per  
10 deciliter and an LDL cholesterol of at least 130  
11 milligrams per deciliter. HDL cholesterol was not an  
12 inclusion criteria for these trials.

13           Exclusion criteria in common were recent  
14 participation in a drug study, allergy to lovastatin,  
15 current or history of liver disease, contraindicated  
16 drugs, other cholesterol medication, a history of  
17 heart disease, a family history in parents or siblings  
18 prior to the age of 55, pregnant or breast-feeding  
19 women, or women of child-bearing potential, and  
20 inability to read English.

21           The sponsor provided two definitions that  
22 were common to these trials and I'll just state these  
23 now. Persistence was defined as the number or percent  
24 of subjects who returned for a follow-up visit having  
25 taken any of the study tablets.

1 Compliance was calculated in persistence  
2 subjects and was defined as the number of tablets  
3 taken divided by the number of days drug was taken  
4 during the specified time period, and this was  
5 expressed as a percentage.

6 So a person could be less than 100 percent  
7 compliant or more than 100 percent compliant in these  
8 trials and not follow the dosing instructions.

9 Let's talk about study 076. This was an  
10 open-label, uncontrolled multicenter study conducted  
11 in 59 pharmacies. It lasted 24 weeks and there were  
12 four visits during that period. There was an  
13 extension trial option. The purpose was to evaluate  
14 LDL cholesterol, self-selection, compliance, and  
15 adverse experiences.

16 The inclusion criteria were as described  
17 already for cholesterol, plus men had to be at least  
18 45 years of age and women at least 55 years of age.  
19 They had to be in general good health without any  
20 disabling disease and had to have tried a low-fat diet  
21 during the previous year.

22 The exclusion criteria were as described,  
23 but also included corticosteroid use, peripheral  
24 vascular disease, and drinking at least three  
25 alcoholic beverages on most days.

1           The label for this trial was entitled the  
2 pharmacy label. It listed the inclusion and exclusion  
3 criteria. It did not list all possible interactive  
4 medications. People were told to dose one tablet in  
5 the evening and to retest their cholesterol after  
6 eight weeks and contact a study doctor if the level  
7 did not decrease.

8           People were recruited via advertising and  
9 were asked to review the pharmacy label and make a  
10 self-selection decision. Is this product right for  
11 me, and if it is, what should I do next? Should I  
12 obtain it and use it? Do I need to ask my doctor  
13 about it? Do I need to have my cholesterol tested?  
14 Do I need to talk to my doctor and test my  
15 cholesterol? Or, I'm not interested in this product.

16           Then they were asked to complete a history  
17 form and a pharmacist triaged them and determined who  
18 was potentially qualified and who was not. Everyone  
19 had a cholesterol test after a minimum two-hour fast  
20 and qualified people received study drug.

21           At the return visits, unused pills were  
22 counted, adverse events were recorded, lipid profiles  
23 were repeated, and new drug was provided at visits two  
24 and three.

25           The results of this trial for self-

1 selection. Seven hundred twenty-two of 6,095 study  
2 participants qualified to receive drug. Nine hundred  
3 eight-one of study participants self-selected to  
4 obtain and use the drug, but only 119 of this self-  
5 selection group actually did receive lovastatin.

6 Six thousand eighty-one people completed  
7 the self-selection process. Eighty-two percent felt  
8 they needed more than the pharmacy label to decide  
9 whether to obtain the drug. Fifty-three percent  
10 thought they met criteria for total cholesterol level,  
11 but in fact did not.

12 There was no information about how well  
13 consumers understood the meaning of the components of  
14 the lipid profile. One hundred twenty-four, or 5  
15 percent, who were likely to buy lovastatin were in the  
16 safety risk group. In other words, they had liver  
17 disease, were taking medications that were prohibited  
18 as per the label, remembering that the label did not  
19 include all prohibited medications, they were allergic  
20 to lovastatin, or they had a pregnancy risk.

21 Results for compliance. Five hundred  
22 twenty-three, or 72 percent of people completed the  
23 study. Five hundred four were persistent at the last  
24 visit, or visit four. Four hundred forty-one were  
25 taking 75 to 100 percent of medicine at visit four.

1 There was no diary in this trial, so precise  
2 information about how people actually dosed is  
3 unavailable.

4 Study 079. This was a multicenter, open-  
5 label, uncontrolled trial conducted in storefront  
6 sites, it lasted eight weeks, and there was an  
7 extension trial option. The purpose was to test a  
8 mean change in LDL at eight weeks, the ability of  
9 consumers to remain on lovastatin and the tolerability  
10 of lovastatin as measured by incidence of adverse  
11 events.

12 People were recruited via advertising and  
13 this time there was a telephone history screening  
14 process. There was no self-selection in this trial.

15 The inclusion criteria were as described  
16 for cholesterol. In this study men could be 40 or  
17 over, women had to be 55 or over.

18 The exclusion criteria were as described,  
19 plus drinking at least three alcoholic beverages on  
20 most days, having diabetes, angina, peripheral  
21 vascular disease, TIA, stroke, having had angioplasty  
22 or coronary bypass grafts, taking more than one blood  
23 pressure drug, diastolic blood pressure equal to or  
24 greater than 100, or a systolic greater than or equal  
25 to 180 millimeters of mercury, and subjects who knew



1 that their total cholesterol was less than 190  
2 milligrams per deciliter or more than 250. Anyone  
3 taking corticosteroids was also not allowed to  
4 participate.

5 The label for this trial was called the  
6 restricted access label. It was designed to reinforce  
7 appropriate post-purchase behavior, but not to guide  
8 self-selection according to the sponsor.

9 It contained the trial inclusion and  
10 exclusion criteria. It had a more expansive list of  
11 contraindicated medications than the pharmacy label  
12 did. And the label recommended that people see a  
13 doctor at least yearly to discuss their cholesterol  
14 that plan.

15 People who potentially were eligible for  
16 this trial via the telephone screening, had a  
17 storefront appointment. This was visit one.

18 At this time a lipid profile was done  
19 after a minimum six-hour fast. Blood pressure,  
20 weight, and height were measured, and eligible people  
21 received drug with the restricted access label, a  
22 study information card, package inserts, and stickers  
23 to remind them to take the medicine.

24 Visit two occurred eight weeks later.  
25 Another lipid profile was performed after a minimum

1 six-hour fast. Remaining drug tablets were collected  
2 and adverse experience information was collected.

3 The results of this trial were that 4,878  
4 people called the telephone number. Thirteen hundred  
5 twelve were potentially eligible and visited the  
6 storefront. Sixty percent of these were not qualified  
7 on the basis of cholesterol. Ultimately 460 people  
8 received study drug.

9 Of that 460, 363 took some drug during the  
10 study course, 265 were compliant between 75 and 100  
11 percent of the time over the eight-week study. There  
12 was no diary, so precise information about how people  
13 actually dosed is unavailable. This trial did not  
14 test the ability of consumers to properly self-select.

15 Study 081. This was an open-label,  
16 uncontrolled, multicenter trial conducted at  
17 storefront clinical sites. It lasted four weeks and  
18 there was an extension trial option. The purpose was  
19 to test the effectiveness of another label called the  
20 "Red Arrow" label and some additional reinforcement  
21 tools including a video tape, a pamphlet, and a  
22 package insert.

23 This label and tools were looked at for  
24 effectiveness in three risk subsets. The drug risk  
25 group, the primary prevention subjects who were those

1 with cholesterol over 240 milligrams per deciliter,  
2 and the high cardiovascular risk group. Tolerability  
3 of lovastatin was measured by incidence of adverse  
4 events.

5 Inclusion criteria for this trial were as  
6 described for cholesterol, plus men at least 40 years  
7 of age, and this time women at least one year  
8 postmenopausal. People also had to express an  
9 interest in purchasing lovastatin.

10 The exclusion criteria were as described  
11 and also included people employed in healthcare,  
12 diabetics, people who had had a stroke, those taking  
13 more than one antihypertension medication, and those  
14 who had participated in another cholesterol-lowering  
15 study within the previous two years.

16 The "Red Arrow" label for this trial was  
17 different from the previous labels in that it had a  
18 flip-up back panel design. It had warnings that were  
19 emphasized loudly with red arrows and stop signs.

20 Examples of muscle pain, tenderness, and  
21 weakness were added to the drug interaction warnings.  
22 The warning section preceded the "who should use"  
23 section, and there was a box warning to carefully read  
24 the package before self-selecting and to call a  
25 product specialist for help understanding the label.

1           People were recruited for this trial via  
2 advertising and visit one was at the storefront site.  
3 There participants read the product concept and label  
4 and then made a self-selection decision.

5           If they said yes, this product is right  
6 for me and I would like to obtain it and use it, they  
7 paid \$15 for lovastatin 10 milligrams and answered  
8 specific safety risk questions which were about  
9 contraindicated medicines, current liver disease,  
10 child-bearing potential, and allergy to lovastatin, so  
11 at this point there were additional exclusion  
12 criteria.

13           If a participant self-selected yes, but  
14 was excluded for safety risk, this person was given a  
15 second chance to review the label and the  
16 reinforcements and to make a self-selection decision.  
17 No drug was provided.

18           A cholesterol test was offered to those  
19 who needed it before they could decide if this drug  
20 was right for them, and then they were given an  
21 opportunity to repeat their self-selection decision  
22 and answer safety risk questions. A medical history  
23 was performed on all people who left the storefront  
24 site without receiving drug.

25           This group included the self-selection

1 group that said no, I'm not interested, those who  
2 failed the safety risk exclusion questions, and those  
3 who did not want to purchase.

4 Eligible participants received a four-week  
5 supply of open-label lovastatin 10 milligrams and were  
6 told to take the drug according to the label. They  
7 were given a gift certificate incentive to call a  
8 toll-free number and those who did that were asked  
9 their medical history by the person on the phone.

10 If that person deemed that the participant  
11 was inappropriate to take drug, the person was told to  
12 discontinue taking the drug and return the remaining  
13 drug and packaging at the second visit.

14 At visit two, return packaging and unused  
15 drug were collected and for those who had not called  
16 the toll-free number, a medical history was performed  
17 by a nurse who then determined appropriateness of  
18 treatment. Lipid testing was performed on those who  
19 were interested in going into an extension trial.

20 The results for this trial were that the  
21 2,416 subjects were screened overall. Fifty-one  
22 percent of them self-selected yes. One thousand one  
23 hundred forty-four received drug. Eighty-six did not  
24 because they were felt to be at safety risk. Seventy-  
25 four percent of people completed the four-week study.

1           The reasons the rest discontinued were  
2           that they were found not appropriate by history, they  
3           had an adverse experience, they were lost to follow-  
4           up, returned the drug by mail, or withdrew their  
5           consent.

6           Self-selection errors among the 1,144 were  
7           hypertension, other cholesterol treatment, a history  
8           of hepatitis or liver disease, drinking too much  
9           alcohol, diabetes, heart disease, and stroke, or TIA.

10           One thousand one hundred twelve consumers with  
11           a known medical history, in other words, it was known  
12           whether or not they were eligible, said that they  
13           would purchase the product. Thirty-nine percent of  
14           them self-selected erroneously after seeing the label.  
15           This number decreased to 22 percent after they saw the  
16           label and the reinforcement tools.

17           Sixty-one percent of subjects with known  
18           medical eligibility status did not call the toll-free  
19           number. Thirty-six percent of them were ineligible to  
20           take lovastatin.

21           Safety group self-selection errors after  
22           seeing the label are on this slide. Eighty-three  
23           people took an interacting medication, 30 percent of  
24           them self-selected incorrectly to take lovastatin.

25           Sixteen women were less than one year

1 postmenopausal, half of them self-selected  
2 incorrectly. Fourteen people had liver disease, 36  
3 percent self-selected incorrectly, 8 were allergic, 13  
4 percent self-selected incorrectly.

5 There were 381 subjects with cholesterol  
6 greater than 240 milligrams as their only  
7 contraindication, 46 percent of them self-selected  
8 incorrectly. Two hundred sixty-two participants were  
9 in high cardiovascular risk categories, 32 percent  
10 self-selected incorrectly to take lovastatin.

11 For safety. Fifteen percent of people who  
12 received drug had an adverse experience likely related  
13 to lovastatin treatment. Four percent discontinued  
14 due to drug-related adverse events. None of six  
15 serious adverse events were likely to have been study  
16 drug related. But we have incomplete information  
17 because liver function tests and CPKs were not done  
18 and this trial only lasted four weeks.

19 What are the overall conclusions that can  
20 be drawn from these three actual use trials?

21 For cholesterol. Many people lack  
22 accurate knowledge of their cholesterol values. The  
23 trials do not assess if consumers understand LDL and  
24 HDL cholesterol.

25 The NCEP guidelines were not used to

1 determine cholesterol values, so it is not known if  
2 OTC consumers would comply with standard fasting  
3 recommendations and more than one blood test prior to  
4 using OTC lovastatin.

5 There is no identified treatment goal for  
6 the individual consumer, even for lowering  
7 cholesterol, and it is not clear that consumers  
8 understand this.

9 For self-selection. Self-selection errors  
10 were very common. It was not demonstrated that  
11 subjects know when to involve their physicians.  
12 Compliance in the OTC setting is less than desired  
13 over the short term.

14 Benefit and risk. Because of the many  
15 exclusion criteria, the lack of blood tests, and the  
16 short duration of these trials, these studies could  
17 not demonstrate that lovastatin is safe in conditions  
18 of actual use. Studies do not answer whether  
19 monitoring is needed to determine if there has been a  
20 benefit of use or adverse events.

21 And finally, for the label. There were  
22 three iterations of label that were used in these  
23 three trials. There was a self-selection error in  
24 more than a third of people. The four-step label  
25 which is proposed for over-the-counter marketing with



1 some small changes has not been tested in actual use.  
2 Necessary inclusions and exclusions may be too complex  
3 for the unmonitored over-the-counter population to  
4 understand.

5 And that concludes my presentation and now  
6 I'd like to introduce Dr. Karen Lechter.

7 DR. LECHTER: Good afternoon. I'm Karen  
8 Lechter with the Division of Drug Marketing,  
9 Advertising, and Communications. I'm discussing label  
10 comprehension study 201 which is a culmination of  
11 labeling studies, each of which resulted in label  
12 changes and further testing.

13 The study looked at the four-step label  
14 which the sponsor has referred to earlier as label  
15 number four. This is the last label test that was  
16 conducted.

17 I'll first talk about the purpose and  
18 methodology of label comprehension studies in general.  
19 I'll discuss the Mevacor study characteristics and  
20 results, and I'll finish with some comments about the  
21 potential for misuse, comparisons with other labels,  
22 and conclusions.

23 The FDA regulations state that over-the-  
24 counter labels shall be written in such terms as to  
25 render them likely to be read and understood by the

1 ordinary individual including individuals of low  
2 comprehension, under customary conditions of purchase  
3 and use.

4 For this reason, sponsors need to provide  
5 the agency with evidence that the proposed label is  
6 understood if it's read by a sample of ordinary  
7 individuals including those of low comprehension.

8 For these purposes, we define low  
9 comprehension as a reading level of 8th grade or  
10 below. The reading level is usually tested by a short  
11 literacy test that is given to participants in the  
12 studies before they see the labeling.

13 Most studies are conducted in shopping  
14 malls and in other locations where prospective  
15 participants are recruited on the spot.

16 In some cases, when special populations  
17 are needed, participants are recruited by telephone  
18 from existing lists of such persons or by  
19 advertisements. For some products it makes sense to  
20 recruit persons who would not be eligible to use the  
21 product as well as those who are to see if they can  
22 select appropriately.

23 The first step in most label comprehension  
24 studies is to ask participants to read the carton  
25 label as if they were considering buying the product.

1 Participants then answer questions about the  
2 information on the label.

3 Generally, the label remains in view  
4 during the questioning for reference; however, in some  
5 cases some questions are asked when the label is  
6 removed to test memory of certain label information.

7 Once the outer carton is tested, some  
8 sponsors ask questions about the materials inside the  
9 package which can include items such as inserts,  
10 brochures, audio, or video tapes.

11 Questions can be of two kinds. Open-  
12 ended, which require the respondent to generate his or  
13 her own answer, or closed-ended, which provide a list  
14 of choices of responses such as multiple choice or  
15 true/false. Questions vary in their quality and in  
16 the level of cognitive effort they require.

17 In designing questionnaires, it is best to  
18 use questions that are not leading, that do not  
19 suggest the response in the question. Questions  
20 should not provide information that should be tested  
21 by assuming the respondent knows something he or she  
22 may not know. A series of questions should not  
23 require responses that are all the same.

24 The Mevacor study had six open-ended and  
25 24 multiple choice questions relating to the label.

1 The label was present at all times. Similar questions  
2 were also asked about at materials inside the package.

3 The results of the study must be explained  
4 in the context of the quality of the questions. If  
5 questions are biased in some way, the meaning of the  
6 results is questionable. While it is almost  
7 impossible to create a bias-free questionnaire, there  
8 are certain types of questions that should be avoided  
9 to the extent possible.

10 In this study, two sets of questions were  
11 biased series with most responses identical for all  
12 the questions in the series. There were four leading  
13 questions that suggested the answer to the question,  
14 and there were 12 questions composed in a way that it  
15 made it difficult to interpret the results.

16 These questions asked for example, whether  
17 a person in a particular category could use the  
18 product, should not use the product, or the label  
19 doesn't say.

20 It is impossible to tell whether those who  
21 responded the label doesn't say understood whether  
22 these persons could use the product.

23 Five questions assumed respondents knew  
24 information that they may not have known. For  
25 example, how long after beginning use cholesterol

1 should be checked. Such questions assume participants  
2 understood the need for testing at some time after  
3 use.

4 And three questions asked information at  
5 the lowest possible cognitive level of effort. They  
6 asked if something was or was not on the label. There  
7 was no test if that information was understood.

8 Due to the nature of the questions asked  
9 in this study, we do not know if consumers can apply  
10 the information to a variety of situations. There  
11 were no questions involving the application of the  
12 information to hypothetical situations. If such  
13 scenario type questions had been used, biasing  
14 questions could have been avoided and we could have  
15 been more comfortable about accepting the results of  
16 some of the questions.

17 There were no questions about whether  
18 participants could use the product themselves, which  
19 would have been crossed check against their medical  
20 history to determine if they could self-select  
21 correctly.

22 The design of label comprehension studies  
23 should begin with a set of communication objectives  
24 based on the information on the label. The objectives  
25 serve as the basis for designing the questionnaire.

1 All communication objectives should have  
2 questions associated with them and the objectives  
3 should cover all the major messages in the label that  
4 consumers should understand. Some objectives may be  
5 designated as key or primary.

6 The next four slides show the  
7 communication objectives for this study. The ones  
8 that are designated as key appear in yellow and most  
9 of these were presented by the sponsor earlier under  
10 the title Core Label Elements, so I won't deliver them  
11 in detail, I'll just scroll through them slowly.

12 The sponsor stated that the goals for the  
13 primary or key elements were 80 percent comprehension  
14 and for the other elements 51 percent; however, the  
15 FDA does not use numerical goals to determine if  
16 information is adequately understood.

17 Instead, the agency examines the  
18 importance of the information, the way in which the  
19 question was asked, and the label text to determine  
20 whether labeling requires modification. For some  
21 items 80 percent may not be enough, for others, less  
22 than 51 percent may be sufficient.

23 There were 502 participants, all age 18 or  
24 older. They were not necessarily concerned about  
25 their cholesterol. Eighty-four were low literate.

1 There were 96 safety risk participants. These were  
2 taking contraindicated medications, were allergic, or  
3 had hepatitis or liver disease.

4 Some participants were classified as  
5 "other ineligible" if they were not in the safety risk  
6 group. They should not take the product for other  
7 reasons than the safety risk people. However,  
8 responses for this group were not analyzed separately,  
9 and therefore we don't know from this study if people  
10 who have risk factors that require a doctor's care  
11 will apply the label appropriately.

12 What can we conclude from the tested label  
13 about the tested label from this study. The results  
14 of the study suggest that some concepts are well  
15 understood; however, the results are not clear about  
16 whether some of the other important concepts are  
17 understood and for some concepts appropriate questions  
18 were not asked.

19 Despite the shortcomings of some of the  
20 questions, it appears that participants understood the  
21 purpose of the product and the dosing instructions.  
22 They probably understood what information they needed  
23 before use, to know their total cholesterol, names of  
24 prescription drugs they take, and that they should  
25 call a toll-free number if they're not sure about

1 whether or not they should take the product.

2           However, these responses were based on a  
3 multiple choice question that did not require  
4 participants to generate their own list of things they  
5 must do before using the product. So we don't know if  
6 they would realize these items without prompting.

7           About 92 percent understood that persons  
8 with heart disease, stroke, diabetes, and high blood  
9 pressure should see a doctor before use. And it  
10 appears that there is adequate understanding of the  
11 age for men and menopausal status for women for using  
12 the product.

13           Participants also understood not to use  
14 the product if they are pregnant or breast-feeding,  
15 using 500 milligrams of niacin or more daily, or using  
16 other cholesterol-lowering drugs. They recognized  
17 that the label said to talk to the doctor if they  
18 drink three or more alcoholic beverages a day, and  
19 that there are potentially serious side effects if  
20 they take the product with other medications.

21           However, these last two questions required  
22 only that they respond whether or not these messages  
23 were on the label and did not test understanding.

24           They understood moderately they should not  
25 use the product if they have or have had hepatitis or



1 liver disease or are taking erythromycin or  
2 cyclosporin.

3           However, the results regarding which men  
4 and women should not use the product were low. Only  
5 59 percent said premenopausal women cannot use the  
6 product with 28 percent saying the label doesn't say.  
7 Similarly, for use by men under 40, only 56 percent  
8 correctly said they cannot use it, but 33 percent said  
9 the label doesn't say.

10           We can't be sure if people who said these  
11 messages were not on the label knew that these men and  
12 women should not use the product.

13           Only 70 percent correctly answered that  
14 persons with total cholesterol above 240 should talk  
15 to a doctor before use. Eighteen percent said these  
16 persons could use the product.

17           These responses suggest substantial  
18 proportions of consumers may take the product when  
19 they should see a doctor instead or not use it at all.

20           Although scores were 85 percent for  
21 checking cholesterol after eight weeks, this question  
22 assumed participants knew they needed to check their  
23 cholesterol after beginning use and merely asked them  
24 when the checking should occur. We do not know if  
25 they really knew they needed a cholesterol check

1 without prompting.

2 There were two questions about diet and  
3 exercise, but these were leading.

4 There are important messages that were not  
5 tested at all. There were no questions about self-  
6 selection, whether they could use the product.

7 We generally like to see these questions  
8 to determine if consumers can apply the label  
9 information to their own circumstances correctly;  
10 however, the question about self-selection was not  
11 asked.

12 There were no questions asked about  
13 applying multiple criteria for use or nonuse at once,  
14 which is an important task since there are multiple  
15 requirements for use. Consumers must understand they  
16 must apply total cholesterol values, plus LDL, plus  
17 age criteria for men and menopausal criteria for  
18 women, and they must simultaneously apply the nonuse  
19 criteria.

20 The 84 low-literate participants scored  
21 lower than the non-low-literate participants on 16  
22 questions at the P equal to or less than 0.05 level  
23 with no adjustments for multiple comparisons. The  
24 range of differences between the two groups and these  
25 questions was 8 to 22 points with the average being 12

1 percentage points.

2 The 96 safety risk participants scored  
3 similarly to the non-safety risk group with the  
4 exception of three items.

5 After exposure to the materials inside the  
6 package, participants were asked most of the same  
7 questions again. After seeing the materials on the  
8 package, participants achieved higher scores for just  
9 a few items based on T-tests at P equal to or less  
10 than 0.05.

11 After all materials were read, correct  
12 scores increased for questions about women who were  
13 premenopausal, going from 59 to 77 percent, for men  
14 less than age 40, going from 46 to 74 percent, and use  
15 if total cholesterol is above 240, going from 70 to 87  
16 percent.

17 This morning the sponsor discussed the  
18 score increases after all the materials were examined.  
19 However, these consist of mostly nonsignificant  
20 differences at P of 0.05.

21 The methodology used to compare knowledge  
22 before and after reading the internal materials  
23 confounded the results which may have been affected by  
24 exposure to the first test of the label resulting in  
25 higher scores after the second test on the materials.

1           A better methodology would have been to  
2 give some participants only the outer label and others  
3 all the materials, test each person only once, and  
4 compare the results of the two groups. Thus, it is  
5 questionable whether the materials inside the package  
6 enhance comprehension.

7           There are several concepts in which  
8 participants did not score well that could be the  
9 basis for misuse of the product.

10           These were use by premenopausal women and  
11 men under 40 as well as those with a cholesterol above  
12 240. And as I mentioned before, we do not know if  
13 consumers can simultaneously apply the various use and  
14 nonuse requirements.

15           As a result of this study the label was  
16 changed slightly for the NDA submission to put  
17 information about men before information about women  
18 and to state that men under age 40 should talk to  
19 their doctor before use.

20           The sponsor compared the results of this  
21 study of the four-step label with comprehension  
22 results in studies of the earlier "Red Arrow" and  
23 pharmacy labels to demonstrate improved scores through  
24 the four-step label. However, because these labels  
25 were not tested head-to-head we cannot rely on these

1 comparisons to show the superiority of the four-step  
2 label.

3           Despite the shortcomings of many of the  
4 questions, we can conclude that consumers probably  
5 understand some of the important concepts; however,  
6 some issues were moderately or poorly understood or  
7 the results were unclear. Further, some critical  
8 information was not tested.

9           Due the nature of the questions or lack of  
10 questions, we do not have a complete picture of how  
11 well consumers can use the information and interpret  
12 it. Significant numbers may not understand who should  
13 not use the product.

14           In addition, we don't know if consumers  
15 can simultaneously apply the use and nonuse criteria  
16 including information about total cholesterol, LDL,  
17 age for men, menopausal status for women.

18           We have inadequate information on the need  
19 for further cholesterol checks. We do not know  
20 whether consumers can appropriately apply the  
21 information to hypothetical situations or self-select  
22 whether or not to take the medicine.

23           Many of the relatively high scores may be  
24 due to the simplicity of the questioning. If there  
25 had been questions about hypothetical situations as we

1 prefer to see, and about other issues we would like to  
2 know about, we would have a better idea how consumers  
3 could apply the label information.

4 In conclusion, this study does not provide  
5 us with sufficient information to conclude confidently  
6 that consumers can self-select to use the product  
7 appropriately or whether they understand key  
8 information about safe and effective use.

9 CHAIRMAN BRASS: Thank you. At this point  
10 the FDA presentation is open to questions from  
11 Committee members. Dr. Johnson.

12 DR. JULIE JOHNSON: I have several  
13 questions for Dr. Parks. My first question is really  
14 to serve as a point of reference and that is what the  
15 current bar is within the FDA for approval of an  
16 antihyperlipidemic drug in the Rx setting and I guess  
17 specifically I would be asking about fenofibrate which  
18 I think is the most recently approved drug and was  
19 approved after most of the statin morbidity-mortality  
20 trials were published.

21 DR. PARKS: You're talking about the most  
22 recent application with fenofibrate and the approval -  
23 - I'm sorry --

24 DR. JULIE JOHNSON: I guess I'm asking,  
25 was the basis of approval of the drug that it lowered

1 cholesterol or was it that it had important clinical  
2 benefits such as reduced cardiovascular endpoints or  
3 reduced mortality?

4 DR. PARKS: Yes, the approval of lipid-  
5 altering drugs in our division is based on its  
6 demonstration of a significant lowering of the LDL  
7 cholesterol without an alteration in the other lipid  
8 profile in adverse direction and in the absence of  
9 clinical benefit, then the drug would be labeled as  
10 such, that this product has not been shown to result  
11 in a significant reduction or any reduction in  
12 cardiovascular mortality or morbidity.

13 There are certainly several statins on the  
14 market right now that lower LDL cholesterol and they  
15 meet the bar of a minimum 15 percent reduction in LDL  
16 cholesterol compared to that of placebo, but have not  
17 demonstrated any clinical cardiovascular benefit.

18 So the approval of those drugs in that  
19 setting there is based on the surrogate marker of LDL  
20 cholesterol which has been demonstrated to be a  
21 reliable surrogate marker based on initially  
22 epidemiologic data, but then confirmed by many statin  
23 trials, five megastatin trials as a matter of fact,  
24 across both the primary and secondary primary  
25 prevention population and across quite a broad range

1 of cholesterol levels.

2 DR. JULIE JOHNSON: So, if I heard it  
3 right, the bar is 15 percent?

4 DR. PARKS: Fifteen percent reduction in  
5 LDL cholesterol compared to that of placebo.

6 DR. JULIE JOHNSON: So, I guess what I'm  
7 trying to understand is, I'm getting the impression  
8 that you're asking for a higher bar for this product,  
9 which does achieve more than 15 percent and probably  
10 has more hard outcomes data than a drug like  
11 fenofibrate?

12 DR. PARKS: The application being  
13 considered here is actually not of lipid altering per  
14 se because this drug is already approved. It already  
15 has indication for lipid alteration.

16 The indication that it is trying to seek  
17 here not only in lipid altering, but in a new  
18 population as Dr. Orloff had mentioned earlier and in  
19 that setting there, the question is whether or not the  
20 lipid altering that has already been established and  
21 has been approved for, will actually result in any  
22 sort of benefit and that question there would be the  
23 clinical benefit, the reduction in cardiovascular  
24 mortality and morbidity.

25 So, this drug in itself has already been



1 granted that indication and has been approved for  
2 lipid lowering and certainly the review in the  
3 placebo-controlled trial presented and the clinical  
4 development program established that or confirmed  
5 that.

6 DR. JULIE JOHNSON: I understand that it's  
7 already approved, I guess I'm just trying to  
8 understand that every other statin drug, when it was  
9 approved, was approved without clinical endpoint data  
10 and you are asking for clinical endpoint data in this  
11 case.

12 DR. ORLOFF: Perhaps I could chime in.  
13 What you say about the previous drugs is true, and the  
14 judgement that a 15 percent lowering ability up from  
15 baseline is a clinically significant LDL lowering is,  
16 needless to say, somewhat arbitrary.

17 We have to concede that for the larger  
18 group of lipid-altering drugs, notably the statins and  
19 the resins, our hypothesis that LDL lowering would be  
20 reflected in a clinical cardiovascular benefit turned  
21 out to be true. We do know from the epidemiology that  
22 there is an apparent diminishing return as you go  
23 lower on the total cholesterol or LDL cholesterol  
24 scale.

25 The relative benefit perhaps, and

1 certainly the absolute benefit of any given degree of  
2 cholesterol lowering gets lower as you go down the  
3 cholesterol ladder. What we're asking here really is  
4 whether for the targeted population, and there is  
5 clearly disagreement as to what the risk is in that  
6 target population. No one's really been able to say  
7 what it is and I don't think anyone's quite clear that  
8 the calculations of risk from the AFCAPS placebo  
9 population are necessarily reliable.

10 But the question is whether we're reaching  
11 the limits of benefit at which point the risks might  
12 outweigh any potential benefits. And as a formal  
13 question, we have to ask what, in the way of clinical  
14 benefit, has been demonstrated?

15 CHAIRMAN BRASS: Dr. Temple.

16 DR. ORLOFF: Is that helpful?

17 DR. ROBERT TEMPLE: There's another  
18 factor. Obviously for physicians, long before there  
19 was evidence that lowering cholesterol with statins or  
20 anything else was beneficial, were given the  
21 opportunity to use those drugs to which they would  
22 then apply their wisdom and their ability to read the  
23 literature and make complex judgements.

24 What's proposed here is something that  
25 doesn't have the learned intermediary anymore. So

1 it's not out of the question that one would have a  
2 somewhat different standard for the evidence that's  
3 involved. I mean that's part of the question we're  
4 really asking.

5 CHAIRMAN BRASS: If I could ask two  
6 questions. First, in terms of understanding the risk.  
7 Both sponsor and the agency in this presentation  
8 referred to higher risk groups or safety concern  
9 groups, for example those that are on other drugs,  
10 etc.

11 What I've not seen is an estimate of how  
12 much risk is associated with those groups. The  
13 sponsor this morning gave a qualitative estimate that  
14 there is a large safety margin, but do we know what  
15 the risk is in a patient taking a statin and a  
16 fibrate, taking erythromycin plus a statin, in terms  
17 of the incidence of any serious adverse events? Do we  
18 know what that risk is?

19 DR. PARKS: I'm not aware of any studies  
20 that can actually tell us what the absolute risk is  
21 and that looking at several publications and  
22 addressing the issue of, let's say myopathy and  
23 lovastatin, it has been often quoted that the risk is  
24 increased in combination therapy, but I'm not sure  
25 that that has ever been prospectively studied, that I

1 actually know what an absolute risk is in the  
2 combination with erythromycin or a fibrate.

3 CHAIRMAN BRASS: So you would consider  
4 those risks hypothetical or would you consider them  
5 proven, but of unknown magnitude?

6 DR. PARKS: It think it's proven, but of  
7 unknown magnitude. Certainly if we look in the post-  
8 marketing data at the drug-drug interaction cases  
9 where there is rhabdomyolysis, resulting in  
10 rhabdomyolysis, it seems like the cases where there is  
11 a drug-drug interaction the severity is greater, the  
12 CK elevation is greater, the onset to rhabdomyolysis  
13 is sooner than in cases where it's just lovastatin  
14 monotherapy.

15 CHAIRMAN BRASS: I also want to follow up  
16 the issue of the HDL subset cohorts. If I understood  
17 your presentation, you indicated that in the HDLs over  
18 40, there was actually no decrease in risk in the  
19 lovastatin group compared to placebo with an average  
20 of 3.6 percent versus 3.1 percent.

21 That differs both from Dr. Beere's  
22 presentation this morning which suggested that there  
23 might be a type two error in this cohort and that  
24 there was maintained relative benefit, but just unable  
25 to show statistical significance, and in the

1 publication itself, admittedly that's the entire  
2 cohort, there was a relative benefit, though not  
3 statistically significant, of the drug in the AFCAPS  
4 study with HDLs over 40.

5           Could you just clarify what your bottom  
6 line position on that is with respect to any relative,  
7 absolute, or risk reduction?

8           DR. PARKS: Well, I think that answering  
9 your question about the HDL and the original AFCAPS  
10 cohort, when we reviewed that study, we did look at  
11 the reduction in cardiovascular events with the  
12 primary and secondary endpoints by HDL also. But the  
13 cutoff that we chose was actually 35 and we chose 35  
14 because of the NCEP guidelines primarily.

15           And we found that actually with a  
16 population with HDLs less than 35 or greater than 35,  
17 that there was a relative risk reduction that was  
18 significant in both population above 35 and below.

19           What's interesting is that when you take  
20 out, you now select out the OTC-eligible population of  
21 AFCAPS, HDL did seem to play a role, as I showed in my  
22 slide there, and it's not certain if that's because we  
23 removed out other individuals with greater risk  
24 factors such as diabetes and significant hypertension,  
25 such that now the risk that is overriding in the OTC-

1 eligible population in AFCAPS is that of HDL.

2 The slide that I placed up there with  
3 respect to incidence rates was actually derived from  
4 part of the submission that was sent in and as Dr.  
5 Beere had mentioned earlier, where they evaluated the  
6 HDLs, and it was just striking to us and that's why I  
7 evaluated it further.

8 CHAIRMAN BRASS: But again, those 3.6 and  
9 3.1 estimates are for HDLs greater than 40 in the OTC-  
10 eligible cohort of AFCAPS?

11 DR. PARKS: Yes.

12 CHAIRMAN BRASS: So there was not even a  
13 trend towards benefit, and those rates, incidentally,  
14 are much higher because the original publication  
15 includes the HDLs greater than 40 for the entire  
16 cohort and they have event rates and placebo of only  
17 2 percent.

18 So your 3 percent in the OTC cohort is  
19 higher than the overall cohort and in the overall  
20 cohort there was a trend towards benefit in  
21 lovastatin.

22 DR. PARKS: I probably could only  
23 speculate in the sense that in the OTC-eligible  
24 population it's not the entire cohort at this point.  
25 We are talking about a post-hoc analysis.

1           In some ways it's no longer a randomized  
2 population. They have excluded individuals with all  
3 the other risk factors and I've mentioned significant  
4 hypertension, diabetes, and that in some ways may  
5 shift the risk in this now subgroup of an HDL greater  
6 than 40 such as it is different from the original  
7 cohort.

8           CHAIRMAN BRASS: Dr. Davidson.

9           DR. DAVIDSON: First, NCEP guidelines are  
10 only guidelines, and we need to remember that. It  
11 depends on the patient and the lipid profile and some  
12 of the risk factors. And I want to clarify that  
13 because they are only guidelines and maybe they need  
14 to be reviewed now.

15           But, I have a few specific questions. In  
16 the extension of the 076, do we know how many patients  
17 chose to continue the extension study?

18           DR. PARKS: For 076, I'll try to remember  
19 off the top of my head. Thirty percent discontinued  
20 in the first phase I believe. I know that by 18  
21 months there was about 50 percent still, so somewhere  
22 between 70 percent down to 50 percent. And I'm sure  
23 that the sponsor can confirm that.

24           DR. DAVIDSON: Thank you. They are small  
25 questions, they are specific, but there are few. In

1 076, if they didn't speak English, they were excluded.  
2 Was that true for all the studies, or just for 076?

3 DR. PARKS: I believe it was for only 076.  
4 Dr. Segal, was that for 079 also? For 075 that wasn't  
5 the case because that was actually a placebo-  
6 controlled trial with physician involvement, not an  
7 actual use study.

8 DR. SEGAL: The inability to speak English  
9 was an exclusion criteria for all three of these  
10 trials. There was advertising for people in Spanish,  
11 but they had to be able to understand the informed  
12 consent in the study and that requirement was an  
13 English requirement.

14 DR. DAVIDSON: That means the informed  
15 consent was never translated to Spanish?

16 DR. SEGAL: I do not know if there was a  
17 translation in Spanish.

18 DR. DAVIDSON: Okay, thank you. In 081,  
19 when you say 8 percent of the patients were allergic,  
20 were allergic to the drug or were allergic? Because  
21 it seems that 8 percent allergic to lovastatin is a  
22 very high percentage and I wonder if that is part of  
23 the problem, that they didn't understand the question?

24 DR. SEGAL: There were eight people that  
25 were allergic in 081.



1 DR. DAVIDSON: Not 8 percent?

2 DR. SEGAL: No, no, not 8 percent, it was  
3 eight people and one of them turned out to self-select  
4 incorrectly. The group that was allergic to  
5 lovastatin in 081, allergy in fact seemed to be the  
6 area that they did best self-selecting in compared to  
7 the other groups as far as I could discern. There  
8 were eight people and one of them. So it was 13  
9 percent, one person.

10 DR. DAVIDSON: What was the most common  
11 problem with the self-selecting errors? What was the  
12 most common problem in the self-selecting mistakes?  
13 Do you know?

14 DR. SEGAL: The most common? I think that  
15 the most common was actually people not understanding  
16 what their cholesterol was, because those values were  
17 actually somewhere in the 40 percent range, I think it  
18 was 46 percent. I could go back and check my slide,  
19 but people did not have a good idea what their  
20 cholesterol was in these trials. They thought it was  
21 one thing. When it was measured, it turned out to be  
22 something else.

23 But the concern that I had when I was  
24 reading these trials with regard to absolute  
25 cholesterol level was that I was concerned about the

1 way it was being measured because the fasting was  
2 really, I mean two hours in 076, I'm not sure that's  
3 a fast. I mean, that's not a fast for me. And six  
4 hours in the other trials, so they are short fasts and  
5 that was one of the questions that I put up.

6 How long do people need to fast before  
7 they can do a good reliable measurement, and do they  
8 need more than one blood test? So it's not clear.

9 The question I had in mind I guess was  
10 maybe this was a number they somehow really had had or  
11 been told by their physician, but then they were  
12 tested in a way that this kind of testing could either  
13 overestimate or underestimate a true cholesterol, so  
14 I wasn't quite sure.

15 But it was cholesterol that was the big  
16 self-selection, the major one. The rest of the groups  
17 fell in around 30 percent.

18 DR. DAVIDSON: Thank you. And one final  
19 question. And I think that's for you actually. There  
20 were 84 cases of low literacy, and you defined low  
21 literacy below 9th grade and that is actually a very  
22 high grade to low literacy. Could you tell me what  
23 really was low literacy? Because 9th and 8th and 7th  
24 is for me, not low literacy.

25 Then if you define low literacy below

1 nine, I would like to know how many were below six.  
2 Do you have any idea?

3 DR. LECHTER: I don't have information on  
4 the distribution within that group. It's standard for  
5 all of these studies to use 8th grade or below as low  
6 literate and I don't know the distribution within that  
7 group.

8 DR. DAVIDSON: My recommendation will be,  
9 we need to get up to date. There is a report called  
10 Literacy in America. It was published six years ago  
11 and I think that not only the agency, but the  
12 pharmaceutical companies that sponsor should read that  
13 to really be aware of where we are, because 9th grade  
14 is actually way too high for the comprehension that we  
15 saw in that report in 1993.

16 CHAIRMAN BRASS: In fact, testimony  
17 relative to that report has been presented to the  
18 Nonprescription Drugs Advisory Committee and it is in  
19 complete concord with what you've said.

20 DR. DAVIDSON: Thank you.

21 DR. TAMBORLANE: I'd like to revisit the  
22 HDL issue again for Dr. Parks.

23 If the target OTC population was redefined  
24 to include HDL less than 40, would you, from the data  
25 that you presented to us, suggest that we do have a

1 good surrogate marker, we could just look at the  
2 changes in cholesterol as a reasonable outcome? Would  
3 you change your risk-benefit assessment?

4 DR. PARKS: I think that HDL was not only  
5 the difference in these populations, as I've  
6 mentioned. It also had to do with the dose proposed  
7 and the degree of LDL lowering with each of those  
8 doses. And also keeping in mind that in the AFCAPS  
9 study they required a dose titration at 18 months to  
10 get further reduction to a particular goal.

11 So that's one issue that would still need  
12 to be addressed, whether the 10-milligram dose itself  
13 would be able to provide a clinical benefit.

14 And the other issue really is that of  
15 adherence to therapy. Even if at the 10-milligram  
16 dose you have some people who could achieve the same  
17 reduction as a 20-milligram dose individual. The  
18 question is whether or not there would be enough  
19 individuals who would stay on therapy long term in  
20 order to realize the benefit.

21 DR. TAMBORLANE: But that's a separate  
22 question. The question is if you were able to achieve  
23 a 17 to 22 percent lowering with 10 milligrams in the  
24 target population, redefined with an HDL of under 40,  
25 would you be willing to accept the idea that in the

1 long term, if that was sustained, that you could  
2 expect a significant improvement in the cardiovascular  
3 outcome?

4 DR. PARKS: It sounds like your raising,  
5 if a clinical trial was actually conducted and  
6 demonstrated benefit?

7 DR. TAMBORLANE: Well, you're using the  
8 AFCAPS to show that in the over 40 you don't see much  
9 of a benefit and if you show that it's under 40, there  
10 did appear to be a benefit.

11 DR. PARKS: Again, that is a, technically  
12 it's a post-hoc analysis and it is hypothesis  
13 generating and I think that many of us here would say  
14 that that might warrant further study.

15 DR. ORLOFF: Let me pipe in for just a  
16 second. I made a point in my introduction and I just  
17 want to make sure you do understand this.

18 The prescription lovastatin now carries an  
19 indication for the treatment of the AFCAPS type  
20 population. That submission was reviewed and approved  
21 and amendments were made to the labeling to  
22 specifically indicate the treatment of those patients.  
23 They do actually, by the numbers, fall outside of the  
24 NCEP guidelines. Those are just guidelines. We have  
25 data that speaks to an expected benefit in that

1 population.

2 The problem that we're dealing with here,  
3 just to clarify a little bit, is that there is some  
4 definable risk to the use of this drug.

5 Likewise, in prescription use, there is an  
6 intrinsic risk to the drug. There is also some  
7 different profile, if you will, of risks and perhaps  
8 benefits that accrue in the over-the-counter  
9 population relative to the Rx population.

10 We don't really have a very good handle on  
11 that and when we discussed this issue of being able to  
12 extrapolate an expectation of benefit out of the  
13 AFCAPS population, what we're saying is that we really  
14 don't have a handle on benefit. And so we are in a  
15 quandary as to how to evaluate the risk versus  
16 benefit.

17 CHAIRMAN BRASS: I think at this point,  
18 because of the hour, we are going to shortly take a  
19 break, but some of the sponsor's consultants need to  
20 leave very shortly and I'd like to grant them five  
21 minutes to make a couple of comments before we take a  
22 very short break to move onto the question section.  
23 So you have five minutes.

24 DR. HEMWALL: Thank you Dr. Brass. I'd  
25 like to introduce first Dr. Jeffrey Anderson.

1 DR. ANDERSON: Thank you. I think this  
2 has been, from my point of view a very interesting,  
3 worthwhile discussion. I would just reemphasize four  
4 points.

5 First, cardiovascular disease is a major  
6 problem. That is a fact that can't be disputed. This  
7 was mentioned as the number one cause of mortality and  
8 morbidity and primary prevention has stalled in the  
9 '90s. Things aren't getting better, they are tending  
10 to get worse.

11 So we really do need from a public health  
12 point of view to move ahead in reasonable responsible  
13 ways.

14 Second point is that I think that there is  
15 clear data now from so many sources that there is a  
16 continuum of risk for increasing cholesterol and a  
17 benefit of reducing that and the FDA has already  
18 stated that they've used that as a criterion for  
19 labeling for prescription drugs to I think imply a  
20 different higher standard to an intrinsically somewhat  
21 lower risk population and require primary endpoint  
22 trials really should be very carefully considered.  
23 That's an enormous burden and I think probably an  
24 unwarranted one.

25 I think the third point is safety and the

1 point I would emphasize is 24 million patient-years.  
2 I think that should carry a lot more weight than  
3 theoretical considerations which of course were much  
4 more important 13 years ago for this particular drug.  
5 So in terms of benefit-risk, I would emphasize those  
6 points.

7 And just finally in terms of who would  
8 benefit, I think this is a question at this point of  
9 choice, of reasonable choice among motivated people  
10 that want to reduce their risk.

11 It's true that half will drop out, but it  
12 seems to me the glass is half full, not half empty.  
13 That those who are motivated to, will I think  
14 carefully consider the labeling if they are there for  
15 the long term, will interact with healthcare  
16 personnel, will achieve benefit, and it shouldn't be  
17 just five-year benefit, it may be ten- or 20-year  
18 benefit, and that is substantial and accounts in fact  
19 for one-third of the events that occur in the U.S.  
20 Thank you.

21 DR. HEMWALL: And finally, Dr. John  
22 Farquhar from Stanford University.

23 DR. FARQUHAR: I'll make two points, one  
24 on HDL and the other on public education and it's  
25 affect on compliance.



1 I think the HDL discussion has been taken  
2 out of context. First I want to say that our center,  
3 incidentally doctors Wood, Haskell, and I were the  
4 first to show that exercise raised HDL. We've been  
5 very interested in it over the past few decades from  
6 an epidemiologic as well as an interventional point of  
7 view.

8 It looks to me as if, and I think we have  
9 an agreement from Dr. Willerson and Dr. Anderson, that  
10 we have to look at HDL as that the relative benefit of  
11 lowering total cholesterol is independent of the HDL  
12 level. And if one looks at all of the statin trials  
13 and all of the intervention studies, if you just  
14 divided it into cortiles, the top cortile in HDL will  
15 relatively speaking have the same reduction in events  
16 as the bottom cortile, but the absolute level that  
17 they start with is much lower. In other words, HDL is  
18 independent of the degree of change of LDL.

19 The second point that I wanted to make is  
20 the experience that our group has had in community-  
21 base health education leads us to have confidence in  
22 the American people to make the right decisions if  
23 they are given the adequate information.

24 We've had experience for example in  
25 Hispanic populations in our three-community study and

1 with appropriate education, they had proportionately  
2 a greater increase in their knowledge and a greater  
3 reduction in risk factors following a community-based  
4 health education program.

5 Given that point of view, if Merck  
6 apparently has the willingness to provide supplemental  
7 education through their education and support program,  
8 I would anticipate that they could target minorities  
9 and other underserved groups in that manner.

10 So I believe, as I understand, their  
11 education and support program to be really quite a  
12 good one and I think there's a challenge here that  
13 given that the traditional medical care system is  
14 doing such a lousy job, and that compliance is only 65  
15 percent in prescription statins, that I would think  
16 that they would probably be able to do better job than  
17 that and increase the total number of people in this  
18 country who are at need who will have access to some  
19 degree of risk reduction. Thank you.

20 CHAIRMAN BRASS: At this point -- Dr.  
21 Orloff.

22 DR. ORLOFF: Just because I know that  
23 we're going to break and then come back to questions,  
24 I just want to make some clarifying points. I think  
25 one of the things that gets confusing here is that

1 there is perhaps a sense from the stated rationale  
2 that this is a drinking water approach.

3 That is to say, the estimates of benefit  
4 are based upon the idea that if we sprinkle lovastatin  
5 10 milligrams across an at-risk population, there will  
6 accrue some population benefit. And yet the problem  
7 with doing that obviously, that we've been talking  
8 about, is that you'll wind up treating a lot of people  
9 who are not at risk and therefore do not stand to  
10 benefit.

11 And so what needs to be accomplished here  
12 is precisely the ideal that is accomplished or is the  
13 ideal to be accomplished in the Rx use of these drugs  
14 and it's treatment of this disease and that is along  
15 the model of whatever treatment guidelines you look  
16 at.

17 It's an individual risk assessment-based  
18 approach where you target therapy to those people who  
19 are at the greatest risk and who therefore stand to  
20 have the greatest benefit.

21 And what we have to make a judgement about  
22 here, at least in part, is whether that can be  
23 accomplished by the consumer in a safe and effective  
24 manner. Thank you.

25 CHAIRMAN BRASS: Okay. We will break and

1 reconvene at 3:32 promptly. Thank you.

2 (Whereupon, the foregoing matter went off  
3 the record at 3:23 p.m. and went back on the record at  
4 3:33 p.m.)

5 CHAIRMAN BRASS: At this point, I will be  
6 turning the floor over to Dr. Katz to give the charge  
7 to the Committee and begin the discussion.

8 Before I do so, there will be issues that  
9 will require a vote of the Joint Committee and I just  
10 want to remind, identify those people who will not be  
11 able to vote today because of their status and that's  
12 Doctors Clark, Blewitt, and Molitch will not be  
13 voting, but I want to emphasize they are more than  
14 welcome to participate in the discussion.

15 After we hear the charge from Dr. Katz,  
16 we'll be going through the individual questions and  
17 discussing them one at a time. During that  
18 discussion, I encourage the Committee members to  
19 express their own opinion and address any residual  
20 questions relevant to those points to either sponsor  
21 or the FDA for further discussion. Dr. Katz.

22 DR. KATZ: Good afternoon Dr. Brass,  
23 Advisory Committee Members, and ladies and gentleman  
24 in the audience.

25 We are now at the time of meeting for the

1 deliberations for the Committee to begin. However,  
2 prior to asking the Committee to sit down and start to  
3 answer the questions, what I'd like to do this  
4 afternoon in a few brief moments, and I'll use brief  
5 as an operative word, is to go through some of the  
6 issues that we've heard today to highlight some of the  
7 points we'd like you to consider while answering the  
8 questions that are before you.

9 The topic of OTC treatment of elevated  
10 cholesterol is not a new one to this Advisory  
11 Committee. As Dr. Orloff mentioned earlier in his  
12 introductory remarks, this topic has been presented on  
13 at least three occasions to the Advisory Committee  
14 before.

15 The issues, however, being discussed today  
16 are somewhat different from the issues when we've  
17 heard this topic in the past. Merck is proposing a  
18 new indication or treatment of individuals with milder  
19 cholesterol levels in elevations that are currently  
20 approved for Rx indications.

21 In addition, this is not a true switch.  
22 This is a lower dose for a new indication as opposed  
23 to a dose that already Rx for an Rx indication  
24 switching OTC. And I'm just making that as a brief  
25 distinction and the reason why I'm doing that is

1 because it's important as you go back to look at some  
2 of the questions that we're asking, for you to be able  
3 to go back and assess to make sure that efficacy has  
4 been demonstrated by the data that we've heard today,  
5 presented both by Merck and by the FDA.

6 The next slide actually I hope will help  
7 to identify some decision-making processes and issues  
8 that we'd like you to consider as you go through your  
9 deliberations.

10 The first is the benefit-risk and we've  
11 heard a good deal about benefit-risk and particularly  
12 we want you to target in for the benefit for the  
13 targeted population that's proposed in this  
14 application.

15 In addition, we need to also remember the  
16 consumers and the consumer's ability to be able to  
17 self-diagnose and self-recognize and treat an  
18 asymptomatic chronic condition without the advantage  
19 of a third party intermediary.

20 Since many of these individuals, as we've  
21 heard before, may not have access to physicians and  
22 may not have access to the healthcare system, that  
23 they will be out there picking up the product on their  
24 own.

25 Thus, the other issue that comes in are

1 the consumer's ability to understand the labeling  
2 instructions which would include monitoring, both  
3 being able to identify how to do a fasting cholesterol  
4 level, how frequently to do it, and how frequently  
5 they need to monitor their cholesterol level as well  
6 as any other laboratory testing that might need to be  
7 done to look for toxicity and adverse events.

8 Also, the ability of the consumer to have  
9 appropriate follow-up care and treatment should a  
10 problem arise or they not be able to attain a specific  
11 goal.

12 Also, one needs to consider the consumer's  
13 ability to recognize the attainment of that goal and  
14 just what that goal should be for a consumer, and  
15 their ability to recognize toxicity since some  
16 toxicity presents really initial as laboratory data  
17 which may be asymptomatic.

18 It's also important consumers again  
19 understand what toxicity would be and where they  
20 should go should a problem arise.

21 In your questions today that we have  
22 before you, they are divided actually into three  
23 sections. Efficacy and safety in the proposed  
24 targeted population. OTC considerations for  
25 lovastatin 10 milligrams. And finally the

1       approvability.

2               So it's important again, and I want to  
3       just emphasize that this application or the indication  
4       is a new one, that's not currently been seen in our Rx  
5       products.

6               Thus, in your deliberation, it is  
7       important for you to address whether or not the data  
8       presented is sufficient to support the efficacy for  
9       the indication in the targeted population as well as  
10      to address what the appropriate target population  
11      should be, to make sure that safety is also obtained.

12              Thus, at this point in time, what I'd like  
13      to do is turn the microphone back over to Dr. Brass so  
14      that you can begin the deliberation of the questions.  
15      Rather than reading the questions for you, I will just  
16      kind of refer you now to your packages where the  
17      questions are listed. Thank you.

18              CHAIRMAN BRASS: Thank you. I'm not going  
19      to read the question either. Everybody has them in  
20      front of them and hopefully has read them and thought  
21      about them before, and so I'm just going to begin with  
22      question one and beginning with the bottom line.

23              Based on the data submitted in the NDA,  
24      has the sponsor adequately demonstrated a clinical  
25      benefit of lovastatin 10 milligrams in the target



1 population?

2 That point open now for discussion. I do  
3 call on people. Dr. Blewitt.

4 DR. BLEWITT: Well, I suggest that they  
5 have and my basis, as I tried to think this through,  
6 was that when I was following skiing I used to follow  
7 the World Cup and would notice that people would  
8 compete, but not necessarily win individual events  
9 such as the slalom or giant slalom or something like  
10 that, but that they could win it on total points.

11 And my assessment of approvability is  
12 based on the sum total of the data that have been  
13 presented here. I don't say that there isn't a little  
14 more work that could be done in certain areas, but I  
15 think that the basic core issue as to whether this  
16 would potentially provide benefit in the target  
17 population has been answered adequately.

18 CHAIRMAN BRASS: Dr. Johnson.

19 DR. JULIE JOHNSON: I would say that I  
20 agree that they've shown clinical benefit and there is  
21 really two questions here. Have they shown that it  
22 can produce a significant reduction in LDL  
23 cholesterol? And there is no question that's the case  
24 and as has been stated, they meet this prespecified  
25 bar of 15 percent.

1           The second question is an issue of  
2           clinical outcomes data and I guess my interpretation  
3           of this literature, and I have a knowledge of this  
4           literature that started well before yesterday or when  
5           I read these materials, is one where I would disagree  
6           with Dr. Orloff's interpretation and that is that  
7           irrespective of the studies, if you take the high-risk  
8           populations that were studied for example in 4S which  
9           is secondary prevention or in WOSCOPS which was  
10          primary prevention, down to the lower-risk  
11          populations, CARE which was secondary prevention, or  
12          AFCAPS/TexCAPS in the primary prevention, those have  
13          consistently shown similar relative risk reductions.

14                 Now obviously the absolute risk reduction  
15                 is greater the higher at risk they are, but the  
16                 relative risk reductions are consistent. So I think  
17                 to say well we don't have absolute data in this very,  
18                 very specific population, but to somehow say every  
19                 time we take the bar lower and we study a lower risk  
20                 population, there's been benefit shown, but if we take  
21                 that next slightly smaller step below AFCAPS, there's  
22                 not going to be any benefit, I just don't think that  
23                 the wealth of the literature right now suggests that.

24                         CHAIRMAN BRASS: Can I just ask you, if,  
25                         since I imply by your comments that you're answering

1 in the affirmative, for you to define the quote  
2 "clinical benefit" that you're affirming?

3 DR. JULIE JOHNSON: Well, that was part of  
4 my original question to Dr. Parks is what is defined  
5 as clinical benefit?

6 Whether you define clinical benefit as  
7 lowering LDL cholesterol, which is clear they do show  
8 that benefit, my answer is yes, but even if you say  
9 clinical benefit as in cardiovascular endpoints, I  
10 think that the wealth of the literature would suggest  
11 that even if there is not very, very specific  
12 literature in this population, the wealth of the  
13 literature would imply that there is very, very likely  
14 to be clinical benefit in this population.

15 CHAIRMAN BRASS: If I could just beat this  
16 dead horse about HDL just for a minute, because I  
17 remain confused about the discrepancy and the  
18 interpretation of the HDL data between what was  
19 presented by Dr. Parks and the sponsor's  
20 interpretation of consistent relative benefit  
21 regardless of HDL.

22 Dr. Parks, my understanding is you showed  
23 that the event rate was 3.6 percent in the OTC  
24 population with HDLs above 40 on lovastatin versus 3.1  
25 in the placebo group, which I don't see as relative

1 benefit in the lovastatin group. Could somebody  
2 clarify why I'm confused about this?

3 And I make this point because the average  
4 HDL in the target population is over 40 and so that as  
5 long as you're defining the target population and  
6 extrapolating endpoints into that, I think  
7 clarification of that would be very helpful.

8 If you could go to a microphone and  
9 identify yourself please.

10 DR. HOBERMAN: David Hoberman,  
11 Biostatistics, FDA. I certainly disagree with the  
12 statements that were made by Dr. Beere.

13 She referred to a statistical test which  
14 did not show an interaction, that the trial was not  
15 designed to show that, and she referred I believe to  
16 a consistent benefit in the tertiles and your eyes did  
17 not deceive you.

18 Whether or not people who have HDLs over  
19 40 will benefit from this drug if it goes OTC is a  
20 question to be answered by a clinical trial, but it's  
21 not AFCAPS and it's not the other things that were  
22 referred to.

23 But based on AFCAPS, it's clear that you  
24 cannot say that there is a benefit in patients with  
25 HDLs over 40. If you want to go to other literature

1 and make the argument, that's something else. I'm  
2 only referring to the statements made by Dr. Beere  
3 concerning AFCAPS.

4 DR. COOK: If I might say something. My  
5 name is John Cook, a statistician at Merck. I think  
6 we need to be careful about the interpretation of  
7 these subgroup analyses that were done here.

8 The AFCAPS study was not designed to  
9 really look at the issue of benefit across the range  
10 of HDL. These individuals were identified based upon  
11 their baseline risks.

12 The next point I want to make is that  
13 there was a prespecified analysis with the original  
14 AFCAPS study to look at HDL and that was done as HDL  
15 in a continuum and not in terms of tertiles or  
16 potential cuts at either 35 or 40, but looking at the  
17 continuum of HDL to see if there was a difference in  
18 the relative risk as a function of their baseline HDL.

19 And that test did not show that there was  
20 a significant difference. There was no interaction  
21 that was detected between baseline HDL as a continuum  
22 and treatment effect.

23 I think what we need to look at here in  
24 terms of the tertiles is one to be very cautious with  
25 those types of analyses. They depend a little bit

1 upon the time horizon that one picks. The analysis,  
2 the percentages that they showed were Kaplan-Meier  
3 estimates at five years.

4 If you look at the accrued event rates,  
5 it's actually a little bit higher in the placebo  
6 group, not significantly different, but if you look at  
7 six years, the Kaplan-Meier estimate there shows that  
8 there is an increasing risk in the placebo group and  
9 there were no more additional events in the fifth year  
10 for patients who were treated with lovastatin.

11 So, we can kind of sit here and chop up  
12 the data in many ways. It really wasn't designed to  
13 look at those things. That's, I think, one reason why  
14 we need to look at other studies, other evidence that  
15 shows that this relationship relative risk is very  
16 consistent.

17 CHAIRMAN BRASS: Okay. Let's go to some  
18 other data then. Both sponsor and the FDA showed the  
19 MR FIT data showing the continuum of risk with LDL  
20 over the complete range including 200 to 240, the  
21 target population.

22 The sponsor also alluded to the Framingham  
23 data which indicated no loss of LDL predictive value  
24 or total cholesterol predictive value until the HDL  
25 got over 60. But that was for the full dynamic range

1 of values.

2 My question would be in the range between  
3 180 and 240, for HDLs over 40 or 50, are there just  
4 epidemiologic data that confirm in that range that LDL  
5 remains an independent predictor in that range of  
6 average total cholesterols, but above average HDLs?

7 DR. COOK: I don't know if there's direct  
8 evidence. I might turn that to somebody else. We did  
9 look at within AFCAPS, the relative risk, the  
10 relationship between changes in lipids and changes in  
11 risk and we saw a very consistent result regardless of  
12 whether their HDLs were over 40 or not.

13 CHAIRMAN BRASS: But that was biased  
14 because you enriched the low end of the HDL range in  
15 AFCAPS. So I'm agreeing with you, let's stop talking  
16 about that and let's look at the other data, if there  
17 is, that's relevant to this issue.

18 DR. HEMWALL: We could really hang up on  
19 this for a while and maybe I can put this in a  
20 different perspective in that of the consumer and of  
21 our label. We are asking consumers to recognize  
22 obviously that they have to check and know their  
23 cholesterol levels and most test now given HDL levels.

24 What we're saying on the label for sake of  
25 simplicity, have your cholesterol between 200 and 240,

1 have your LDL over 130. In practical terms that  
2 leaves a few number of consumers that are going to  
3 have high HDLs. About 15 percent of our population  
4 actually had high HDLs over 50 I believe.

5 CHAIRMAN BRASS: But the mean in your  
6 actual use population was over 40, wasn't it?

7 DR. HEMWALL: Yes. Let's not hang up on  
8 the numbers though. We are willing to put something  
9 in our label, based on consensus, on what is a good  
10 cut point. In the materials, if your HDL is over that  
11 point, this product may not provide benefit for you  
12 and you should check with your doctor before you use  
13 it.

14 CHAIRMAN BRASS: Yes, Dr. Grady.

15 DR. GRADY: I find this kind of hard to  
16 think about because of the kind of imprecision of our  
17 estimates. We're making a lot of judgements here.

18 For example, based on changes in LDL  
19 cholesterol, and actually the four studies that the  
20 sponsor presented us, two of them were unblinded, and  
21 therefore susceptible to co-intervention and so forth.

22 The two blinded studies, the decrease in  
23 LDL was only 15 percent and 17.5 percent, so that's  
24 kind of borderline. And the other thing I think is we  
25 need to look at the bigger picture.



1 We are now talking about making drug  
2 treatment available to millions of totally healthy  
3 people and so the benefit, and in this case I mean the  
4 absolute benefit, has to outweigh the absolute risk.

5 CHAIRMAN BRASS: We're only on the benefit  
6 side of the equation right now though.

7 DR. GRADY: Okay. But I mean the benefit  
8 really depends on its absoluteness in my mind and that  
9 is what's the likely absolute benefit in this  
10 population, and so that does come back to their HDL  
11 levels and so forth, the higher their HDL, the lower  
12 their risk.

13 So it's very hard to get an estimate of  
14 the absolute benefit here given we don't know what  
15 compliance will be and we have only sort of LDL  
16 changes to estimate that from.

17 CHAIRMAN BRASS: Yes, Dr. Davidson.

18 DR. DAVIDSON: Well, this drug is  
19 approved. And it's approved because it's affective.  
20 The question here is different. There are some  
21 questions that I still have.

22 The measurement of lipids in the study  
23 makes me still wonder what I'm seeing, if I'm seeing  
24 apples to oranges or I'm seeing a combination.

25 But the question that you have here is

1 based on the data. Is this drug effective in lowering  
2 lipids? It is effective in lowering lipids.

3 CHAIRMAN BRASS: Let me just make clear  
4 that it actually says clinical benefit and you're  
5 interpreting --

6 DR. DAVIDSON: That's right. That I  
7 cannot answer. I don't think it has been  
8 demonstrated.

9 The second part of the question is do we  
10 need to go to NCEP guidelines and I think that's  
11 clearly not necessary. They are just guidelines and  
12 there is no data that everybody shows, if we lower  
13 total cholesterol to target, if we lower LDL, with  
14 benefit. None of the statins actually will decrease  
15 events 100 percent, because then for sure we'll be  
16 taking statins all the time.

17 There are other factors, other than  
18 cholesterol that are playing a role. There are other  
19 drugs that are very effective in lowering MIs as well.  
20 The first question is, the drug lowers cholesterol,  
21 the clinical benefit of lovastatin, I didn't hear it  
22 today.

23 CHAIRMAN BRASS: Okay. Dr. Elashoff.

24 DR. ORLOFF: Excuse me Dr. Brass. I just  
25 want to bring this back a little bit to make sure that

1 we're addressing the right question. This is the  
2 question, just to make sure everyone understand, we're  
3 not taking about OTC, we're talking about the  
4 indication. Is the indication merited?

5 And let me just remind you that I think we  
6 all agree that this is a low-risk population and  
7 without trying to put a number on it, it's a lower  
8 risk population than the 5.5 percent placebo event  
9 rate seen in the AFCAPS cohort. Let's say it's less  
10 than 1 percent per year.

11 The question is, should we be blindly  
12 treating all such patients, and is 10 milligrams of  
13 lovastatin the correct dose to affect benefit?

14 DR. ELASHOFF: There are no studies  
15 reported of this dose in this population talking about  
16 clinical benefit.

17 Therefore, all the discussion about  
18 potential clinical benefit is based on extrapolation  
19 from other studies, extrapolation from models fit to  
20 epidemiological data which may or may not be  
21 applicable to these. I understand it's mostly  
22 Framingham and MR FIT, and MR FIT is all male, isn't  
23 that right?

24 So data from preceding time points models,  
25 when you get into exactly where a model bends and how

1 much it bends, you have to be really careful about how  
2 you're fitting that model because it may not work  
3 really well across the whole range.

4 Exactly what's predictive in different  
5 ranges may vary. It seems to me that there is a lot  
6 of extrapolation involved in basing any clinical  
7 benefit decisions on the data we have had presented.

8 CHAIRMAN BRASS: Yes.

9 DR. MOLITCH: I apologize for not being  
10 here this morning and perhaps I missed this in the  
11 discussion by the sponsor, but we have a population  
12 where they have a certain beginning cholesterol range  
13 that we're recommending that these people take the  
14 drug over the counter, but I didn't hear or read in  
15 the materials what the goal of therapy would be once  
16 the person took the 10 milligrams of Mevacor and then  
17 in addition, once they do in fact monitor their  
18 cholesterol at six or eight weeks later, depending  
19 upon what that level was, are the persons supposed to  
20 adjust that dose of medication at that point or what  
21 are they supposed to do with the information once they  
22 get the information at six or eight weeks?

23 CHAIRMAN BRASS: Some of that was  
24 discussed. I don't know if you want to summarize a  
25 response or --

1 DR. SLATER: In answer to your question,  
2 roughly 75 percent of people get below the 130 LDL  
3 which is considered for most the goal. In this  
4 particular paradigm, if the level is not reduced, then  
5 the patient is instructed to call their physician.

6 DR. MOLITCH: Thank you.

7 CHAIRMAN BRASS: Yes.

8 DR. TAMBORLANE: Slight disagreement Dr.  
9 Orloff, in the question of it's clinical benefit, the  
10 OTC issue becomes important because it's not just the  
11 initial lowering over the first four to eight weeks,  
12 it's the maintenance of this improvement in lipid.  
13 I'm willing to accept the lowering the cholesterol as  
14 a surrogate marker for cardiovascular risk.

15 The question is, can you maintain that?  
16 And the study that's not been done is to look at  
17 compliance with a prescription regimen with good  
18 medical follow up versus over-the-counter sort of  
19 approach.

20 CHAIRMAN BRASS: Yes, because I would also  
21 disagree a little bit because you were putting a lot  
22 of other adjectives into the question that aren't  
23 there, including things like optimal therapy.

24 We discussed this morning, and sponsor  
25 acknowledged, that these patients would be better

1 treated by a physician with appropriate monitoring and  
2 dose titration.

3 So I don't interpret the question to be as  
4 broad at this point in our discussion, but simply a  
5 question of clinical benefit of 10 milligrams yes or  
6 no, not as compared to 40 milligrams.

7 DR. ORLOFF: That's what I'm driving at.  
8 We want the Committee to give us some feedback on  
9 whether this new indication is merited. Forget over  
10 the counter. Does this patient population warrant  
11 treatment across the board, because that's what an  
12 indication means.

13 If you have a total cholesterol between  
14 200 and 240, and an LDL of greater than 130, take 10  
15 milligrams of lova, and you're the right age and sex,  
16 and etc.

17 CHAIRMAN BRASS: Again. I think that is  
18 a question, and we're happy to discuss that question,  
19 but it's subtlety different than what's posed here.

20 Because for example, whether or not that  
21 population would benefit from primary prevention in  
22 any form with a statin is a different issue than  
23 whether 10 milligrams has clinical benefit in that  
24 population.

25 And so, I think the point you're raising

1 will come out with the subsequent questions and try to  
2 do it one step at the time. And so I'm going to --  
3 I'm sorry, yes sir, down at the end.

4 DR. GRADY: I just want to say that  
5 compliance does become an issue because you don't see  
6 any real clinical benefit with any of the statins for  
7 six months to 12 months, so --

8 CHAIRMAN BRASS: I understand.

9 DR. GRADY: I think over-the-counter use,  
10 trying to estimate the magnitude of the benefit  
11 depends to some extent on compliance as well.

12 CHAIRMAN BRASS: And those points will  
13 come out in subsequent questions.

14 DR. CLARK: Yes. I think there is some  
15 specific aspects of these questions, but in terms of  
16 number, it says indications based on an expectation of  
17 cardiovascular benefit.

18 I think what has been shown is that this  
19 dose does lower the LDL and one would expect a benefit  
20 that may not have yet been demonstrated and whether or  
21 not the population that's proposed is going to  
22 appropriate becomes a slightly different question.

23 So the reduction of LDL has been shown, so  
24 the expectation of a cardiovascular event should be  
25 there, but if the requirements have been demonstrated

1 then I think that's another issue, which it is not.

2 CHAIRMAN BRASS: Dr. Temple.

3 DR. ROBERT TEMPLE: Well, the question  
4 says that you would propose the indication based on an  
5 expectation, but it then goes on to ask, has the  
6 sponsor adequately demonstrated a clinical benefit,  
7 which in theory could be either because they have a  
8 direct study of it or because you're prepared to  
9 extrapolate from other data. I mean, there's two ways  
10 to learn things.

11 CHAIRMAN BRASS: So, with those points in  
12 mind, I'm going to split the question into two  
13 questions for a vote right now. And I want to first,  
14 if it's helpful to you, feel free to tell me not to.  
15 But I'd first like to answer the question with  
16 clinical benefit simply defined as a lowering of LDL  
17 cholesterol. And establish whether or not there is  
18 consensus on that point and then immediately vote on  
19 whether the effect has been shown to affect  
20 cardiovascular events.

21 And I think that will clearly separate out  
22 that differentiation in everybody's mind. So, yes.

23 DR. EDWARD KRENZELOK: Can you clarify  
24 that, the second part, because does that reflect just  
25 a lowering of LDL or a lowering of LDL as presented by



1 the sponsor?

2 CHAIRMAN BRASS: I will phrase this  
3 specifically just before we vote on it, because if I  
4 phrase it now, I'll phrase it differently when we vote  
5 and everybody will get confused.

6 So the first question that we'll be voting  
7 is yes or no, has the data submitted in the NDA by  
8 sponsor, demonstrated that lovastatin 10 milligrams in  
9 the target population is associated with a lowering of  
10 LDL cholesterol?

11 You want to do it by show of hands or do  
12 you want to go around the room? Okay, so show of  
13 hands. All who say yes, that that proposition is  
14 true, please raise your hands.

15 (Hand vote taken, 13-0)

16 CHAIRMAN BRASS: Any noes?

17 Any abstentions?

18 Okay. So the second question is. Based  
19 on the data submitted in the NDA, has the sponsor  
20 adequately demonstrated a clinical benefit in reducing  
21 cardiovascular events by lovastatin 10 milligrams in  
22 the target population?

23 All those in favor who agree with that  
24 proposition please raise your hand and vote yes.

25 All those who disagree, please raise your

1 hand and vote no.

2 Any abstentions?

3 DR. ORLOFF: Excuse me Dr. Brass, could  
4 you give the vote tally verbally for the record.

5 CHAIRMAN BRASS: On the first question,  
6 the vote was 13 yes, 0 no. And on the second it was  
7 1 yes and 12 noes.

8 Then the next question is, since we at  
9 least got a partial no, what additional data are  
10 needed to demonstrate a cardiovascular benefit in the  
11 target population?

12 And I don't know if we need to discuss  
13 this a lot further, clearly a placebo-controlled trial  
14 in that population with cardiovascular endpoints would  
15 do it.

16 Are there other things that the Committee  
17 feels would be either equivalent to or other ways to  
18 answer that question by as much?

19 DR. SLATER: Before you go down that path,  
20 a hypothetical endpoint trial --

21 CHAIRMAN BRASS: Please use the  
22 microphone.

23 DR. SLATER: To properly power an endpoint  
24 trial in this population, one would have to exclude  
25 the AFCAPS eligible patients because it would be

1 unethical to repeat the trial in those patients.

2 So our calculation is that it would  
3 require tens of thousands of patients for an  
4 extraordinarily long period of time to absolutely  
5 defer this decision to a point when it would be likely  
6 irrelevant.

7 CHAIRMAN BRASS: I understand and that is  
8 exactly why I'm posing the question I am, in terms of  
9 well everybody --

10 DR. SLATER: I wasn't sure that that had  
11 been thoroughly appreciated by the --

12 CHAIRMAN BRASS: Where given that  
13 situation, are there other data or other information  
14 that could be provided to the Committee that would  
15 give it greater confidence in the association between  
16 the LDL reduction in this population and risk  
17 reduction?

18 DR. DAVIDSON: Well, my concern is that we  
19 really need to decide when and how to collect lipids  
20 and for how long, which is not something that was done  
21 during this OTC trial. And it would not be bad.

22 I heard the idea of looking and seeing if  
23 we can compare, even though it is not a trial that is  
24 easily done, to trial the way we do trials for  
25 medications like with physicians and monitoring the

1 patients.

2 CHAIRMAN BRASS: Are there other thoughts  
3 on this point? And let me just emphasize that we're  
4 not saying there's not a benefit, we're just basing it  
5 on what's been presented to us.

6 DR. EDWARD KRENZELOK: I was a little bit  
7 surprised there really wasn't very much emphasis on  
8 any of the studies on the effect of diet and exercise  
9 and I just wonder what impact diet and exercise might  
10 have had and there was conflicting data at least as we  
11 looked at, I think it was 075 and 076 in terms of the  
12 ultimate data.

13 And I just wonder if it might be possible  
14 to reanalyze the data looking at those variables and  
15 see what impact those things have on LDL and HDL and  
16 that may help resolve some issues, at least in my  
17 mind.

18 CHAIRMAN BRASS: I think with that  
19 information, I doubt it would fundamentally address  
20 this. And let me just emphasize a part of the reason  
21 this issue is important is because the data in the  
22 prescription world, with titrated dose and physician  
23 supervision, has documented the efficacy of primary  
24 prevention in a cohort that includes many of these  
25 same patients.

1           So then again, now the issue of the 10  
2 milligrams versus a proven therapy, the burden of  
3 proof on the 10-milligram dose paradoxically becomes  
4 more difficult because now there is, in fact. Doctor,  
5 comment?

6           DR. TAMBORLANE: Well, I was thinking a  
7 little out loud, but it seems to me it's going to be  
8 difficult to do a long-term cardiovascular risk  
9 factor, but some study that actually would compare  
10 again the prescription use of an optimized dose versus  
11 the standard 10-milligram dose over a relatively short  
12 term, a year or something like that. If you had  
13 comparable lipid-lowering effects, it might be of some  
14 benefit.

15           DR. DAVIDSON: Well Bill, actually if you  
16 look at some of the studies, in 12 months you start  
17 seeing in the treated and untreated a different curve.  
18 Maybe not power enough to look at many events, but you  
19 start seeing events at one year. Then I think that's  
20 possible.

21           CHAIRMAN BRASS: Dr. Temple.

22           DR. ROBERT TEMPLE: I just want to be sure  
23 we understand. The last suggestion was for example  
24 you might take a population say like the AFCAPS  
25 population and randomize them to aggressive monitoring

1 by physician titration and alternatively to just give  
2 them 10 milligrams and ignore them. I don't mean to  
3 be facetious, but that's the sort of thing one might  
4 look at.

5 Of course that would be for that  
6 population, but still.

7 CHAIRMAN BRASS: And at either  
8 cardiovascular endpoint on that study, you'll still  
9 need a tremendous number.

10 DR. ROBERT TEMPLE: No, no, I didn't mean  
11 that. That would not be a cardiovascular endpoint  
12 obviously, it would be a, how do I do with my lipids?

13 CHAIRMAN BRASS: Right, and that would be  
14 a comparison of the LDL as was suggested.

15 DR. GRADY: And I think that's then  
16 reassuring, particularly if there was a persistent  
17 equal effect on lipids over time and it's still one of  
18 the things that concerns me is that this is not going  
19 to persist for a year or two.

20 CHAIRMAN BRASS: Dr. Johnson.

21 DR. JULIE JOHNSON: I guess that there are  
22 two questions that I sort of have and one is what  
23 would a trial that shows that it lowers LDL provide us  
24 when we voted unanimously that they've already  
25 documented that it lowers LDL?

1 My second question is this issue about  
2 persistence and compliance with therapy long term.  
3 Clearly if people are not compliant with their therapy  
4 they're not going to derive a benefit and I guess sort  
5 of being the devil's advocate, why should we penalize  
6 those who are compliant and will persist with their  
7 therapy for many years, just because there is a high  
8 percent of the population that is noncompliant?

9 And that's certainly not something that's  
10 going to be confined to the OTC world. There's plenty  
11 of that for prescription drugs.

12 DR. TAMBORLANE: I would respond to that  
13 by saying the sort of borderline swing person might be  
14 more compliant if properly monitored by a medical  
15 team, and that if you're going to make it available  
16 over the counter, that might discourage those  
17 individuals from seeking that kind of advice and  
18 support, and end up with less compliance.

19 CHAIRMAN BRASS: Dr. Davidson.

20 DR. DAVIDSON: Well, we talk about the  
21 well-informed, well-motivated patients. Those  
22 patients go to see us and they comply, and they  
23 actually request from the physician to the lipids and  
24 they are interested in doing the lipids and they know  
25 what a normal LDL is and they read all the magazines.

1 Those are not the ones I'm worried about.

2 CHAIRMAN BRASS: Have we covered the  
3 issues for question one adequately from your  
4 prospective? Then we will move on to question two,  
5 and again I will take the liberty of just reading the  
6 punch line.

7 Taking into account these and other safety  
8 issues, has the sponsor presented adequate data to  
9 support the safety of lovastatin 10 milligrams in the  
10 target population?

11 Dr. Neill.

12 DR. NEILL: Yes.

13 CHAIRMAN BRASS: And again, in our initial  
14 answering to this question, we are taking it globally  
15 and not simply in the OTC context, but that simply is  
16 10 milligrams safe in this target population?

17 Any other points that would be raised?

18 Then we will take a quick vote on this one  
19 as well. All those who feel that the safety of 10  
20 milligrams in the target population has been  
21 demonstrated, please raise your hand.

22 (Hand vote taken)

23 DR. TITUS: Thirteen yeses.

24 CHAIRMAN BRASS: Noes?

25 Abstentions?



1                   Need for further discussion on two?

2                   Question three. Taking into consideration  
3                   the balance of risk and benefit, has the sponsor  
4                   presented data that are adequate to support the use of  
5                   lovastatin 10 milligrams in the low-risk population  
6                   with total cholesterol 200 to 400, LDL greater than  
7                   130, regardless of the HDL-C level without coronary  
8                   heart disease or diabetes?

9                   And I think we discussed a lot of this in  
10                  our discussion of the first point. Are there any  
11                  issues that anybody would like to bring out that  
12                  wasn't?

13                  Yes, Dr. Davidson.

14                  DR. DAVIDSON: I'm going back to the  
15                  studies were exclusive and noninclusive. Really with  
16                  the way the studies were done, it's hard to tell if  
17                  all the populations that are at risk could benefit  
18                  from.

19                  CHAIRMAN BRASS: I think some of the  
20                  issues that we had with the HDL in particular in  
21                  defining subpopulations were brought out and I think  
22                  some of even the epidemiologic data that would lend  
23                  further support to the hypothesis that in this range  
24                  the LDL remains independent of relatively high HDLs I  
25                  think would be important in helping understand that

1 relationship better.

2 Are there other points that people would  
3 like to bring out? I'm going to ask, if you don't  
4 mind, we won't bother to vote on that one separately  
5 because it's so much like number one or do you want a  
6 separate vote?

7 Now we get to the multipart questions.

8 Okay, question four. These now begin to  
9 focus specifically on the issues related to OTC use.

10 Assuming an indication for the use of  
11 lovastatin 10 milligrams in the proposed target  
12 population could be justified based on an expectation  
13 of clinical benefit, has the sponsor adequately  
14 demonstrated that consumers can achieve such a  
15 clinical benefit in an OTC setting?

16 In responding to this question, please  
17 consider the following: The ability of consumers to  
18 self-select/deselect, the ability of consumers to  
19 evaluate response to treatment, ability to adhere to  
20 chronic therapy, the need for the physician or the  
21 healthcare professional in the effect of treatment,  
22 and the capacity of the label to direct consumers in  
23 the effective use of lovastatin 10 milligrams OTC.

24 So I think I'll just open this up for  
25 discussion. Dr. Neill.

1 DR. NEILL: I have a question about the  
2 actual use study that relates to subparts A and B,  
3 whether consumers can appropriately self-select and  
4 deselect and whether they can evaluate response to  
5 treatment. And it might be best to actually focus  
6 specifically on the actual use unblinded trial.

7 My understanding of that trial is that  
8 patients were recruited, they came into a storefront-  
9 like place, were asked to self-select, they were  
10 assessed whether or not they appropriately self-  
11 selected, and for those patients who ended up on  
12 medication regardless of the selection process, their  
13 lipids were periodically checked for a period that  
14 extended past eight weeks.

15 It's unclear to me whether or not the fact  
16 that their lipids -- let me say this differently.  
17 We're asking consumers to check their cholesterol or  
18 check with their physician after eight weeks and to  
19 have their cholesterol checked.

20 I didn't hear any data that informs me  
21 about how those consumers knew their initial  
22 cholesterol, although I've been told that pharmacy and  
23 out-of-physician-office testing is very common, I  
24 haven't heard any data about that and I'm curious  
25 about whether that was collected.

1                   And then I guess I'm also curious because  
2 I believe, in these studies, their lipids were checked  
3 by the study personnel and it's not the case that you  
4 simply followed them along to see would they visit  
5 their doctor? Would they have their cholesterol  
6 lipids checked? And see whether they did and see how  
7 they did that, did they do it appropriately using any  
8 of these other methods which are now so prevalent?

9                   And I'm being a little facetious because  
10 I'm not sure that that's the case, that they're that  
11 prevalent. And if this were really an actual use  
12 study I think it would be important to design it in a  
13 way that you monitored what they did from as far a  
14 distance as possible rather than drawing their lipids  
15 for them, telling them to fast for two or six or some  
16 number of hours, and having them see a study physician  
17 as opposed to their physician.

18                   So if somebody could inform me about  
19 whether any of those things happened in a natural  
20 environment or natural experiment rather than with  
21 study personnel, I'd be happy to hear it.

22                   DR. LAROUCHE: Your description of our  
23 trials mixed together two of the different trials, so  
24 the one where we have the longest data on the lipid  
25 reductions was actually from the pharmacy study and