

1 the immune-compromised individuals, especially children with
2 AIDS, in which they are going to be exposed to azoles for
3 many years to come and we are going to contribute to the
4 development of resistance in those kids.

5 [Slide.]

6 I just wanted to make a few comment about Candida
7 detection from reading the literature supplied in the red
8 books. Candida albicans, as we have heard already today, is
9 ubiquitous and commensal and, actually, if you look hard
10 enough, you can find Candida commensal colonization on a
11 variety of different skin surfaces, up to seventeen
12 different body locations.

13 David Sole in Iowa can get Candida from all those
14 locations in a normal, healthy person. Clearly, the GI
15 tract is constantly colonized with Candida albicans so
16 Candida albicans is not--it is difficult for me to think of
17 Candida as not being in any particular body location.

18 [Slide.]

19 I would also like to make the point that there has
20 been a lot of discussion about pseudohyphae. Candida
21 albicans does make both yeast cells or blastospores and
22 pseudohyphae. But the point is that we now understand that
23 pseudohyphae can be and are present both in commensal growth
24 and in disease or infection.

25 So it may be just a numbers game. When you see

1 pseudohyphae, you may associate it with disease just because
2 the numbers are higher but, on a molecular biology level,
3 hyphae are seen both in commensal growth and in disease or
4 infection.

5 [Slide.]

6 In addition, I think that in the Year 2000, we
7 have to start thinking about the fact that *Candida albicans*
8 is not the only species anymore. While it was the
9 predominant species, 80 or 90 percent in the '80's,
10 nowadays, it is 50, 60 percent in populations, especially
11 populations who are using azoles.

12 In addition, as has already been mentioned, we
13 have two pseudohyphae-positive species; *Candida albicans* and
14 *Candida dubliensis*. *Dubliensis* is a relatively new species.
15 It is difficult to differentiate from *albicans*. But one of
16 the problems with it is that it tends to be more
17 azole-tolerant and so, in fact, you could be--one of the
18 things that complicates the situation is that we have this
19 species that is pseudohyphae-positive and azole-tolerant.

20 In addition, these other two species, *glabrata* and
21 *krusei*, that are more azole-resistant, are increasing in
22 frequency. These are pseudohyphae-negative. *Glabrata* and
23 *krusei* clearly can cause oral, systemic and vaginal
24 infections. I looked and was unable to find any
25 documentation whether they do or do not form dermatitis or

1 skin infections.

2 [Slide.]

3 So, in terms of Candida detection, pseudohyphae is
4 not a great indicator, especially if glabrata or krusei are
5 increasing in frequency. Culture usually requires a long
6 time and can be less sensitive. Even a KOH is going to have
7 a problem looking for the presence of cells. Again, there
8 is a certain amount of low sensitivity in developing a KOH.

9 [Slide.]

10 The last point I would just like to make is that
11 we have been studying a variety of patient populations
12 including diabetics and AIDS patients, et cetera. This
13 correlation between number of Candida cells and disease is
14 not always a good correlation.

15 We have diabetics with 5,000 colony-forming units
16 per ml in their saliva--this is oral candidiasis--5,000
17 colony-forming units per ml, and they have no disease. So
18 their commensal growth in their mouth is 5,000
19 colony-forming units per ml with no disease, and we have
20 AIDS patients with as little as 50 colony-forming units who
21 have erythematous candidiasis.

22 So this absolute correlation between numbers and
23 disease is not a correlation that you can draw, at least in
24 oral candidiasis. I don't know about dermatitis. So the
25 usefulness of a detection tool to say Candida is present or

1 not, in my mind, there are a lot of issues surrounding that
2 issue.

3 I would like to stop there. Thank you.

4 DR. DRAKE: Are there any questions? Dr.
5 DiGiovanna?

6 DR. DiGIOVANNA: I found that very helpful. One
7 question I have is you mentioned agricultural use of azoles.
8 I wonder specifically would that include this product and
9 what sort of agricultural use?

10 DR. WHITE: There are other azoles as well as
11 other enzymes. The azoles target a specific enzyme in the
12 biosynthesis of a sterol called ergosterol. There are a lot
13 of agricultural products to several different steps in that
14 biosynthetic pathway. So there are some azoles available
15 for agricultural use, but there are also other drugs that
16 inhibit other parts of the same pathway.

17 As we have looked, we see cross-resistance. When
18 you make mutations in both the pumps and in the target
19 enzyme, you can also get cross-resistance to these other
20 drugs that are available for agricultural use.

21 DR. DiGIOVANNA: Do you know if this product is
22 available for animal use?

23 DR. WHITE: I don't believe so.

24 DR. KO: I can answer that. It is available for
25 animals but not at that concentration requested today.

1 DR. DiGIOVANNA: Is it topically available,
2 systemically? Is it widely used or is it used--

3 DR. KO: Topically.

4 DR. DRAKE: Dr. Chesney?

5 DR. CHESNEY: Dr. White, this question may be for
6 you or for Dr. Rinaldi or Dr. Witebsky or maybe the company.
7 In the materials we were provided, it says that miconazole
8 has activity against Gram-positive cocci, Gram-positive
9 bacilli and Gram-negative organisms.

10 That was a surprise to me. I wonder what kind of
11 activity we are talking about. Are we talking about static
12 or cidal activity, because, although we are focusing on the
13 fact that the Candida might become resistant, and I think
14 most of us would agree with your summary, I am beginning to
15 wonder whether we should worry about whether we are
16 replacing normal flora with resistant bacterial flora.

17 I wondered if anybody had experience with what the
18 MICs are. Is it cidal or static? Have there been any
19 studies looking at the bacterial flora following miconazole
20 use?

21 DR. WHITE: I can't speak to the antibacterial
22 effects of azoles.

23 DR. RINALDI: Don't lose any sleep over this. In
24 the early days of azoles, it was determined early on that
25 there was, indeed, some antibacterial activity but

1 clinically, when they put it into clinical use in animals,
2 right from the start, it was clear that this was one of the
3 crappiest antibacterial drugs that ever was.

4 So this is not going to do anything to any
5 bacteria that is going to be of any meaning to anything. So
6 you can sleep good tonight.

7 DR. DRAKE: Joe?

8 DR. MCGUIRE: Dr. White, thanks for your talk. It
9 was very informative. But I heard two things. In the first
10 part of your talk, you said, "It is going to be okay, Joe.
11 Don't worry about it. An occasional exposure is not going
12 to induce resistance."

13 And then, at the end of the talk, you got my
14 attention again by talking about glabrata and dubliensis and
15 krusei. So which part of the talk do I take on?

16 DR. WHITE: It is clear that, in the Year 2000, we
17 are not just dealing with Candida albicans. We have
18 glabrata and krusei and tropicalis and other species to
19 worry about. I don't think there is any good data on the
20 common commensals.

21 The shift from albicans to
22 glabrata/krusei/tropicalis, et cetera, has occurred in
23 immune-compromised patient populations and other patient
24 populations in which azole drugs are used. I don't think
25 there is any evidence, at the moment, that the normal

1 commensal colonizers are switching but, in the presence of
2 azole drug, there is the potential to switch from--to
3 gradually evolve towards these other non-albicans species.

4 Asking me to predict how that is going to happen
5 based on this drug, I can't make those predictions. We know
6 that that shift has occurred in the patient populations
7 which use fluconazole, itraconazole, voriconazole, et cetera.

8 Did that answer your question?

9 DR. MCGUIRE: Thanks.

10 DR. DRAKE: Dr. Rosen, you had a comment

11 DR. ROSEN: I would actually like to ask Dr. White
12 for a comment based on a question that I am going to ask, or
13 even the sponsor as well, since we didn't have a chance to
14 ask questions of the sponsor directly.

15 DR. DRAKE: We will in a minute. Right now, I
16 would like to address our questions to our experts, please

17 DR. ROSEN: Okay. Then I will ask Dr. White. The
18 product that is under consideration is a 0.25 percent
19 miconazole-containing ointment whereas what is available and
20 up on the podium there is a 2 percent miconazole-containing
21 product. There is a difference in concentration.

22 In your expert opinion, as a mycologist, does that
23 percentage, lower percentage, over time, or with repetitive
24 use, enhance, decrease or no difference in terms of the
25 potential for resistance, understanding we are talking about

1 theory, now.

2 DR. WHITE: Let me just mention the AIDS patients
3 and the bone-marrow-transplant patients. Clearly, the
4 levels we are talking about are much different because those
5 patients were treated with much higher doses.

6 In the AIDS patients, they were given essentially
7 low doses of azoles and resistance occurred over--they were
8 given low doses for long periods of times, months or years,
9 and resistance developed.

10 Bone-marrow transplant patients are given high
11 doses daily and resistance is developing within a week or
12 two. So we have low doses developing over a long time and
13 high doses developing over a short time. I think the answer
14 is it is a static drug and you are going to get selection
15 for strains or species that are more resistant.

16 The lower dose, it may take longer to develop
17 resistance. A higher dose, it may take a shorter amount of
18 time.

19 DR. DRAKE: Dr. King?

20 DR. KING: I find it quite fascinating, your last
21 bit of data about the number of organisms in the mouth bears
22 no relationship to disease. It leads to the question, if
23 there is an increased number of Candida in the mouth in
24 diabetics, is that also true for the genital area because
25 that is a well-known phenomenon, that candidiasis of the

1 genital area is quite common in diabetes, and diabetic
2 children have the same kind of problem.

3 That makes interpretation very difficult. The
4 presence of candidiasis in great numbers does not mean
5 disease.

6 DR. WHITE: First, I should say that diabetics do
7 have a higher incidence of Candida infections than other
8 patients. The fact that they have more Candida may
9 predispose them to have higher disease. My point was just
10 that you can have patients with a high number and no
11 disease.

12 I think it is also important to keep in mind that
13 at least orally there are two types of Candida. There is a
14 massive overgrowth and there is a subtle interaction of
15 Candida with the mucosal surface which we believe also
16 happens vaginally, although it is not well documented
17 vaginally.

18 So you can have a massive overgrowth, the
19 cottage-cheese phenomenon, but you can also have a subtle
20 interaction of Candida with mucosal surface which results in
21 an inflammation which causes as much problem as the massive
22 overgrowth.

23 DR. KING: Then is it a math problem, a numerator
24 versus a denominator, the numerator would be the number of
25 organisms and the denominator would be the number of cells

1 reacting or cytokine response? Is that what you are saying?

2 DR. WHITE: I think that the equation is that you
3 have a pathogenic yeast and an immune system. Both of them
4 are--it is a war. How they each fight the war will
5 determine whether you get disease or not.

6 It could be numbers. It also can be how the
7 fungus is actually attacking the mucosal surface.

8 DR. KING: It seems like if you have commensal
9 growth, there is no war. One side has already surrendered.

10 DR. WHITE: Absolutely, but you can also have low
11 numbers of Candida that are causing an immense immune
12 response that is causing that.

13 DR. KING: I won't belabor it, but that is an
14 intriguing point.

15 DR. DRAKE: Steve?

16 DR. FELDMAN: One quick question. We heard that
17 with the topical, even in its lower concentration, we are
18 still a thousand times the MIC. You mentioned the high dose
19 used in the bone-marrow transplant unit. What kind of dose
20 is that in relation to MIC?

21 DR. WHITE: Well, I believe the point made about
22 the topical was the systemic level of the drug. Clearly,
23 that is a thousand-fold lower than anything you will see
24 with bone-marrow transplant patients.

25 But I think the issue is what is the concentration

1 of the drug at the surface of the skin, which I don't know
2 what that is compared to the MIC.

3 DR. DRAKE: Seeing no other hands, I think moving
4 right along. It is early for lunch. We might be able to go
5 on through. So is the FDA ready to begin your
6 presentations? Great.

7 Dr. Wilkin? Are you going to make some opening
8 comments? You want to switch?

9 DR. WILKIN: Actually, Dr. Ko will begin the
10 presentations.

11 DR. DRAKE: Dr. Ko will go first. Dr. Ko, please.

12 I want to compliment our expert witnesses. Your
13 presentations were concise and informative. It was great.
14 So thank you for taking the time to do this for us.

15 **FDA Presentations**

16 **NDA 21-026 Miconazole Nitrate, 0.25 percent**

17 DR. KO: Thank you, Mr. Chairman.

18 [Slide.]

19 Today we have NDA 21-026 before us with the
20 proposed trade name Pediastat. This has not yet been
21 approved and so I will be just mentioning the drug as the
22 sponsor's product. The sponsor is Johnson and Johnson
23 Consumer Companies.

24 [Slide.]

25 My presentation will be divided into the following

1 areas; diaper dermatitis as a clinical condition, diaper
2 dermatitis as an indication, the sponsor's miconazole
3 nitrate, 0.25 percent ointment, development of topical
4 antifungal products for diaper dermatitis and the sponsor's
5 development program.

6 Then I will address the risk of resistance before
7 making the conclusions.

8 [Slide.]

9 I am going into a discussion of diaper dermatitis
10 as a clinical condition. As you know, this condition is
11 usually managed by parents and general practitioners,
12 pediatricians and, really, the dermatologists may be seeing
13 the more recalcitrant cases.

14 [Slide.]

15 I don't want to go into this slide in any detail
16 because this has been discussed a lot in the last two days.
17 Basically, diaper dermatitis is primarily an
18 irritant-contact dermatitis aggravated by other factors.

19 Also, it may be predisposed by some underlying
20 conditions such as atopic seborrhea or psoriatic diatheses.
21 Secondary infections can include fungi and bacteria
22 including *Candida albicans*.

23 [Slide.]

24 Concerning the role of *Candida albicans* in diaper
25 dermatitis, again, we have had a lot of discussion on this

1 this morning. But, as you have heard, the recovery rate of
2 *Candida albicans* on the skin of infants with diaper
3 dermatitis varies a lot from 8 to 77 percent in the
4 literature.

5 It may depend on the actual population being
6 studied. As I said earlier, most diaper dermatitis cases do
7 not necessarily go to the dermatologist and may not even go
8 to the physician. Also, we have heard from the experts that
9 the collection and culture methodology may affect the
10 outcome of recovery.

11 We have heard discussion from the panel about the
12 role of the *Candida* cells in diaper dermatitis in the
13 absence of actual live cells. Suffice it to say, without
14 going into detail, that the experimental data were not
15 actually done in the diaper area and they were done using
16 levels that are probably much higher than those expected in
17 the diaper area.

18 From the sponsor's studies, *Candida albicans* at
19 the rash site, can be recovered in about one-third of the
20 patients, both in the U.S. study and in the one Australian
21 study where a culture was done. So, in two-thirds of cases,
22 *Candida albicans* was not recovered.

23 [Slide.]

24 You have actually seen the data here from the
25 sponsor. This is from the U.S. study concerning--the data

1 here concerns recovery of Candida albicans in patients who
2 had clinical suspicion of candidiasis and in those without
3 clinical suspicion of candidiasis.

4 If you look at the pie chart there, again,
5 two-thirds of patients do not have Candida albicans
6 recovered and one third are positive in the cultures. But,
7 with the culture-positive group, clinical Candida
8 constituted only 8 percent while clinically not suspected of
9 candidiasis is 25 percent.

10 So these 27 percent probably will represent those
11 who have colonization but not overt clinical evidence of
12 infection. Again, you have about two-thirds of patients
13 that are negative for Candida culture. Then, significantly,
14 you have 7 percent of patients who had the clinical
15 suspicion of candidiasis even though they are culture
16 negative.

17 [Slide.]

18 Now I am going to the area of diaper dermatitis as
19 an indication. For the sake of time, I will not discuss
20 with you about OTC things because the request for this NDA
21 is for a prescription product. If you prefer, we can
22 discuss about OTC issues later.

23 [Slide.]

24 In one of these advisory committee meetings in
25 November of 1990, the issue of diaper dermatitis was

1 actually discussed. In the summary minutes, it says that
2 the committee discussed the problems with terminology of
3 diaper dermatitis as a diagnosis as it could include
4 chemical irritation, bacterial or secondary fungal infection
5 or some unrelated disease process.

6 At that time, the committee unanimously agreed
7 that diaper dermatitis is not a defined diagnosis and,
8 therefore, is not an appropriate indication.

9 [Slide.]

10 The indication requested in the proposed label is
11 infants with diaper dermatitis. Now, I have noticed today
12 that the sponsor's slide says treatment of infants with
13 diaper dermatitis, so that is slightly different. According
14 to the regulations, indications for drugs should be for
15 treatment, prevention or diagnosis of a recognized disease
16 or condition. The example quoted was like pneumonia due to
17 pneumococci.

18 Or manifestations of a disease or condition such
19 as use of a diuretic for edema. Or relief of symptoms
20 associated with a disease or syndrome. The example quoted
21 was like use of an antihistamine to treat symptoms of
22 rhinitis.

23 The indication could also be for selected
24 subgroups of the larger population with a disease syndrome
25 or symptom which can be identifiable with specific tests

1 needed for selection or monitoring. I understand from the
2 discussion that there may be a problem in dealing with
3 Candida for this condition that we are addressing.

4 Or the condition may be reserved for certain
5 indications such as cases refractory to other drugs. Again,
6 we had this discussion earlier this morning that diaper
7 dermatitis is a family of indications and so this proposed
8 indication could be problematic.

9 [Slide.]

10 I am going to discuss with you about the sponsor's
11 product, miconazole nitrate, 0.25 percent ointment.

12 [Slide.]

13 This product contains a lower concentration of
14 miconazole nitrate than that which is currently available.
15 It also contains zinc oxide, 15 percent, in an ointment base
16 petrolatum. Again, I want to mention that this is an
17 ointment base drug. We have heard earlier that zinc-oxide
18 paste may be a preferable kind of skin barrier in this
19 condition.

20 This product is approved in twelve countries but
21 not in Norway. Again, I am not going to discuss with you
22 about the reasoning of Norway but, if you want, we can talk
23 about it at question time. The product is an antifungal and
24 the proposed label under the mode of action mentions only
25 antimicrobial activities and not any claim on the

1 petrolatum, zinc-oxide ointment base.

2 Since we have heard today a lot of discussion
3 about the skin-barrier effect, we understand that this is
4 present but it is not a claim for this product.

5 [Slide.]

6 I am going to talk about the development of
7 topical antifungal products for diaper dermatitis.

8 [Slide.]

9 This is a quote from Dr. Schroeter in the advisory
10 committee meeting in November of 1990, which I have
11 mentioned earlier. Dr. Schroeter said, "If you are doing
12 studies for antifungals in diaper dermatitis, you should do
13 it scientifically and get positive cultures and
14 identification of organisms just as we have done with other
15 infectious diseases in studies where we were studying the
16 efficacy of an antifungal agent."

17 For those with diaper dermatitis without fungus
18 infection, indeed, they are not qualified for the study
19 because we are evaluating the safety of an antimicrobial
20 agent specific for fungus, yeast.

21 [Slide.]

22 Here I list those endpoints that we generally
23 accept for the success of there for an antifungal therapy.
24 I think this has been addressed somewhat yesterday, too. We
25 would like to see mycologic cure, having negative KOH and

1 culture results and absence of symptoms and signs and
2 complete cure with absence of mycologic positive results
3 plus a clinical cure.

4 These parameters are generally evaluated at the
5 end of treatment and at a predefined follow-up visit.

6 [Slide.]

7 This is just quoting the guidance on the study of
8 vulvovaginal candidiasis which speaks of the same thing,
9 that you need to set up at the baseline KOH demonstrating
10 presence of the yeast and also culture positivity for the
11 studies.

12 [Slide.]

13 The importance of KOH in antifungal studies on
14 Candida infection is emphasized by Dr. John Bennett who,
15 unfortunately, is not able to come today. In his book, he
16 mentions that, "The demonstration of pseudohyphae on a smear
17 of cutaneous oral esophageal and vaginal lesions is the
18 single best diagnostic test and biopsy is not necessary."

19 Culture of skin or mucous-membrane lesions can
20 support the microscopic findings but such a culture, itself,
21 is not diagnostic.

22 [Slide.]

23 I have mentioned these data a few slides back and
24 also you have seen it from the sponsor. Basically, even the
25 sponsor agrees that Candida albicans is present in a

1 substantial number of rashes that do not have the
2 characteristic appearance of candidiasis which may be due to
3 colonization rather than infection then.

4 [Slide.]

5 We have heard a lot about clinical practice, both
6 yesterday and today. The agency does not regulate clinical
7 practice so I am not going into any discussion of that. The
8 only thing I want to mention is that even in Nelson's
9 textbook of pediatrics, the pediatricians have been
10 recommended to do KOH or culture to make sure that they are
11 dealing with Candida infection before treatment.

12 [Slide.]

13 The next topic would be the sponsor's product
14 development.

15 [Slide.]

16 The clinical program has been discussed by the
17 sponsor this morning. Briefly, the program consists of
18 phase I dermal safety studies and a pharmacokinetic study in
19 infants with diaper dermatitis. To support this NDA, three
20 phase III studies for diaper dermatitis in infants are
21 presented, one United States study and two Australian
22 studies.

23 In addition, there is a study for diaper
24 dermatitis in elderly hospitalized patients.

25 [Slide.]

1 I am going to discuss with you about the efficacy
2 data. Before that, then, we need to talk a little bit about
3 the phase III studies.

4 [Slide.]

5 The sponsor has presented the design of studies
6 and here, suffice it to mention, these are studies done in
7 the 1980s. Two of the three studies were done in Australia.
8 The first study was underpowered and the sponsor also agrees
9 with that in their presentation. It is a two-center study
10 which did not succeed. There was some trend, but it did not
11 show statistical significance to demonstrate the efficacy of
12 their product.

13 The Australian studies consist of one
14 single-center study that had cultures studies done and
15 another study which had two centers but lacking mycologic
16 data for an antifungal. Other issues are listed here very
17 briefly. There is a lot more detail in your briefing
18 package but we have no time to go into them.

19 The distinction between subtypes of diaper
20 dermatitis is unclear and the status of *Candida albicans*
21 involvement not clearly defined. As you heard from the
22 sponsor's presentation today, the emphasis is still on
23 endpoints that are not pertinent to antifungal studies. You
24 have heard about the reduction in the rash scores. That may
25 be good for a study on skin protection but we are dealing

1 with an antifungal here. And we don't have information on
2 follow-up visits after the end of treatment at Day 7.

3 [Slide.]

4 The U.S. study, as I mentioned, had two
5 investigators. There were 100 infants with dermatological
6 manifestations consistent with the diagnosis of diaper
7 dermatitis who were randomized to active and vehicle.

8 The drug was applied to clinically affected areas
9 with each diaper change and after bathing for seven days.
10 The treatment was to continue even if signs of the diaper
11 dermatitis were no longer visible. There was clinical
12 evaluation during and at the end of the study and culture on
13 day 0 and at the end. There was no KOH or wet mount for
14 detecting the Candida

15 [Slide.]

16 This slide shows the data from the U.S. study. At
17 day 7, patients with clinical and culture clearing are shown
18 as follows. As you can see, patients with baseline
19 Candida-culture-negative, there was no significant
20 difference between active and vehicle.

21 With Candida-positive-culture patients, there was
22 some difference but not statistically significant. Again,
23 as we mentioned earlier, this was the study submitted in
24 1985 to support the OTC treatment of diaper dermatitis and,
25 since the study was no successful, this was not approved.

1 It is important to note that with the active
2 group, there were only 35 percent having clinical and
3 culture clearing. So, about two-thirds of patients did not
4 have complete clearing and these patients would be prone to
5 continue treatment if the prescriber feels that it is
6 important to continue the clearing.

7 [Slide.]

8 The Australian studies were very similar. These
9 two studies had almost identical protocols except that, in
10 one study, we do not have culture information. Also, one
11 study, despite having culture information, was a
12 single-center study.

13 The sample size has been increased, so it has
14 doubled the U.S. studies. The protocols were otherwise
15 similar to the U.S. study. Also, no KOH was done.

16 [Slide.]

17 Here we have the efficacy data on the Australian
18 single-center study since this is the one with culture
19 information. Those patients having clinical and culture
20 clearing did not show a significant difference between
21 active and the vehicle in the Candida-albicans-negative
22 group, Candida-albicans-negative at baseline.

23 Also with the Candida-albicans-positive group, the
24 active treatment group, had 63 percent success. So you have
25 37 percent of patients who did not achieve a complete kind

1 of clearing. So, again, these may be patients more prone to
2 have repeated treatment.

3 [Slide.]

4 The second Australian study does not provide any
5 mycologic data so, for the sake of analysis of an antifungal
6 effect, this was really unevaluable because it would not be
7 possible to see whether the product has the intended effect
8 as an antifungal. So we could only look at the clinical
9 clearing. Clinical clearing occurred with 61 percent of the
10 patients and so you still have about 40 percent that did not
11 clear clinically and, again, may require additional
12 treatment.

13 [Slide.]

14 This is only a summary of the information you have
15 seen in the past few slides. Again, baseline
16 Candida-albicans-negative, there is not significant
17 difference between active and vehicle while for those having
18 baseline Candida-albicans-positive cultures, one
19 single-center study showed that there is a significant
20 difference between active and vehicle.

21 But you still have a substantial proportion of
22 patients who are prone to get further treatment.

23 [Slide.]

24 The sponsor also provides information on one
25 Belgian study in elderly hospitalized patients with diaper

at

1 dermatitis. It is not used to support the proposed
2 indication. I am just showing you this for completeness
3 sake. It is a double-blind parallel group comparison of
4 14-day treatment with miconazole nitrate, 0.25 percent
5 ointment or, the ointment base followed by 28 days of
6 open-label use in these patients applied with each diaper
7 change and at least twice daily.

8 The endpoints were global clinical response and
9 mycologic culture.

10 [Slide.]

11 At the end of the blind phase, there was no
12 significant difference between active and vehicle with
13 regard to clinical clearing or mycologic clearing. At the
14 end of the 28-day open phase where the initial vehicle group
15 were also given actives, or everyone had active, really,
16 also there was no significant difference between these
17 patients.

18 Again, you can see that even after six weeks of
19 treatment, 14 days in blind phase and 28 days of the open
20 phase, even for mycologic clearing, it was only about
21 one-half.

22 [Slide.]

23 Conclusions on the efficacy data. Although
24 statistically significant finding in patients with positive
25 baseline *Candida albicans* cultures at the rash sites is

1 noted on one single-center Australian study. The U.S. study
2 with two centers does not support effectiveness.

3 The sponsor's product has not demonstrated
4 superiority over the ointment base in patients with negative
5 baseline Candida albicans culture at rash site. The
6 rationale of extrapolation of the Australian data to the
7 U.S. has not been provided until this morning we have heard
8 the sponsor's presentation including some rationale.

9 Again, I am not going to go into this for the sake
10 of time but, if you would like, we can address this in the
11 questioning period.

12 Efficacy in diaper dermatitis of elderly
13 hospitalized patients is not demonstrated.

14 [Slide.]

15 I am going into the safety issues.

16 [Slide.]

17 The patient exposure to the sponsor's product in
18 phase III studies can be summarized in this table. The
19 table shows the number of patients who actually received the
20 proposed product. Even though we have 252 patients exposed
21 in phase III for seven days, however, the groups are of
22 greater interest, those with positive or negative Candida
23 albicans cultures.

24 We have only information on 47 for the positive
25 and 105 for the negative because, unfortunately, we don't

1 have information on the second Australian study.

2 [Slide.]

3 The sponsor calculates potential exposure in the
4 application about 24 days on average and maybe up to 80 days
5 for severe episodes and recurring in infancy. So the
6 conclusion from the sponsor is that total exposure really is
7 limited to approximately three months, and so the child
8 would not have chronic exposure to the drug substance.
9 Miconazole nitrate is for non-chronic indications only.

10 [Slide.]

11 This slide deals with potential exposure. The
12 agency's focus on safety is on conditions of maximal use
13 compatible with labeling. Depending on the likely projected
14 usage, maximal use may not necessarily be for eight episodes
15 or 80 days as suggested by the sponsor. Yesterday, you
16 heard postmarketing data of other drugs that have been used
17 for diaper dermatitis and could be up to many, many weeks.

18 Topical miconazole nitrate to the diaper area does
19 not address the reservoir of *Candida albicans* which may
20 predispose to relapse. Again, you have heard this morning
21 about these infants who actually harbor the *Candida albicans*
22 in different areas of the body, maybe up to seventeen
23 locations.

24 [Slide.]

25 Our safety concerns may be summarized in this

1 slide. This is an ointment base product. The occlusive
2 nature of petrolatum zinc oxide in Candida infection has not
3 been explored either by the sponsor or actually in the
4 literature. The adverse effects may be masked by the
5 presence of an antifungal.

6 Patients given miconazole nitrate in the Belgian
7 study on the elderly, there was one report there in which a
8 patient who had left hemiplegia, that with use of the
9 treatment, developed moniliasis genitalis which was
10 considered severe and had to be discontinued from therapy
11 after 24 days of treatment.

12 Also, in your package, there is this paper by Dr.
13 Campbell at Baylor that shows that topical petrolatum
14 ointment reportedly promoted increase in the incidence of
15 systemic candidiasis in extremely low-birth-weight infants.

16 I am coming back to these dermal safety studies in
17 phase I that I mentioned earlier. These were done in
18 healthy adults. They are not done in the diaper area. So
19 we really need a good database to insure safety in infants
20 with diaper dermatitis, especially those with Candida
21 infection.

22 Unfortunately, the adverse-event database may be
23 incomplete. The briefing package mentions to you that in
24 two of the phase III studies, the adverse data were actually
25 collected in the form of treatment-related adverse events,

1 not necessarily all adverse events. So if the investigator
2 did not regard the event as having relation to the
3 treatment, it is not an adverse event.

4 [Slide.]

5 The next topic is on resistance. We have heard a
6 lot this morning so I am going to be very brief.

7 [Slide.]

8 Candida albicans resistance was first reported
9 with miconazole in 1978 in The Lancet by Holt and Azmi in a
10 patient treated for urinary candidiasis. Even in that very
11 first case report was cross-resistance to clotrimazole and
12 econazole reported already.

13 [Slide.]

14 Here you can see the structural formula of
15 miconazole. It is only different from econazole by the
16 absence of one chlorine atom. Fluconazole looks very
17 similar. It just is a difference mainly in an azole group
18 compared with a benzene ring there. These are the other
19 azoles that you have heard discussed this morning.

20 I am going to pause here and I will call on Dr.
21 Marsik to address microbiological issue in his review.

22 **Miconazole Nitrate, 0.25 percent, and Diaper Dermatitis**

23 DR. MARSIK: Being the last speaker, I kind of
24 feel like the fellow that survived the Johnstown Flood. You
25 are all becoming experts and you know the little story about

1 he was one of the few survivors of that flood and he really
2 was proud of himself for being an expert on survival of
3 floods.

4 Of course, when he got up to the Pearly Gates and
5 St. Peter was there, he was expounding on his survival of
6 this flood. St. Peter looked at him and said, "I'm sure
7 that Noah would be very interested in your story."

8 [Slide.]

9 What I am going to try to do is address some of
10 the microbiological aspects on miconazole and its
11 0.25 percent as proposed by the applicant.

12 [Slide.]

13 As we are all aware, miconazole nitrate has been
14 used over the years as a topical treatment for fungus
15 infection due to both yeast and filamentous fungi. Its
16 primary use today is in the treatment of dermatological
17 infections due to *Candida albicans*. Miconazole, as has
18 already been mentioned, has minimal activity against
19 bacteria.

20 [Slide.]

21 To treat these various infections, miconazole has
22 been applied in various forms; creams, sprays, powders and
23 ointments. The concentration of miconazole in these various
24 PDR-listed products is 2 percent.

25 [Slide.]

1 Miconazole nitrate is fungistatic. It is not
2 cidal against *C. albicans* as well as other fungi. It is
3 effective only against organisms that are actively growing;
4 that is, organisms that are in their log phase of growth.
5 Because miconazole is fungistatic, post defenses play a
6 major role of eradication of infection and effecting a cure.

7 As is true for the azole class of antifungals,
8 miconazole acts to inhibit the biosynthesis of the membrane
9 lipid, ergosterol. The target of the azoles is the
10 cytochrome P-450 dependent lanosterol 14-alpha dimethylase
11 enzyme which has been found to be encoded by the ERG11 gene.

12 The interaction of miconazole with the enzyme
13 results in less of the normal ergosterol end product and the
14 accumulation of 14-alpha methylated sterols. This process
15 induces changes in membrane structure and function which
16 results in membrane leakiness and alterations in
17 membrane-bound enzymes which eventually leads to the death
18 of the organism.

19 [Slide.]

20 The information in this slide and on the next is
21 based on nonstandardized susceptibility test methods. There
22 is a need to obtain this type of data using standardized
23 susceptibility test methods such as are being developed by
24 the National Committee for Clinical Laboratory Standards.

25 The range for the minimal inhibitory concentration

1 of miconazole needed to cause cessation of growth of a
2 variety of *Candida albicans* is seen on this slide. As can
3 be noted, the range for *C. albicans* in the literature is
4 quite wide being from 0.016 to 100 micrograms per ml.

5 [Slide.]

6 This is some data that was presented in the
7 applicant's submission. As can be seen, a concentration of
8 1 microgram or less was shown to be required to inhibit
9 67 percent of *C. albicans* and a concentration of
10 10 micrograms or more was required to inhibit 33 percent of
11 *C. albicans* isolates.

12 [Slide.]

13 The optimal activity of miconazole nitrate has
14 been shown in vitro to occur in the pH range of 6.0 to 7.0.
15 Above 7.0 and below 6.0, its activity is diminished. What
16 effect the alkaline environment of a urine-wet diaper area
17 has on the activity of miconazole has not been adequately
18 studies in vitro or in vivo.

19 [Slide.]

20 Fungal infections that are refractory to
21 antifungal treatment may be a result of the presence of a
22 strain of organism that has an MIC greater than the safely
23 achievable concentration of the antifungal. Some of the
24 factors that can lead us to this are mutation, transient
25 gene expression, alteration in cell type or alterations in

1 the fungal population.

2 [Slide.]

3 Molecular mechanisms that can cause a cell to be
4 less susceptible to miconazole nitrate can be the result of
5 any one or combination of what is seen on the slide;
6 alteration in drug target, for instance. In this case, the
7 amino-acid substitutions in the drug target, the
8 14-alpha-demethylase enzyme, leads to insensitivity of the
9 enzyme to the action of the miconazole.

10 Alterations in sterole biosynthesis is another
11 example and is best exemplified by the accumulation of lipid
12 14-alpha-methylsterols. The accumulation of sterols other
13 than ergosterol can result in a cell becoming less
14 susceptible to miconazole.

15 Lack of sufficient concentration of active
16 component at the target site is another molecular mechanism
17 of resistance. This is, in the majority of cases, the
18 result of overexpression of efflux pumps which has already
19 been discussed to some extent.

20 To date, two types of efflux transporters have
21 been recognized in resistant isolates of *C. albicans*. These
22 are the ABC transporters and the so-called major
23 facilitators. The ABC transporters appear to be more
24 important in relation to azole resistance. The possible
25 mechanism of efflux-pump overexpression is increased mRNA

1 levels that can be a result of increased transcription, gene
2 amplification or increased half-life of the mRNA.

3 It has been suggested in the literature that
4 miconazole, at subtherapeutic concentrations, may induce the
5 activity of the CDR1 gene that mediates the activity of
6 efflux pumps.

7 Finally, another molecular mechanism is
8 overexpression of the drug target. Here, the ratio of the
9 enzyme, 14-alpha-demethylase, the drug is so great that there
10 is not enough drug to interact with all the enzyme present.
11 This overexpression can be the result of enhanced
12 transcription gene-chromosomal amplification.

13 This method has been described in other species of
14 Candida but has not so far been described in Candida
15 albicans.

16 [Slide.]

17 Recent evidence also indicates that a combination
18 of two or more molecular mechanisms is needed to cause
19 practical resistance to miconazole nitrate. This has been
20 referred to by some as the additivity effect. The action of
21 only one mechanism results in reduced susceptibility that
22 may not be of practical concern. Further research is needed
23 to fully understand the additivity effect.

24 Cross-resistance between the azoles should not
25 really be any surprise since the azoles all have a similar

1 chemical structure and mechanism of action.

2 [Slide.]

3 It is recognized that the 0.25 percent
4 concentration of miconazole in the product under discussion
5 is substantially greater than the average in vitro
6 miconazole MIC of *C. albicans*. In fact, it is 2500 times
7 greater than the MIC of 1 microgram required to inhibit the
8 majority of *C. albicans* isolates and 250 times greater than
9 the MIC of 10 micrograms needed to inhibit the other
10 one-third of *C. albicans* isolates.

11 However, the 2 percent concentration found in
12 currently available preparations is 20,000 times the MIC of
13 1 microgram and 2000 times the MIC of 10 micrograms. What
14 the margin needs to be between the in vitro miconazole MIC
15 and the concentration of miconazole needed in a product to
16 eliminate *C. albicans* associated with diaper dermatitis is
17 not known.

18 Only well-designed and executed clinical studies
19 can provide some of that information.

20 [Slide.]

21 With the use of 0.25 percent miconazole, there is
22 the theoretical possibility that development of resistance
23 is greater than with a 2 percent concentration. This could
24 be result of such things as physical factors: simple
25 application of the product; the type of diaper that one

1 might using, whether it is a cloth or a paper type. Even
2 with the different papers that are available, there might be
3 actually different binding activities of miconazole.

4 Or it could be due to chemical factors such as a
5 alkalinity at the site of application decreasing the
6 activity of miconazole. Due to the fact that exposure of *C.*
7 *albicans* to subtherapeutic concentrations of miconazole may
8 cause resistance to develop and/or enhance the production of
9 virulence factors such as secreted aspartyl proteinase, it
10 is essential that the concentration of miconazole at
11 treatment site be sufficient to inhibit the growth of *C.*
12 *albicans*.

13 [Slide.]

14 We have already discussed this topic. Diaper
15 dermatitis certainly is multifactorial in nature and
16 microbes are recognized as playing a role in the diaper
17 dermatitis most often when it progresses beyond a mild
18 diaper dermatitis. *Candida albicans* has been implicated in
19 the maintenance or the worsening of the condition.

20 As we have heard, recovery of *C. albicans* in the
21 literature has been reported in anywhere from 10 to
22 75 percent of patients with diaper dermatitis.

23 [Slide.]

24 The literature is ambiguous as to the common
25 approach physicians take for determining if there is a

1 microbial etiology to the diaper dermatitis when an infant
2 is first seen with the condition. The literature does
3 support the fact that the KOH wet mounts and culture can be
4 very useful in establishing etiology of diaper dermatitis.

5 Results of both the KOH examination and culture
6 from clinical studies are crucial for evaluating the
7 efficacy of antifungal treatment.

8 [Slide.]

9 In summary, well-designed and conducted clinical
10 studies are needed to adequately document the efficacy of
11 0.25 percent miconazole nitrate for the treatment of diaper
12 dermatitis. The studies are needed to establish the
13 correlation between microbiological outcome and clinical
14 cure. Proof of concept must be scientifically established.

15 [Slide.]

16 In addition, the studies are needed to
17 characteristics the miconazole susceptibility and virulence
18 of isolates that are recovered from clinical-study patients.
19 Such studies will also better characterize the role of
20 microbes in the etiology of diaper dermatitis and provide a
21 more recent susceptibility profile of *C. albicans* to
22 miconazole based on standardized methods of susceptibility
23 testing.

24 Thank you.

25

Wrap Up

1 DR. KO: Thank you, Dr. Marsik. I will wrap up
2 the discussion just in a few minutes.

3 [Slide.]

4 Concerns for resistance. As you have heard this
5 morning, low-strength exposure may promote resistance
6 development. In fact, in your briefing package from the
7 sponsor, Dr. Rinaldi actually says that the clinician should
8 employ higher doses of the azole for optimal therapy to
9 occur.

10 Also, patients often have a non-cutaneous
11 reservoir not addressed by topical treatment but may be
12 exposed to amounts of antimicrobial suitable for the
13 induction of resistance leading to future treatment failures
14 despite initial success.

15 Dr. White has mentioned about the problems in the
16 day-care setting and also in hospitals, also in the ICU.
17 Candida albicans and Candida species resistance to
18 miconazoles and other azoles have been demonstrated.

19 Cross-resistance to other azoles used to treat
20 life-threatening systemic mycosis may pose a more serious
21 public-health concern.

22 [Slide.]

23 So, in summary, the safety data shows that there
24 are small patient numbers for the target populations for
25 evaluation. The outcome beyond seven days has not been

1 known. The dermal safety studies are standard tests in
2 healthy volunteers in healthy skin, so we really need a
3 better, larger database to have more safety information.

4 Potential adverse effects from the ointment base
5 on worsening of Candida infection is not really addressed
6 because it is possible that it is masked by the antifungal
7 and it might need comparison with no vehicle.

8 Again, the issue of resistance is hard to address
9 and has not been really fully addressed because of the lower
10 strength, the target population being infants whom might
11 have a more immature immune system. Again, the
12 cross-resistance issue to the antifungals used to treat
13 serious systemic infections.

14 [Slide.]

15 Conclusions. The design limitations of the phase
16 III studies precludes meaningful conclusion to be drawn on
17 the antifungal efficacy of the sponsor's product which is
18 the 0.25 percent ointment not the 2.0 percent that is
19 currently available in the patient population that may
20 benefit from it.

21 Specifically, most of the patients in the studies
22 were culture-negative. In this group, the benefit has not
23 been proven. Although the sponsor's product appears to be
24 well-tolerated, we still have the safety issues that I just
25 mentioned in the last slide.

1 [Slide.]

2 To end my talk, I will just show you what Dr.
3 Schroeter, the chairman of the committee in 1990, said
4 during that advisory committee meeting. "Despite showing
5 efficacy and with proper labeling, however, what we are
6 saying is that, from a practical clinical approach, there
7 may be exceptions to our approving such a product--that is,
8 antifungal in diaper dermatitis--because it will, indeed, be
9 used for other than the specific item of labeling, i.e.,
10 fungal infection. It will be used for irritant dermatitis
11 and, therefore, it will be inappropriately used."

12 DR. DRAKE: Thank you, Dr. Ko.

13 Are there specific questions for Dr. Ko right now?
14 Dr. Wilkin, do you want to finish the FDA's--are you doing a
15 presentation or presenting the questions?

16 DR. WILKIN: Actually, I was going to say a few
17 words regarding the FDA point of view.

18 DR. DRAKE: Would you please do it now?

19 DR. WILKIN: And then it is your pleasure whether
20 we hear the questions at that time or later.

21 DR. DRAKE: Fine. Please go ahead.

22 DR. WILKIN: Okay.

23 **Comments**

24 DR. WILKIN: I would just like to say that I think
25 there is actually more convergence than may be apparent

1 between our FDA team's point of view and the sponsor's
2 team's point of view.

3 I think that all of us recognize that a product
4 that is for the treatment of diaper dermatitis that is shown
5 to be safe and effective for that use would be an advance
6 for the public health. I think we recognize the goal is to
7 have a product that will meet this need.

8 The question is whether we now know enough about
9 this product to have it go forward at this time. Again, I
10 respect the views of the sponsor and also the members of our
11 FDA team. We all know that intelligent, well-meaning folks
12 can look at the same dataset and, at the end of the day,
13 come down on different sides of how they think about it.

14 One of the key pieces to the sponsor's review of
15 the data is they looked at these rash scores, mean rash
16 scores. I think one of our difficulties from the point of
17 view of the review team is that there could be folks that
18 would have a mild improvement that would lead to a
19 significant difference in the rash score that might, at the
20 end of the day, not really predict whether they were going
21 to, at the end of seven days, have sufficient clearing that
22 they did not need further therapy.

23 The other issue is it is hard for us to know how
24 many of the folks would relapse or require treatment after
25 seven days. So we used the more conservative index. We

1 looked at those who had negative mycology at the end and had
2 a rash score of 0.

3 The U.S. study, it is possible that the fault was
4 that it was underpowered, but it was not significant. It
5 did have a signal in there. The point estimate for the
6 group that had Candida was 35 percent were culture-negative
7 and rash-score 0 at the end of treatment.

8 It occurs to us that that is kind of a low point
9 estimate and we are concerned about the need for retreatment
10 or relapse in that particular group. Also, in the U.S.
11 study, there was no difference between the active and the
12 vehicle in the Candida-albicans-negative group.

13 In the Australian study, that was where the
14 largest signal was seen. 63 percent of those who had
15 Candida cultured had a response in that seven-day period.
16 The difficulty there is we think that is an important signal
17 but it is a single investigator site and it is always
18 helpful to have multiple investigators so we can see what
19 kind of variability can occur in different kinds of practice
20 settings.

21 Again, in that particular study, in the
22 Candida-albicans-negative patients, there was not much
23 difference between the outcome in the active versus the
24 inactive.

25 In the other Australian study which had two

1 investigators, they did not look at Candida so, once again,
2 it is hard to make something out of that. We are not sure
3 who is driving the success, whether it is the
4 Candida-positive folks, Candida-negative folks.

5 I think it would be great to have a product that
6 would be good for both Candida diaper dermatitis and other
7 varieties of diaper dermatitis in which Candida may be
8 playing a role and possibly even irritant because I think
9 that is hard to really tease out--I would argue that we
10 really haven't heard today that anyone can draw a line
11 between this pole out at one end that says there is a lot of
12 Candida involvement and, at the other end, where we would
13 say there is virtually no--it is kind of this inter-grade.

14 I think that the kind of information we need
15 before approval really is that that subset of patients out
16 there who have what looks clinically like Candida, that has
17 a scraping like Candida, a culture like Candida, that we
18 need to know that the group is sort the epicenter of the
19 Candida diaper dermatitis folks.

20 I would think that we would want to know that that
21 group got better before we would have this kind of product
22 approved.

23 At the other end of the scale, it is a little--I
24 am trying to think through this especially listening to Dr.
25 Spraker and Dr. Paller. I recall back at the institution

1 that I came from, we saw a lot of young professional women
2 who worked at the medical center and they would work
3 sometimes half a day, three-quarters of a day, and their
4 children would be in the day-care center.

5 I am probably going to get in trouble with the
6 day-care centers of North America, but we had a phrase that
7 we called "day-care diaper dermatitis." Basically, they
8 just didn't change the diapers when they became soiled.

9 The mothers who had come in with the infants were
10 very comfortable hearing that it really didn't require a
11 medication. It required gentle cleansing, frequent changing
12 the diapers and, when they got them home in the afternoon,
13 if they could give them four or five hours with their rear
14 ends sort of up in the air and dry, that those kinds of
15 things, and some of the other, zinc-oxide paste, that that
16 would help a lot.

17 So, at that other extreme, it would be nice to
18 know that we are not medicalizing a kind of diaper
19 dermatitis that can be treated in much simpler means that
20 doesn't require an active drug.

21 So what we didn't see is that the standard kinds
22 of things that Dr. Paller talked about and Dr. Spraker
23 talked about, that they may well have been incorporated into
24 the practice of the physicians who were conducting these
25 studies. But if there is going to be an additional study,

1 it would be nice to know that it is conducted in the setting
2 where they are doing the things that we think are sort of
3 the cornerstone for diaper dermatitis.

4 Again, I think there is an important place in the
5 public health for a product that would meet this need. But
6 it is the position of our review group that the data at this
7 moment falls short.

8 DR. DRAKE: Thank you.

9 What I would like to do now, before we get into
10 the business, I want to poll the voting members of my
11 committee for just a moment.

12 I have had several of you say let's just work
13 right through lunch and be done. If you think you are close
14 enough to making a decision on this, that is not an
15 unreasonable proposition. It is about twenty-five after
16 12:00 and if everybody is reasonably close to a decision,
17 one way or the other, then we are fine.

18 I had another person who said, "Well, I need to
19 check out and maybe we ought to take a short lunch." I am
20 really very easy on that. I don't have a predetermined
21 notion. I guess I would like the pleasure of the committee.
22 I just want a sense. How many would like to just continue
23 working and finish this off.

24 [Show of hands.]

25 DR. DRAKE: How many would like to take a short

at

1 break?

2 [Show of hands.]

3 DR. DRAKE: Clearly, the short break has it.

4 Would half an hour be sufficient? Could we reconvene at
5 1 o'clock? 1 o'clock gives everybody a chance to check out
6 and what not. I will see you here at 1 o'clock.

7 [Whereupon, at 12:25 p.m., the proceedings were
8 recessed to be resumed at 1 o'clock p.m.]

A F T E R N O O N P R O C E E D I N G S

[1:05 p.m.]

1
2
3 DR. DRAKE: I am going to reconvene the meeting
4 now. The best laid plans go astray. I think the committee
5 has pretty much made up their mind, at least I have gathered
6 that--I have no idea what it is, what their mind is made up
7 toward, but I think everybody has sort of come to a
8 conclusion.

9 I will also tell you that because of the time line
10 I outlined earlier, people went ahead and made plans with
11 respect to pickup and cabs and what not. Now, since about
12 three minutes ago, I was approached by the sponsor who felt
13 like they had some rebuttal that they would like to give to
14 the FDA's presentation.

15 Jonathan, do you have a problem if I give them ten
16 minutes?

17 DR. WILKIN: We welcome it.

18 DR. DRAKE: I must tell Dr. Armstrong that I feel
19 that if we had known that before we broke, we probably could
20 have done something. But I understand his position
21 perfectly clearly, and I want to make sure he has an
22 opportunity. He said he could present his rebuttal in ten
23 minutes.

24 I would like you to do that and then we will go
25 from there. He thought maybe some of these issues could be

1 clarified.

2

Sponsor Rebuttal

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

DR. ARMSTRONG: I would like to thank the committee and Dr. Wilkin for the offer of an additional period of time. As I mentioned in my main presentation, some of these points were ones that we did not have an opportunity to prepare for in our briefing package.

I can address many of the things which I think are most important although I don't have time to address all of them. I would like to say that I think that the amount of emphasis that has been put on Candida infection is focusing on one of a series of contributory factors in this irritant dermatitis occurring in the diaper area.

It is a focus which I believe underestimates some of the other factors. We have heard from various consultants both that we have brought to the meeting and that the FDA has that the distinction between Candida pseudohyphae present and not present, cultures being positive, not-positive, really doesn't fully explain the role that Candida can do because it need not be invasive to be important.

I think that one of the things that derives from that is the emphasis on doing results analysis only on people who are culture-negative and cured is a difficult task to make when two-thirds of the patients don't have

1 Candida to begin with, and yet they clearly have diaper
2 dermatitis. It is diaper dermatitis that we seek to have as
3 an indication.

4 One of the comments that has been made was that we
5 have not demonstrated that this product is better in the
6 patients who have no Candida at baseline. There is, I
7 think, agreement that when Candida is present at baseline,
8 there is a clear benefit to the Pediastat versus the
9 ointment base.

10 I actually would like to take exception of that,
11 with all respect. I think we have shown that Pediastat
12 treatment in the Candida-negative patient is better, and the
13 difference is statistically significant, if you compare the
14 end-of-treatment score with the baseline score.

15 I think we have also shown that there is a trend
16 in favor of Pediastat over the ointment base in the
17 Candida-negative patient and we agree that those differences
18 are not statistically significant. But I would point out
19 again that that is not a non-treatment comparison group
20 because an ointment base, a barrier product, is, in fact,
21 part of the current standard of care for the treatment of
22 diaper dermatitis.

23 So I think we have set for ourselves a very high
24 standard when we say that we have to be better than the
25 standard of care, not better than a non-effect treatment.

1 Now, there are a number of points that have been
2 made about the conduct of the studies. I would like to go
3 to the USA study because, although I tried to be clear about
4 this, I am obviously not understood.

5 Could we start with the first slide on the
6 efficacy for the USA study, please?

7 [Slide.]

8 This is a study where we had a relatively low
9 number of patients. Clearly, that created some problems
10 with us for power. But when we look at the number of rash
11 sites, I did not present this data to you before. This is
12 one of the efficacy criteria, how many sites showed
13 involvement. Here, again, you can see, advantage Pediastat,
14 statistical significance at day 5 and close to at day 7.

15 [Slide.]

16 The next slide is the mean rash score. This is
17 what I showed you earlier. Here you can see that there is
18 statistical significance at day 5 and at day 7 in favor of
19 Pediastat.

20 [Slide.]

21 This slide shows the overall change from baseline
22 to day 7. This difference is not statistically significant.
23 And we agree with the agency that this is not. But our
24 concern here is that we have other variables which do show
25 statistical significance and yet the study, we are told,

1 should be dismissed because this one does not make
2 statistical significance. We think that it is a bad
3 precedent to make for clinical trials to say that we have to
4 disregard results on all efficacy parameters if we don't
5 make significance on any particular efficacy parameter.

6 There are a number of points that have been
7 brought up about the concerns on safety. I would say that
8 we don't have any evidence that there is a potentially
9 adverse event from the ointment base that would create a
10 worsening of Candida infection. That is a point that I
11 don't really understand why we should be discussing here
12 because we are looking for approval of the Pediastat, not
13 the ointment base.

14 Another point on the analysis is that it is clear
15 that there is an advantage to looking at patients who
16 achieve cure. But it is also clear that that disregards the
17 clinician's assessment of improvement short of cure. To
18 require both cure and negative culture in a set of patients
19 where two-thirds of them do not have a positive culture to
20 begin with is stratifying the database into too small a
21 group for us to be able to achieve statistical significance.

22 You could argue that we could overcome that by
23 doing another study, a much larger study, in which we could
24 provide the numbers that would give us adequate statistical
25 power. But I would return to you with this observation

1 that, like every organization, we have limited resources. I
2 know that the amount of resources that it would take to do
3 such as study has to be considered in the light of other
4 things that those resources could be used to develop.

5 Frankly, it hard for me to see what additional
6 meaningful information we will be able to supply to
7 practitioners in the way they are practicing pediatrics
8 today that they would be able to benefit from by doing an
9 additional study.

10 So I don't see how I could justify taking the
11 money from investigating a new drug, a new indication or
12 some other use that that resource could be put to. So, with
13 that as a quick summary, I hope that the committee will feel
14 free to ask additional questions on any points which they
15 believe are worth asking.

16 Thank you.

17 DR. DRAKE: Dr. Armstrong, stay there. I would be
18 very happy to have the committee ask questions of you.

19 Dr. Kilpatrick?

20 DR. KILPATRICK: Dr. Armstrong, you should
21 understand that I am the only non-clinician on this panel so
22 the questions I ask are slightly different and coming from a
23 different area.

24 What was the primary response in this USA study
25 agreed between you and the agency?

1 DR. ARMSTRONG: I can't tell you the answer to
2 that because the study was done before I was in the drug
3 industry. The studies are old. The efficacy criteria were
4 laid out and then measured, but there was no prospectively
5 identified criterion as the primary efficacy criteria.

6 DR. KILPATRICK: I may want to ask the agency.
7 Were we working under more or less the same rules at that
8 time in terms of relationship between the agency and the
9 sponsor?

10 DR. WILKIN: I can say that we really don't have
11 that good a knowledge of what agreements were made at that
12 time. At the time the sponsor approached those of us who
13 are now on the review team, as Dr. Armstrong had not yet
14 arrived that his position, we didn't even have a Division of
15 Dermatologic and Dental Drug Products at the time these
16 studies were conducted.

17 So when industry came and we discussed these sorts
18 of things, the studies were already done at that point.

19 DR. DRAKE: I have three people who have questions
20 that I have spotted their hands, four now. Dr. Spraker, you
21 are next.

22 DR. SPRAKER: I have a question for Dr. Rinaldi.
23 Are you concerned about the effect if--what if every baby in
24 the United States was treated with this product two or three
25 times a week for really mild or minimal diaper dermatitis

1 for the two years or three years until they are out of
2 diapers?

3 I really think the product will be very popular
4 and that it might be handed out to every mother with a
5 newborn. That sounds logical to me, if I were the
6 pediatrician. Rather than reaching in the drawer for
7 Desitin, that, if there is a little bit of irritation, I
8 would be putting on this product instead.

9 So considering the numbers involved, does that
10 change your thinking about the potential for resistance?

11 DR. ARMSTRONG: Is Dr. Rinaldi here? No.

12 DR. DRAKE: Maybe Dr. White could help with that.

13 DR. WHITE: In my opinion, there is a possibility
14 that, in a certain number of children, a resistant strain
15 could develop in that child during that time when they have
16 the dermatitis. Assuming that that child is otherwise
17 healthy, the chances of that resistant strain having a
18 consequence seems very small.

19 As soon as they are out of diapers, the strain
20 will revert to a sensitive phenotype. That is, in AIDS
21 patients, when we take them off fluconazole because of
22 triple therapy, the strains do return to a sensitive
23 phenotype.

24 So there is a small chance that resistance might
25 develop in a small proportion of those children, but the

1 consequences of that are probably minimal.

2 DR. DRAKE: The order in which I spotted you is
3 Rosenberg, Epps, Rosen, Eduardo, Rob and then Jon. That is
4 the order in which I spotted your hands. So next is Dr.
5 Rosenberg.

6 DR. ROSENBERG: I'm sorry; this is not a question.
7 I just wanted to make a couple of comments. Is this not a
8 time to make it?

9 DR. DRAKE: Bob, you are here. When they have
10 another question, you can pop back.

11 DR. ARMSTRONG: I am happy to.

12 DR. DRAKE: Because I want you to have your
13 chance. I want to make sure that you are satisfied that you
14 have had a chance to address the concerns. I just think
15 that is only fair.

16 DR. ROSENBERG: I will try not to go too long, but
17 I want to say this. I think, first of all, this is an
18 important issue how to manage diaper problems that may be
19 candidal in infants.

20 The discussion we heard yesterday, the first
21 discussion, we learned that many prescriptions are being
22 written by primary-care doctors and by pediatricians for a
23 product that even the commercial sponsor, as well as the
24 agency, feels should not be used in that site. So there is
25 clearly a need for this.

1 Miconazole is a reasonable agent. An area that I
2 have had a long interest in and so I see things through that
3 focus concerns in interface between Rx and OTC. I think a
4 lot of this discussion comes to that. I think if this is to
5 be an over-the-counter product such as it is in some
6 jurisdictions--

7 DR. DRAKE: That is not what is before our
8 committee today.

9 DR. ROSENBERG: I understand. But I want to make
10 the point. Then I think, if it is an over-the-counter
11 product, calling it diaper dermatitis is entirely
12 appropriate. That is the way you want to be, a diaper
13 dermatitis can be self-diagnosed by mom. It should be an
14 OTC medicine.

15 The data that show that, given a group of children
16 in whom nobody could make a diagnosis except diaper
17 dermatitis, were given this product, their agent is better
18 than the control is some evidence. So I think were there to
19 be an OTC product, one could look at this data and say
20 maybe.

21 If it were to be an Rx product, I think it should
22 be labeled for candidal-associated diaper dermatitis.
23 Candidal-associated diaper dermatitis, I submit, is not
24 going to be self-diagnosable by moms. In order to be over
25 the counter, the individual must be able to diagnose it

1 themselves and it has to be safe and effective and
2 directions can be written that they can follow.

3 I was, I think, to have suggested at some OTC
4 meetings that the imidazole vaginal products be OTC. And
5 they are. But that is different. The point was made that
6 women who get repeated vaginal candidiasis have had it
7 before. They have had it diagnosed by a doctor before.
8 They know perfectly well when they have it again for the
9 third time or the fourth time that that is the same thing.

10 It is something that is going to go on and on, now
11 and then, over some years and they can handle it. And they
12 are all grown up. I think infants are different. First of
13 all, they are under medical care. Infants are seeing
14 pediatricians or primary-care doctors.

15 They are only infants for a limited time. They
16 are going to have a limited number of episodes. The
17 episodes don't last that long so I think that is an argument
18 for the prescription.

19 Which would be better? One of the things that we
20 know is that this product works better in children who
21 apparently are candidal associated rather than not. But, as
22 was pointed out, it is not affective in one-third of those.
23 As was pointed out by several of the speakers, there is
24 frequently a fecal source.

25 So I think the baby who does not get better, the

1 physician could prescribe something bland and safe and
2 usually very helpful like oral nystatin which mom can't do.
3 Mom would probably continue using the same product.

4 Also, the physician could use a different topical
5 agent, could move up to something like gentian violet that
6 is, perhaps, more powerful than this agent. I think the
7 moms would just keep using it. I don't think that this is
8 really an ideal OTC product.

9 The question about whether the pediatrician or the
10 doctor couldn't do any better than saying it is diaper
11 dermatitis, I don't believe that is true. I believe if we
12 had enough data generated that this type of product deserves
13 from a company such as this sponsor, so that FDA had put its
14 stamp on this as being effective in a certainly percentage
15 of candidal-associated diaper dermatitis, after a while, the
16 doctor would recognize the ones that got better and the ones
17 that didn't and would do very well.

18 I think if it were to be a prescription drug,
19 obviously, this is inadequate data for something with that
20 kind of an indication. I would just pick up on what Dr.
21 Witebsky said that in a primary-care office, the cultures
22 are not wonderful because lots of them are colonized with
23 one or two and you are not going to quantitate it, and it is
24 hard to make sense out of that.

25 Primary-care offices where the clinical studies

1 are done are not ones where KOHs are done and the KOH is
2 really not the best test anyway. A Gram stain that smears
3 can be made in a primary-care setting, they can be shipped
4 to a central lab, once dried. The Gram stain is not going
5 to be perfect either, but I think it is possible for the
6 sponsor to do a reasonable job in a reasonably setting and
7 come back with data that would justify a positive action by
8 the agency.

9 I feel the same way we did about the second paper
10 we had yesterday. They had an agent with a historical
11 record of effectiveness, zinc chloride cures skin cancer.
12 They had some bit of data. After a long talk, the committee
13 told them to maybe go back and get some more data. So I
14 feel the same way about this one.

15 DR. DRAKE: Thank you, Dr. Rosenberg. Next on my
16 list is Dr. Epps. Joe, I have got you down now, too.

17 DR. EPPS: Thank you. I guess I had a few
18 questions and comments mixed. According to our papers, the
19 musk ambret was removed from the formula but fragrance was
20 listed. What is your fragrance?

21 DR. ARMSTRONG: Simple question, not a simple
22 answer. Fragrances are combinations of multiple compounds
23 and the reality is that most fragrance manufacturers
24 consider that proprietary information and don't release
25 that.

1 DR. EPPS: So it is more of a masking fragrance?

2 DR. ARMSTRONG: Correct.

3 DR. EPPS: So it is not, say, fragrance-free but--

4 DR. ARMSTRONG: Correct.

5 DR. EPPS: And not unscented, either.

6 DR. ARMSTRONG: Correct.

7 DR. EPPS: Another question I would have is,
8 according to the protocol, it was applied every diaper
9 change. Do you have an idea of how many applications per
10 patient per day?

11 DR. ARMSTRONG: We estimate six.

12 DR. EPPS: The reason I ask is I guess the age
13 range was from eight weeks up to thirteen months. If you
14 have a breast-fed eight-week old, they could theoretically
15 have eight to ten changes a day whereas a thirteen-month old
16 may have, let's say, four or five, to be generous.

17 DR. ARMSTRONG: Right.

18 DR. EPPS: So you are applying twice a much
19 medication to a two-month-old than you are to a
20 thirteen-month old. Certainly, their body-surface area is
21 different. The mass is different. And the number of
22 applications. So that is something also to be taken into
23 consideration.

24 It would be nice if there were some kind of dose
25 regimen that could be recommended or tested or something

1 like that so that if someone is going to prescribe it, apply
2 it X number of times a day is recommended instead of every
3 single diaper change.

4 The same with diarrheal, kids who are having
5 diarrhea. Who knows how many times a day that could be.
6 Certainly, the stool is more irritating, as we have heard
7 from Dr. Spraker and some others. So that would be nice to
8 know.

9 Second, I guess another question I had was--and it
10 may not even be an issue or relevant--is whether or not
11 there is an effect from occlusion. Although you use eleven
12 sites and it was very thorough with the photo testing, most
13 of the diaper dermatitis is where the sun doesn't shine.

14 I don't know whether there is an effect of cloth
15 versus super-absorbent versus whatever. It is just a
16 question/comment which I don't know whether it has an effect
17 but it would be interesting to know whether it did. I don't
18 know.

19 DR. DRAKE: I'll tell you what. I am going to ask
20 everybody--we are kind of at the end of the meeting. I am
21 going to have to get to the questions, and I am going to go
22 to the questions. I think the philosophic discussion was
23 held earlier. I would really keep us away from philosophic
24 discussions, although I don't disagree with you. I think
25 they are wonderful.

1 What I would prefer to have at this point are
2 pointed, short, pertinent questions to Dr. Armstrong or to
3 the FDA because I am going to move to these questions very
4 quickly.

5 So, Dr. Rosen, having that comment in mind--

6 DR. ROSEN: Very short, very pointed. We have
7 heard a lot about the theoretical risk of resistance.
8 Theory, theory, theory. My question to the sponsor, this
9 agent, as formulated, has been available now, according to
10 the FDA, in twelve countries. How long has it been
11 available in these other countries and, assuming that there
12 are reasonably good mycologists and microbiologists in these
13 countries, have there been reports to you from these other
14 countries of development of azole-resistant *Candida*
15 *albicans*?

16 DR. ARMSTRONG: Just a point of clarification. It
17 has been approved in twelve countries. It is actually
18 marketed in six. It has been introduced since the early
19 1990s, not on the same date in all countries so there is a
20 bit of a variance in the experience.

21 I am not aware of any indication that there has
22 been any resistance associated or reported with the use of
23 this product as a commercial agent. I think that we already
24 have had testimony from the National Institutes of Health
25 that routinely they don't do sensitivity testing even in a

1 population that has many more possibilities of having
2 resistance be an issue than in the general population.

3 DR. DRAKE: Dr.--I can't read my writing. I can't
4 tell who is next. Rob, you have a question. Would you go
5 next? I think it is Eduardo. I goofed up here. I can't
6 read my writing.

7 DR. STERN: I guess I have a couple, three
8 questions. One is to make sure I understood, how I read the
9 data, that there is general agreement that even in
10 reasonably well-controlled situations, the ability to
11 differentiate infected from uninfected diaper dermatitis
12 even by clinicians is low.

13 The prevalence of the prescription or advising of
14 using azoles even without seeing infants is high in clinical
15 practice and there are either 1.8 million suggestions, uses
16 or prescriptions per year in the United States with these
17 products which includes about 8 or 10 million infants of
18 this age range that you would expect to be in diapers.

19 So if I understand it, there is a relative lack of
20 ability on the parts of even clinicians to clearly
21 differentiate Candida, at least in clinical practice, and
22 yet they are often comfortable making recommendations for
23 the use of azoles millions of times for what would be
24 appropriate therapy.

25 The question I have is except for the--and this is

1 really to the FDA--except for 21 CFR, Part 310, which
2 clearly indicates that you are not supposed to put an
3 antifungal in something for diaper dermatitis--that is the
4 1992 rule--if you look at the criteria for what is a
5 reasonable thing to do for this condition, does this meet
6 the criteria; that is, can it be clearly labeled, "Diaper
7 dermatitis persisting for more than 48 hours, more than
8 usually severe, including involvement of the folds of bumps
9 outside of the areas of rash," and, also, "Don't use in
10 children who are sick with immunosuppression. Do use it
11 more often, suspect more frequently in people who have been
12 recently on antibiotics."

13 So is it clearly lableable because, in terms of
14 prevalence of use--to me, when I came here, the whole issue,
15 I thought, was going to be on resistance. How I have come
16 away from this meeting is I know and understand as little
17 about the likelihood of increased resistant strains in the
18 community with or without this agent, but there is sure a
19 lot of agents like this going around already, tens of
20 millions of applications a year, even in diaper dermatitis.

21 So that seems like less of an issue. The other
22 issues, to me, are what is the best way to use it and is it
23 really that helpful as a prescription. To me, as a
24 prescription agent, it is sort of underpowered. If you are
25 really thinking about you know it is, you really go that

1 extra mile in the referral cases. This, maybe, is an
2 underpowered agent. Is it the way people practice, perhaps,
3 useful as an OTC agent?

4 But I only had one other question about safety.
5 There was a slide presented about systemic absorption
6 showing that everybody had less than 5 nanograms per ml, I
7 think it was, which is one-one-hundredth to
8 one-one-thousandth what you get when you give this agent IV.

9 I have no idea whether there is any concern about
10 nanogram exposures to this agent in young children. I am
11 wondering are there any data, does anyone say, "Oh; these
12 low levels of exposure, especially if they are chronic, may
13 be good or bad? Is that something we know as much about as
14 we know about the likelihood of resistance going up
15 substantially if this is more widely available?

16 That was really, from the hearings today, my big
17 health concern.

18 DR. DRAKE: Jon, or Dr. Ko? Who wants to answer
19 that?

20 DR. WILKIN: Of course, you can always ask the
21 sponsor what is in their database that will respond to your
22 questions. I would like to go back five minutes to your
23 first question which was--it related to can you use an
24 antimicrobial for something that is not considered to be a
25 microbial process.

1 I think we have examples of that. There is a
2 treatment for rosacea, and we don't think that there is
3 actually an organism that is on the skin that is leading to
4 rosacea. There is no place in the Code of Federal
5 Regulations where it requires that we know what the
6 mechanism of action of the pharmacologic agent is prior to
7 approval.

8 I mean, we need to know about its safety and its
9 efficacy but we may learn more about how it works, actually,
10 after it is approved. After all, silicilates, in one form
11 or another, were effectively used perhaps for hundreds of
12 years before Vain, Moncada and Bergstrom got the Nobel Prize
13 for discovering prostaglandin. So things can work even when
14 we don't really know exactly why.

15 If there is an antimicrobial agent, however, in
16 the product, then we want to know what kind of impact that
17 might have on antimicrobial features.

18 In this particular case, I think we have already
19 heard from our experts and we can read in the textbooks that
20 there is thought to be an important contribution of Candida
21 to diaper dermatitis. As I read the accounts, it is not one
22 of these dichotomously separable kind of issues, that there
23 is Candida dermatitis and then there is non-Candida
24 dermatitis, that is it is something of a spectrum.

25 I was speaking to if we could look at the polar

1 extremes of that spectrum in the study and we had
2 information that both polar extremes--that the use in those
3 settings was safe and effective, that we could infer what is
4 in the middle.

5 So when I was talking about clearance of the
6 Candida, I was talking about that Candida dermatitis. I
7 think Dr. Armstrong mentioned that the FDA might be
8 concerned about clearance of Candida when there wasn't
9 Candida. That is really not quite the picture. It was we
10 were interested in a subset of the population who comes in,
11 who has all of the features that we might think of, sort of
12 syndromic approach to Candida dermatitis.

13 Maybe the satellite pustule is a good clinical
14 impression that it is there. Perhaps pseudohyphae are
15 demonstrated and culture-positive and what happens in that
16 particular group. I think that was the question.

17 DR. KILPATRICK: Madam Chairman, Dr. Stern also
18 asked a question of safety.

19 DR. DRAKE: Please. I'm sorry. You are right.
20 Thank you.

21 DR. KO: Your question is on systemic absorption
22 whether that degree of exposure is of any concern, whether
23 there is any information. We know that miconazole nitrate
24 has also been given intravenously. There is some
25 information on that but not in this age group.

1 What you are concerned about in this age group is
2 a very valid issue. It is one of the issues raised by
3 another regulatory agency in not approving the product
4 because there is no information on the effect on the P-450
5 system in these infants because, during the first year of
6 life, the enzyme system is gradually maturing and they felt
7 that this is something without information on.

8 So that is all I can tell you.

9 DR. DRAKE: Wait. I tell you what. This
10 committee, and we will do it in order. And I am also going
11 to go to the questions at 2 o'clock so please, unless it
12 adds to the body of information, unless it is a question and
13 a response, it will not be allowed because we are going to
14 move on.

15 I want to be fair to everybody. The sponsor
16 deserves to have their time to answer questions from the
17 committee and not deal with broad policy.

18 I will put your name on the list, Dr. Chesney and
19 Dr. White. Eduardo, would you please go ahead?

20 DR. TSCHEN: My concern is not the normal
21 pediatric group or the healthy child who will be using the
22 product. The issue with resistance and creating
23 super-Candida, I don't think is a big issue in that group.

24 The problem is the pediatric group who is
25 immunocompromised, who has leukemia, who is taking any

1 chemotherapy. This is the group who can develop a resistant
2 Candida much easier, who can develop in patients the
3 Candida. So I have a concern because these kids are the
4 ones who develop more diarrhea than anyone else and, at the
5 same time, are the children who are more likely to have
6 serious problems as in patients with the Candida.

7 That is my concern; is this product
8 contraindicated in kids who have immunocompromised
9 situation.

10 DR. DRAKE: John?

11 DR. DiGIOVANNA: I have two questions. I will try
12 to be as focused as I possibly can. One is for Dr.
13 Armstrong and the other one is for the FDA. For Dr.
14 Armstrong, you showed a slide in your presentation, a slide
15 No. 30, that was efficacy results by culture for Candida
16 albicans ointment base group. You had a positive and
17 negative.

18 Is there a similar presentation of the data for
19 the active agent? What I am interested in is how does the
20 active agent perform in the Candida-negative group?

21 DR. ARMSTRONG: That slide is coming.

22 [Slide.]

23 DR. DiGIOVANNA: So it is the active agent is also
24 effective in the Candida-negative group, according to that
25 slide.

at

1 DR. ARMSTRONG: These are patients who are
2 Candida-negative. It is effective. These are the patients
3 who are Candida-positive. Yes; it is effective in both
4 groups.

5 DR. DiGIOVANNA: Thank you. The second question
6 is similar to, I believe, one that Dr. Stern was raising and
7 one that Dr. Wilkin addressed to some degree and that is, in
8 a clinical situation where the physician cannot be sure
9 exactly how the organism is affecting condition, there may
10 be a condition of infection or there may be a condition of
11 colonization that flares, that actually plays a role in the
12 disease, or there may be a different situation where the
13 local condition of the skin encourages future colonization
14 by Candida, that the role of the antifungal agent may be
15 different in those three, or possibly more, subsets.

16 One you addressed could be characterized by KOHs
17 or cultures, the situation where Candida is infective. But
18 the preventive benefit is something that can't be easily
19 assessed by that. It would seem, in this situation, to rely
20 on cultures or indicators of infection would minimize the
21 ability to really focus on the endpoint you would be
22 interested in, which is the clinical endpoint.

23 I am not quite sure why there is so much of a
24 focus on documenting effects on Candida when I think this
25 would be a situation very similar to the one you raised with

1 respect to rosacea where the activity of the antifungal may
2 be very difficult to characterize because of its
3 antibacterial effect or antifungal effect, but the real
4 bottom line is the clinical effect.

5 DR. WILKIN: The answer to your question is yes.
6 I agree with the whole sense of what you are describing. I
7 think if we had relapse data, we would know more what the
8 signal of a negative KOH and negative culture was at the end
9 of a trial.

10 It is not that we are wedded to that as specific
11 signal. It is that we are trying to get a handle on how
12 many of these children will need retreatment, how soon. How
13 many really will be incompletely treated at seven days.

14 DR. DRAKE: I think that is the sense that
15 everybody is feeling, that we need the follow-up data to
16 really know, to answer that question.

17 Next is Joe.

18 DR. DiGIOVANNA: Dr. Armstrong, Slide 24. You
19 have twenty dropouts, or you have twenty no-treatment
20 benefits; do you know if those were infected or not
21 infected?

22 [Slide.]

23 DR. ARMSTRONG: The slide is coming.

24 DR. McGUIRE: No; that's it.

25 DR. ARMSTRONG: The slide that answers your

1 question is coming. This is the slide that posed your
2 question.

3 DR. MCGUIRE: I am just trying to be focussed,
4 Bob.

5 DR. ARMSTRONG: Yes, sir.

6 DR. DRAKE: And your chair appreciates that.

7 [Slide.]

8 DR. ARMSTRONG: Here are the twenty-five subjects
9 from both treatment groups, the Pediastat group with--these
10 patients were in the third study, the second Australian
11 study, the study for which cultures were not done. This is
12 the combined experience from the two studies where cultures
13 were done. What we can see here is that Candida was
14 positive in three of these individuals who did not get a
15 benefit in the Pediastat group, nine in the ointment base
16 group. But, remember, the sample sizes of the two groups is
17 essentially equal.

18 DR. MCGUIRE: Slide 26? You may have already
19 given me this information, but can you stratify the infected
20 from the uninfected in these groups?

21 DR. ARMSTRONG: Could we show that slide?

22 [Slide.]

23 This shows the results in patients where Candida
24 is present. It shows the lack of response with the ointment
25 base and the clear response in the Pediastat group.

1 DR. MCGUIRE: Thanks. Just one sentence, since I
2 have been so brief, the fact that there is no public
3 advocate here today does not mean that the committee is
4 oblivious to the public-health aspects of widespread use of
5 antibiotics, a general perception among physicians and
6 certainly among the public that increased resistance is
7 somehow related to increased usage. Thanks.

8 A public advocate was invited.

9 DR. DRAKE: There were two public advocates
10 invited. Neither one was able to make it. But we will make
11 a concentrated effort to make sure, if we have to call six,
12 because I feel that missing link today, too, Joe. I agree
13 with you. I think the public advocates are very helpful on
14 this committee.

15 I believe Dr. Chesney was next.

16 DR. CHESNEY: I wanted to come back to safety
17 issues. I think a concern that we all have is the slippery
18 slope. As soon as it is perceived that this is an
19 antifungal agent, even if it is limited to use in normal
20 infants of three to six months of age, it will be used by
21 the immunologists, the neonatologists and the oncologists,
22 and they will use it in premature infants, and they will use
23 it in the very immunocompromised AIDS patients in whom
24 resistance may develop.

25 I think you had made that point. So I want to

1 emphasize that. I think that is the point I wanted to make.
2 But my question was that the phototoxicity, the allergy and
3 all of those studies, were not done on infant skin; is that
4 correct? They were all done on adults?

5 DR. ARMSTRONG: That is correct.

6 DR. CHESNEY: Is there any stipulation that
7 toxicity studies have to be done in the age group in which
8 the medication will be used?

9 DR. WILKIN: There is not really a statutory basis
10 for that. I think it is technically difficult to actually
11 conduct these kinds of studies in a diaper-dermatitis
12 setting.

13 On the other hand, one of the ways that we
14 compensate for that is that we have larger numbers of
15 subjects who have been in trials preapproval. It gives us a
16 better sense of what the upper 95 percent confidence
17 interval might be for an adverse event once the product is
18 approved.

19 DR. CHESNEY: Could I just bring up this issue of
20 the pediatric pharmacokinetic research units again because
21 these units are specifically set up so that the children
22 could actually stay there for a couple of days or come back
23 day after day and have the same observer observing the same
24 area of skin. So they are very well set up to do that kind
25 of thing.

1 DR. DRAKE: Dr. White?

2

3 DR. WHITE: This was just a quick reply to your
4 question about azoles in the blood in children that age. I
5 will just remind you that children with oral candidiasis are
6 treated with fluconazole and clotrimazole at levels a
7 hundred-fold higher, children that age.

8 So if there is any concern about azoles in the
9 blood, it would--

10 DR. STERN: That was my scenario.

11 DR. DRAKE: Are there other--Dr. Kilpatrick?
12 Steve, you were down first. I apologize. You and then Dr.
13 Kilpatrick.

14 DR. FELDMAN: Thank you. My question is really
15 probably to the FDA. I am concerned about how much your
16 focus is on the actual data that is relevant to patient
17 care. I thought Dr. Ko's presentation was very well
18 organized, really laid out the data well.

19 But it wasn't from the perspective of what kids
20 need in real life. Yesterday, we looked at data on a
21 product that had a very narrow indication, data on a very
22 narrow indication, that had absolutely nothing to do with
23 how the drug is widely use and that has serious safety
24 implications.

25 Here the sponsors come in with data that really

1 fits very well with what I need to know when I am seeing the
2 patient in the clinic. The patient comes in with diaper
3 rash, a condition that I can clearly recognize and define as
4 a condition, diaper rash.

5 There may or may not be Candida there. That
6 Candida may or may not be relevant. I think the best data
7 that it is relevant is the efficacy of the drug in the
8 Candida-positive patients. But I am not going to get a
9 culture on this patient when they come in diaper rash.

10 I am going to treat them. It is not
11 cost-effective for me to get a culture. I have to wait
12 days. I am going to treat now anyway. It seems to me that
13 this data is just what I need to say that this drug is more
14 effective than is vehicle for that patient.

15 DR. DRAKE: Excuse me, Dr. Feldman. You are doing
16 policy. I would really like you to do a question.

17 DR. FELDMAN: The question should be very clear;
18 how important is it to the FDA to look at data that is
19 relevant to actual patient care as opposed to--

20 DR. DRAKE: But that is policy. I will allow a
21 brief answer it, but that is not a question pertinent to the
22 approval of this drug right now.

23 So, please, Dr. Wilkin, and then we are going to
24 move on.

25 DR. WILKIN: I think Dr. Feldman raises a very

1 important question and that is what are the sponsor and the
2 agency trying to accomplish during phase III trials. I
3 would argue that phase III trials are extremely artificial.
4 How many times have you given a patient a prescription and
5 said, "This may work for you," and yet, in most of the
6 phase III trials, there is a vehicle or a placebo.

7 In acne trials, the investigators will see
8 patients back extremely frequently, much more than in
9 regular clinical practice. They will count individual
10 lesions. Many things happen in phase III that really do not
11 conform exactly to clinical practice.

12 I think that is okay as long as what we are
13 getting, the information that we are harvesting in these
14 phase III studies, is going to then inform clinical practice
15 which may be a reductive approach to that database.

16 The one that we are thinking about today--I would
17 say that if a clinician knew that this worked in both
18 irritant dermatitis and that this worked in both candidal
19 dermatitis, and I am talking about the epicenter of candidal
20 dermatitis, the world-class variety, and they could infer,
21 knowing that, that it is going to work in all the
22 inter-grades, that the would be enough information for them
23 to do, as I think Dr. Armstrong suggested, pass on the KOH
24 and the culture and these sorts of things and save the
25 healthcare system some money.

1 But it would be an informed reductionism.

2 DR. FELDMAN: Can I follow up?

3 DR. DRAKE: Actually, no, Steve. This really is a
4 panel issue now and I would like to keep it focused on our
5 panel. Dr. Kilpatrick?

6 DR. KILPATRICK: As Dr. Wilkin has indicated, and
7 I have indicated before, I am interested primarily in the
8 phase III trials. I want to come back to Dr. Armstrong and
9 quote, or misquote, three statements that have been made by
10 the agency and try to get the sponsor's response to these.
11 And then I want to go on, Dr. Armstrong, to ask questions
12 about the Australian studies, if these are all relevant to
13 the United States study.

14 One statement was that not all sites were in the
15 diaper area in the United States study. That was one of Dr.
16 Ko's points, I think. The other one was that endpoints were
17 not pertinent to diaper dermatitis. A third one, which is
18 mine, actually, it appears that there is subgroup analysis
19 going on here, but different groups, the sponsor and the FDA
20 are doing different analyses and there has been, apparently,
21 no agreement.

22 Is this because we are dealing with a 1983 study,
23 that you have the data and they brought it in and the agency
24 did their own analysis? Was there any collaboration or
25 agreement beforehand as to what you would do and how you

at

1 would interpret it?

2 DR. ARMSTRONG: Could we do those in series? I
3 may ask for some help with remembering all of them because I
4 am not certain that I would.

5 Could I have the slide that is keyed up, please?

6 [Slide.]

7 This is the sites that were involved. This
8 happens to be from the Australia study but I think, aside
9 from the fact that the number of sites is different by one,
10 what this illustrates is those areas that are not typically
11 covered, not typically associated with diaper, were very
12 often not involved.

13 The majority, as you can see, are those areas on
14 the inner thighs, the genitalia and the perianal region.
15 That constitutes the high density involvement, the buttocks
16 and lower abdomen intermediate and then these other areas
17 are a very low area of involvement.

18 Does that address your question?

19 DR. KILPATRICK: That answers it specifically;
20 yes.

21 DR. ARMSTRONG: The second question was?

22 DR. KILPATRICK: Endpoints not pertinent.

23 DR. ARMSTRONG: I think what we have done here is
24 to show what the clinical score was. I showed you during
25 the presentation what the definition of those values was.

1 We think that is a pertinent endpoint.

2 DR. KILPATRICK: But maybe I should be asking the
3 agency why they don't think those are pertinent endpoints.

4 DR. KO: The agency analyzes the information in
5 terms of the proposed product for its antifungal properties.
6 So it is analyzed in terms of the antifungal endpoints which
7 actually includes the clinical endpoints. But it holds it
8 to a higher standard requiring also the mycologic endpoints
9 together. I think that is what the agency does. It is not
10 that the agency disregards the clinical endpoints.

11 DR. WILKIN: If I could add to that. I think, in
12 part, why we are focussing on this particular endpoint of
13 those who had complete clearing of the rash and culture
14 negativity at Day 7 is that is our best way to try to get at
15 some inferences about relapse and need for continuation of
16 therapy.

17 DR. KILPATRICK: You made that point, Jon. Thank
18 you.

19 DR. ARMSTRONG: Could I just say that the concern
20 that I have about that is that, in a condition where
21 two-thirds of the patients do not have a culture to begin
22 with, have no evidence of Candida being present, doing
23 cultures at the baseline as a selection criteria essentially
24 disregards the experience of two-thirds of the patients.

25 The practical consequence of that is that we have

1 to increase the size of the study that we do if we are going
2 to follow that kind of definition, and it creates an
3 enormously complex large and expensive trial to do.

4 DR. KILPATRICK: I think that has come across
5 quite clearly. I would like, with your permission, Madam
6 Chair, to turn now and ask Dr. Armstrong about the origin of
7 the Australian studies.

8 One interpretation could be that Johnson and
9 Johnson did a randomized double-blind study in the United
10 States in 1983 and then went to Australia and did one in
11 1989 and one in 1988. But that is probably not the
12 situation. Why were the two Australian studies conducted?
13 Who conducted them? Why were they conducted?

14 DR. ARMSTRONG: They were conducted for two
15 reasons. First, the application, the NDA, in 1985 was
16 rejected for two reasons. One was the systemic exposure.
17 We have provided data to address that. The second was that,
18 as the agency in 1985 looked at the study experience in the
19 United States, they said it needed to be combined in order
20 to be adequately powered for a statistical conclusion and
21 they would accept that study if it were presented as a
22 single study.

23 But then there was a need for a second study to
24 confirm the results, so there was clearly a decision, either
25 one goes with another study or one abandons the NDA.

1 The choice of where to do the second study is a
2 more complex one and, as I mentioned earlier, I was not at
3 the company at that time so I can't give you more than a
4 speculation about the choice of where to do that study. But
5 I do know that drug development is a very expensive
6 undertaking and there is a general desire to try to do
7 studies in different geographic areas to support
8 registration in more than one geographic market.

9 DR. KILPATRICK: If I may come back. I was
10 wondering whether this was done because of Australian
11 mandates. I have no idea what they demand, if they have an
12 equivalent to the FDA. But I was wondering whether this was
13 dictated by other concerns about manufacturing in Australia.
14 You don't know about that?

15 DR. ARMSTRONG: No; my understanding is that it
16 was done in Australia and the results were then available
17 for the registration in Australia as well as the
18 registration in other jurisdictions.

19 DR. KILPATRICK: But, again, that was done with
20 very little interaction with the current agency.

21 DR. ARMSTRONG: Certainly, it was done with no
22 interaction with the personnel that are in this room, from
23 either the company or the agency.

24 DR. KILPATRICK: I understand. So then what we
25 are doing is analyzing and interpreting old data.

1 DR. ARMSTRONG: That's correct.

2 DR. KILPATRICK: Okay. Thank you.

3 DR. DRAKE: Thank you. Other questions for the
4 sponsor? Other questions for our experts from the panel?
5 Dr. Armstrong, do you need to make any closing comments or
6 petitions? Are you satisfied?

7 DR. ARMSTRONG: No. I would like to thank the
8 committee for their time and for their questions and the
9 agency for giving us opportunity to speak.

10 DR. DRAKE: Because I wanted to make sure--you
11 guys spent a lot of money to come here. I want to make sure
12 you have enough opportunity to respond and answer questions.
13 So you are satisfied at this point?

14 DR. ARMSTRONG: I am much obliged.

15 DR. DRAKE: You are welcome. Is the committee
16 satisfied? Can we take up the questions now? Whoa; we are
17 there.

18 **Questions for the Committee**

19 DR. DRAKE: Question No. 1 is just like Question
20 No. 1 yesterday. It is a hard one. I am tempted to move to
21 Question 2 and 3 and then come back to this one. Do you
22 want to do that? Deja vu. Could we go to Question 2?

23 DR. ROSENBERG: I think that is central to the
24 whole thing, that Question No. 1.

25 DR. DRAKE: Then go back to 1; sorry.

1 DR. ROSENBERG: They raised it and I agree with
2 them.

3 DR. DRAKE: Okay; let's go with it. This Question
4 No. 1 is open for discussion. Jon?

5 DR. WILKIN: If I could just point out the
6 Question No. 1 is more general. It is for products of this
7 type. So, in this case, we want to hear about the placement
8 of this product but, in addition, we would like to know,
9 just in general, for diaper dermatitis as an indication in
10 case other products come along.

11 DR. DRAKE: Okay. I think I am still right to do
12 it in reverse order because, while the information on the
13 product that we have is fresh in our minds, because we could
14 get bogged down for two hours or a half day or three days on
15 Question 1. So what I would like to do is answer the
16 questions that you need for today regarding this product.

17 I want to go to No. 2. Bill, I'm sorry, but I am
18 going to go to 2 first because I think the issue here is to
19 answer this question.

20 Dr. Chesney, you had a comment?

21 DR. CHESNEY: My question was for 1.

22 DR. DRAKE: Here is what we are going to do, then.
23 I am going to back up. I am not backing up to 1. We have
24 looked at 1. Look at Question 2. I want to make sure
25 everybody has read all the questions. I am going to have

1 you read Question 3. I am going to have you read at least
2 1, 2 and 3 so that, then, I will start with 1 and we will go
3 through it systematically but everybody, please, read
4 Question 2 and somebody be so kind, now, to put Question 3
5 up there. Good. This is Question 3. So at least we will
6 have all three questions in our mind when we begin
7 discussing Question 1. How is that for a compromise?

8 All right. Back to Question 1. Dr. Chesney?
9 Thank you for rescuing me. I was wallowing around there in
10 a hole, so thank you.

11 DR. CHESNEY: You did that very well. I want to
12 be sure I understand this question. You are asking whether
13 it is appropriate that diaper dermatitis be the indication
14 for an antifungal agent. Is that--you said a drug of this
15 type.

16 DR. WILKIN: Yes; for a drug of this type, is
17 diaper dermatitis--in part, it relates to Dr. Feldman's
18 question and that is how should it be studied. In other
19 words, is diaper dermatitis really a composite of
20 demonstrating safe and effective use in Candida diaper
21 dermatitis and irritant diaper dermatitis, or is diaper
22 dermatitis not an appropriate indication at all? That is
23 kind of a discussion point.

24 DR. CHESNEY: One comment, which makes me say
25 would it be appropriate to recommend amoxicillin for all

1 diarrhea. In other words, I think if we are dealing with an
2 antifungal agent, then we should probably be dealing with a
3 fungal infection. I probably didn't explain that very
4 clearly.

5 DR. DRAKE: Joe?

6 DR. McGUIRE: Lynn, my concern about Question 1 is
7 that it is so encompassing that--

8 DR. DRAKE: I know.

9 DR. McGUIRE: --if you say "yes," to this, then
10 you endorsed the use of this product.

11 DR. DRAKE: That is why I wanted to do the other
12 questions first.

13 DR. McGUIRE: For which it is not particularly any
14 better than, shall we say, Desitin.

15 DR. DRAKE: With all due respect to the agency,
16 the problem with this question coming before the other two,
17 it puts in a position of approving something blanket before
18 you think about the product and we have had all this
19 evidence this morning on the product.

20 Which would you prefer us to address?

21 DR. WILKIN: Actually, I think you get the spirit
22 of what our interest is and there is a fine tradition that
23 chairs have exercised with this committee where you actually
24 change the question.

25 DR. DRAKE: Good.

1 DR. WILKIN: So if you would like to modify it in
2 a way that you think might be responsive or break it into
3 several questions--

4 DR. DRAKE: Then I am going to modify it by going
5 to Question 2 which was my original instinct. Question 2,
6 we are going to take up right now. That was my original
7 instinct and that is what we are going to do. I am a very
8 concrete thinker, Jon. You give me task A, B and C and I
9 will do it.

10 So, Question 2 is open for discussion. Rob?

11 DR. STERN: I have a question about 2b. It seems
12 to me that, in my somewhat limited experience with diaper
13 dermatitis that certainly the issue of b is really how long
14 do you label it for for any given use. We certainly would
15 anticipate that after a period of time people who have had
16 it before are likely to have it again. So I guess, to me,
17 the question for b is is it seven days, is it 14 days? What
18 is a reasonable time that you have advice that, if it hasn't
19 worked, it is time to stop it and it is reasonable to label
20 that if it comes back within a certain period of time, you
21 probably shouldn't it again.

22 So, to me, that is really a clinical judgement
23 question, just as you would do if you were prescribing, in
24 fact, a topical antifungal for someone with diaper
25 dermatitis. You would say, "Well, I think this is Candida.

1 If it is not better in X days, or so much improved in X
2 days, give me a call and we will try something else. If it
3 reoccurs within Y days, give me a call because I might want
4 to treat you orally."

5 So, to me, b is really a labeling issue which is
6 important to be negotiated and I think to be very explicit
7 in the label both with respect to what is a reasonable
8 length before you improve, before you reconsider other
9 therapy and what is a reoccurrence; in other words, how long
10 is it before you can safely use it for a new episode as
11 opposed to the exacerbation of an insufficiently treated,
12 priorly treated, immediately prior episode.

13 DR. DRAKE: Eduardo?

14 DR. TSCHEN: I don't think that, with the
15 information that we have, we can make any assumptions on
16 beyond seven days. The information that we have is with
17 other products, like the 2 percent of people who have used
18 it longer. We don't have any information regarding
19 resistance in the specific subgroups, or any other groups.
20 So I don't think it is a labeling issue. I think it is a
21 lack-of-information issue.

22 DR. DRAKE: Bob?

23 DR. STERN: I agree with that.

24 DR. DRAKE: You agree with that. Dr. Chesney?

25 DR. CHESNEY: I am uncomfortable with knowing the

1 absorption through the skin in only ten children when I know
2 that this will be used in infants. We certainly learned
3 about Lindane the hard way in that regard. It will be
4 abused. It will be used more than seven days.

5 So I would be much more comfortable having more
6 information on systemic absorption, particularly in young
7 infants.

8 DR. DRAKE: Amy, I am really into the panel. Did
9 you have a response that is directly to her, because if it
10 is policy discussion, I won't allow it. But if it is a
11 direct response to her comment, I will.

12 DR. PALLER: I would respond to Rob. I have a
13 problem with seven days because my traditional use, for
14 years and years and I learned it from Nan Esterle, is I
15 treat and I tell the moms, "Go for seven days after it looks
16 clear." It may look clear in seven days and we go for
17 longer than that. So I am uncomfortable just telling
18 somebody--and I wouldn't use it for just seven days.

19 So that is an answer to that.

20 DR. DRAKE: Thank you. Now, seeing no further
21 hands from the committee, per se, so we move on, the
22 Question No. 2, "Is additional information on safety needed
23 for the proposed product such as the following:" I am going
24 to try to group--do you want to take each one individually
25 or as a group?

1 DR. DiGIOVANNA: I think individually.

2 DR. DRAKE: So the first vote will be on, "Is
3 additional information on safety needed for the proposed
4 product such as the following: a, potential adverse effect
5 of the ointment base on lesions with Candida infection?" So
6 you will vote yes or no as to whether you think we need
7 additional information on the ointment base.

8 How many thing we need additional information on
9 the ointment base?

10 [Show of hands.]

11 DR. McGUIRE: Lynn, there was a point that may be
12 prejudicing the committee and that is the Campbell which
13 showed systemic candidiasis in babies of birth weight around
14 a thousand grams. That is the only adverse effect of
15 ointments that has been reported. That is a very special
16 population.

17 DR. DRAKE: That's okay. I think the committee
18 understands that and knows it. We are voting. I am going
19 to repeat the vote at this point. I am repeating it for a
20 reason. It is not because you all are stupid and can't hear
21 me the first time. I have been specifically asked by our
22 executive officer to make sure the statements are very clear
23 on the tape so that when they transcribe this that is it
24 absolutely clarified. So please bear with me while I repeat
25 this.

1 So we are going to now repeat the question, "Is
2 additional information on safety needed for the proposed
3 product such as the following: potential adverse effect of
4 the ointment base on lesions with candidiasis infection?"
5 People who say yes, raise your hand.

6 [Show of hands.]

7 DR. DRAKE: Five yes. All opposed?

8 [Show of hands.]

9 DR. DRAKE: Six opposed.

10 Now, then, the second vote. "Is additional
11 information on safety needed for the proposed product such
12 as the following: prolonged treatment beyond seven days and
13 repeated usage for relapse." If you think it needs to be
14 longer than that, please raise your hand if the answer is
15 yes.

16 [Show of hands.]

17 DR. DRAKE: Eight. All opposed? Rob, I am in the
18 middle of a vote. It is out of order. Please; how many are
19 opposed to this? And then I will let you ask a question.
20 Two opposed. Any abstentions?

21 [One hand raised.]

22 DR. DRAKE: Now, Dr. Stern?

23 DR. STERN: To me, beyond seven days means from
24 seven days until you get out of diapers. And repeated use
25 means all the time. So I think one has to think about some

1 parameters of what is prolonged use and some parameters of
2 how soon you repeat the dosage and how often because
3 certainly if you are saying is it okay to use this for
4 two-and-a-half years, the average time you are in diapers, I
5 would say I don't think there is sufficient safety
6 information to make it a replacement for Desitin.

7 If you are talking about using it up to 14 or 15
8 days and using it at least with a month's break in between,
9 I think I am not so concerned. So it is a funny question
10 for me to intelligently vote on.

11 DR. DRAKE: Are you down as an abstention, then,
12 Rob?

13 DR. STERN: Yes; I am down as an abstention for
14 that reason.

15 DR. DRAKE: So then the FDA, I would advise you to
16 please take his comments under consideration. For the
17 voting committee, I will not allow interruptions in the
18 middle of a vote. When we are doing a vote count, that is
19 inappropriate. When I ask for a discussion right after I
20 put the question on the table, you must raise it then or
21 afterwards, but not in the middle of a vote count.

22 We are not intelligent enough up here to count 1
23 through 7. It is as fundamental as that, Rob. It is called
24 counting.

25 Okay; here we go. The third part of this

1 question, "Is additional information on safety needed for
2 the proposed product such as the following: c, antifungal
3 resistance development in infants using this product?" All
4 those who are concerned about that, please raise your hand
5 saying "yes."

6 [Show of hands.]

7 DR. DRAKE: Eight. Opposed?

8 [Show of hands.]

9 DR. DRAKE: Two opposed. Abstentions?

10 [One hand raised.]

11 DR. DRAKE: One abstention.

12 DR. STERN: Same reason.

13 DR. DRAKE: Same reason; good. So well advised.

14 Now I am going to Question 3. Question 3, "Is additional
15 information on effectiveness needed for the proposed product
16 to support an appropriate indication. Any comments before
17 we vote? Joe and Dr. Kilpatrick.

18 DR. MCGUIRE: That is so open-ended. Could it be,
19 or should it be, "Is additional information such as a, b, c,
20 needed for a proposed product?" This is a pretty open
21 question.

22 DR. KILPATRICK: And this is really what I was
23 responding to, also. I would like to, again, encourage the
24 sponsor and the agency to work together to have an agreed
25 protocol which would bring a real convergence between the

1 two parties.

2 DR. DRAKE: John and then Fred.

3 DR. DiGIOVANNA: I think there may be, as Joe
4 said, much too much in this question that needs to be
5 dissected, one of which is whether additional clinical
6 endpoint information is necessary and whether additional
7 bacteriologic information is necessary and what that may be.

8 DR. DRAKE: Fred?

9 DR. MILLER: I do think that we do have to know
10 more about the effectiveness. When we look at the studies,
11 we have, what, a total of 47 patients who had positive
12 Candida cultures. This whole debate is based on 47 patients
13 and data from 15 years ago. So I think that is significant.

14 If you look at diaper dermatitis, the vast
15 majority of diaper dermatitis will respond to triple paste.
16 Most diaper dermatitis is transient. It comes up very
17 quickly and you can control it. I say that from my
18 experience as a dermatologist and also as a father of five.
19 I have changed many diapers. I have always been impressed
20 with how quickly kids can develop dermatitis and then how
21 quickly it usually goes with just triple paste.
22 Occasionally, you use a little bit of hydrocortisone cream,
23 but most of the time, you don't need it.

24 So I think that this is a specific indication.
25 This is an antifungal preparation and I think we do have to

1 see what is the efficacy and we need more numbers than just
2 47 positive cultures.

3 DR. DRAKE: Other comments? I think, hearing both
4 sides of the case, I am going to respond by voting on this
5 question as the agency posed it because we have heard people
6 say that it should be modified a little bit and others say
7 they are comfortable with what it is.

8 But I would hope that the agency would take into
9 mind that there was a little bit of concern that perhaps it
10 should be better defined as an endpoint, or whatever. But I
11 will call for a vote on the question as it is worded. "Is
12 additional information on effectiveness needed for the
13 proposed product to support an appropriate indication?"
14 Please raise your hands.

15 [Show of hands.]

16 DR. DRAKE: Twelve. There were no negative votes,
17 I assume, because the committee is twelve.

18 Now, then, back to Question 1. We can do that.
19 Steve, I cut you and Dr. Epps both off a little bit earlier
20 because I was trying to track on a specific question and
21 item issue. I think now your comments, with respect to this
22 question, would be most particularly appreciated. Would you
23 mind--Dr. Feldman and then Dr. Epps, would you mind
24 finishing your trend of thought that you were pursuing so
25 eloquently a minute ago that I was interrupting.

1 I think it is very appropriate for this particular
2 question.

3 DR. FELDMAN: That is very kind of you. I think
4 Dr. Wilkin makes an interesting analogy of looking at the
5 two extremes. I feel confident that this product has some
6 efficacy in that group at the one extreme that clearly
7 involves Candida. The data shows that. I think, equally
8 true, we can say pretty much with assurance from this data
9 that this product does not have any greater efficacy than
10 vehicle in those patients who don't have candidiasis.

11 But neither of those things are what I am facing
12 in the office. What I am facing in the office is a kid who
13 might have candidiasis and I am going to treat him now--I'm
14 sorry; I am facing a child who has dermatitis, diaper
15 dermatitis, that may or not have candidiasis. I want a
16 treatment that is effective as a barrier and will control
17 the Candida is Candida is present.

18 That is what I have right there and I am not going
19 to do any culture studies. I think it would be helpful if
20 the FDA can recognize that as a condition, which I think is
21 the question is 1, and would recognize that this data
22 supports that indication.

23 DR. DRAKE: Thank you. Dr. Epps?

24 DR. EPPS: Thank you. I will be brief.

25 DR. DRAKE: You were doing fine. It was the

1 wrong--this is the time for this discussion. So if you
2 would do it now, it would be very appreciative because what
3 you have to say is important.

4 DR. EPPS: To conclude, I guess, what my comments
5 were, I was concerned more about the dosing regimen,
6 depending on the age of the child, you are applying it more
7 in a young child versus an older child if you apply it every
8 diaper change.

9 There are concerns about absorption, if you are
10 applying it those ten times versus five times in an older
11 child. If there is fragrance and other components, could
12 there be sensitization from fragrance or whatever when you
13 are applying it ten times a day, especially in a younger
14 child who has eczema or some other entity.

15 I guess when you are applying it frequently, I
16 guess some people have alluded to resistance. I was also
17 interested with the potential for nursing home and other
18 patient populations who may benefit, people who have chronic
19 incontinence, whether it is from spinal-cord injuries or
20 whatever. That may be another population or another route
21 to take as well who could really benefit. They don't
22 necessarily become toilet trained. Those people may need it
23 intermittently for long periods of time. So that is just, I
24 guess, a comment.

25 DR. DRAKE: That is very helpful. John?

1 DR. DiGIOVANNA: Just a short comment. I think my
2 view is that the bedridden population, diaper dermatitis
3 population, may be very different. One of the presentations
4 of Candida in that group is a granulomatous disease where it
5 becomes very invasive. So I would suggest to the FDA that
6 that not be blended in with the rest of the studies
7 automatically.

8 I think that those individuals may benefit from
9 this but I think the irritancy potential with the occlusion
10 and being bedridden sometimes may complicate it.

11 DR. EPPS: As we know, diapers come one, two,
12 three and large, now. They have large sizes. Diaper
13 dermatitis could be assumed to be diapers. So maybe there
14 should be some stratification, whether it is ages or
15 whatever, that maybe have to be addressed also.

16 DR. DRAKE: Rob?

17 DR. STERN: May I make a suggestion about altering
18 this question for the committee.

19 DR. DRAKE: I would be grateful, actually.

20 DR. STERN: "For a product of this type, is diaper
21 dermatitis excluding cases where the primary clinical
22 opinion is irritant contact dermatitis, bacterial infection,
23 atopic dermatitis, seborrheic dermatitis or psoriasis, an
24 appropriate indication?" To me, knowing that a person has
25 one of these conditions, it is not plain diaper dermatitis.

1 I think the indication for this is, as Steve has
2 said, it is essentially not one end of the pole where you
3 think a person has seborrhea or atopic eczema not infected
4 by Candida but rather those very prevalent cases where there
5 is ambiguity, you are pretty sure it is not psoriasis, you
6 are pretty sure it is not atopic eczema, but you think,
7 well, maybe there is an irritant component, maybe there is a
8 Candida component, so let's--it is really a diagnostic of
9 exclusion of certain things that represent a small but
10 important and clinically recognizable thing, and it is that
11 mish-mash of things.

12 So I would rather put it that way because this is
13 a little bit--the way it is phrased is a little bit like one
14 of these, "When did you stop beating your wife?" questions.
15 Of course, it is not appropriate for dermatologists or
16 clinicians to use a product that isn't likely to be very
17 effective for atopic dermatitis or psoriasis if they have
18 that clinical suspicion.

19 But if it is that great mass of stuff and they
20 have excluded those things with reasonable probability, I
21 think it is not so bad.

22 DR. DRAKE: Write it down. John?

23 DR. DiGIOVANNA: I just want to reiterate that.
24 You have said it a little better and I am not going to waste
25 time on wordsmithing. But I think that diaper dermatitis of

1 uncertain etiology--I am sure there is a better way--where
2 one excludes the more clear-cut conditions that can be
3 identified such as atopic dermatitis, psoriasis, seborrhea
4 and such.

5 I think, clearly, as Steve has pointed out and
6 everyone else has pointed out, there is a group of patients
7 where the diagnosis is not clear. I think it is reminiscent
8 of the discussion we had yesterday of those red, scaly areas
9 that you want something for that given the fact you don't
10 have a specific diagnosis.

11 DR. DRAKE: I want to do something. I am going to
12 call on Joe and then Bill, but then I am going to call on
13 Joel because I haven't heard from you in a while. You have
14 been way too quiet.

15 DR. McGUIRE: This will be brief because it is
16 repetitious. I think that we have to be careful that the
17 committee does not endorse a product for indications that
18 the company has not provided evidence for and the small
19 amount of positive data that we have is for Candida
20 infections in a clinical pattern of diaper dermatitis.

21 I think that is the only indication that we can
22 approve. I don't want to walk out of here feeling that we
23 have endorsed a Desitin-plus.

24 DR. DRAKE: We haven't because we have voted not
25 to approve it. We voted more information was needed before

1 we go forward, so I think we haven't. But, Joe, I would
2 echo that. I think the sponsor should take this--they heard
3 it back in 1990 from the chair of the committee and I think
4 they should hear it again from this committee as a whole.
5 You have heard it now from two different sources, that we
6 are pretty precise when it come to the committee.

7 We need to know exactly what you are going after
8 and you need to present us with data supporting the
9 indication that you are going after. If it is anticandidal,
10 then we need to see anticandidal data.

11 If it is antiirritant, then we need to see that.
12 So I would encourage the sponsor to be real specific. We,
13 at this table, tend to--I have seen it happen for many years
14 off and on in this committee, is this committee is quite
15 literal. We tend to take the data we see and act upon it.
16 We are not good at extrapolating nor are we good at
17 extending, nor are we very good at defining things,
18 particularly.

19 So I think, before I call on other people--I just
20 want to tell you I think this is a wonderful notion. I
21 think a product like this is needed. I think if you come
22 back with some additional information that answers these
23 questions you are hearing, that, certainly, this committee
24 is interested in approving something like this.

25 I think the FDA probably is, too, for what that is