

1 worth. So, Joe, I am glad you repeated it. The concept has
2 not changed over a ten-year period, basically.

3 Bill?

4 DR. ROSENBERG: I am going to try to focus on this
5 question, if I can. Is a product of this type for diaper
6 dermatitis and so forth appropriate? I think the answer to
7 the agency, in my opinion, would be clearly no. This is a
8 product which is built around an anti-candidal, antifungal
9 drug.

10 I think there is every likelihood, although it is
11 certainly not at a level that the agency would approve it at
12 this point, but I think there is every likelihood that it
13 can be shown to be a useful drug in candidal-associated
14 diaper dermatitis. But they haven't shown it yet. I think
15 for the FDA to approve a drug that is helpful in
16 candidal-associated diaper dermatitis but without evidence
17 for anything else, as good for diaper dermatitis would have
18 been tantamount to approving the same company's Regranex for
19 skin ulcers rather than, as they did, for the very precise
20 subset of diabetic pressure-associated ulcers that have been
21 debrided and for which support is given, and so forth.

22 So I would say no for this question.

23 DR. DRAKE: Joel?

24 DR. MINDEL: I agree with what has been said
25 before about this question. But since you want me to say

1 something--

2 DR. DRAKE: I do. You have been very quiet.

3 DR. MINDEL: I will say something that I wanted to
4 ask before but didn't get a chance. I wanted to know what
5 is the evidence that--the drug is in a thousand times more
6 concentration than the ointment. But what is the evidence
7 that it gets out of the ointment and into the skin
8 interface. Is there any evidence, because all the other
9 preparations I have seen of this are solutions and lotions
10 and powders but not petrolatum ointment which could trap
11 that drug and keep it sequestered.

12 That raises the question about whether resistance
13 would be more likely to develop and such.

14 DR. DRAKE: Dr. Armstrong; do you guys have any
15 information on that?

16 DR. ARMSTRONG: We haven't done assays within the
17 skin of patients who have been treated with this. I think
18 the clinical outcome gives an indication that when Candida
19 is present, you see a different clinical experience. We
20 also have the conversion data for cultures that were
21 positive at baseline and negative at the end of treatment,
22 and the differences between those treated with Pediastat and
23 with the vehicle base. So indirect evidence, yes. Direct
24 evidence, no.

25 DR. DRAKE: Anybody else have anything to add to

1 that? Ted?

2 DR. ROSEN: Just a very quick comment. In Dr.
3 Ko's presentation about three or four slides down, he quoted
4 the minutes of the advisory committee meeting about ten
5 years ago, and the last sentence he quoted was, "The
6 committee unanimously agreed diaper dermatitis is not a
7 defined diagnosis and, therefore, is not an appropriate
8 indication."

9 I think that that quote from ten years ago relates
10 to this question. I think the committee, the voting members
11 of the committee, need to decide whether there is an
12 indication called diaper dermatitis.

13 DR. DRAKE: I agree with that

14 DR. ROSEN: Psoriasis is not a subset. Atopic
15 dermatitis is not a subset. Contact dermatitis is not a
16 subset. It is the patients Steve was talking about who
17 don't fit those or, as Rob was saying, it is a negative
18 thing. But, is there an indication?

19 I think that the agency is very strong in showing
20 that slide and reiterating that opinion that there is no
21 appropriate indication for diaper dermatitis. I would argue
22 otherwise. I think there is an indication for it, but you
23 have to think about maybe this data didn't support this drug
24 at this point, but that there is a clinical entity.

25 My last comment would be if I told you all I was

1 treating a patient with perioral dermatitis, you would all
2 know what I was talking about even though some people think
3 it is due to demidex and some people think it is due to
4 tooth paste, some people think it is rosacea and some people
5 think it is acne. But you would all know what I was talking
6 about.

7 If we say diaper dermatitis, excluding psoriasis,
8 seborrhea, et cetera, you all know what we are talking
9 about. So I think there is an indication and that this
10 position should be changed, albeit there needs to be some
11 data to support it.

12 DR. DRAKE: Dr. King?

13 DR. KING: I guess this is my first time here. I
14 have problems with definitions. It is kind of like the
15 quote, "How do you recognize your mom in a crowd?" "She
16 just looks like this."

17 If diaper dermatitis is a syndrome produced by
18 diapers and readily recognized by one and all, the clinical
19 pattern is such that if you take the diapers away, it is
20 supposed to be get better just by air. You have seen that
21 kind of data that Dr. Spraker showed that some cultures
22 don't have diaper dermatitis at all.

23 So, again, you know what you have got in hindsight
24 because if it does not respond in a short period of time,
25 you have to suspect the coexistence of infection or some

1 early subset of primary scaly dermatitis.

2 We all recognize impetiginization or strep or
3 whatever of primary scale diseases or blistering diseases so
4 we don't have any trouble with that. So I come down to the
5 question if it is my mom and I recognize her, it is only in
6 hindsight that I have done this prospective treatment. I am
7 not going to do a biopsy. I am not going to do all this
8 stuff. I am just going to treat it.

9 In hindsight, I may change my diagnosis in
10 response to therapy, and it leads me to a fundamental
11 question to Dr. Wilkin and the FDA, does miconazole or any
12 other antimicrobial agent have a role in preventing
13 clinically relevant candidiasis or something that develops
14 in the context of irritant dermatitis or atopic dermatitis
15 or something else.

16 I mean, we are routinely giving antimicrobials to
17 prevent the evolution of disease in bone-marrow transplant,
18 et cetera, et cetera, et cetera.

19 I didn't hear a word about prevention of
20 candidiasis or anything else in the context of a susceptible
21 person. Even though they may be kids, or whatever, I submit
22 that there is potentially a role for treating those folks if
23 they don't respond after appropriate letting the air get to
24 it or whatever.

25 And it would still be my momma.

1 DR. DRAKE: John?

2 DR. DiGIOVANNA: I would just like to second and
3 third that motion. I think that there is a clinically
4 relevant condition of diaper dermatitis that excludes other
5 well-known entities and I think that it is practical, at
6 this point in time, to have that as an indication.

7 DR. ROSENBERG: Can I ask a question?

8 DR. DRAKE: Yes, sir.

9 DR. ROSENBERG: If there were to be such an entity
10 as diaper dermatitis, excluding psoriasis, atopic
11 dermatitis, et cetera, so it had a broad indication, and if
12 the clinical data brought back by these sponsors were that
13 these material was useful that had an associated Candida but
14 not in cases that did not have an associated Candida, never
15 mind that the doctor doesn't always know by looking whether
16 it is Candida and doesn't always want to culture.

17 But if that, in fact, were the data as suggested
18 it might be, then do you think, does anybody think, that
19 they should be allowed to say that this is a product for
20 dermatitis rather than this should be a product for diaper
21 dermatitis associated with Candida albicans?

22 DR. DRAKE: That is a very good question because
23 it also might help the sponsor if they are going to do
24 further work. Comments on that?

25 DR. STERN: I would say it depends on what the

1 alternative treatments are for those without Candida that
2 one could have knowingly instituted at the time of original
3 prescription, whether those treatments would be harmful to
4 the subset with Candida and whether, by doing this for the
5 people who don't have Candida, you are doing as well as you
6 would have been doing anyway.

7 So the question is really what is the harm in
8 doing it and what is the harm of the alternative actions you
9 could have taken in the absence of other than your clinical
10 impression where Candida maybe yes, maybe no.

11 So it is really for the subset. I think, as Steve
12 says, we are dealing in an area, in clinical reality, of
13 ambiguity about diaper diagnosis. We eliminate certain
14 things and then we are left with the subset of patients we
15 call diaper dermatitis--that is, a large class and a very
16 common group of patients.

17 You have to think about, well, if I give this, am
18 I going to do more harm than good. Clearly, that is
19 conditioned on your own prior probabilities among the
20 diagnoses. If you know it is psoriasis, you treat it one
21 way. In your case, you might, in fact, advocate this agent
22 if you knew it was psoriasis. And others of us might not.

23 If you know it is Candida, you certainly treat it
24 in a certain way. But there are all these in between cases.
25 To me, it would be how much worse did the people get

1 compared to the usual treatment of noninfected,
2 not-related-to-anything-else, plain-old, "if you only were
3 in a social situation where you could take off their diapers
4 for a week, they would get better," dermatitis, did you make
5 them any worse than what the parent would have done, which
6 is Desitin, to use a brand name.

7 DR. DRAKE: I would like to do this. I have a
8 suggestion and the committee can comment if I am wrong. I
9 think we could vote on this question. I think that the
10 answer to this is potentially no because I have kind of
11 heard around the board that you don't like all this stuff in
12 there.

13 So could we vote on the question as it is worded
14 and then, perhaps, come up with a second question that might
15 be of assistance to the agency.

16 I would like to vote on the question as it is
17 worded. All in favor of this question, please raise--is
18 that okay, first of all? Let me ask, is that okay with
19 everybody? All in favor of this question, as worded, please
20 raise your hands.

21 [No response.]

22 DR. DRAKE: All opposed to this question as
23 worded, raise your hand.

24 [Show of hands.]

25 DR. DRAKE: I think you have got twelve. Wait.

1 Hold up your hands again, please.

2 [Show of hands.]

3 DR. DRAKE: It is everybody. It is twelve. Thank
4 you very much.

5 Now, then, Rob, I told you to write that down.

6 DR. STERN: I tried. "For a product of this type,
7 is diaper dermatitis, excluding subsets of patients with the
8 likely primary alternative etiology of irritant contact
9 dermatitis, infection by bacteria or viruses, atopic
10 dermatitis, seborrheic dermatitis or psoriasis, an
11 appropriate indication?"

12 I didn't list every disease I think makes things
13 worse in the diaper dermatitis area but only the common
14 ones.

15 DR. DRAKE: I see Mary shaking her head.

16 DR. SPRAKER: Rob, you didn't mean to exclude
17 irritant, did you, chafing dermatitis?

18 DR. STERN: Well, not chafing. That is one I have
19 the most problem with.

20 DR. SPRAKER: It is hard to know what to call
21 that. People call it chafing, but often people call it
22 irritant-contact. That is what Amy was calling it in her
23 lecture, for example.

24 DR. STERN: That is the one I have a hard time
25 about where to include that because so much of what is

1 diaper dermatitis is often irritant, chafing, heat,
2 maceration, all those things.

3 DR. SPRAKER: I think that adds class diaper
4 dermatitis, plus or minus Candida.

5 DR. McGUIRE: Rob, did you want to include
6 Candida?

7 DR. STERN: No. I said excluding those things.

8 DR. DRAKE: Rob, instead of making this a
9 negative, maybe having heard this, it may be better
10 worded--what do you guys think about "Diaper dermatitis
11 which could be either irritant or Candida?" Is that a more
12 positive way to state it instead of a negative way, instead
13 of exclusionary, more inclusionary?

14 DR. SPRAKER: And/or.

15 DR. McGUIRE: I would add the exclusions. You
16 want to include Candida.

17 DR. DRAKE: Okay.

18 DR. McGUIRE: But you want to exclude viral,
19 bacterial, psoriasis, atopic dermatitis, et cetera, et
20 cetera, because you have other agents for those that you
21 have got better data on. But you want to include chapping
22 and--

23 DR. DRAKE: Dr. Chesney and Bill?

24 DR. CHESNEY: Can I come back to my diarrhea
25 example? The analogy may not be perfect, but if we were to

1 say amoxicillin can be used for all diarrhea that is not
2 salmonella, Shigella, all the other kinds that we know of, I
3 think that is very imprecise.

4 I think there are many different things that can
5 cause diarrhea that are not even infectious, just as there
6 is irritant diaper dermatitis. I think what we are dealing
7 with is an antifungal drug for a fungal infection regardless
8 of whether it is difficult or easy to diagnose it clinically
9 or in the laboratory, the fact remains that this is an
10 antifungal drug for a fungal disease.

11 DR. DiGIOVANNA: But we, in actuality, do that.
12 We treat traveler's diarrhea with a standard antibiotic
13 regardless of what country people go to or regardless of
14 when they get it, at the beginning of the trip or the end of
15 the trip. So there are some of those clinically defined
16 situations where we accept an unknown.

17 DR. CHESNEY: But traveler's diarrhea is actually
18 a fairly precise entity. There are only about two or three
19 organisms and toxins. It is not just nonspecific diarrhea.
20 I understand what you are saying, but--

21 DR. DiGIOVANNA: Again, I don't want to belabor
22 this because Lynn will shoot me but here we are talking
23 about mostly Candida.

24 DR. DRAKE: I am not going to shoot you now. We
25 have got all the hard work done.

1 DR. DiGIOVANNA: I can see the parallel.

2 DR. DRAKE: I had to get a certain amount
3 accomplished today or the FDA would shoot me. We have done
4 that. Now I am content. You can chat until--you can miss
5 everybody's flights. I don't care. I'm here.

6 DR. ROSENBERG: I will just say that, for some
7 people here who have been at Massachusetts General Hospital
8 when I was there with Dr. Tolman watching him see patients
9 one day, and he wrote a prescription for Ointment No. 10.

10 DR. STERN: I am the only other one in this room
11 who remembers that.

12 DR. ROSENBERG: And I said, "Oh; Dr. Tolman, what
13 is Ointment No. 10?" He said, "That's 5 percent boric acid
14 and vaseline." He said that was Dr. White's prescription.
15 He said, "Any time you see something you don't know what it
16 is, you write a prescription for Ointment No. 10 and tell
17 them to come back next month." He said, "I have cured more
18 skin disease with Ointment No. 10 than you are ever going to
19 see."

20 Now, that is the dream of every commercial company
21 is to get an indication for, "This is an ointment for if you
22 don't know what it is." I can see the appeal of this to a
23 commercial sponsor. "We have a product that is good for--if
24 you don't know what it is, this is what you want." But I am
25 not sure that the agency is quite ready to operate at that

1 level.

2 DR. DRAKE: I don't think so. And, in order to
3 bring this meeting to some kind of close, you have got the
4 answers, the formal answers, to all of your questions. I
5 guess what I would like to do is I am going to pose a sense
6 of the committee, question to the committee.

7 The question I am going to pose, and you can raise
8 your hand on yea or nay, is, is it the sense of the
9 committee that, at least for the foreseeable future, instead
10 of trying to micromanage all these definitions, that the
11 sponsor should come forward with a specific indication such
12 as an antifungal drug for an antifungal disease.

13 If you would like the sponsors to continue to be
14 reasonably specific for prescription products, I would like
15 you to raise your hand.

16 [Show of hands.]

17 DR. DRAKE: That is just a sense of the committee.
18 The sense of the committee, and the majority, is that there
19 is a comfort level with understanding we are going to treat
20 X disease--I used to have--there is a Tennessee connection
21 here. I trained there and Dr. Pat Wall used to say, "You go
22 to know the bug before you can pick the drug."

23 He said, "If you don't treat the right bug with
24 the right drug, you are not going to fix your patients.
25 That is what I learned when I was in pediatrics at

1 Tennessee. I think, probably, the comfort level with this
2 committee is at that point.

3 Jon, I would also suggest that the committee would
4 also probably be very responsive to additional and new
5 definitions if there is some rationale and if we can define
6 some parameters on how to judge products along that line.

7 Unless there are any further comments, I am going
8 to close the meeting here in just a second. Is there
9 anything else anybody has a pressing need to say? Fred,
10 please? You haven't said much. Talk all you want.

11 DR. MILLER: It is not really that pressing. I
12 think diaper dermatitis is certainly--I am using the term
13 "diaper dermatitis--" it is such a common entity and it is
14 one that we face so much. You would hope that from a study
15 we would get some elucidation of etiology or at least shed a
16 little bit of light on the pathogenesis.

17 Again, we have so few people in this group that we
18 really haven't shed any light on it. We have done a lot of
19 discussing but, as far as etiology or anything precise about
20 it, I don't think that has come out of that. That would be
21 nice to have. I think we do need more data.

22 The second thing is, we can talk about subsets.
23 And perhaps we will treat psoriasis and psoriasis and we
24 will treat the fungal disease. However, in the world, it is
25 going to be used for all diaper dermatitis, for the most

1 part. And there is the added expense that we talked about
2 yesterday. This is a prescription medicine and it is going
3 to cost a lot more, I would suspect, than triple paste and,
4 in most cases, it probably won't be needed.

5 I think there are cases where it will be needed
6 but, in most, it will not be needed.

7 DR. DRAKE: Anything else that people have to say?
8 I would like to say two or three things. First of all, any
9 time the committee doesn't act in a real positive manner for
10 a sponsored product, I think it has got to be hard on the
11 sponsor. If I was in your shoes, it would be hard on me.

12 But I want to encourage you. I think what you
13 brought before this committee this time was extremely
14 important. I think it shows that there is this pressing,
15 overwhelming need to address some of these questions. I
16 think you have created a great opportunity, not only for
17 yourself, but for us, too, by pursuing this a touch.

18 I don't think this committee is going to require a
19 ton of additional information from you, but I think if you
20 come forward with some additional information to answer some
21 of these questions, I think you will find a committee very
22 responsive to hearing it because there is really a need for
23 a product of this type.

24 So I want to compliment you for having the
25 gumption to come before the committee with this notion

1 because I think it is important. It is a wide-open area
2 that clearly needs a new product in that arena. I think all
3 our pediatric derms would support that concept.

4 So I don't think this committee is trying to be
5 unreasonable, but I sure want, at least on a personal level
6 as chair of committee, to encourage you to pursue this
7 because I think it is needed and I think you are on the
8 right track and you potentially have something very
9 positive.

10 With just a little bit more data, I suspect we
11 could act on it. So I would view this more as kind of a
12 deferral and not a rejection. I know we voted no, but we
13 are just an advisory body. The FDA can still do what they
14 want but I would really like to encourage you to go ahead.
15 And I want to thank you for bringing it before the
16 committee.

17 I want to thank all of our experts for taking your
18 time and coming and sharing. And I want to thank my
19 committee for your diligent hard work on a holiday weekend
20 and for putting up with me. I really appreciate that.

21 And then I want to thank our new executive
22 secretary, Jaime Henriquez. Thank you for your first
23 meeting. You did a great job. I must admit, I didn't know.
24 I had never met you before we came, but you were organized
25 and professional and books were great. We thank you.

at

1 And then I want to thank everybody from the FDA,
2 Jonathan Wilkin and all of you guys. These meetings are fun
3 for me because I always end up learning so much. It is like
4 a CME course benefit your presentations are good and they
5 are efficient. So thank you for all the hard work in
6 putting this information in a concise package that we could
7 understand.

8 I remember years ago when I was on the committee,
9 years ago, we used to get this many books, this high, two
10 days before the meeting. You and I were on at the same
11 time; remember that? We would get twenty books that high.
12 It wasn't a critique. They were just trying to give us
13 everything they had. But, being the humans we were, we
14 didn't kind of get through it as easily.

15 So when you give us something like this, we can
16 actually get through it. So I want to thank you because it
17 is really hard to condense all that information. So we do
18 appreciate it and we thank you.

19 Now, before I close, Dr. Wilkin, have we addressed
20 all the needs of the FDA? Is there anything else you need
21 from us?

22 DR. WILKIN: Of course, it is not only the votes
23 that we look for at the end. We pore over the transcripts
24 and look for all of the comments and the thoughts that are
25 in those comments. There are many things that we learn from

at

1 this kind of experience.

2 I really appreciate the thoroughness with which
3 the committee has addressed the topic. It is a Friday
4 afternoon on a long holiday weekend. I notice that everyone
5 is still here with the committee. It is very much
6 appreciated. We got very good information. Thank you.

7 DR. DRAKE: With that, we stand adjourned.


8 [Whereupon, at 2:50 p.m., the meeting was
9 adjourned.]

10

- - -

C E R T I F I C A T E

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script that reads "Alice Toigo". The signature is written in dark ink and is positioned above a horizontal line.

ALICE TOIGO