

1 levels of these animals were as high as those of the mice,
2 so it suggests it was a species sensitivity in this case.

3 The initial effects in the mouse were reversible.
4 There were no carcinogenic effects in the rat, and above
5 all, the tumors were only seen at very high doses, and from
6 the no-effect level for the tumors, there are very high
7 safety margins.

8 DR. HANAUER: Does anyone on the committee have
9 questions related to this? Dr. Wolfe.

10 DR. WOLFE: Quick question. Any work in isolated
11 cell lines, any new mechanism of action proposed why you
12 may see some effects in mice?

13 DR. BENTLEY: We haven't worked in isolated cell
14 lines, no.

15 DR. HANAUER: And it is only small bowel mucosa,
16 have you looked at colonic or gastric mucosa?

17 DR. BENTLEY: We looked at all the intestine, it's
18 only in the small bowel and only at the very high doses.

19 DR. HANAUER: Thank you.

20 **Review of Data on Ovarian Cysts**

21 **Bruce Carr, M.D.**

22 [Slide.]

23 DR. CARR: Mr. Chairman and members: I was asked
24 by Novartis to (a) review the adverse events reported as
25 ovarian cysts with the use of tegaserod; and (b) to

1 determine if there is an increased association with the
2 laparotomies due to gynecological and gastrointestinal
3 indications in tegaserod.

4 [Slide.]

5 First, I will discuss the issue related to ovarian
6 cysts. In review, the ovary is a dynamic organ with
7 follicular growth and development occurring continually, so
8 that at any given time, ovarian follicles or corpora lutea,
9 which are the remnants of the follicle, are present in the
10 ovaries.

11 Physicians and even gynecologists sometimes call
12 ovarian follicles cysts, a term which may itself suggest a
13 pathological condition, when, in fact, they are describing
14 normal ovarian events and physiology.

15 Ovarian cysts are usually defined as greater than
16 4 cm and persist for a number of months, but the pathology
17 and the treatment of ovarian cysts is not clearly
18 understood.

19 [Slide.]

20 Now, this slide illustrates an ultrasound of an
21 ovary and associated follicle, mature follicle. If a
22 patient experienced abdominal pain and the physician ordered
23 an ultrasound, the diagnosis of a cyst would be provided
24 back to the physician, and he or she may consider the cyst
25 to be the cause of the pain.

1 However, this ultrasound is an infertility patient
2 of mine with a mature follicle one day prior to ovulation.
3 The day the patient ovulates, the cyst, the follicle will
4 rupture, and the patient may develop short-term, acute pain
5 known as Mittelschmerz, but this is a normal physiological
6 event.

7 Now, with this as background, we can proceed with
8 the discussion of the cases of ovarian cysts.

9 [Slide.]

10 This slide illustrates my further analysis of nine
11 adverse events, termed ovarian cysts, of which eight
12 patients were being treated with tegaserod and one with
13 placebo.

14 I divided these into those where the diagnosis was
15 not confirmed or confirmed. In those cases that were not
16 confirmed, the revised diagnosis, in fact, was a
17 cystadenofibroma, which is in fact a benign ovarian tumor, a
18 peritubal cyst, which is a cyst of the fallopian tube, which
19 is probably a congenital defect of muellerian origins. A
20 third case had no cyst found at surgery, and only pelvic
21 adhesions, and the fourth I considered not confirmed was a
22 patient who had abdominal pain where the adverse report was,
23 quote, "ruptured ovarian cyst," but there was no evidence to
24 document this either by examination or imaging studies.

25 In addition, there were five cases in which the

1 ovarian cysts were confirmed. Of these five, two in fact
2 had history of prior ovarian cysts. Two of these patients
3 were both on tegaserod.

4 One patient had prior ovarian cysts diagnosed, as
5 well as adenomyosis and menorrhagia. The other case had a
6 case of ovarian cysts on the left and right ovaries removed
7 approximately three to four months prior to surgery and at
8 the time of recurrent surgery we will discuss a little bit
9 later, also had appendicitis of the appendix.

10 This leaves us with three cases of newly occurring
11 ovarian cysts. One was placebo, which she had a diagnosis
12 of polycystic ovary, which is an ovary with very small
13 follicles, possibly a genetic disease.

14 An additional polycystic ovary was seen in a
15 patient on tegaserod. This was confirmed by CT scan.
16 Again, PCO is not a disorder associated with abdominal pain
17 or development of large cysts.

18 One patient had a cyst or follicle that was
19 diagnosed by a gynecologist that arose during a cycle, that
20 regressed in a subsequent cycle.

21 [Slide.]

22 This slide illustrates the Phase II, the Phase II
23 and III combined, as well as uncontrolled, long-term studies
24 in female patients with ovarian cysts. Looking at the
25 percent of patients in the combined Phase II/III studies,

1 the percent patients with cysts was approximately the same
2 in the placebo, as well as in the drug-treated group.

3 In the uncontrolled, long-term studies, the
4 percentage of patients with the cysts remains the same, but
5 obviously, there was no placebo patients in this group, but
6 this information is somewhat reassuring.

7 [Slide.]

8 Next, we evaluated the estimated ovarian cyst
9 frequency in women aged less than 50 years, in the pooled
10 Phase II/III and long-term studies.

11 The data presented here is presented as the
12 estimated frequency per 1,000 women years. There is no
13 significant difference between the groups.

14 [Slide.]

15 We also investigated the prevalence of ovarian
16 disease or ovarian surgery at baseline. In the patients
17 with previous ovarian surgery, the prevalence was similar in
18 the placebo- and tegaserod-treated patients. In the
19 patients with previous ovarian cysts at baseline, the
20 prevalence was similar in the placebo and again drug
21 treated-patients.

22 [Slide.]

23 In order to place these data in perspective, in a
24 review of the literature, the prevalence of simple cysts and
25 polycyst ovarian disease, which were detected by ultrasound

1 in asymptomatic healthy populations, was evaluated.

2 As seen in the postmenopausal patients, and in
3 women aged 25 to 40 years, there was a similar prevalence of
4 around 6 percent. This number appeared to be double,
5 however, in adolescent girls.

6 [Slide.]

7 With respect to the preclinical studies with
8 tegaserod, there appears to be no treatment-related ovarian
9 cysts in the rat toxicity studies up to six months, dog
10 toxicity studies up to 12 months, mouse carcinogenicity
11 study or after reevaluation of the rat carcinogenicity
12 study.

13 In addition, there was no histopathological
14 evidence or hormonal perturbation in any of the studies.

15 [Slide.]

16 In summary, there is no evidence of a link between
17 tegaserod and the development of ovarian pathology either by
18 evaluating of the clinical studies, which I have just
19 presented to you, or the preclinical/toxicology studies.

20 [Slide.]

21 Next, I will discuss the relationship between
22 laparotomies in patients due to gynecological and
23 gastrointestinal indications.

24 [Slide.]

25 I evaluated those women undergoing gynecological

1 surgery as shown in yellow. We can see that in patients
2 where the ovarian cysts were not confirmed, that were on
3 tegaserod, they had different etiologies and indications for
4 laparotomies, which I had discussed previously - an ovarian
5 tumor, a peritubal cyst, or pelvic adhesions, again,
6 different etiologies.

7 In the confirmed patients on tegaserod, two
8 patients, which I had previously described, underwent
9 surgery, again with different etiologies.

10 The first patient had an indication for surgery
11 that revolved around bleeding problems, a CT scan diagnosis
12 of adenomyosis and ovarian cyst.

13 The second case had a diagnosis of ovarian cyst
14 and possible appendicitis, and was proven to have
15 appendicitis at the time of surgery, and a cyst was merely
16 drained.

17 [Slide.]

18 The next slide summarizes five patients undergoing
19 laparotomy for gastrointestinal indications. The first
20 placebo patient had adverse event of appendicitis. Second,
21 had a perforated cecum. The three patients on tegaserod had
22 ileus, benign pancreatic cyst, and a small bowel
23 obstruction, again different etiologies.

24 [Slide.]

25 The frequency of laparotomies by year in the NDA

1 database was evaluated. The frequencies per year appear to
2 be similar in the Phase II and III studies with tegaserod
3 and placebo, and in long-term therapy, placebo data is not
4 available, but the frequency of laparotomies per year
5 appears to be confirmatory of that observed in the Phase II
6 and III studies. Again, this is somewhat reassuring.

7 [Slide.]

8 In summary, regarding tegaserod and laparotomies,
9 in the study population a variety of different gynecological
10 and GI disorders led to the laparotomies.

11 The frequency of laparotomies by exposure duration
12 were similar for tegaserod- and placebo-treated patients.

13 There appears to be no obvious causal relationship
14 or signal that tegaserod affects the frequency of
15 laparotomies.

16 Thank you.

17 DR. HANAUER: Any questions from the committee
18 regarding this? Yes, Dr. Houn.

19 DR. HOUN: Just a question on 5-HT₄ receptors.
20 Have there been studies to see if they are located other
21 than in the GI tract?

22 DR. CARR: We specifically did a research review
23 of this, of the literature, and could not find any reports
24 of these receptors in ovarian tissue.

25 DR. HOUN: Have you conducted those studies?

1 DR. CARR: No, I conducted a review of the
2 literature, and there have been no reports.

3 DR. HOUN: And this wasn't studied by the company?

4 DR. CARR: No.

5 **Safety of Tegaserod**

6 **Martin Lefkowitz, M.D.**

7 [Slide.]

8 DR. LEFKOWITZ: I will now review the safety
9 profile for tegaserod beginning with a review of the
10 exposure, adverse events, laboratory evaluations, ECG, and
11 overall summary.

12 [Slide.]

13 Over 3,500 healthy subjects or patients have been
14 exposed to the drug at the time of the NDA submission, with
15 a maximum daily dose up to 200 mg in healthy subjects.

16 Over 1,800 IBS patients received the drug for at
17 least 85 days, and 302 IBS patients for more than 335 days.

18 [Slide.]

19 Serious adverse event reporting through the
20 tegaserod clinical program was low, pooling the control
21 studies in Phase II and III reporting frequency of serious
22 adverse events was similar, exactly balanced, of 1.8 percent
23 in the placebo and tegaserod groups.

24 Serious adverse events were reported in 4.1
25 percent in the long-term studies consistent with the longer

1 duration of the study.

2 [Slide.]

3 The reasons for discontinuation through the Phase
4 III program is shown here, blue, placebo, red, 4 mg, here,
5 the dose titration dose, and the 12 mg/day dose.

6 For the titration, the 12 mg/day dose, over all
7 discontinuations were similar and 5 percent higher in the 4
8 mg/day dose. Adverse event, discontinuations specifically
9 due to adverse events was slightly increased in the
10 tegaserod groups compared to placebo, but were overall low.

11 Other reasons for discontinuation were low and
12 generally similar between the groups.

13 [Slide.]

14 When we specifically look at reporting of any
15 adverse events, that was balanced across the group with a
16 slightly higher reporting of severe adverse events in the
17 tegaserod group compared to placebo.

18 As mentioned before, serious adverse events
19 reporting was low in the program, and discontinuation rates
20 due to adverse events, as you saw previously, also low.

21 [Slide.]

22 Reporting of adverse events greater than 5 percent
23 in the Phase III program are shown here, the two most common
24 adverse events being headache and abdominal pain, placebo
25 here, with similar reporting frequency of headache and

1 abdominal pain compared to tegaserod groups.

2 The single adverse event that was reported with a
3 higher frequency was diarrhea, which was reported at about
4 two-fold higher, 5 percent in the placebo group, 11 to 12
5 percent in the tegaserod group, without any dose
6 relationship in the reporting of diarrhea.

7 Reports of severe diarrhea was approximately one-
8 third in the tegaserod and one-third on placebo, and was
9 about 2 versus 4 percent.

10 Other adverse events were all similarly reported
11 between tegaserod and placebo.

12 [Slide.]

13 We then looked at the time to the first episode of
14 diarrhea after day 1. This is day 2 to 7, and then over the
15 next three weeks, month 2, and then month 3.

16 As you can see, most patients who had diarrhea
17 early on in the study, this was generally due to the
18 tegaserod with about half of the cases of tegaserod-induced
19 diarrhea occurring within the first week, with then a
20 slightly higher frequency of first episodes of diarrhea
21 throughout the rest of the study.

22 The majority of these cases of diarrhea were
23 single episodes with a median duration of two days and a
24 mean duration of seven days.

25 [Slide.]

1 Shown here are the adverse events leading to
2 discontinuation. Overall in the program about 1 to 2
3 percent higher patients in the tegaserod group discontinued
4 compared to placebo.

5 Importantly, discontinuations due to abdominal
6 pain was balanced between the groups. Again, the single
7 reason that patients discontinued more frequently in
8 tegaserod was due to diarrhea, 0.4, compared to 1.6 percent
9 due to drug. So, although higher, a low discontinuation
10 rate due to diarrhea. In the entire program, 2.1 percent of
11 patients discontinued due to diarrhea. Discontinuation
12 rates due to other reasons were low and similar in the
13 treatment groups.

14 [Slide.]

15 We conducted a long-term safety study, a 12-month
16 open label study, which utilized a dose titration design, of
17 which 80 percent of the patients were dose titrated to 12
18 mg/day. 579 patients enrolled, and 304 completed.

19 [Slide.]

20 The adverse events seen in the long-term safety
21 study was very similar with what was seen in Phase III,
22 again headache and abdominal pain being the two most common
23 adverse events, and an adverse event rate of diarrhea being
24 reported at 15 percent.

25 [Slide.]

1 Reasons for discontinuations due to adverse
2 events, again was compatible with the Phase III program.
3 The discontinuation rates overall, 11 percent in the study,
4 and those due to diarrhea, 4 percent over the 12-month
5 study, and 3 percent due to abdominal pain and flatulence.

6 [Slide.]

7 We evaluated laboratories throughout the program.
8 In Phase III, clinically relevant laboratory abnormalities
9 in hematology and biochemistry values were rare and with
10 similar frequency for tegaserod- and placebo-treated groups.

11 Liver chemistries, a 3-fold increase in ALT
12 elevations was seen in 0.4 percent of tegaserod and 0.2
13 percent of placebo patients, with 3-fold elevations in AST
14 of 0.1 and 0.1 percent in the two groups.

15 There were no simultaneous elevations in ALT/AST
16 and bilirubin. There were no serious adverse events of
17 hepatitis or elevated LFTs. The results in Phase II and
18 long term were similar, showing no evidence of tegaserod-
19 induced hepatotoxicity.

20 [Slide.]

21 We carefully evaluated the effects of tegaserod on
22 the ECG both in preclinical studies, as well as in clinical
23 studies. We conducted a series of preclinical studies both
24 in vitro and in vivo, that showed no effects on the QT
25 interval and specifically no effects on the delayed

1 rectifier current, which is the mechanism whereby cisapride
2 is known to cause prolongation of the QT interval.

3 In our clinical studies, we conducted well over or
4 we analyzed well over 10,000 tracings in the patients, shown
5 here in Phase III. ECGs were recorded at baseline two hours
6 after the first dose at a maximum concentration of the drug
7 at month 1 and again two hours after dosing at month 3 or at
8 study endpoint.

9 In the long-term study, patients had ECGs
10 periodically over the course of the study.

11 All ECGs in Phase III and in the long-term study
12 were centrally analyzed by an independent cardiologist with
13 intervals evaluated by a SigmaScan technique, in which a
14 jeweler's lamp is used to magnify the ECG tracing, and then
15 the intervals are measured.

16 The results of this analysis showed no effects on
17 the ECG, specifically no effects on the QTc interval or
18 other ECG intervals, and no difference in arrhythmias
19 between tegaserod and placebo.

20 [Slide.]

21 In summary, tegaserod at a dose of 4 and 12 mg/day
22 was well tolerated with a similar safety profile between the
23 4 and 12 mg dose.

24 Diarrhea was the single adverse event with the
25 higher frequency than placebo, and an overall

1 discontinuation rate of approximately 2 percent.

2 No effects were seen on the ECG or on laboratory
3 parameters.

4 [Slide.]

5 Our overall conclusion is that the totality of the
6 data demonstrate that tegaserod is effective in the
7 treatment of irritable bowel syndrome in patients who
8 identify abdominal pain or discomfort and constipation as
9 their predominant symptoms.

10 Tegaserod at a dose of 12 mg/day improves the
11 abdominal discomfort or pain, bloating, constipation seen in
12 patients with irritable bowel syndrome. The drug has a
13 favorable safety profile, and we believe presents a
14 favorable benefit-to-risk profile for patients with
15 constipation-predominant IBS.

16 At this point, should I take questions?

17 DR. HANAUER: Thank you.

18 Are there any additional questions for the sponsor
19 from the committee? Dr. Laine.

20 DR. LAINE: Just while we are here I always
21 forget, so I always ask the FDA officers this. The ICH
22 criteria for number of patients long-term follow up, can
23 somebody remind me of those, you know, how many patients for
24 like one year, how many patients for -- maybe after lunch
25 you can tell me.

1 DR. HOUN: Dr. O'Neill or Dr. Castillo for the ICH
2 statistical long-term exposure study -- 100 for 12 months
3 and 3 to 6 months, it is between 300 and 600. That is the
4 recommendation.

5 DR. LAINE: Thank you.

6 DR. HANAUER: Anyone else on the committee? Dr.
7 Wolfe.

8 DR. WOLFE: Can I ask a preclinical question, a
9 pharmacological question?

10 DR. HANAUER: Yes, you may.

11 DR. WOLFE: I think Mike Camilleri might be the
12 best person to ask that to.

13 This is a receptor agonist, and what is the
14 signaling pathway?

15 DR. CAMILLERI: Yes. Thank you. The 5-HT₄
16 receptor is a G-protein related, 7 transmembrane domain
17 receptor.

18 DR. WOLFE: Those receptors are notoriously prone
19 to desensitization.

20 DR. CAMILLERI: That is an excellent point, Dr.
21 Wolfe. One of the advantages of this particular compound is
22 that it is a partial agonist, which notoriously are less
23 sensitive to desensitization although, of course, there
24 could conceivably be some.

25 DR. WOLFE: What about up-regulation of receptor

1 synthesis?

2 DR. CAMILLERI: I may have to ask my colleague,
3 Dr. Pfannkuche, about up-regulation of receptor synthesis.

4 DR. PFANNKUCHE: Hans Pfannkuche, Novartis.

5 Maybe we can show a slide QA160, which very nicely
6 explains what Dr. Camilleri already alluded to.

7 [Slide.]

8 With respect to desensitization, it is clear that
9 it has been shown very often that with a partial agonist
10 there is less desensitization tendency.

11 With respect to up-regulation, there are some
12 hints based on findings with atrial tissue that during
13 chronic treatment with beta blockade, that there might be
14 kind of a higher response rate with respect to 5-HT₄
15 receptors, which are only located on atrial tissue, but this
16 is rather preclinical findings.

17 DR. WOLFE: As a follow up to that question, in
18 the animal studies, was there any tachyphylaxis observed?

19 DR. PFANNKUCHE: We did some subchronic studies on
20 motility, of course, and we had a very slight tendency with
21 respect to tachyphylaxis.

22 DR. HANAUER: Okay.

23 DR. LEFKOWITZ: It is now my pleasure to introduce
24 Dr. Sidney Cohen for concluding remarks.

25 DR. HANAUER: One more question. Yes, Dr. Hammes.

1 DR. HAMMES: I am curious. There seems to be no
2 dose response to the diarrhea effect. Is there any
3 speculation on why?

4 DR. LEFKOWITZ: Although we did observe on those
5 graphs a dose response in terms of bowel movements and stool
6 consistency, those were the reports. I personally can't
7 speculate on why, but it was 11 to 12 percent in 4 mg and 12
8 mg/day groups, and, in fact, dropouts overall were less in
9 the 12 mg/day group than the 4 mg/day group.

10 It is still my pleasure to introduce Dr. Cohen.

11 **Closing Remarks**

12 **Sidney Cohen, M.D.**

13 DR. COHEN: Mr. Chairman, members of the Advisory
14 Panel: I would like now to summarize and highlight some of
15 the important features of today's presentations.

16 [Slide.]

17 First, let me remind everybody that irritable
18 bowel still remains a very difficult to diagnose and to
19 treat condition. There are no measurable serological or
20 gastrointestinal motility markers of irritable bowel
21 syndrome despite investigators looking over many years.

22 Therefore, we must rely on clinical syndrome, and
23 it is a compilation of symptoms. Despite the discussions of
24 the Rome criteria, I must remind you that you still come
25 down to two important clinical symptoms - abdominal pain and

1 change in bowel habit, and those are the symptoms that bring
2 the patient to see the physician, and those are the symptoms
3 that we will look for, for relief

4 Irritable bowel syndrome treatment is empiric;
5 there are no proven efficacious therapies for patients with
6 abdominal pain, bloating, and constipation as their
7 predominant symptoms. So, this is the background upon which
8 today's presentation is given.

9 [Slide.]

10 Tegaserod is a unique pharmacological agent, and I
11 want to highlight some of the points that Dr. Camilleri
12 raised, that tegaserod addresses the clinical components in
13 irritable bowel syndrome, the abdominal pain, bloating, and
14 constipation.

15 It does this by stimulating the peristaltic
16 reflect, augmenting aboral propulsion, and diminishes
17 visceral sensitivity, reducing pain.

18 The peristaltic reflex is the physiological basis
19 of motor function in the gut. The reflex was described by
20 Bayliss and Stalling at the turn of the century, and
21 describes how the gut relaxes distally and contracts
22 proximally.

23 Tegaserod stimulates and augments this response,
24 so it physiologically enhances movement through the
25 gastrointestinal tract in the small intestine and colon.

1 Dr. Camilleri showed very nicely that tegaserod
2 reduces the firing, the action potential firing of spinal
3 afferent nerves, so it is a visceral analgesic in a disorder
4 where you have increased visceral sensation or visceral
5 hypersensitivity.

6 [Slide.]

7 The clinical trials that were presented recruited
8 a largely unrestricted population of patients with irritable
9 bowel who identified abdominal pain or discomfort and
10 constipation as their predominant symptoms.

11 These patients are reflective of patients seen in
12 common clinical practice, and my review of this material
13 clearly indicates, pertaining to the question raised
14 earlier, that this is a constipation-predominant group. It
15 fulfills the criteria.

16 [Slide.]

17 Now, the primary efficacy variable was the global
18 relief of symptoms, but as a clinician, as a clinical
19 investigator, I remind you that specific symptoms of
20 irritable bowel syndrome is what brings the patient to see
21 the physician, it is what causes the patient to lose time
22 from work, and this is abdominal pain with associated
23 bloating and the constipation with its stool frequency and
24 stool consistency changes.

25 [Slide.]

1 I took the opportunity, therefore, to look at
2 those specific symptoms. In the study, they are secondary
3 efficacy variables, but for a clinician, they are very
4 clinically relevant and important.

5 What I did here was compile for Study 301 the
6 individual symptoms, by week, removing the 4 mg dose,
7 looking at placebo versus the 12 mg dose. The data is very
8 impressive.

9 If you look at the pain score, by week, 11 of 12
10 weeks, consistently the patient had less pain. In addition,
11 the patient had more bowel movements, as you can see here,
12 so the two main features of this condition, pain and
13 constipation, were affected in a positive way. The symptoms
14 were relieved in 11 out of 12 weeks.

15 Additionally, the patients had less bloating by
16 score over the weeks, and had improved stool consistency.

17 So, to me, as a clinician, these are very
18 important data, highlighting the clinical symptoms that
19 bring the patient to see the physician.

20 [Slide.]

21 When you look at the 351 study, the major
22 supporting study, again, you see very similar findings,
23 looking at pain, improvement in bowel function, bloating,
24 and stool consistency. Week after week, the patient has
25 improvement in all of these clinical parameters, the

1 secondary efficacy variables of the study, but the primary
2 parameters that a patient witnesses and a physician sees in
3 clinical practice.

4 [Slide.]

5 The 307 study was more difficult to evaluate. It
6 was a dose escalation study, but I highlight here that
7 looking at the individual symptoms, pain, constipation,
8 bloating, and stool consistency, for many of the weeks you
9 see clinical improvement. Taken together with the other
10 studies, you see clear trending and you see clear
11 improvement in these secondary efficacy parameters, the
12 clinical symptoms of IBS.

13 [Slide.]

14 Now, this is the overall global relief, and
15 looking at the three efficacy parameters - complete,
16 considerable, and somewhat relief, and again I would like to
17 highlight, by week, and you can see over the 12-week period,
18 you see clinical improvement, the overall relief of symptoms
19 in patients with irritable bowel for the 351, 301, and you
20 see some improvement in the 307 study, but then with dose
21 escalation, you see this rise in placebo response, making
22 this a more difficult study to interpret.

23 I would emphasize here one of the questions
24 raised, this is an appropriate placebo response for a
25 clinical GI disorder where you are measuring symptom scores.

1 [Slide.]

2 When you look at the very high hurdle of complete
3 or considerable relief, you see a much lower placebo
4 response, and I think very much more difficult to achieve
5 clinical efficacy, which is complete or considerable relief,
6 but yet with some of the overall relief you still see points
7 over the weeks of clinical improvement.

8 [Slide.]

9 So, in summary, Mr. Chairman, I would say that
10 tegaserod at a dose of 12 mg, 6 mg BID, has been
11 demonstrated to be effective in the treatment of abdominal
12 pain, bloating, and constipation in irritable bowel
13 syndrome. This effect is more dramatic in women.

14 Tegaserod is safe and well tolerated. Diarrhea is
15 the only drug-related side effect, it is self-limited, and
16 infrequently led to discontinuation of the drug.

17 [Slide.]

18 The overall conclusions here indicate that you
19 have an agent with a unique pharmacological action that
20 addresses the clinical components of constipation-
21 predominant irritable bowel. The drug enhances the
22 peristaltic reflex and decreases visceral sensitivity,
23 leading to decreasing constipation and reduction in pain.

24 When you look at the studies by clinical symptoms,
25 individual symptoms, you see a positive and significant

1 clinical effect in reducing abdominal pain, bloating,
2 constipation, and stool consistency, the hallmark symptoms
3 of irritable bowel syndrome.

4 When you look at global relief, you see that it is
5 effective in providing overall or global relief of the
6 symptoms of irritable bowel.

7 [Slide.]

8 My final bottom line is that in a clinical
9 syndrome in which there has been no proven treatment,
10 effective treatment, tegaserod is a strong first step in the
11 management of constipation-predominant irritable bowel
12 syndrome. This effect is most dramatic and most prominent
13 in females.

14 Thank you.

15 I will now turn the podium to Dr. Lefkowitz for
16 questions.

17 DR. HANAUER: Dr. Laine.

18 DR. LAINE: I was wondering if you could back to
19 one of Dr. Cohen's slides, which is 351 with the four
20 different graphs on it, and I would actually just ask Dr.
21 Cohen -- it was like CO 4 or 5 or one of those -- but I
22 guess it comes up to, as an example of that one, if we look
23 at the pain score where there are significant differences,
24 but as I remember, that is a 6-point pain score, is that
25 correct?

1 DR. LEFKOWITZ: Yes, that is correct.

2 DR. LAINE: As I look at that, we are seeing again
3 the point I made earlier, you have 800 and some patients or
4 you have many patients with many data points, which may be
5 giving this statistical significance because you have so
6 many data points, but I would ask Dr. Cohen, as a clinician,
7 does a 0.1 change on a 6-point scale really mean anything
8 clinically to him.

9 DR. COHEN: I think this is a very difficult
10 question to answer. As a clinician, if a patient can tell
11 you week after week that they have less pain and improved
12 bowel function, I think you have to take that as being
13 clinically significant, and my conclusion is that this drug
14 is moderately effective in reducing those symptoms.

15 DR. LAINE: I was wondering if the sponsor has
16 information, you know, typically, you can look at perhaps
17 the minimum discriminating difference, if you will, that a
18 patient can actually distinguish, is there evidence that a
19 0.1 or 0.2 change, or 0.3 change on a scale of 6 is a
20 clinically relevant interaction, something that a patient
21 can -- I forget the right wording -- but is distinguishable
22 by a patient, let's say?

23 DR. LEFKOWITZ: No, clearly, we don't have that
24 information. I think the scores are used to show that the
25 drug is having an effect on pain and bloating. We also

1 looked at abdominal pain in different ways. For example, we
2 looked at the subject global assessment of abdominal
3 discomfort and pain, where we did show consistent
4 differences for the tegaserod groups compared to placebo.

5 We looked at days with significant pain. We also
6 saw significant differences, so we tried to translate these
7 pain scores into some patients' perceptions.

8 DR. LAINE: And not that you would have for
9 secondary endpoints, but did you predefine any things that
10 you felt were going to be clinically significant in changes
11 in pain scores or the other scores, because, as you know,
12 there are actually times when you have enough data points
13 where something can meet your clinical equivalence criteria,
14 but still be statistically significantly different, so I was
15 just trying to separate those two out.

16 DR. LEFKOWITZ: Yes. No, again, these pain and
17 bloating scores I think, as Dr. Cohen said, is difficult to
18 interpret clinically. I think they do show that the drug is
19 having an effect on pain, and I guess I would look to the
20 subject global assessments as an indication of the patient's
21 perception of response.

22 DR. HANAUER: And there was a correlation between
23 the global assessment and the degree of improvement on the
24 visual analog scales?

25 DR. LEFKOWITZ: I am sorry?

1 DR. HANAUER: You have them graded as somewhat
2 relief, considerable relief.

3 DR. LEFKOWITZ: Oh, from the SGA of relief to the
4 subject global, yes, the measures were highly correlated,
5 yes.

6 DR. RICHTER: Martin, was there any attempt to
7 look at quality of life in these issues because again you
8 are getting to the aspects of pain which is very subjective,
9 difficult to assess.

10 Is that contemplated by your group if you haven't
11 already done it?

12 DR. LEFKOWITZ: As you recall, at least, number
13 one, for our global relief endpoint, we did include trying
14 to capture at least an element of that. The question both
15 related to abdominal discomfort or pain, altered bowel
16 habit, and overall well-being, so it was captured as part of
17 that.

18 We did administer quality of life scales in this
19 study as a tertiary variable. The scale used was not, and
20 has not, been validated in controlled clinical trials for
21 responsiveness. We saw, on that scale, significant
22 increases on both placebo and on drug that were not
23 different between the groups.

24 DR. HANAUER: Dr. Buyalos.

25 DR. BUYALOS: Yes, a couple questions. Number

1 one, since the benefit was demonstrated in women, and most
2 of these women were on reproductive age, and a lot of women,
3 the luteal phase of their cycle after ovulation frequently
4 will have gastrointestinal symptoms, such as diarrhea or
5 constipation, was that examined separately?

6 The next question I have is the impact of
7 hydration and exercise. A lot of these patients were
8 consenting to be studied, were they specifically instructed
9 not to change their exercise or hydration patterns?

10 DR. LEFKOWITZ: Yes, patients were instructed to
11 continue on their usual diet and exercise patterns.

12 I am sorry, I forgot the first question.

13 DR. BUYALOS: The impact of being in the luteal
14 phase of their menstrual cycle.

15 DR. LEFKOWITZ: Yes. We did not collect
16 information during the study on menstrual cycle. The
17 incidence of dysmenorrhea was very low and balanced between
18 the two groups.

19 DR. HANAUER: Dr. Talarico.

20 DR. TALARICO: The last slide before your summary,
21 the complete and considerable disease responders, I have
22 difficulty understanding the placebo curve.

23 Slide 10, I think.

24 DR. LEFKOWITZ: In Dr. Cohen's talk?

25 DR. TALARICO: Yes.

1 DR. LEFKOWITZ: CO10. I think clearly in 307, we
2 have difficulty understanding the continued increase in the
3 responder rate.

4 DR. TALARICO: Yes. I can understand the low
5 starting, the rate of responder, since these are the two
6 most rigid criteria of response, but I have difficulty
7 understanding how they can, how the response can escalate
8 with the placebo at such a rate.

9 DR. LEFKOWITZ: I guess perhaps over here, one is
10 seeing some sort of --

11 DR. TALARICO: I would have started, I would
12 plateau it at the system point.

13 DR. LEFKOWITZ: I am sorry? These are the placebo
14 rates that we observed in the study. I don't know what I
15 could say beyond that. Again, I think if you look at the
16 entire relief score, the SGA of relief, one doesn't get this
17 very high placebo response rate. These are fairly low
18 rates, and I think they are more impacted by perhaps small
19 changes in small numbers of patients.

20 If you look, for example, at percent somewhat
21 relief, that is quite flat, if you go to the previous slide.

22 DR. TALARICO: Oh, yes, this, I can understand
23 this one, but the one before, even though the numbers are --
24 it's the pattern that is very difficult for me.

25 DR. LEFKOWITZ: I certainly agree the pattern in

1 307 is difficult for us to understand.

2 DR. TALARICO: Thank you.

3 DR. HANAUER: Okay. Let's move on to the Agency's
4 review.

5 DR. TALARICO: We have made a slight change in our
6 agenda. Since all the preclinical issues have been
7 addressed by the sponsor, we will omit our preclinical
8 presentation and go right to the first one, which is the
9 statistical, followed by the medical presentation.

10 **FDA Presentation**

11 **Statistical Reviewer**

12 **Sonia Castillo, Ph.D.**

13 DR. CASTILLO: Good morning, Committee, and ladies
14 and gentlemen. I am Sonia Castillo, and I was the
15 statistical reviewer for this product.

16 [Slide.]

17 Here is a list of the topics I will present today,
18 so let's begin with a little bit of background. I would
19 like to thank the sponsor for presenting such a great
20 presentation. It makes my job a lot easier.

21 We are going to zip through the first couple of
22 pages here.

23 [Slide.]

24 Here, we have a listing of all the three clinical
25 trials studied for this product. I just want to note again

1 that in Studies 301 and 351, we had 4 mg/day group, 12
2 mg/day group, the placebo, and in 307, we had 4 mg/day and a
3 4 to 12 mg/dose titration group and placebo. Also, in Study
4 307, after four weeks on treatment, all patients were either
5 titrated or mock titrated depending if they responded to
6 treatment or not.

7 [Slide.]

8 Here is a little bit of background again. As the
9 sponsor has mentioned, Study 351 was completed and analyzed
10 first. The results of the protocol-specified analyses were
11 not significant.

12 This led to a change in the definition of a
13 responder to treatment. When this change was subsequently
14 applied in a post-hoc analysis, the data gave significant
15 results and led to protocol amendments for Studies 301 and
16 307 although they were still blinded.

17 Therefore, we have two studies in which the
18 analysis presented is prospective. Those are 301 and 307,
19 and one in which it is post-hoc, which is 351.

20 [Slide.]

21 The original protocol for all three studies had
22 one primary efficacy variable, and that was the subject
23 global assessment of abdominal pain and discomfort. It
24 called for an enrollment of 591 intent-to-treat patients.

25 [Slide.]

1 Here is what the variable looked like. You heard
2 the question before. Patients on a weekly basis were asked,
3 "How much of a problem was your abdominal discomfort/pain
4 over the last week?"

5 What they did is they put a slash somewhere on
6 this 100 mm VAS, or visual analog scale, as to how they
7 felt. The definition for responder to this variable was
8 greater than or equal to 20 mm and greater than or equal to
9 40 percent reduction in the mean visual analog scale at
10 study endpoint, which was defined as the last four weeks on
11 treatment compared to the baseline value.

12 DR. HANAUER: If you would go back to the last
13 one, because this is somewhat unclear I think to everybody.
14 There were four assessments in the last month, right, this
15 was a weekly VAS score.

16 DR. CASTILLO: Weekly VAS score.

17 DR. HANAUER: So, is the one that counted the
18 absolute, the fourth of the third month? You only counted
19 the 12? Do you understand what I am saying? If this is
20 done weekly, and you are looking at the endpoint for the
21 last four weeks, were they looking at the endpoint of the
22 absolute last determination?

23 DR. CASTILLO: No, the four last weeks.

24 DR. HANAUER: Averaged? The four last weeks were
25 averaged.

1 DR. CASTILLO: No, you took -- yes, average, the
2 four last weeks compared to the baseline value, yes.

3 DR. HANAUER: Thank you.

4 [Slide.]

5 An amendment was submitted prior to the start of
6 all the studies. In this amendment, the subject global
7 assessment of relief was added as a second primary efficacy
8 variable.

9 Now, the sponsor added the second efficacy
10 variable because they considered both the subject global
11 assessment of relief and the subject global assessment of
12 abdominal discomfort/pain as clinically relevant outcome
13 variables of irritable bowel syndrome, but the sponsor did
14 not know whether either was more important than the other.
15 This amendment also called for enrollment of 693 intent-to-
16 treat patients.

17 [Slide.]

18 As you have seen before, here is the subject
19 global assessment of relief. The questions that patients
20 answered every week in terms of how they felt their overall
21 well-being, symptoms of abdominal discomfort and pain, and
22 altered bowel habits, and they had to choose from the five
23 answers down there, listed in yellow, completely relieved,
24 considerably relieved, somewhat relieved, unchanged, or
25 worse.

1 [Slide.]

2 Definition of responder for this variable was a
3 patient who fulfilled the following four criteria. You had
4 to have complete or considerable relief at least 50 percent
5 of the time at study endpoint, and that was the last four
6 weeks on treatment.

7 In addition, this definition took into account the
8 number of days with laxative use, which had to be less than
9 five, less than or equal to five, and no laxative use during
10 the last 28 days of treatment. Duration of exposure to
11 study medication be at least 28 days, and had to have at
12 least one post-baseline subject global assessment of relief.

13 Just recall that laxative use is allowed for
14 purposes or rescue during the entire study period, and in
15 addition, bulking agent use was permitted during the entire
16 study.

17 [Slide.]

18 As I mentioned before, after the post-hoc analysis
19 of Study 351, another amendment was submitted prior to
20 breaking the blind Studies 301 and 307.

21 In this amendment, the definition for responder
22 for SGA of relief was modified. At first, it was just this
23 component, complete or considerable relief at least 50
24 percent of the time at study endpoint.

25 An addition component was added, which was

1 complete or considerable or somewhat relief 100 percent of
2 the time at study endpoint.

3 The Division considered this change clinically
4 meaningful. In addition, the subject global assessment of
5 relief became the only primary efficacy variable, so we went
6 from two to one, and the subject global assessment of
7 abdominal discomfort/pain was changed from a primary to a
8 secondary efficacy variable.

9 The Agency would appreciate the committee's view
10 on changing the subject global assessment of abdominal
11 discomfort/pain from a primary to a secondary efficacy
12 variable, since it was considered a clinically relevant
13 outcome.

14 I am going to present the results for both the
15 subject global assessment of relief and the subject global
16 assessment of abdominal discomfort and pain.

17 [Slide.]

18 This table seems a little busy, but I will
19 simplify it here. On the left here we have results for the
20 primary efficacy analysis when using the original definition
21 of responder, and on the right is when we have the new
22 definition just to see what happens to the responder rates.

23 As you can see, adding the extra category of
24 somewhat relieved across the last four weeks of study, for
25 example, in Study 301, the responder rate for the 4 mg group

1 went from 28 percent to 39, the 12 mg went from 27 percent
2 to 38, and placebo, 20 percent to 30 percent.

3 So, the effect was you increased the responder
4 rates.

5 Using the original definition of responder, you
6 see that none of the treatment differences were
7 statistically significant in all studies.

8 In Study 301 -- we are going to focus now on the
9 new definition of responder to SGA of relief -- in Study
10 301, both the 4 mg and 12 mg showed statistically
11 significant results, a treatment difference of 9 percent or
12 about 1 additional responder for every 11 patients treated,
13 a treatment effect of about 8 percent of the 12 mg group, or
14 about 1 additional responder for every 12 patients treated.

15 In Study 307, neither of the treatments were
16 statistically significant, and for Study 351, recall that
17 for the protocol-specified analyses -- I have blocked them
18 off right here -- none of the results were statistically
19 significant.

20 In the post-hoc analysis, we get statistical
21 significance for the 12 mg group, and that was 12 percent or
22 about 1 additional responder for every 8 patients treated.

23 [Slide.]

24 Here is a quick overview of the subject global
25 assessment of abdominal discomfort/pain. In all studies,

1 across all studies, there was not a statistically
2 significant difference seen, and, in fact, in Study 307, the
3 treatment difference was negative, which means that the
4 active treatment was numerically worse than placebo.

5 [Slide.]

6 So, what we can conclude from these analyses are
7 as follows. For the subject global assessment of relief, a
8 statistically significant treatment effect was demonstrated
9 in Study 301 for the 4 mg and 12 mg doses and supported in a
10 post-hoc analysis of Study 351 for the 12 mg dose, but not
11 replicated in Study 307.

12 For the subject global assessment of pain, a
13 statistically significant treatment effect was not
14 demonstrated across all three studies.

15 This gives two questions that we would like the
16 committee to consider. One is why is efficacy not shown in
17 Study 307 for either dose, and which, if any dose, is
18 effective.

19 [Slide.]

20 By regulation, the Agency investigates efficacy by
21 gender. Here are the results that we get. On the lefthand
22 side, we have the results for males. As you can see, in all
23 studies, none of the treatment differences were
24 statistically significant, and they were either close to
25 zero or negative. For those that are negative, those are

1 the ones in yellow here, that just shows you that the active
2 treatment was worse than placebo, numerically worse than
3 placebo.

4 We will go over to female patients here. Study
5 301, we had a significant difference for both the 12 mg and
6 the 4 mg dose groups, 10 percent for the 4 mg, which is
7 about a additional responder for every 10 patients treated,
8 for the 12 mg, about 11 percent response rate or a treatment
9 difference which is 1 additional responder for every 9
10 patients treated.

11 In Study 307, again, we see no statistically
12 significant results. For Study 351, these analyses --
13 recall these are the post-hoc analyses -- we get a
14 statistically significant result only for the 12 mg group,
15 which is about 15 percent or 1 additional responder for
16 every 7 patients treated.

17 [Slide.]

18 From these analyses, we can conclude that the
19 treatment effect results are mixed in female patients, that
20 efficacy in male patients is not clear, and that clinically,
21 the results for female and male patients may indicate a
22 difference in the pathophysiology of constipation-
23 predominant irritable bowel syndrome between the genders.

24 [Slide.]

25 I just want to briefly make the statement, and Dr.

1 Joseph will further address this issue, that changing the
2 subject global assessment of abdominal discomfort/pain from
3 a primary to a second efficacy variable is of clinical
4 concern because pain is an important clinical component of
5 irritable bowel syndrome.

6 Also, its assessment via the subject global
7 assessment of discomfort/pain, which was at one point in
8 time a primary efficacy variable and then became a
9 secondary, did not show statistical significance across the
10 three studies.

11 [Slide.]

12 I am going to talk about taking into account
13 laxative use in the analyses. The sponsor presents
14 additional analyses -- and these additional analyses are all
15 analyses done by week and by month that were not subject
16 global assessment type of analyses -- that do not take
17 laxative use into account when defining a responder for
18 subject global assessment of relief and subject global
19 assessment of abdominal discomfort/pain.

20 The Division does not agree that laxative use can
21 be ignored, because the protocol-specified definition of
22 responder takes laxative use into account, and laxative use
23 is taken into account because it affects bowel habit in
24 abdominal discomfort.

25 So, consequently, these additional analyses are

1 not consistent with the protocol-specified definition of a
2 responder. You are using two different definitions of a
3 responder or you are using two different ways of analyzing
4 how you respond, one with laxative use and one without. So,
5 it is kind of confusing how you would interpret or combine
6 the results on that basis.

7 [Slide.]

8 I am going to quickly go through a couple of
9 reasons why not to pool to demonstrate efficacy in these
10 studies.

11 As presented by the sponsor, pooling of the
12 studies to investigate the presence of a treatment effect
13 did show statistical significance. There was a 6 to 7
14 percent treatment effect or 1 extra responder for every 14
15 patients treated. But each study did not show statistical
16 significance on its own.

17 You will recall that Study 301 showed significant
18 results for both treatment groups, Study 307 did not show
19 significant results for either treatment group, and Study
20 351 showed significant results for the 12 mg group after a
21 post-hoc analysis.

22 For pooling to demonstrate efficacy is not
23 appropriate in this situation because the pooled analysis
24 was not prespecified in the protocol. Also, the design of
25 Study 307 is different. It included a 4 to 12 mg titration

1 group, and that is not the same as a 12 mg group.

2 Also, because we have these different dose groups
3 in the three studies, we have different endpoint
4 interpretations. Study 307 evaluates a fixed dose group and
5 a titration dose group. Studies 301 and 351 evaluate two
6 fixed dose groups.

7 Also, the dose titration done in 307 was based on
8 the original definition of responder, which was complete or
9 considerable relief 50 percent of the time, while the pooled
10 analyses used the new definition of responder, which adds
11 the component "somewhat relieved" 100 percent of the time.
12 So, that is confusing, as well.

13 It is necessary to use consistent definitions of
14 responder throughout an analysis.

15 [Slide.]

16 Also, pooling is not necessary in these studies
17 because each one is adequately sized to show efficacy on a
18 study by study basis. In fact, the intent-to-treat sample
19 size at study completion was larger than planned, and as you
20 can see here, more than 15 percent in all three studies.

21 Also, pooling gives no replication of the study
22 results.

23 [Slide.]

24 In summary, we can say that a statistically
25 significant treatment effect was demonstrated in female

1 **Raymond Joseph, M.D.**

2 DR. JOSEPH: Good afternoon. I am Dr. Joseph. I
3 am the medical officer who reviewed the Zelmac NDA.

4 [Technical difficulties.] As you see, I have no
5 slides, which will make today's discussion very short.

6 [Laughter.]

7 Basically, as we have talked about all morning,
8 one of the advantages of going last is that a lot of the
9 slides that I was going to cover have been covered, so there
10 will be partially a review and we can speed through them.

11 [Slide.]

12 Again, the proposed indication as we have been
13 talking about all morning, the treatment of irritable bowel
14 in patients who identify abdominal pain/discomfort and
15 constipation as their predominant symptoms, abdominal pain
16 and constipation.

17 [Slide.]

18 Quickly going over the Phase II studies,
19 basically, Studies 251 and 202, the double-blind trials, the
20 first study randomized 547 patients in 45 sites in North
21 America and Europe, essentially, a dose-ranging study from 1
22 mg/day, 4 mg/day, 12 mg/day, and 24 mg/day for 12 weeks.

23 Study 202 essentially randomized 123 patients at
24 16 sites in Europe and Canada. It incorporated a dose-
25 titration phase with 4 dose levels of tegaserod or placebo

1 for 20 weeks.

2 [Slide.]

3 In essence, Study 251 showed that basically, 1
4 mg/day was essentially equal to placebo in effect. The 4 mg
5 dose appeared to be the most effective dose. No dose
6 response was seen over the range from 4 to 24 mg/day.

7 Study 202 showed that there was an increased
8 response rate observed during some of the dose-titration
9 from 4 to 12 mg. With the results of these studies, it was
10 noted that the 4 and 12 mg were the doses to be chosen for
11 the Phase III trials.

12 [Slide.]

13 Again, these have all been gone over. What the
14 three studies have in common are that they were all placebo-
15 controlled, double-blind, with levels of 4, 12, 4-week lead-
16 in, 12-week treatment period.

17 The difference was the dose titration from 4 to 12
18 at 1 month in the 307 study.

19 [Slide.]

20 First, talking about Study 351, which was the
21 first of the Phase III trials to be completed. The protocol
22 prespecified analysis failed to demonstrate any efficacy.
23 So, subsequently, the definition of responder has been
24 changed, as we have mentioned today, to include somewhat
25 relief 100 percent of the time.

1 The SGA of abdominal discomfort/pain was changed
2 to a secondary efficacy variable.

3 Post-hoc analysis incorporating the above changes
4 demonstrated efficacy for the 12 mg dose level only.

5 These things led to the protocol amendments for
6 Studies 301 and 307.

7 [Slide.]

8 Here are the three studies dealing with the SGA of
9 relief. The darker color shows the post-hoc analysis Study
10 351, Studies 301 and 307. As you can see, for the SGA of
11 relief, the 12 mg dose only is statistically significant in
12 the 351 study, and both doses, as has been mentioned earlier
13 in the 301 study, and neither dose in 307.

14 [Slide.]

15 So, basically, our efficacy issues amount to pain
16 was not adequately assessed as an efficacy endpoint.
17 Overall difference between drug and placebo group is 8
18 percent. Efficacy in males is not established, and the
19 potential effect of laxatives. I will talking about each of
20 these in turn.

21 [Slide.]

22 With regard to abdominal pain, pain of course is
23 an essential component of IBS. When analyzed as a component
24 of the SGA of relief, which as you know encompassed well-
25 being and altered bowel function, it was statistically

1 significant in Studies 351 and 301.

2 However, when analyzed independently, no
3 statistical difference was seen in Studies 301 and 307.

4 [Slide.]

5 Again, looking at the three studies for the SGA of
6 abdominal pain/discomfort, no statistical significance in
7 351, borderline perhaps in 301 for the 12 mg dose, and no
8 statistical significance in 307.

9 [Slide.]

10 Overall efficacy, around 8 to 11 percent. The
11 effect of gender in this study group certainly would be up
12 for discussion, and are these results clinically meaningful,
13 also a point of discussion I would believe.

14 [Slide.]

15 Efficacy in males. The study included 15 percent
16 males. The response to Zelmec in males was not different
17 when compared to placebo. The lack of differentiation from
18 placebo may be due to inadequate sample size, or it may give
19 rise to the question whether the disease is different in
20 males.

21 [Slide.]

22 With regard to the laxative use, in the clinical
23 trials, laxative use including bulking agents was allowed.
24 The use and timing of the laxatives may influence the
25 response of the SGA of relief.

1 The groups were similar in qualitative consumption
2 between groups, however, quantitative differences were not
3 assessed and may be affecting outcome in constipation study
4 patients.

5 [Slide.]

6 In summary, the overall efficacy is shown in one
7 of the studies, 301, for both the 4 and the 12 mg dose
8 levels; Study 351 showed efficacy for the 12 mg dose level
9 only; efficacy was not replicated in Study 307.

10 Efficacy in males again not demonstrated.

11 Laxative usage may have had an effect on efficacy.

12 [Slide.]

13 Turning to the safety aspect of the presentation,
14 this is a slide just showing the most frequently reported
15 adverse events in the Phase III studies. Again, headache,
16 abdominal pain, diarrhea, nausea, flatulence, et cetera,
17 diarrhea being the only adverse event that was twice as
18 frequent as placebo, 11.7 versus 5.4.

19 These results were similar when you looked at the
20 Phase II or the long term in terms of the types of side
21 effects and their numbers.

22 [Slide.]

23 When you pool both the Phase II and Phase III
24 studies, again, you see similar sorts of side effects, and
25 again the diarrhea 2.1 percent versus 0.6 percent. The rest

1 are fairly similar in both placebo and tegaserod groups.

2 [Slide.]

3 A slide just showing the duration of exposure in
4 the long-term studies, "n" being 675, so with one-day
5 exposure, 100 percent of that 675 received it. When you get
6 down to about 270, it is 48 percent, and at the one-year
7 level, it is 27.4 percent.

8 [Slide.]

9 Safety in general. Approximately 72 percent of
10 the Phase III patients experienced one adverse event. Only
11 diarrhea was statistically significantly different from
12 placebo, 11.7 percent versus 5.4 with a p-value less than
13 0.0001.

14 The adverse events were only marginally greater in
15 tegaserod groups versus placebo overall.

16 The serious adverse events incidence was equal to
17 tegaserod, roughly the 1.8 percent with placebo, and their
18 profiles were similar.

19 [Slide.]

20 There was one death in the study. In Study 301, a
21 patient with a 14-year history of depression committed
22 suicide on day 36 of the drug. Her mother had also
23 committed suicide.

24 There were 5 severe adverse events in tegaserod
25 that were possibly related to the test medication, 2 cases

1 of abdominal pain, 1 case of gastritis, 1 case of
2 supraventricular tachycardia, and 1 case of hypoglycemia.

3 [Slide.]

4 The diarrhea, which is roughly defined as greater
5 than three bowel movements per day with a loose, watery
6 consistency and a sense of urgency.

7 Syncope was noted in tegaserod patients, eight
8 tegaserod patients versus one in the placebo. The p-value
9 was not significant.

10 Further details about the type of syncope or what
11 other associated findings, I don't really have at this
12 point.

13 "Ovarian cysts" is in quotation marks because
14 originally it was thought that there were eight cases of
15 ovarian cysts in the tegaserod group and one case in the
16 placebo group.

17 [Slide.]

18 Now, back to the diarrhea. The incidence again,
19 as stated, was highly significant. In alternators, the
20 incidence actually went up to 21 percent from 11.7. By
21 "alternators," we mean the patients who were judged to have
22 alternating constipation and diarrhea.

23 Discontinuation secondary to diarrhea was 2.1
24 percent in tegaserod versus 0.6 in placebo, and that p-value
25 was 0.002.

1 Fifty percent of the diarrhea occurred during the
2 first week, a lot of it occurring during the first day. We
3 haven't identified any contributing factors or protective
4 factors.

5 DR. HANAUER: Where did you find the alternator
6 term? In alternators, how was that term defined or how are
7 you defining that term, or where are you finding that
8 terminology in the study?

9 DR. JOSEPH: Oh, that came in the sponsor's
10 material that was given to me, and the value for 18 to 36
11 percent was what they determined that fit the definition of
12 equal to 25 percent of the time, diarrhea, loose stools,
13 that sort of thing, so they were considered to be
14 alternating constipation and diarrhea.

15 DR. HANAUER: Can we clarify, again, where did
16 that come from, was that from the baseline period, was that
17 from the --

18 DR. JOSEPH: This was at baseline, the 18 to 36 is
19 the way I understood it from the material submitted to me.

20 DR. LEFKOWITZ: I can clarify that if you would
21 like. We tried to look at people who may have had an
22 alternating component to their diarrhea in several ways, one
23 being based on the history that they gave and the Rome
24 criteria, at least one of those three diarrhea criterias.
25 That was 36 percent of the population.

1 At least in our calculations, the diarrhea rate in
2 those patients were 14 percent on drug, 6 percent on
3 placebo, and we also looked at patients who had greater than
4 three bowel movements or watery, loose stools during the
5 baseline at least 25 percent of the time.

6 In those patients, it was 5 versus 17 percent, and
7 then in the category I showed you earlier, with low
8 consistency of less than 3.5, we had 5 versus 18 percent in
9 terms of diarrhea rate.

10 So, those were different ways we looked at people
11 who may have had an alternating component. I could show
12 those slides later if you would like.

13 DR. HANAUER: Maybe we will come back later
14 because I think it is confusing us because of the entry
15 criteria, which was supposed to be constipation-predominant,
16 but that included people that were predefined?

17 DR. LEFKOWITZ: No, these patients were not
18 predefined. Our indication is constipation-predominant.
19 Some of these, because the disease varies over time, over
20 the month of baseline, we looked at people who may have had,
21 through one reason or another, perhaps a diarrheal
22 component, as well, so those were the numbers in the data
23 that I gave you.

24 DR. HANAUER: Not for now, but for later, can you
25 look at just the patients who were constipation-predominant

1 excluding the alternators for your analysis?

2 DR. LEFKOWITZ: Sure. In other words, I think it
3 would be the converse of those groups that I gave you. I
4 could show you two slides later perhaps that would clarify
5 that.

6 DR. HANAUER: Hugo.

7 DR. GALLO-TORRES: Are we saying that these are
8 alternators during the course of the trial, but they were
9 not identified as alternators by previous history? These
10 patients had a 10-year history of IBS, right?

11 DR. LEFKOWITZ: Yes.

12 DR. GALLO-TORRES: They behaved as alternators
13 even though they were randomized as constipation-prone
14 patients, is that correct?

15 DR. LEFKOWITZ: The criteria that was
16 constipation-predominant, because IBS, obviously, one, as
17 was shown in one of the earlier slides, people can change
18 over time, so over that month baseline, we did look at
19 patients, as I just described, who may have had some
20 symptoms perhaps that would also be consistent with a
21 diarrhea component.

22 They were not predefined or prerandomized.
23 Whether one wants to use the word "alternator," I am not
24 sure, perhaps just people who during baseline may have had a
25 component.

1 DR. GALLO-TORRES: Was that definition based on
2 one-month data, one-week data, daily data, how did that
3 definition of alternator come about?

4 DR. LEFKOWITZ: We did not -- and let me be clear
5 -- we do not suggest that we have efficacy or studied
6 alternating disease. We studies constipation-predominant
7 disease based on the Rome I criteria at the time, ensured
8 that they had an element of abdominal pain for
9 randomization, I think similar perhaps to what would be done
10 in clinical practice, and they were randomized.

11 We simply looked at people who, over the four-week
12 baseline period, we looked at some of their symptoms and
13 just wanted to look at them to make sure that they would not
14 run into trouble perhaps who may be prone to diarrhea.

15 We also performed a safety study in diarrhea-
16 predominant, which we could share with you at a later time
17 if you are interested in that study, as well.

18 DR. GALLO-TORRES: Thank you.

19 DR. HANAUER: I am sorry to interrupt your
20 presentation, but these are things for clarity.

21 DR. WISON: I guess the one question was during
22 the screening period, if data presented itself that the
23 person was perhaps diarrhea-predominant or principally an
24 alternator, were they then rejected from randomization? I
25 guess that is the critical question that one would have.

1 DR. LEFKOWITZ: Sure. Based on clinical criteria,
2 the investigators were to evaluate patients, and if they
3 were felt to have diarrhea by clinical criteria as opposed
4 to strict number of bowel movements and stool consistency,
5 but if they were felt to have diarrhea at least 25 percent
6 of the time, they were not to be randomized into the study.

7 Now some patients may have, based on their diary,
8 recorded a certain number of bowel movements who fulfilled
9 the criteria, but the investigator did not feel that they
10 had diarrhea that would exclude them from randomization.

11 DR. HANAUER: Again, later on, can you show us how
12 many people were assessed at baseline and then excluded
13 before randomization, so we get an idea of how many people
14 really were dropped before they were randomized?

15 DR. LEFKOWITZ: It was 21 to 27 percent, but we
16 could show you the breakdown, sure.

17 DR. JOSEPH: So, as I was saying before, we
18 haven't identified any contributing factors to the diarrhea
19 or protective factors per se.

20 In the long-term study 209, the incidence was 14.6
21 percent diarrhea and leading to discontinuation in about
22 3.5.

23 [Slide.]

24 Talking about the ovarian cysts, as I stated
25 earlier, originally, we were given the data that there were

1 nine cases of ovarian cysts in the tegaserod group and one
2 in the placebo.

3 Of note, the patients who went to the operating
4 room, there were five cases in the tegaserod group and none
5 in the placebo.

6 [Slide.]

7 The patients undergoing surgery, all five cases
8 were on the 12 mg/day dose, three were from the long-term
9 study 209, one for each from 307 and 351, none from the
10 placebo group.

11 [Slide.]

12 I am just going to quickly go through these five
13 cases that went to the OR.

14 The first case as a 50-year-old white female with
15 a 10-year history of ovarian cyst. She had no abdominal
16 pain. She underwent elective surgery performed on day 334
17 of drug.

18 The surgery revealed a benign tumor, and no cyst.
19 So, we felt obviously that this was not associated with the
20 test medication.

21 Let's go to the next case.

22 [Slide.]

23 Case No. 2. A 45-year-old white female, had a
24 past history of hysterectomy. She did experience abdominal
25 pain. She went to the OR on day 261 of drug. Her surgery

1 consisted of a bilateral salpingo-oophorectomy, and her
2 postoperative diagnosis was adhesions, and there was no
3 mention of a cyst.

4 [Slide.]

5 Case No. 3. A 37-year-old black female with a
6 past history also of hysterectomy. She experienced
7 abdominal pain on day 100 of drug. CT scan revealed a 2.7
8 cm right ovarian cyst. The surgery, due to continued pain,
9 was performed approximately five weeks later, and that
10 consisted of a right salpingo-oophorectomy, lysis of
11 adhesions, and appendectomy.

12 The pathology in this case showed a 1 cm
13 peritubular cyst, adhesions, and a normal appendix.

14 [Slide.]

15 Case No. 4. A 35-year-old female. She presented
16 unknown. We have no idea whether she had pain, et cetera.
17 Her surgery occurred on day 306 of the drug. Pathology
18 consisted of multiple ovarian cysts, including a 3.5 cm
19 partially luteinized follicle cyst, and adenomyosis of the
20 uterus.

21 [Slide.]

22 Last case. A 13-year-old white female. She also
23 had a past history of bilateral ovarian cysts. She
24 presented on day 87 of drug with a right-sided abdominal
25 pain.

1 The surgery was a laparoscopic resection of the
2 right ovarian cyst, thought to be around 4 to 5 cm, and an
3 appendectomy. The ovarian cyst seen at surgery was lysed
4 and drained.

5 The pathology report showed early appendicitis.

6 [Slide.]

7 In summary, the relationship between the drug and
8 ovarian cysts is unknown, although when we looked further at
9 the data, it appears not as worrisome as the 8 to 1 that we
10 originally saw.

11 Three of the five previous cases had pelvic
12 surgery. Adhesions were seen in two, one had early
13 appendicitis.

14 The pharmacologic effects of the drug - perhaps
15 may be not causative in terms of ovarian cysts, et cetera,
16 but is there something in the smooth muscle contracting
17 activity of this affecting a hollow viscus perhaps in the
18 pelvis that might be calling attention or focusing attention
19 to pain in the lower abdomen. This, I believe would be a
20 subject for discussion later.

21 [Slide.]

22 After another case was uncovered of ovarian cysts,
23 I don't know because the case is still blinded, a 43-year-
24 old female who had a tubal ligation with reversal about
25 three years later. She was diagnosed with ovarian cysts via

1 a sonogram on day 23 of drug.

2 This resolved on its own with her menstrual cycle
3 and she was discontinued from the trial due to nausea and
4 flatulence that had nothing to do with the cyst.

5 [Slide.]

6 When you look at 301-E-01 and 307-E-01 are
7 extended studies of 301 and 307. The incidence of diarrhea
8 in 301 extended went to 15 percent with a 3.5 percent
9 discontinuation rate, and in 307 extended study, it went to
10 24 percent with a 5.1 discontinuation rate.

11 [Slide.]

12 With the follow-up 120-day safety data,
13 appendicitis, in the NDA, there was the one case, the 13-
14 year-old female that I showed earlier. In the safety
15 update, there were three cases - a 34-year-old female who
16 had had three doses, a 44-year-old female who was on day 71,
17 and 56-year-old female on day 224.

18 [Slide.]

19 The adverse events occurring more frequently in
20 the tegaserod group - syncope with a nonsignificant p-value;
21 diarrhea with a highly significant p-value; the ovarian cyst
22 issue, the significance of which is unclear at present; and
23 the relationship of Zelmec to risk of abdominal pathology
24 leading to surgery is unknown, and I think that would be a
25 subject for discussion later.

1 That's the end. Thank you.

2 DR. HANAUER: Any questions for the FDA?

3 DR. LAINE: Just to get a clarification and
4 confirmation about the dropping of the primary efficacy
5 endpoint of abdominal pain. Your report stated that this wa
6 done after the results of 351 were known, is that correct?

7 DR. TALARICO: Yes.

8 DR. LAINE: I was just wondering how come or what
9 was the reasoning behind the decision to allow, so to speak,
10 or to drop that primary efficacy as an endpoint. Were there
11 kind of methodologic issues? I mean they talk about the
12 problems with VAS, I guess, in their handout.

13 I mean the first one, you were arguing I guess the
14 overall relief issue you were suggesting or they were
15 suggesting was too stringent. Was there something about the
16 abdominal pain that made you want to drop that as an
17 endpoint, as well, primary endpoint? I wasn't clear on
18 that. Anybody can address that.

19 DR. GALLO-TORRES: Rather than we answering that
20 question, I would like to ask the sponsor to reply to that,
21 please.

22 DR. LEFKOWITZ: In regard to the abdominal pain,
23 first, I think it may be helpful just to back up a little
24 and review where our two primary efficacy variables came
25 from.

1 As I mentioned before, there was both lack of
2 consensus in the medical community and at the Agency. In
3 consultation with the Agency, we were first recommended to
4 use an endpoint of abdominal pain. Subsequent to that, we
5 were recommended to use an overall relief measure. That is
6 where the second efficacy variable came from. We thought it
7 best, therefore, to use both as the primary efficacy
8 variables.

9 I don't think by making an issue of abdominal
10 discomfort as secondary means that we don't consider
11 abdominal pain an important component of IBS. Clearly, we
12 do, clearly, the altered bowel habit is an important
13 component of IBS.

14 What we were faced with was an evolving field in
15 terms of IBS. At the time that we knew the results of 351,
16 there were recent recommendations related that the overall
17 integrative measures should be the primary outcome measure
18 in IBS. In addition to that, it would be very -- and I
19 think we were able to come up with what we thought was a
20 reasonable modification of that primary efficacy measure and
21 make it both clinically relevant and we thought potentially
22 more sensitive, whereas, in a visual analog scale, there
23 were issues about trying to redefine what a responder is, so
24 we thought it best at that point to continue with the
25 overall relief as a single primary outcome measure, which

1 was the overall integrative measure now consistent with what
2 was being recommended in the field, and to use the SGA of
3 abdominal pain as a secondary measure as one of the
4 components of IBS.

5 Clearly, too, however, we fully realized that this
6 would increase our statistical power with less multiple
7 comparisons giving the two doses and the two primary
8 endpoints. But whether it is a secondary or a primary, I
9 think the point being we showed significance in overall
10 relief with abdominal pain, showing favorable effects at
11 least in 301 with a significant p-value.

12 So, that is sort of our take.

13 DR. LAINE: I guess I am just trying, because, I
14 mean there may be a fine line, but, you know, a change of
15 primary endpoint is a fairly big thing obviously in a large
16 clinical trial, and I guess the question is, is it because
17 it didn't work or is there really good methodologic reasons
18 to change it.

19 I mean you can argue that in your first one, you
20 documented because your placebo rate, that, you know, it was
21 too stringent, and it was perhaps reasonable to change. I
22 am just wondering if you have the same kind of, you know,
23 methodologic excuse, if you will, to change it.

24 It just looks convenient to drop it, obviously, if
25 it didn't work in the first study, and I just wanted to have

1 more justification, I guess, for dropping it.

2 DR. KOCH: Gary Koch, statistical consultant from
3 the University of North Carolina.

4 The methodologic technical reason for dropping the
5 second endpoint was that in Study 351, with two doses and
6 two endpoints, and for those two endpoints, success would be
7 declared if there was significance on either one of them.

8 There was not a rule of having to have
9 significance on both of them. It was a significance on
10 either one of them. What that created is four comparisons.
11 So, if there was only an effect on one endpoint at one dose,
12 the p-value would have had to be below 0.05 divided by 4,
13 0.0125.

14 So, one had a situation in which the criterion for
15 significance would have been 0.0125 if only one of the
16 endpoints was sensitive and only one of the doses was
17 efficacious.

18 So, when the analysis of Study 351 was completed,
19 the assessment identified a way to come up with only one
20 endpoint, so that multiplicity adjustments only had to be
21 made across the two doses, that is, if only one of the doses
22 worked, the p-value criterion would have been a p less than
23 0.025.

24 Assessment of how to produce a more sensitive
25 endpoint was more feasible on the SGA of relief rather than

1 on the one for abdominal pain/discomfort or even a composite
2 of relief with pain and discomfort.

3 Relief was emphasized because in some sense it
4 incorporated pain and discomfort, and because of the way the
5 categorical scale was structured, it lent itself more
6 favorably to bring in patients who had somewhat relief 100
7 percent of the time as a fairly good response, and the
8 information on that was shown in the presentation.

9 But the main reason why it was moved from primary
10 to secondary was to reduce the extent of multiplicity in
11 multiple comparisons, so that when the assessment was done
12 in the next two studies, it basically done with a method
13 where there were only two comparisons at the primary level
14 that had to be taken into account.

15 DR. LAINE: Just so I have the timing of
16 everything that went on right, I understood, though, that
17 that first amendment, which was done before the studies were
18 done, was done in order to increase the sample size to allow
19 for these multiple comparisons and do have the two primary
20 endpoints. So, that was initially already factored in, is
21 that correct?

22 DR. KOCH: Well, my understanding is yes, the
23 original sample size increase when the second point was
24 added, based on the assumption that the treatment effect was
25 at a 15 percent level difference, did allow for that.

1 Now, if the true treatment effect is closer to 10
2 percent, then, of course, that kind of additional stringency
3 means that you cannot incorporate the multiplicity
4 adjustment. So, if the true treatment effect is closer to
5 the 10 percent than it is the 15 percent, you can't handle a
6 multiplicity adjustment that pushes you down to 0.0125, but
7 you certainly can accommodate one that pushes you to 0.025.

8 DR. LAINE: So, was 15 percent chosen as a
9 clinically meaningful difference, and did that clinically
10 meaningful difference change to 10 percent, or what was
11 going on with that?

12 DR. KOCH: Well, I think the sponsor is better
13 able to answer that.

14 DR. LEFKOWITZ: Again, sizing of studies based on
15 a 15 percent difference is what we had expected to see in
16 our Phase III studies based through experience. I don't
17 think one can interpret what one sizes a study on as to what
18 is clinically meaningful. I think that is a clinical term
19 based on a benefit-risk of safety and efficacy.

20 As I mentioned before, if you really look -- and
21 that is based on an intent-to-treat analysis conservative
22 approach -- if you really look at in what was an unselected
23 population, if you really look at the month to month, the
24 laxative, nonadjusted responses, you consistently are at 10
25 to 15 percent.

1 If you would like to look at the female population
2 in particular, then, you are much closer to the 15 percent
3 treatment difference.

4 DR. HANAUER: We are going to break for lunch for
5 an hour and reconvene at 1:30, but I want to put a slight
6 charge to the sponsor, to Drs. Camilleri, Wald, and Cohen.

7 One of the problems we are having to deal with is
8 that we are looking at a drug for irritable bowel for
9 constipation-predominant, and the Rome criteria have been
10 described, and similar to the last application we were
11 reviewing months ago for alosetron, that was taking a
12 patient population that were diarrhea-predominant, we are
13 seeing overlaps of patients where the diarrhea-predominants
14 with alosetron are having significant complications of
15 constipation.

16 You are presenting a group that are constipation-
17 predominant with the most obvious complication being
18 diarrhea. We would hope from the committee to be able to
19 come up with some guidances, guidelines, recommendations to
20 how to select the population that is going to get the best
21 benefit from the drug with the least likelihood of side
22 effects.

23 So, I want you guys to come up with some
24 descriptions of how we or the clinical world should be
25 allocating this drug to those specific groups, do the Rome

1 criteria help, is there any recommendation that we can
2 eventually give, but that is for after lunch.

3 So, enjoy your lunch and we will see you at 1:30.

4 [Whereupon, at 12:30 p.m., the proceedings were
5 recessed, to be resumed at 1:30 p.m.]

AFTERNOON SESSION

[1:35 p.m.]

DR. HANAUER: Good afternoon. To give you the lowdown for this afternoon, we are going to begin with the open public hearing this afternoon, and then we will move back to the questions that we had asked the sponsor to address, and then discuss the questions that the Agency has asked the committee to address.

At this point, I would like to invite Nancy Norton up to speak on behalf of the International Foundation for Functional Bowel Disease. Welcome back.

MS. NORTON: Thank you.

DR. HANAUER: Did we do you good the last time?

MS. NORTON: Oh, I think so, yes.

Open Public Hearing

MS. NORTON: Before I begin, I would just like to say that I am here on behalf of patients and that my expenses have not been supported by any particular pharmaceutical company.

Members of the Committee, thank you for the opportunity to appear before you today. I am the founder and president of the International Foundation for Functional Gastrointestinal Disorders and establish current chairman of the Digestive Disease National Coalition.

The IFFGD addresses the needs of individuals with

1 functional gastrointestinal disorders, irritable bowel
2 syndrome being the most predominant one.

3 As the founder of IFFGD, I began the organization
4 in 1991 when there was no specific medical treatment offered
5 to patients living with irritable bowel syndrome. It wasn't
6 until the mid-1990's that we saw a stronger interest in the
7 functional GI disorders and IBS in particular.

8 As you heard today, irritable bowel syndrome is a
9 chronic complex of symptoms, affecting as much as 20 percent
10 of the population. Symptoms include abdominal pain,
11 bloating, constipation, diarrhea and fecal soiling. These
12 common dysfunctions strike people from all walks of life and
13 result in a significant toll of human suffering and
14 disability.

15 Irritable bowel syndrome represents one of the
16 most common conditions encountered by gastroenterologists
17 and general internists. It accounts for 20 to 50 percent of
18 all referrals to gastroenterology clinics. Approximately 70
19 percent of individuals with IBS in the community are female,
20 with the incidence being reported as high as 90 percent in
21 medical centers.

22 In the U.S. Householder Survey of Functional
23 Gastrointestinal Disorders, Prevalence, Sociodemography and
24 Health Impact, Drossman reported individuals with IBS will
25 miss 13.4 days of work annually as opposed to the 4.9

1 national average. IBS alone has recently been called a
2 multi-billion dollar problem by the gastroenterology
3 community.

4 Survey data by Talley reflect that patients with
5 IBS incurred an annual health care bill of \$742 (1992
6 dollars) compared to \$429 for those without the condition.

7 Data also reveals that there is an increased risk
8 of unnecessary abdominal surgery correlated by IBS patients.

9 Hysterectomy or ovarian surgery has been reported
10 in female patients with IBS as high as 47 to 55 percent and
11 has been performed more often than in comparison groups.

12 One of our goals has been to move the research
13 field forward to provide a better understanding of the
14 pathophysiology of IBS and the underlying mechanisms with
15 the hope that one day better medical management and
16 treatments will be available to treat patients with IBS.

17 We are making progress. We are seeing the
18 development and approval of drugs designed specifically for
19 the treatment of IBS. I think it is important to recognize
20 that the spectrum of symptoms that an IBS patient faces can
21 range from severe constipation to severe diarrhea, or
22 perhaps alternating between the two, all the while dealing
23 with the pain that accompanies irritable bowel syndrome. It
24 is difficult to imagine the impact of IBS without personally
25 experiencing this chronic disorder.

1 If these drugs are found to be safe and effective,
2 I would urge you to make them available to the patients who
3 so desperately need them.

4 The toll of IBS is on the individual's quality of
5 life and discomfort, affecting almost every aspect of their
6 life. Each day presents itself with uncertainty, not
7 knowing if their day will be plagued by bowel symptoms or
8 not.

9 The World Health Organization has defined Quality
10 of Life as being "not only the absence of disease and
11 infirmity but also the presence of physical, mental, and
12 social well being." Quality of life may also be defined as
13 an individual's overall satisfaction with life and one's
14 general sense of person well being. It also includes their
15 functional capacity and their own perception of disease.

16 Health Related Quality of Life includes: physical
17 function, somatic sensation, psychologic state, and social
18 interactions that are affected by one's health status.

19 Health related quality of life indicators are
20 subjective. Their validation lies primarily with the
21 patient.

22 Eisen, Locke, and Provenzale report
23 gastroenterologists spend 50 percent of their time caring
24 for patients with functional bowel disorders. These
25 disorders do not have mortality or physiological endpoints,

1 thus, the evaluation of health related quality of life
2 becomes critically important.

3 Patrick, Drossman and colleagues developed the IBS
4 Quality of Life Measures that distinguishes symptoms,
5 functional states, perceived quality of life and social
6 disability components. Their results confirmed that IBS has
7 a broad and significant impact on a person's quality of life
8 in addition to the disease activity and symptom impact.

9 At IFFGD, we talk to tens of thousands of
10 individuals who live with IBS and there is a constant theme
11 that we hear from women and men. They consistently confirm
12 the isolation that many IBS sufferers experience.

13 Partly, this is because IBS is very difficult for
14 most people to discuss. Many patients believe it would help
15 if they could talk about their condition and share their
16 experiences. But the reality for them is that even mild
17 symptoms can be very embarrassing to discuss.

18 More severe symptoms like unpredictable pain,
19 urgency and bowel incontinence are close to unmentionable
20 for many sufferers. Interviews with IBS patients
21 consistently reveal that few talk about their symptoms with
22 anyone else. Indeed, many patients go to great lengths to
23 hide from others their condition and their own distress.

24 What does distress feel like if you have IBS?

25 If you are a person with constipation-predominant

1 IBS, chances are your distress and pain will increase with
2 each day that passes that you do not have a bowel movement.
3 The feeling of fullness and bloating, the pressure that
4 begins in your rib cage, the distention in your stomach, the
5 ache through your midsection, the cramping in your
6 intestines causes you to double over in pain.

7 Each day that passes that you are not able to
8 evacuate, you find yourself straining to have a bowel
9 movement. We all know that continual straining to have a
10 bowel movement may eventually cause more severe problems in
11 the future, like rectal prolapse, which may result in fecal
12 incontinence and ultimately surgical intervention.

13 For the IBS patient, the pain and discomfort is
14 now, and they need to relieve that pain.

15 We see so many messages about constipation and
16 diarrhea through the media that I think that people often
17 lose sight of just how severe these conditions can be when
18 you are faced with them as a chronic condition.

19 Who stops to think about the fact that IBS
20 patients with constipation are afraid to leave their home or
21 be in a social situation because of continual gas and
22 bloating that they experience with their constipation?

23 There is little compassion when it comes to
24 understanding bowel disorders and the impact that they have
25 on people's lives.

1 IBS affects not only one's professional life, but
2 also their personal life as well. It is difficult to plan
3 trips, to eat in a restaurant, or even go shopping.
4 Friendships, intimate relationships, and one's sex life are
5 affected by it. There is no spontaneity in life for the
6 person who lives with IBS.

7 There is a quiet anxiety, an anticipatory response
8 to perhaps what will be next. One may be depressed at times
9 feeling that their life is out of control or at the very
10 least that their life is controlled by their bowel.

11 We live life from the edge of the room never
12 willing to truly participate to the fullest for fear of
13 having to find the quickest way out. There is a loss.
14 There is lost potential.

15 IBS is invisible to others, but it affects every
16 aspect of our life. Who would know our pain and oftentimes
17 the shame that we feel except those who are closest to us.
18 There are times when we feel very isolated because of our
19 IBS.

20 There is a loss of spontaneity when symptoms may
21 intrude at any time. Plans made often need to be changed.
22 IBS is unpredictable. One can wake up in the morning
23 feeling fine and within a short time encounter abdominal
24 cramping to the point that you are doubled over in pain and
25 unable to function.

1 The unpredictable bowel symptoms may make it next
2 to impossible to leave home. For those of us who are
3 attempting to manage our symptoms in the workplace and in
4 social settings, we may find ourselves stranded in public
5 restrooms until we feel some sense of security around our
6 bowel. Public restrooms become a nightmare for us.

7 IBS patients are to be credited for the personal
8 strength that they find each day to even just walk outside
9 the door and into life while attempting to manage their
10 bowel.

11 Few of you here today had to think about your
12 bowel management program. You most likely came today with
13 little thought, if any, as to are the public restrooms close
14 at hand, how long would the taxi ride be from the hotel,
15 where was your seat on the airplane, is it an aisle seat or
16 a window seat.

17 These are just the little things that most of us
18 don't give a second thought to. The person with IBS is
19 thinking all the time about logistically how do they get
20 through the day. For many people with IBS, the risk of
21 leaving familiar surroundings is just too great. Their life
22 is truly diminished little by little.

23 If there is any question in your mind as to the
24 need to provide medical treatment to millions of individuals
25 who suffer from IBS, please, let me share one more

1 experience with you.

2 On January 27th, Camille Grammer, who suffers from
3 IBS, appeared with her husband Kelsey Grammer on the Today
4 show with Katie Couric, on behalf of IFFGD. The foundation
5 received over 12,000 phone calls from people looking for
6 help. The 12,000 people who called are just the tip of the
7 iceberg of those who need help. Today, you are in a
8 position to provide it.

9 Many of those people expressed how alone they
10 felt. They were looking for someone to tell them that there
11 is a reason to be hopeful for their future and that medical
12 science is working to find answers for them.

13 You are here to make recommendations on a
14 potential new drug treatment for IBS that may provide relief
15 for a significant proportion of the IBS population.

16 If Zelman is shown to be safe and effective, it
17 will represent a significant step forward in providing
18 treatment for sufferers of IBS.

19 Thank you.

20 DR. HANAUER: Thank you.

21 Does anyone have questions for Ms. Norton?

22 [No response.]

23 DR. HANAUER: Thank you, Ms. Norton.

24 Are there any other public comments before we move
25 ahead?

1 [No response.]

2 DR. HANAUER: We left before the break with me
3 trying to pose a question back to the sponsor and the
4 consultants as to whether or not we can really classify
5 patients adequately into the different subtypes of IBS, and
6 I would be interested if Dr. Wald would like to comment on
7 that.

8 **Responses from Novartis**

9 DR. WALD: Yes. Could I have QA178.

10 [Slide.]

11 We obviously gave considerable attention to your
12 request and tried to clarify for whom this drug might be
13 used and on the basis of the data that we have. So, we
14 would indicate once again that it is indicated for the
15 treatment of female patients with IBS defined as abdominal
16 pain/discomfort, and altered bowel habit in whom
17 constipation is the current predominant symptom.

18 The issue of constipation was one that we
19 carefully thought about and our response is based in large
20 part by the Rome criteria, a consensus of experts who have
21 talked about constipation, and with the growing realization
22 that what our patients mean about constipation is often
23 different than what physicians think of, and therefore, the
24 old, very narrow definition of infrequent bowel movements is
25 really inadequately to describe what patients mean when they

1 say they are constipated.

2 So, we would define constipation as a current
3 decrease in bowel movements, below the accepted number of
4 three per week, passage of hard or difficult to pass stools,
5 excessive straining at defecation, and we might choose
6 greater than 25 percent of the time, which is Rome or
7 whatever the clinician's definition, or a sense of
8 incomplete evacuation.

9 Any one of those or combination would be
10 acceptable as a definition of constipation as we clinically
11 understand it.

12 DR. HANAUER: Now, going back to the clinical
13 trials to support this indication, are these the patients
14 who were entered into the trial, did they meet these
15 criteria for constipation?

16 DR. WALD: I think that is an important question
17 that was raised by Dr. Joseph and perhaps even misspoken by
18 us. If I could have the fourth slide on my presentation for
19 the overview, which would be the Rome II criteria, but we
20 can use Rome II and Rome I as examples of what I am talking
21 about to make the point.

22 [Slide.]

23 I would remind those of us talking about
24 constipation that according to the Rome criteria upon which
25 this study entry was based, that what we are talking about

1 is the preceding 12 months in which there are at least 12
2 weeks or more, again need not be consecutive, of which
3 abdominal discomfort or pain is associated with two of the
4 three features that we have talked about.

5 Therefore, it is conceivable that an individual
6 undergoing a four-week baseline trial prior to entry could
7 have what we might call diarrhea, yet, would still fulfill
8 the criteria which were based upon the clinician's
9 evaluation using very specific criteria.

10 While for the individual patient, that clinician
11 might choose not to use a drug like tegaserod at that point
12 in time, we would anticipate that this patient would revert,
13 if you will, back to their constipation-predominant disorder
14 for which they were being enrolled.

15 So, I don't think we have alternators in our
16 studies, those who we can tease out, and so forth. I think
17 that all of the patients that were in this trial fulfilled
18 the criteria, which was based upon the preceding 12 months
19 prior to entry into their study.

20 In other words, the four-week trial at baseline
21 does not invalidate the previous year's pattern upon which
22 these patients were classified. I think we all as
23 clinicians here know that patients will vacillate back and
24 forth, sometimes having diarrhea, sometimes having normal
25 bowel function, but their predominant pattern will be what

1 we what we have defined as constipation-predominant IBS.

2 DR. HANAUER: Thank you. You are a good
3 politician.

4 Yes, Dr. Wison and then Dr. Wolfe.

5 DR. WISON: I guess one question that I have then,
6 what was the goal of the four-week period theoretically?

7 DR. LEFKOWITZ: The goal of the four-week period I
8 think was twofold: one, to establish baseline comparisons
9 to compare the treatment effect to, and I think, two, is to
10 get the patients used to filling out the daily diary and the
11 study conditions.

12 DR. WOLFE: I want to try and word this properly.
13 Is constipation sort of a moving target, and if you are
14 using the strict definition of at least 12 weeks during the
15 previous 12 months, but the slide before you stated that the
16 patients had constipation at that particular moment in time,
17 then, they could really enter the study without really
18 having constipation at that time?

19 DR. WALD: Yes, I think that is correct, that even
20 though they fulfilled the definition, in that four-week
21 period they could exhibit what we might define as diarrhea
22 predominants. That was not to invalidate your diagnosis
23 according to Rome criteria.

24 Rome is very strict in terms -- it is not for the
25 day to day or individual care of a patient, it is to enroll

1 patients into studies that are hopefully more homogeneous
2 than in the past, and also it is useful for large
3 epidemiologic studies which, of course, are often based upon
4 recall. So, there are limitations, as well as advantages,
5 of using Rome criteria, or any other criteria for that
6 matter.

7 DR. HANAUER: But for the Rome criteria -- and
8 please correct me if I am wrong -- they are established for
9 the diagnosis of irritable bowel syndrome, but have they
10 been established for the subcategorization into the three
11 types that you are speaking of and that you we have been
12 alluding to?

13 DR. WOLFE: And also really, this is a question
14 regarding the use of this drug, is this drug really going to
15 be a drug used long term, or is it better for people who
16 actually have an exacerbation of their symptoms for the
17 short term?

18 DR. WALD: Which question should I answer first?

19 DR. HANAUER: Answer mine first.

20 [Laughter.]

21 DR. WALD: You know, that is a very good idea.
22 Now, what was your question?

23 [Laughter.]

24 DR. HANAUER: With the Rome criteria to establish
25 diagnosis of irritable bowel syndrome, but we are moving on

1 now in a previous submission and this submission for a
2 specific subcategory of IBS, and do those criteria really
3 allow categorization?

4 DR. WALD: Well, if you look specifically at Rome,
5 there is a diagnosis for irritable bowel, and then there are
6 subcategories for things like functional constipation,
7 functional diarrhea. The use of constipation-predominant
8 and diarrhea-predominant, I think is historical in an
9 attempt to further subdefine, recognizing that there are
10 patients will either go back and forth, the so-called
11 alternators who have 25 percent of this and that, or those
12 who may have some diarrhea, but still are predominately
13 constipated.

14 The issue is validation, of course. Not all in
15 this room I am sure agree with Rome or all of its
16 subcategories, but it is probably the most recent and best
17 attempt to bring some order out of chaos, and so we accept
18 the limitations of Rome.

19 I don't think we ought to hold to it hard and
20 fast, but in the alternative and what we had before, I think
21 it is a quantum jump and the studies that are now being done
22 on fixed criteria, such as this, although they will never be
23 perfect in a disorder in which you lack a biologic disease
24 marker, well, sure, we have been advanced compared to what
25 we had in the preceding 20 or 30 years.

1 Of course, it is a moving target. Now, the issue
2 of constipation, and is it a moving target is what you
3 wanted to know -- you know, for clinicians, there are
4 patients who will good weeks and bad weeks. The reason for
5 doing, in my own opinion, 12-week trials is to try to tease
6 out placebo response rates and to make sure that whatever
7 effect you are seeing is durable.

8 In the marketplace, in the clinician's office, I
9 am sure that doctors will use this drug very differently,
10 recognizing that IBS is episodic, that there are periods of
11 time, perhaps weeks or months, in which you want to use the
12 drug, and then backing off.

13 So, I don't think that this study seeks to define
14 how it will be used, simply that it could be used for
15 certain defined patterns, and it remains for others to then
16 determine, and then the postmarketing surveillance, if we
17 should come to that, how the drug would be used.

18 I myself would imagine using it for only a few
19 weeks at a time in many patients who are episodic, but not
20 using it for a full 12 weeks.

21 DR. HANAUER: I think actually what we are trying
22 to do is help everyone understand the problem and actually
23 help to understand some of the data from the trial, and that
24 is my impression that we are dealing with a disease that
25 does -- I agree with you in everything you have said -- that

1 does tend to be cyclic and that the problem that we are
2 confronted with is giving a constant medication for three
3 months at a time is going to even out those cycles, and that
4 is why we don't see as prominent a difference as the
5 sponsors would have predicted in the beginning.

6 On the other hand, there may be a way to, and what
7 we are trying to do, is help the Agency come up with -- and
8 the sponsor -- come up with an indication that would be
9 clinically useful for those who are going to be prescribing
10 the drug and the patients, Ms. Norton and her group, who are
11 going to be taking the drug.

12 One of the concepts that I might throw out is that
13 it is not necessarily the concept of the phase of the
14 disease. As you mentioned, you might only give this during
15 the constipation phase of irritable bowel rather than
16 constantly for a period of time, but I don't want to consume
17 the conversation.

18 DR. WALD: Just as a clinician now, just looking
19 at the data, I would probably be remiss if a patient who had
20 constipation-predominant symptoms came into my office with
21 two weeks of diarrhea, and then I gave a drug whose
22 potential side effects would be diarrhea. I think I would
23 wait until they were unhappy and telling me that they
24 weren't having a bowel movement again.

25 DR. HANAUER: Dr. Richter.

1 DR. RICHTER: Steve, let me follow up on this with
2 Arnie, and Mike may want to answer this. As I understand
3 the Rome, this definition is more so for the clinician. I
4 mean this is your ultimate recall definition.

5 Your patient comes in the office to see you or I.
6 They are complaining about constipation. You take a
7 history. You get constipation and pain. You try to get
8 some type of a quantification from their history over the
9 last year what they have been.

10 I am not sure how accurate that is, but right now
11 we know that is about the best that we can do. So, this
12 kind of puts you into a global group, this patient looks she
13 primarily falls into a constipated type of IBS.

14 But then doesn't your lead-in phase, doesn't this
15 baseline phase, shouldn't it allow you to really quantify
16 and qualify that more accurately? That is what I am
17 bothered about by the demographics.

18 I have no problem that this is IBS pain, it's just
19 describing this as IBS constipation pain that bothers me,
20 because again, of the demographics of the patients, the
21 number of bowel movements they are having, the fact that
22 only a third of them have hard stools, and yet the stool
23 consistency score, which averages 4.7, is somewhere between
24 neither loose nor hard or somewhat hard, which is about what
25 I have every day.

1 [Laughter.]

2 DR. RICHTER: But the point that I am making,
3 though, is that your baseline characteristics of this is
4 really your hard endpoint for what your patient group is
5 that goes in, not the recall based on 12 months, because I
6 have problems even with recall based on a week, because that
7 recall based on a week, they may be feeling good those last
8 two days, and they are going to say every day they have been
9 feeling well.

10 DR. WALD: I think your points are very well
11 taken, and we all know the limitations of recall versus
12 prospectively applied data, both in constipation and in IBS,
13 and perhaps most disorders that we deal with.

14 The only way to invalidate the diagnosis based on
15 recall, if we use Rome, is to prospectively follow
16 individuals for a year, and that, of course, would be
17 logistically impossible.

18 You would have to show that in the next year,
19 after you decided that you wanted to enroll these people,
20 that they for a year fulfilled their criteria of
21 constipation-predominant. You can't do that.

22 So, we accept the limitations that a month may not
23 be representative, but even perhaps there are people who
24 miss-recall, but I think it is the best we can, and besides
25 those patients I believe are rather evenly divided amongst

1 the placebo and the active drug thing, so you hope by
2 unbiassing, blinded studies, randomization, that you try to
3 even that out.

4 But again I would emphasize that Rome is useful
5 for a clinician often to avoid misdiagnosing IBS, but it has
6 not been validated as an office practice tool for that
7 patient who walks into your office and where you are
8 deciding does this person have IBS or not.

9 It is best suited for large-scale epidemiologic
10 studies and the kinds of studies that we have had presented
11 here in the fall and now again here, and with its
12 limitations.

13 DR. HANAUER: Michael.

14 DR. CAMILLERI: May I take the liberty for a
15 couple of minutes. I think that a number of very good
16 points have been made, and one of the reasons why the
17 clinicians at lunchtime came up with the added concept of
18 current predominant constipation is really fueled by the
19 comment that Dr. Richter just made, that if you look at the
20 mean consistency and frequency data, you actually dilute out
21 the interesting and significant message that we have seen
22 this morning, and I would just like to reiterate three
23 points very rapidly on the slides.

24 [Slide.]

25 The first is QA77 indicating that in that four-

1 week run-in period, which Dr. Richter is telling us is a
2 nice way to enrich our study population and characterize it,
3 there are 60-something, almost 70 percent of patients who
4 fulfill criteria either for frequency or hard or very hard
5 stool. Therefore, the majority of these patients in fact
6 prove to have the constipation-predominant irritable bowel
7 syndrome currently in the context of the study.

8 [Slide.]

9 The next point I would like to bring to your
10 attention is ESG123, and here what we are going to see is
11 the influence of current in the four-week run-in period,
12 stool consistency, ESG123, and stool frequency, and what we
13 see here is that if patients have more than three bowel
14 movements per week, there is no efficacy of the medication
15 or if they have loose stools.

16 On the other hand, in these 2,000-odd patients, if
17 they have less than three bowel movements a week or absence
18 of loose stools, you will see the efficacy of the medication
19 grouped across the three studies, and a similar result is
20 also shown on 125, but I won't waste the committee's time.

21 The point I think that clinicians at lunchtime
22 would like to bring to the attention of the committee is
23 that it is the current symptom of constipation defined not
24 necessarily by frequency, but by consistency, difficulty
25 with stool passage, excessive straining that would suggest

1 that this medication has an added benefit, and indeed, by
2 meeting all of the stool data, as Dr. Richter predicted, or
3 as you predicted, Mr. Chairman, there is actually a dilution
4 of the effect of the medication.

5 Thank you for your attention.

6 DR. HANAUER: Before you go -- so, your patient
7 takes the drug and gets out of the constipation phase. The
8 studies have shown continuation of it. Is that what you are
9 going to do in practice?

10 DR. CAMILLERI: Mr. Chairman, you obviously know
11 that in the context of a clinical trial, one is bound by the
12 need to fulfill the trial criteria. Clearly, as Dr. Wald
13 indicated earlier, and I am sure Dr. Cohen will suggest
14 after I have moved away from this microphone, I suspect that
15 we will all recommend that for patients who seem to be going
16 into remission, it would be appropriate to suggest a drug
17 holiday and stop the medication, but I defer to his --

18 DR. HANAUER: But your indications and the
19 marketing, hence, the marketing of this appear to be as if
20 it would be given as a continuous course over three months,
21 was showing three-month data on that.

22 The issue is should labeling be modified -- I am
23 putting it back to you guys, and eventually we will come to
24 this -- should labeling be modified, so that it should be
25 used during a phase or to treat the symptoms of.

1 DR. CAMILLERI: It is often established clinical
2 practice among people who see a large number of these
3 patients, that when the patient goes into remission, you
4 would obviously try to withdraw the medication, and I think
5 that the only way in which the label can be written
6 presumably is as it relates to the way in which the clinical
7 trial was performed.

8 DR. HANAUER: Right, but as you pointed out in the
9 very beginning, 80 percent of these patients are seen by
10 primary care physicians, not gastroenterologists, who are
11 not the sophisticated nature of you or your colleagues or
12 many or some of us at the table here.

13 DR. CAMILLERI: I think you for that compliment.
14 Nevertheless, I do have a lot of confidence in our primary
15 care and general practice colleagues, and I think we should
16 defer to your committee to help in the decision as to how
17 this medication would be given, and I think it would be
18 consistent with what you and I as gastroenterologist
19 clinicians do in our practice.

20 Thank you.

21 DR. HANAUER: Thank you.

22 Dr. Cohen.

23 DR. COHEN: Can I please have that 178 slide back.

24 [Slide.]

25 I would just comment. I think there is a great

1 deal of confusion here. The Rome criteria are very good for
2 doing large population-based studies, and is also very
3 valuable for the clinician to identify clinically the
4 patient with the irritable bowel syndrome, and not only has
5 clinical diagnostic criteria, but it has exclusion criteria,
6 and it is being widely adapted by, for example, the American
7 College of Physicians are recommending that this be used for
8 the identification of patients with irritable bowel.

9 Indeed, now, you have asked the question, Dr.
10 Hanauer, about the indication, and I think this indication,
11 as stated here, carries a lot of important components.
12 First, treatment of female patients with irritable bowel
13 syndrome, and the definition of abdominal pain and altered
14 bowel habit with constipation being the predominant current
15 symptom, and the word "current" is critical, and the word
16 "predominant" is critical in that definition.

17 The patient may have had in the prior 12 months or
18 20 years have had other components, but that when that
19 patient presents, the patient then identifies the type of
20 syndrome that they have current, predominant symptom of
21 constipation, and then at the lunch table, we discussed the
22 definition of constipation, which cannot be a narrow
23 definition that we were going down or the pathway we were
24 pursuing at the presentation this morning.

25 It has to be defined clinically. A decrease in

1 bowel movements, hard, difficult to pass stools, straining
2 at defecation or feeling of incomplete evacuation.

3 My sense is that the clinician will treat this
4 drug until the patient goes into some sort of clinical
5 remission, and in some patients it would be a 12-week
6 period, in some people it is going to be a long-term
7 therapy. It is not going to be on-demand therapy.

8 So, I think the definition as you charged us here,
9 the proposed indication is encompassing of the kinds of
10 patients that I believe we would like to see be treated with
11 this medication.

12 DR. LEFKOWITZ: Mr. Chairman, if I may just make a
13 couple points. One of the reasons that we conducted our
14 trials this way, that is, based it on clinical history, is
15 that we can answer the question what happens when patients
16 are treated with this drug who are liable to have diarrhea
17 intermittently, how will they do on the drug. Otherwise, I
18 would be standing here today without an answer to that
19 question, and I could tell you in our Phase III trials, the
20 incidence in diarrhea was about 3 to 1, 15 percent versus 5
21 percent in these patients.

22 As I mentioned before, we also did a study in
23 diarrhea-predominant patients, which had very similar
24 results, but we saw no serious adverse events, very low
25 discontinuations, so we were able to answer that question,

1 and that was one of the reasons we approached it that way.

2 If I may also just take the liberty, based on that
3 statement, which showed in female patients, if I could show
4 Slide QA18 --

5 [Slide.]

6 I just wanted to again show the results in female
7 patients as the magnitude of effects certainly came up.
8 Here is the total population in 351, female patients in 301,
9 female patients in 301, not much difference in 307 again on
10 the SGA of relief, and in the next slide, 19 --

11 [Slide.]

12 Shown here are the monthly results. These are
13 patients again remaining on the drug in practice, and in
14 301, the prospective endpoint in 301 and the SGA relief, you
15 do see response rates around the 15 percent mark.

16 Further, if I could have Slide ESG31 dealing with
17 the issue of abdominal pain in women --

18 [Slide.]

19 Shown here are the results of abdominal
20 discomfort/pain in women at endpoint, fully adjusted values,
21 intent-to-treat analysis, Study 351, a 9 percent difference,
22 Study 301, a 10 percent difference, and let me point out
23 that even if the SGA of abdominal discomfort was retained as
24 a primary endpoint, in Study B301, this result would be
25 statistically significant in the female population.

1 Thank you.

2 DR. WOLFE: One question that was brought up
3 before by Joel was are we convinced that the decrease in
4 pain was due to an improvement in constipation, and that
5 could be answered by certain studies, I am not sure if they
6 were done in the past or not.

7 If this is a drug which blocks afferents, then, by
8 doing distension studies, balloon distension on this drug,
9 you should be able to have better tolerance toward
10 distension. Was that done?

11 DR. LEFKOWITZ: We performed one study in visceral
12 sensitivity using a 4 mg dose, looking at rectal distension,
13 and in that study we did not see an effect on visceral
14 sensitivity. I might add, however, these small studies,
15 which used a lower dose, and I don't know that is a
16 particular best model to look at visceral sensitivity in
17 man.

18 DR. WOLFE: In women.

19 DR. LEFKOWITZ: Yes.

20 DR. WOLFE: No 12 mg doses at all?

21 DR. LEFKOWITZ: No, we did not.

22 DR. SMITH: This may seem a bit facetious, but why
23 don't we market placebo? You have a 40 or 50 percent
24 response rate in the first two weeks, when some of the daily
25 symptom calendaring, and if we are looking for a treatment

1 that addresses the syndrome, to recognize that it is a
2 syndrome that has both psychological, psychosocial, and
3 physiologic parameters that are distorted and affecting
4 success and failure, but how does the placebo work, and why
5 does it work so very well?

6 DR. WOLFE: We actually have been using placebo
7 for many years because a lot of the drugs we use for IBS
8 have never really been tested, and many of them are very old
9 drugs, which have been used historically.

10 DR. WALD: That was exactly the point I was going
11 to make, that we already are using placebo. If we define a
12 drug that we are using, prescription or nonprescription, has
13 never been more effective than placebo, and that is the
14 story of irritable bowel syndrome.

15 How placebo works and why placebo works is
16 unclear. Certainly, the concept that placebo works best is
17 psychoneurotic individuals is probably not correct.
18 Placebos are more likely to work in people who want to get
19 better than people who don't, and whether that is working on
20 endorphins or other kinds of things, we don't know, but that
21 has been the experience of all clinically based entities
22 where there is a huge response.

23 One might even conclude that in certain cases of
24 inflammatory bowel disease, peptic ulcer, that placebos have
25 a certain heal rate as well. So, it is a very potent tool

1 to use as long as the placebo that you are using doesn't
2 carry with it any significant side effects.

3 DR. HANAUER: There are ways. These studies did
4 not show the placebo was better than no treatment.

5 DR. COHEN: But I would comment that going back in
6 gastroenterology when we had our first drug, the first H2
7 antagonist, that was a very prominent finding, and people
8 were astounded at the very strong placebo response in GI
9 trials for diseases where you actually had an organic lesion
10 like a duodenal ulcer or a gastric ulcer, patients with
11 esophagitis, and it seems to be consistent in
12 gastrointestinal syndromes and diseases, and somewhat
13 different than other disorders like cardiovascular and
14 pulmonary disease, but I think you can ask the same question
15 for many of the treatments that we have in GI.

16 DR. RICHTER: For anyone in the Novartis group,
17 this is a three-month study. We have defined IBS as a
18 disease which cycles and has to at least have 12 weeks of
19 whatever your predominant pain complaint is or constipation
20 complaint.

21 Can we get some evidence of prolonged efficacy
22 past three months from your long-term studies, particularly
23 your patients that have been in there a year, how does their
24 response at six months and a year compare to their three-
25 month response, does that continue? You see an acute

1 response and then abate some, does that plateau and stay
2 plateauing?

3 DR. LEFKOWITZ: Yes. As you pointed out, we only
4 have control data after three months. In the long-term
5 study, those patients who actually complete the study, and
6 using a response definition in that case of
7 complete/considerable relief in this open label study,
8 approximately 65 percent of patients had a response at the
9 end of 12 months.

10 If you look at patients who had a response -- and
11 clearly, people have dropped out of the study -- if you look
12 at patients who were responders at month 3, and then what
13 happened to them over the 12 months, 60 percent of those
14 patients remained in the study and were responders at month
15 12, with approximately 20 percent of the patients having
16 dropped out and 20 percent of the patients being non-
17 responders.

18 DR. RICHTER: What that is signifying, Martin, is
19 that even over that year's period of time, then, you have
20 people that are not responding or dropping for other
21 reasons. So, you are continuing actually, if anything, this
22 drug doesn't plateau its effect out, this drug does have a
23 falling decline in the efficacy over a year's period of
24 time.

25 DR. LEFKOWITZ: No, I am not sure one can conclude

1 --

2 DR. RICHTER: Well, you said at the end of three
3 months, that that represents -- the question I am asking, if
4 at the end of three months you get 100 percent responders --

5 DR. LEFKOWITZ: Correct.

6 DR. RICHTER: Then, what are those 100 percent
7 responders doing at the end of a year? If they are not in
8 the study at the end of the year, I consider that a non-
9 responder.

10 DR. LEFKOWITZ: I understand that. The answer
11 again is that 60 percent of those patients at month 3 are in
12 the study, at month 12, unresponders, but clearly, we do not
13 have a placebo control. I would submit if we did have a
14 placebo control, you would certainly potentially see a
15 difference. So, I think 60 percent of patients with
16 irritable bowel syndrome maintaining a response in a waxing
17 and waning disease is I think fairly reasonable.

18 DR. HANAUER: I am just pondering your last
19 statement because I am not certain that you have shown
20 maintenance of a response over a period of time, and that
21 would be if we get into very subtle differences here,
22 between the difference of maintenance of a response and
23 intermittent treatment of this, which is what you are
24 hearing most of your consultants and the members at the
25 table thinking the way they would use it.

1 DR. LEFKOWITZ: Yes, we did look in our clinical
2 trials of patients who responded at month 1 and what
3 proportion of them remained responders at the end of the
4 study, if you are interested in those data.

5 DR. HANAUER: Sure.

6 DR. LEFKOWITZ: That is in the ER file. That
7 would be ER8.

8 [Slide.]

9 Again, these are people who were responders at
10 month 1 to the drug, and then in 351, 301, 307, as you can
11 see, most patients, whether on placebo or on the drug, more
12 here in 351 on the drug remained responders at endpoint with
13 similar rates across the three studies.

14 So, it was a persistent response, but it was also
15 largely a persistent response in the placebo patients, as
16 well.

17 DR. WISON: Just one point, though. You don't
18 have any of the data for once people stopped, whether they
19 returned to their baseline or whether that response was
20 maintained, is that correct?

21 DR. LEFKOWITZ: That is correct, in a controlled
22 fashion we did not collect that data, yes.

23 DR. TALARICO: Do you have any evidence if there
24 is any rebound phenomenon when patients stopped the drug?

25 DR. LEFKOWITZ: I am sorry?

1 DR. TALARICO: Any worsening of the symptoms when
2 they discontinued the drug?

3 DR. LEFKOWITZ: Again, we did not keep this
4 patient in the study, but we did not get any reports from
5 the sites. We collect safety after 30 days in these
6 patients, and have had no evidence of that.

7 DR. HANAUER: There must be follow-up data on
8 these patients in some way for safety, I presume, I haven't
9 seen serious adverse events in the next months, that they
10 became obstipated and needed surgery or anything like that?

11 DR. LEFKOWITZ: No, that is correct. We collect
12 serious adverse events at least for 30 days, and often get
13 reports well beyond 30 days, and we have gotten several
14 serious adverse events in the population both on placebo and
15 drug following completion of the study.

16 DR. HANAUER: Does the Agency have data on, for
17 instance, an adverse event, such as constipation, 30 days
18 afterwards, was that submitted in?

19 DR. LEFKOWITZ: Sure, we submit all serious
20 adverse events that are reported to us.

21 DR. HANAUER: Other questions from the committee?
22 Dr. Hammes.

23 DR. HAMMES: Do you have any data on duration of
24 effect, single dose duration of effect, or how long after
25 stopping you have a duration?

1 DR. LEFKOWITZ: You mean a single dose study in
2 terms of --

3 DR. HAMMES: Well, we know that when things bind
4 receptors, some of them can be insurmountable and stick
5 around for a week or until new receptors are made. What
6 does this drug behave like at the receptor?

7 DR. LEFKOWITZ: I think perhaps Jim McLeod might
8 be able to give some information from some of the healthy
9 volunteers or other studies.

10 DR. McLEOD: Most of our data is on control
11 because we are usually looking at pharmacokinetics, but we
12 did several control trials with placebo. What we observed
13 was similar to Marty's during the multiple dose situation
14 where most of the gastrointestinal adverse events, and since
15 we are not looking at an effect, we are just looking at
16 adverse events, occurred on the first and second day.

17 Then, we saw very few over the ensuing two weeks,
18 which was the longest period that we dosed in the control
19 situation where we intensely gathered this information.

20 We did a series of studies where we looked at the
21 pharmacokinetics in a cross-over manner, so we would
22 administer the drug, a single dose predominantly or one or
23 two doses, and then we would bring them back either a week
24 later or some period thereafter.

25 The effect again occurs when the drug is

1 readministered, so we do see a number of increased bowel
2 movements on the first day of readministration a week later,
3 and then subsequently, we have done three, four, or five
4 cross-overs like this, so in terms of the effect, this
5 receptor or its physiologic consequences seems to recover
6 within a week.

7 DR. HANAUER: Dr. Ferry is kind of quiet, but I
8 will use his prerogative. IBS and constipation are common
9 in children. Do we have data at all in children?

10 DR. FERRY: That is what I was looking for. You
11 had one 13-year-old patient with ovarian cysts. I don't
12 know how many other children you had in the study.

13 DR. LEFKOWITZ: In Study 351, the age limit was
14 12. Although we didn't go to pediatricians, we tried to
15 find GI sites who had told us that they dealt with a lot of
16 adolescents. In fact, we only enrolled I believe it was
17 three adolescents into 351. We have submitted a proposal to
18 the Agency for a pediatric study that is under discussion
19 right now.

20 DR. WOLFE: I am forgetting what was asked and
21 what was presented. Do you have any data to tease out or to
22 stratify according to the real severe constipation versus
23 the mild constipation, looking at response rates, was there
24 any difference?

25 DR. LEFKOWITZ: We looked at data based on