

1 appeared because for the first time people worked in
2 an environment where there was a high concentration in
3 an enclosed space of processed materials, wool, hair,
4 hides.

5 That generated and produced a new disease
6 that was recognized by the medical community. That
7 was wood sorter's disease, or rag picker's disease in
8 Austria and in Germany.

9 And this is from William Greenfield, whom
10 you heard briefly about, and I just point out this
11 statement, that great swelling of the bronchial glands
12 occurred, these being sometimes completely broken down
13 by hemorrhage and transformed into blood clots;
14 extensive cellulitis together with hemorrhagic
15 effusion around the bronchial glands and in the
16 mediastinum generally.

17 Serous pleural effusion, often in great
18 amount, pretty equally in both pleura, usually
19 unaccompanied by any signs of pleural inflammation,
20 and in the lungs the changes are but slight.

21 So we've unfortunately had an opportunity
22 to rediscover this disease with Sverdlovsk, but it was

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 well known to the physicians of the early or late 19th
2 Century.

3 Slide off, please.

4 Now, there have been, as you've heard,
5 very few cases in the U.S. There are some 18 reported
6 cases, a few others throughout the world. Up until
7 Sverdlovsk about 100 cases worldwide, and now with
8 Sverdlovsk maybe 200 or so in humans that have been
9 reported.

10 There have been several animal models that
11 have been used to study this disease, but the non-
12 human primate, particularly the Rhesus macaque, has
13 been the model that has been used in the '50s and
14 again more recently.

15 We've had an opportunity to study this
16 because after the Gulf War began, we were asked to
17 address a simple question: how to treat someone who
18 had been exposed to an aerosol of anthrax spores?

19 And as a result of that, we generated some
20 additional data which, together with the previous data
21 in the non-human primate, gave us some more
22 information about the pathology of this disease.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The next slide, please.

2 I hope you can see this. If not, I'll
3 point out the highlights here. Is that a little out
4 of focus?

5 PARTICIPANTS: Yeah.

6 DR. FRIEDLANDER: Good, good. And I'll
7 just go over the highlights here.

8 Intrathoracic lymph nodes. There are two
9 tables I'll show. These were compiled by Dr. Gary
10 Zauchar, a veterinary pathologist at USAMRID, and they
11 summarize the experience in the literature of the
12 human disease and in the Rhesus monkey. This is all
13 data at USAMRID, 25 animals, which includes the
14 controls from the experiment I will describe, plus
15 some others.

16 Intrathoracic lymph node involvement. Of
17 the 72 cases he could find in the literature, that is
18 to say the 41, 42 from Sverdlovsk, plus about 30
19 others, about 90 percent; in Sverdlovsk this was 100
20 percent.

21 In the Rhesus, about 80 percent of animals
22 have involvement of the intrathoracic nodes. In the

1 mediastinum, various changes have been noted in about
2 80 percent; again, in the Sverdlovsk series 100
3 percent.

4 Here this is somewhat lower, about 40
5 percent.

6 If you look at primary pneumonia, 30
7 percent, and this does include as pointed out by Dr.
8 Walker nonbacterial pneumonia, that is to say some
9 hemorrhagic pneumonia without bacilli being present.

10 In the Rhesus it's about 16 percent.

11 In that regard, I should point out that
12 there is some old data in the Rhesus macaques from the
13 '60s that were infected with mites in the lung, and a
14 characteristic lesion was described, not unsimilar
15 perhaps in some cases to the arc welders. That is to
16 say localization at the site of previous damage in the
17 bronchial where that may be a source of entrance of
18 the organism where it could persist.

19 In terms of the brain, the total CNS
20 involvement, about 50 percent, and again in the Rhesus
21 about 50 percent.

22 There are some differences in terms of

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mesenteric lymph node involvement. Whether these are
2 due to how careful the specimens are looked at, of
3 course, is very difficult to tell.

4 And as was pointed out, there's one other
5 point to mention here, and that is the survival time
6 in the humans versus the various animal models.

7 In the Rhesus macaque, this is the
8 average, about 4.8 days, five days post exposure at
9 the time of death. That's about the same post onset
10 of illness to death in the summary of all the human
11 cases reported.

12 So there's a longer incubation period.
13 There's a longer time to death in the human model than
14 there is probably in the primate.

15 Now, that has to be couched particularly
16 with Sverdlovsk, in my view, that we really don't know
17 the details of these cases. We really do not know.
18 There's been disinformation that's been given out
19 before, as you're all well aware, and we don't know
20 the specifics of the treatment of all the case as
21 fairly quickly, as I understand it, after the
22 diagnosis was made at least household contacts and

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 others received antibiotics.

2 So it's unclear whether the longer
3 incubation period is, in fact, modified by individuals
4 once this was known to the community going out either
5 on their own or through the physicians and getting
6 antibiotics.

7 Next slide, please.

8 In regard to the pathology, there's one
9 point I'd like to make, and that's this question of
10 the relationship between the duration of the illness
11 and the pathologic findings, and if you just
12 concentrate on the Rhesus monkeys here, these are the
13 total number of animals. They're small numbers, but
14 there's a suggestion.

15 Here's the mean survival time, from three
16 days out to seven to eight days. As the animal
17 survives longer, the incidence of mediastinal disease
18 increases -- there's only one animal out here -- as
19 you might expect.

20 That is to say if the disease is first in
21 the node, the longer the animal lives, the more likely
22 it is for it to spread to the mediastinum and for you

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to see the pathological changes at autopsy.

2 And, similarly, if you look at these six
3 animals that died on day three, CNS involvement was 17
4 percent. As you go to day four, five, six, seven, the
5 incidence of CNS involvement again goes up, suggesting
6 the longer the animal survives, the more inflammatory
7 cells you see and the more extensively disease is,
8 approaching more that of the human.

9 Next, please.

10 Now, this shows some examples of the
11 characteristic finding to be anticipated in this
12 disease, and that is the widened mediastinum.

13 Next slide, please.

14 Relatively clear lungs.

15 This is another case, again, a widened
16 mediastinum with pleural effusion.

17 Next.

18 This is an over penetrated chest X-ray of
19 a Rhesus macaque. Here's a normal animal, and here's
20 the widening of the mediastinum that, again, is really
21 quite evident.

22 Next, please. Could you -- that's okay.

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'm a little disoriented here.

2 This is the trachea. The head is here.
3 Phrenic nerves. That's the business end of this
4 disease, very analogous to what you saw Dr. Walker
5 present in the human cases, that hemorrhagic enlarged
6 node.

7 It's difficult to tell about this
8 glistening edema of the mediastinum. Unless it's
9 hemorrhagic, it's not something that one would easily
10 pick up.

11 Also notice the pink lungs. So this is
12 the disease we're really talking about. It's really
13 mediastinitis.

14 Next, please.

15 This is the same brain that must be
16 traveling around the world now very frequently from a
17 case in Sverdlovsk.

18 (Laughter.)

19 DR. FRIEDLANDER: Next, please.

20 This is one of the Rhesus monkeys,
21 entirely comparable lesions.

22 Next, please.

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now, as soon as the Gulf War, as I said,
2 started -- you can take that slide off -- we were
3 asked to design studies to address this question.
4 There had been prior studies in the literature by a
5 group in England, as well as the U.S., that attempted
6 to address this issue in the Rhesus monkey model, and
7 they used post exposure antibiotic treatment for
8 varying periods of time, for five days and ten days,
9 and what they discovered is that the animals survived
10 while they were on treatment, but once the treatment
11 stopped, the animals died of anthrax.

12 There was one experiment done with a 20
13 day course of antibiotics. It was complicated by
14 various other infections that the animals had. Again,
15 about a third of the animals died, but they were very
16 small numbers.

17 What they did show was that if you gave
18 antibiotics a vaccine, you did protect the animals
19 post exposure.

20 Now, a rational treatment of inhalational
21 anthrax has to take into account a couple of obvious
22 facts that I think most of you are now well aware of,

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and that is that the spore, as we know, can survive
2 for decades and probably hundreds of years in the
3 environment, but it also can survive in the host for
4 extended periods of time, and that creates a very
5 difficult therapeutic situation because while it can
6 survive, once you discontinue antibiotics, the spore
7 may then germinate.

8 And this was really established by Barnes
9 in 1947, and that's what the next slide shows. I
10 should point out that in the first paper by Abraham,
11 Chain and Florey on penicillin in 1941, one of the
12 organisms, in fact, they looked at was Bacillus
13 anthracis, and shortly after the first human cases in
14 '44, I believe, were treated cutaneous with
15 penicillin.

16 Barnes studied this in the mouse and
17 pointed out that one of the main factors in the
18 therapy of inhalational anthrax is the persistence of
19 spores in the tissues and their germination after the
20 blood penicillin level has fallen, and that remains
21 the dilemma that we have."

22 Next slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And this is another issue that also
2 remains an unknown. Unfortunately we have lots of
3 unknowns, no assurity. That is, the conditions which
4 govern the germination of anthrax spores in vivo
5 remain completely obscure.

6 I should say they're almost completely
7 obscure in vitro. People are just now beginning to
8 look at the germination genes in Bacillus anthracis,
9 and an operant that's involved in germination, at
10 least one set of genes involved in germination has
11 recently been discovered. But we don't know what
12 causes the spore to germinate, why it sits around, and
13 why it may at day 20 or 30 appear to germinate.

14 Next, please.

15 Now, this idea was given further empirical
16 support from the data of Henderson, and what Henderson
17 showed was that in the Rhesus monkey, you could
18 recover spores. These were done in treated and
19 vaccinated animals, but you could recover viable
20 spores for extended periods of time.

21 And what I've done here is basically plot.
22 This is from Henderson's data. He had data showing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about 15 percent of the inoculum surviving at 42 days,
2 about two percent at 50 days, and there were traces
3 even at 100 days.

4 Excuse me. I just want to get water.

5 And what I've done is show this
6 graphically here. If you start with ten LD-50s,
7 you're below an LD-50 at about a month or so, but if
8 you're up at 100 or 1,000 LD-50s, even out at two and
9 a half months or so, you're still above an LD-50.

10 Now, there are a lot of assumptions based
11 on this data, but there is support for it both from
12 Henderson's work and as I'll show you from our work
13 that animals can die after an extended period of
14 treatment when you stop the antibiotics.

15 So I wouldn't put too much credence in the
16 -- there are no error bars here. There are few
17 animals. Nevertheless, conceptually the idea, I
18 think, is a valid one.

19 Next, please.

20 So this basically summarizes the point.
21 The spore may persist in a viable but ungerminated
22 state for extended periods of time, and that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 antibiotics do not act on the spore. They act only
2 after it begins to germinate.

3 So we sought to determine, as I said,
4 whether a more prolonged course of therapy could be
5 effective alone or in conjunction with vaccination.

6 Dr. Meyerhoff asked me to describe briefly
7 some of the effort and urgency that went into
8 performing this experiment. Given the nature of the
9 events that occurred during the Gulf War, I can tell
10 you that we were truly on a wartime footing working
11 seven days a week for months to try to get this
12 experiment done as quickly and as well as we could
13 because an answer needed to be given.

14 Next slide, please.

15 This shows the chronology of the events.
16 Iraq invaded Kuwait on the 2nd of August. The first
17 challenge in two Rhesus monkeys took place on the 29th
18 of August. During that period of time we had to
19 develop an aerosol model for the monkey. We had not
20 done that at USAMRID in modern times.

21 We had to get 68 monkeys from around the
22 country, in addition to writing animal protocols and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 getting them approved. That was a lot easier actually
2 than getting the monkeys.

3 And during this period of time, we also
4 performed a preliminary pharmacological study because
5 we had very limited data, and that took place about
6 the same time.

7 So within about two weeks we demonstrated
8 that we could aerosolize spores with a lethal dose in
9 two monkeys and did preliminary pharmacology in six
10 monkeys, two each with two antibiotics, and the
11 experiment began on the 13th of September.

12 Next slide.

13 There were more than 60 people that were
14 involved in the design and the implementation of the
15 experiments. There were 68 monkeys used, eight in the
16 preliminary experiments and 60 in the post exposure
17 prophylaxis experiment.

18 There were 3,780 courses of anesthesia
19 given to these monkeys; 1,550 quantitative blood
20 cultures; parenteral medications, 720; oral gastric
21 medications, 1,920.

22 One animal died from aspiration pneumonia,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and one animal died from unknown causes. It really
2 was an effort for the veterinary support and the
3 animal handlers here, I think, to accomplish this so
4 quickly and without significant side effects really to
5 the animals.

6 Next, please.

7 This is the experimental design. It was
8 quite simple. On day zero the animals were challenged
9 with eight LD-50s, lethal dose 50s, by aerosol. Day
10 one, treatment was begun with antibiotic alone,
11 vaccination alone, or the combination in one group.
12 This is actually day 31. They got 30 days of
13 antibiotics, and then it was discontinued.

14 They were rechallenged about three and a
15 half months later with a higher dose, 50 LD-50s by
16 aerosol.

17 Next.

18 There were ten controls. They got saline.
19 They were basically a control for the penicillin
20 group. They got saline intramuscularly every 12 hours
21 beginning one day after exposure until the time of
22 death.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The penicillin group, there were ten
2 animals treated with penicillin G, IM every 12 hours
3 for 30 days. This dose -- I'll mention that in a
4 moment.

5 Ciprofloxacin, there were ten animals
6 treated at a dose of 125 milligrams every 12 hours,
7 again, for 30 days.

8 Doxycycline, the same regimen, except 30
9 milligrams by oral gastric tube every 12 hours for 30
10 days.

11 Doxycycline, the same regimen, but in
12 addition, they got a half an mL of the AVA vaccine on
13 days one and 15 following aerosol exposure.

14 There was another group that just received
15 post exposure vaccine on days one and 15 following
16 aerosol exposure if they survived. As a control they
17 received water by oral gastric tube every 12 hours.
18 The oral gastric medications required anesthesia.

19 On the basis of the initial pharmacology,
20 which was based -- there was no literature that we
21 could find about tetracycline and penicillin in the
22 Rhesus. There was a little bit of data on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ciprofloxacin. We based the dosage on body surface
2 area and modified it slightly based upon two animals
3 per group.

4 So that we upped the dose of penicillin a
5 little bit, of doxycycline, and we gave loading doses
6 of ciprofloxacin. The first dose was double the dose,
7 and this was based just upon the initial two animals.

8 Next, please.

9 I just point out a couple of things here.
10 There were daily blood cultures from the untreated
11 controls in the vaccination groups until death or for
12 14 days. In the antibiotic treated groups, the blood
13 was cultured every other day until 80 percent of the
14 controls died, and then twice weekly until day 30.

15 When the antibiotics were discontinued,
16 the were cultured every other day until day 60, and
17 then once a week until rechallenge.

18 ELISAs were done. All of the animals were
19 observed at least twice daily until death or
20 euthanasia, and a diagnosis was confirmed in all of
21 the animals that died by isolation of Bacillus
22 anthracis from the blood, and in all the deaths in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 which the cultures were negative, cultures were
2 obtained at autopsy of the blood, spleen, liver, lung,
3 intrathoracic nodes, and brain.

4 Next, please.

5 The antibiotic sensitivity test that we
6 performed with this strain showed that in Mueller-
7 Hinton broth the MIC was 0.08 micrograms for
8 penicillin. For ciprofloxacin, this strain, 0.08, and
9 the values for doxycycline are given here.

10 The NBC was equivalent to the MIC for
11 ciprofloxacin.

12 The serum levels were determined by
13 bioassay. Peak levels were determined at one hour pos
14 dose for cipro and two hours for penicillin and
15 doxycycline after doses on day five through 30, five,
16 nine, 20 and 30.

17 The trough levels were determined 12 hours
18 after a dose.

19 Next, please.

20 In the central panel, which you can make
21 out in B here, this is a log scale of the geometric
22 mean serum levels. I mentioned that, I think in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 read-ahead package. As we discovered, this is
2 presented slightly differently as arithmetic means
3 that you may hear about.

4 But the MIC was 0.8 about across here, and
5 these are the geometric means of the trough on day
6 three, five, nine, and 20, and as you can see, they're
7 above the MIC. The trough is for all -- throughout
8 the period of the study.

9 These are the peak levels, the means, and
10 then they are at least tenfold higher than the MIC and
11 MBC throughout the course of the experiment.

12 Next slide, please.

13 And that's just reiterated here. The
14 actual values, geometric mean, peak levels were
15 between 0.98 to 1.69, while the trough levels were
16 between 0.12 to 0.19 micrograms per mL, and the MIC
17 and MBC for this strain was 0.08.

18 Next, please.

19 This shows some of the findings in these
20 animals. This is the control group. Nine of the ten
21 control animals died, with the mean time to death of
22 5.6 days. This issue that animals are not ill until

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 time of death is fallacious in the Rhesus macaque.
2 These animals are ill. They're ill for anyplace from
3 one to four days before death.

4 There's decreased spontaneous activity.
5 They go off their feed. They're weak. They're
6 anorexic, not unlike the situation in humans.

7 Bacteremia occurs for a mean of 1.8 days
8 before death with low to fairly high levels, ten to
9 the one to ten to the fifth colony forming units per
10 mL.

11 Terminal bacteremias are usually quite
12 high. There was one animal with a low terminal
13 bacteremia of 200 organisms per mL that had meningitis
14 with two times ten to the seventh CFUs per gram of
15 brain tissue. Five of nine of these animals had gross
16 findings of mediastinitis and intrathoracic,
17 hemorrhagic lymphadenitis, and in five of nine
18 meningitis was present, and it was hemorrhagic in
19 three of the cases.

20 One animal survived, had persistently
21 negative blood cultures.

22 Next.

1 In most of the animals the organisms were
2 all over the place. This is an easy diagnosis to
3 make.

4 In a few animals, the organisms were more
5 difficult to find, and I just point this out. This is
6 immuno-histochemistry by EM with an antibody to a
7 polysaccharide in the cell wall that clearly outlines
8 degraded organisms in a macrophage.

9 Next.

10 This is just a higher magnification
11 showing degraded bacilli that are coated with this
12 antibody, with gold particles.

13 Next.

14 Now, these are the results of the
15 experiment. The control, as I mentioned, nine out of
16 ten animals died. With vaccine alone post exposure,
17 eight out of ten died. With penicillin, three out of
18 ten animals died.

19 Now, this is at three and a half months.
20 this is 30 days of treatment, off drug for three to
21 three and a half months. This is the long term
22 survival.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 None of the animals died while on
2 antibiotics from anthrax. They all died subsequent to
3 discontinuing taking the antibiotic.

4 In the ciprofloxacin group, one out of
5 nine animals died after going off ciprofloxacin. I'll
6 talk about these animals in a little more detail
7 subsequently, but there was one animal that died five
8 days after exposure from an aspiration pneumonia, had
9 no evidence of anthrax on autopsy, and this animal was
10 excluded from analysis.

11 As I'll mention, there's a second animal
12 that died 73 days after stopping ciprofloxacin. This
13 was due to urethral obstruction, and there was no
14 evidence of anthrax at autopsy, and this animal was
15 included in our analysis as a survivor.

16 For doxycycline, again, none of the
17 animals died while on treatment. One animal died when
18 the doxycycline was discontinued.

19 In the group of doxycycline plus vaccine,
20 none of the animals died due to anthrax. There was
21 one animal that died six days after discontinuing the
22 doxycycline, but had no evidence of anthrax on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 autopsy. The cause of death in that animal is
2 unknown. There was some mild myocardial degeneration,
3 mild, but we don't know why that animal died, but the
4 animal was excluded from statistical analysis because
5 it had only been off antibiotics for six days.

6 So there was a statistically significant
7 increase in survival in all the groups that had
8 received any antibiotic.

9 Next slide, please.

10 So the conclusions from this part of the
11 study were that vaccination alone begun after exposure
12 to anthrax spores did not protect animals; that all of
13 the antibiotics, including ciprofloxacin, provided
14 complete protection when given after the aerosol
15 exposure to spores, as long as the animals remained on
16 treatment.

17 An extended 30 day treatment period with
18 either penicillin, ciprofloxacin or doxycycline alone
19 provided significant long term protection upon
20 discontinuance of therapy, with from 70 to 90 percent
21 -- that's 89 percent for the ciprofloxacin group -- of
22 the animals surviving.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That post exposure vaccination when
2 combined with doxycycline protected all of the
3 animals. This difference was not statistically
4 significant because most of the animals survived with
5 just antibiotic.

6 Now, the animals that survived exposure
7 were examined for evidence of an immune response.
8 None of the animals treated with just antibiotic had
9 any evidence that they had seen anthrax by the
10 antibody assay that we used, which was an antibody to
11 protective antigen. That is, they behaved as if the
12 infection had been aborted, and they did not generate
13 an immune response.

14 The only animals that generated an immune
15 response was the group that received doxycycline plus
16 vaccine, and so it appeared, as I said, that the
17 antibiotics totally suppressed the infection.

18 The next slide, please.

19 We looked at the resistance of the
20 survivors at three to three and a half months after
21 discontinuance of the antibiotics, and they basically
22 confirmed what the antibody data had predicted,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 namely, that the animals that were treated, whether
2 penicillin, ciprofloxacin, or doxycycline alone
3 succumb to rechallenge. They were not immune. Only
4 the doxycycline plus vaccine group survived, and these
5 differences, again, are statistically significant.

6 Next slide, please.

7 The overall results are shown graphically.
8 I like to show this slide because it's one slide that
9 has all the data. It's six months of work with 60
10 people. So for a short lecture, I just show this.

11 This shows the control group. Here's the
12 time of exposure. The vaccine alone group, the
13 animals die. Antibiotic treatment for 30 days, the
14 animals all survive.

15 I want to point out this animal in the
16 ciprofloxacin group. This ciprofloxacin group is the
17 open triangles, and we'll focus on that. Just first
18 these are the three penicillin animals, the closed
19 triangles, day nine, 12, and 20. Following
20 discontinuance of the drug three animals died. Again,
21 on rechallenge these animals die.

22 The doxycycline alone group, one animal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dies at 28 days after discontinuing the antibiotics.
2 That's 58 days after the challenge. Again, these
3 animals die on rechallenge.

4 And now the ciprofloxacin group. This
5 animal was the animal that died from aspiration
6 pneumonia. There was one animal that died on day six,
7 I believe. I just want to make sure I got -- this
8 animal died of inhalational anthrax with hemorrhagic
9 necrotic lymphadenitis, intrathoracic nodes and
10 mediastinitis.

11 This animal died on day 73, and that is
12 103 days after exposure. The animal developed urinary
13 obstruction. The urine culture showed non-hemolytic
14 staphylococcus. The blood culture was negative.
15 There were attempts to relieve the obstruction, but
16 the animal was euthanized five days later.

17 At autopsy there was no evidence of
18 anthrax. I wanted to clarify a report in which this
19 animal's pathologic tissues were reexamined just
20 within the last few weeks. There was, again, no
21 evidence of anthrax in this animal. There were
22 urethral concretions, rubbery concretions which have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been described in male primates that was the cause of
2 the obstruction at the trigone of the bladder and in
3 the urethra.

4 In summary then -- next slide -- post
5 exposure antibiotics, including ciprofloxacin, which
6 protect against an aerosol challenge with spores
7 appear to prevent actual infection in the development
8 of an effective immune response. So that while
9 animals survive with an extended course of treatment,
10 they remain non-immune and susceptible to rechallenge.

11 Post exposure vaccination, when combined
12 with antibiotics, does protect animal both against --
13 with the antibiotics -- against the initial aerosol
14 challenge and leads to the development of an effective
15 immune response so that these animals are resistant to
16 rechallenge.

17 And, therefore, the most effective post
18 exposure treatment of experimental inhalational
19 anthrax consists of suppressive antibiotic therapy
20 combined with vaccination.

21 Thank you.

22 CHAIRMAN RELLER: Thank you, Colonel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Friedlander.

2 Before a 15 minute break we'll take any
3 questions for Dr. Friedlander from the panel. Yes,
4 Dr. Chesney.

5 DR. CHESNEY: How old were the animals?

6 DR. FRIEDLANDER: The animals were of
7 varying age. My -- I know more the weights than the
8 age, and I'd have to look it up. I think were from
9 four to about 12 kilograms. I have the paper here.
10 I can get that for you. They were of varying ages.

11 DR. SOPER: What about the use of passive
12 immunity immediately along with active immunization?

13 DR. FRIEDLANDER: Good idea.

14 DR. SOPER: And the reason was you're just
15 now characterizing the toxin.

16 DR. FRIEDLANDER: Well, we don't have a
17 supply of antiserum. As you know, before the
18 introduction of antibiotics antiserum was used as it
19 was for most infectious diseases. There were never
20 any control trials done, but there's good evidence in
21 animals, as well as the anecdotal evidence of
22 physicians that it was effective in cutaneous disease,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and there's some evidence in the Rhesus model, in
2 fact, that it's effective against inhalational
3 anthrax.

4 So I think it's a possible adjunct therapy
5 in the future.

6 CHAIRMAN RELLER: Yes.

7 DR. DEITCHMAN: Just to follow that up,
8 what would be the duration of passive immunity, and
9 would it get you much further than up a long course of
10 anti-infectives?

11 DR. FRIEDLANDER: That's a good question.
12 I don't know the answer to that.

13 In the Rhesus, it appeared as if just a
14 couple of doses of antibiotic the animals were carried
15 out for some 40 days, I think, and there was
16 significant survival.

17 It depends. I mean, what you're talking
18 about here is probably passive-active immunization,
19 and that could get tricky. You've got to have enough
20 coverage, but you're probably got to have some
21 development of active immunity, is my guess.

22 CHAIRMAN RELLER: Thank you, Colonel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Friedlander.

2 Yes, Dr. Chikami.

3 DR. CHIKAMI: I have a quick question.
4 The data you showed from the early Henderson studies
5 show that there is a fall-off of retained spores
6 within the lung. Is it known what the mechanism of
7 that spore clearance is?

8 DR. FRIEDLANDER: Nobody has done anything
9 more on that other than the conjectural data that was
10 generated by Henderson and Barnes. I mean, so I
11 really don't have any data.

12 I mean, as you know, only a certain
13 percentage of the inhaled dose is actually retained,
14 15 percent or so, and, again, this is from Ross'
15 studies showing sort of degenerated macrophages going
16 up the mucosiliary tree. Presumably if they can get
17 to a bronchus, they'll be expelled, not unlike other
18 particles.

19 I mean, there's no evidence to think that
20 they would be handled that differently, but there's no
21 data on that.

22 DR. CHIKAMI: And is the inevitable

1 interaction between a macrophage and the spore that
2 the spore will germinate into the vegetative?

3 DR. FRIEDLANDER: No, not at all. I think
4 there's evidence that most of the spores are probably
5 killed, but, I mean, if you look at Ross', it's hard
6 to come up with numbers, but I mean, an inhaled dose
7 is -- it's a good dose, but a lot of things have to
8 happen for one to get to a node. When it's one or
9 nine, I don't think anybody has a clear feeling.

10 In vitro spores can be killed by
11 macrophages.

12 CHAIRMAN RELLER: Thank you.

13 Let's convene promptly at noon. Then
14 we'll hear the FDA presentation and lunch we'll aim
15 for at 12:30.

16 (Whereupon, the foregoing matter went off
17 the record at 11:43 a.m. and went back on
18 the record at 12:02 p.m.)

19 CHAIRMAN RELLER: Dr. Gary Chikami, who is
20 the Director of the Division of Anti-Infective Drug
21 Products will initiate the FDA's presentation to be
22 followed by Dr. Andrea Meyerhoff, who was the medical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reviewer from the Division of Special Pathogen
2 Immunologic Products for this application.

3 Dr. Chikami.

4 DR. CHIKAMI: Thank you, Dr. Reller.

5 I'm also speaking in my sort of role as
6 the coordinator within ODE-4, the Office of Drug
7 Evaluation-4, in dealing with issues related to the
8 response within CDER to issues related to
9 counterterrorism activities and the response to these
10 sorts of issues.

11 I'm just going to provide an overall sort
12 of introduction to Dr. Meyerhoff's presentation, which
13 will really do the heavy lifting in terms of the FDA's
14 perspective on these issues. I think the agency, as
15 you've heard from Dr. Murphy's remarks at the
16 beginning of the session this morning, recognized that
17 there's a need for an adequate medical response to
18 protect or treat individuals who might be exposed to
19 lethal or permanently disabling toxic substances.

20 And so I think that in that regard there
21 are some special aspects of the particular situation
22 that we have under discussion today.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And you can go to the next slide.

2 This represents a particular group of
3 products which are intended or may be shown to prevent
4 the toxicity of a legal biologic agent that could be
5 involved in emergency setting, for example, an act of
6 terrorism or in military situations.

7 I think a second characteristic as we
8 looked at this particular situation is that the
9 product may provide sort of the meaningful therapeutic
10 benefits over existing therapies. As you'll hear,
11 there are other products, other products that have
12 been studied in inhalational anthrax as you've heard,
13 but I think there is a perceived need for alternatives
14 to treat individuals who are exposed to these lethal
15 biologic agents; in addition, alternatives that may
16 address the issue of potential antimicrobial
17 resistance of a biologic agent.

18 As Dr. Murphy pointed out earlier this
19 morning, this is a situation where traditional
20 efficacy studies in humans may not be feasible either
21 because it's unethical to expose volunteers to these
22 agents or, in the case of certain diseases because of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 their unique epidemiology, that is, their rarity,
2 field trials may not be doable or feasible.

3 As we've thought about this situation,
4 we've thought what is the body of evidence that could
5 be assembled and that are available to address the
6 issue of efficacy in this situation, and I think
7 parenthetically this is a situation where we're
8 talking about marketed products which already have an
9 established safety track record so that the issue of
10 safety, I think, is not really a big issue in this
11 situation.

12 Next slide.

13 So what are the available types of
14 evidence that could be assembled? And you've actually
15 heard some speakers this morning who have actually
16 gone over in great detail some of these aspects, but
17 I wanted to sort of briefly summarize them and put
18 them into a form that tried to organize them into sort
19 of the logical progression that we've used in our own
20 thinking within the agency.

21 That is, is there an understanding of the
22 pathophysiology of the disease under question? Is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there an understanding of the mechanism of action of
2 the drug and its prevention of the pathologic process?
3 Is there a demonstration of a protective effect in an
4 animal species with a response that is protective,
5 predictive for humans? That is, is the disease in the
6 animal model relevant to the human condition?

7 And in this case we've heard a detailed
8 description of a non-human primate model for
9 inhalational anthrax, and moreover, the benefit or the
10 endpoint that's demonstrated in the model is clearly
11 related to the desired benefit in humans, that is,
12 survival.

13 And finally, do we have information on the
14 pharmacokinetics and pharmacodynamics in animals and
15 humans sufficient to allow us to select what we think
16 will be an effective dose?

17 Next slide.

18 What I've tried to do here is to put this
19 in sort of a flow chart. We have sort of -- in trying
20 to come to a conclusion in overall efficacy and safety
21 in this situation, I think as Bayer described earlier
22 there is a substantial clinical experience with safety

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for this product, given its long history of marketing
2 and its extensive use, clinical use.

3 In this side of the graph is where we come
4 to the body of evidence that might be available to
5 support a conclusion that in this particular situation
6 there is evidence of effectiveness starting with in
7 vitro activity of the product, data from a relevant
8 animal model, and then linking that information to our
9 understanding of human pharmacokinetics and the animal
10 pharmacokinetics to a prediction of clinical
11 effectiveness.

12 With that I'll close and then have Dr.
13 Meyerhoff actually present the body of the FDA
14 presentation.

15 DR. MEYERHOFF: Thank you.

16 As mentioned, I'm going to be presenting
17 the perspective of the FDA Scientific Review Team on
18 this application. I'm going to touch on a number of
19 areas that have already been discussed in considerable
20 detail this morning, but I'm going to focus on aspects
21 that are particularly pertinent to the regulatory
22 review.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next slide, please.

2 Firstly, I'd like to go over certain
3 aspects of inhalational anthrax as a disease and then
4 look at drugs to treat this, focusing on their
5 regulatory status; made a few points about the
6 microbiology of the anthracis; and then turn and look
7 at what we know about the pharmacology of
8 ciprofloxacin in both the species use and the animal
9 model, the macaque and the human.

10 Lastly, I'd like to look at a number of
11 studies of post exposure prophylaxis for this disease,
12 starting with some older work that provides for us
13 something of a background as we proceed to a
14 discussion of the study under review, that is, the
15 work presented by Dr. Friedlander.

16 Next slide.

17 As you've heard, anthrax particularly in
18 its cutaneous form is a disease that's been known
19 since antiquity. The inhalational form of this
20 illness is a relatively new clinical phenomenon. It
21 was only described in the mid-19th Century in the
22 British textile industry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The common usage names of this disease,
2 wool sorters or rag pickers, attest to its industrial
3 or occupational relationship.

4 It's very rare in this country. Since
5 1900, there have been on the order of about 20 cases
6 total.

7 As you've heard in considerable detail,
8 the organs affected and the kinds of pathology that
9 result include a hemorrhagic mediastinitis with
10 subsequent involvement of various organs of the
11 reticuloendothelial system, the central nervous
12 system, and in many patients the development of a
13 sepsis syndrome.

14 Next slide.

15 Inhalational anthrax is the clinical
16 entity thought most likely to result from the
17 intentional use of aerosolized spores of B. anthracis.
18 The mortality ranges between 80 and 100 percent of
19 those with clinically recognizable disease even with
20 the administration of appropriate therapy.

21 Historically penicillins and/or
22 tetracyclines have been the drugs of choice. There

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are some recent reports of bioengineered strains of
2 this organism that have been penicillin and/or
3 tetracycline resistant.

4 Next slide.

5 When we look at the status of agents
6 approved for use here in the U.S., there is no drug
7 approved for the prophylaxis of inhalational anthrax.
8 There are drugs of the penicillin and tetracycline
9 classes that do have indications for the treatment of
10 clinical disease due to B. anthracis.

11 I think it's noteworthy to point out that
12 any program for large scale use of an agent in either
13 a civilian or a military population requires an
14 approved NDA indication or an IND application with the
15 FDA. This is in contrast with the practice of
16 medicine, which FDA does not regulate and has no
17 jurisdiction over the choices an individual physician
18 makes to treat an individual patient under his or her
19 care.

20 Next slide.

21 As you've heard earlier, cipro was first
22 approved for use in the U.S. in 1987, and that was the

1 oral tablet form. There are currently 17 approved
2 indications for this drug, and these include lower
3 respiratory tract, complicated interabdominal and bone
4 and joint infections, pertinent because either the
5 site or the duration of treatment has some relevance
6 to the indication we're discussing today.

7 Cipro is also approved for use in another
8 infection of the reticuloendothelial system, and that
9 is typhoid fever.

10 Use data in the U.S. suggests that the
11 drug has been used by upwards of 100 million patients,
12 and as we heard earlier from Bayer data, probably
13 about 250 million worldwide have used the drug.

14 Next slide, please.

15 The approved doses of the oral form range
16 between 100 and 750 milligrams of cipro, usually dosed
17 at a 12 hour interval. The proposed regimen for
18 anthrax prophylaxis for adults is 500 milligrams every
19 12 hours; for children, ten to 15 milligrams per kilo,
20 same interval.

21 The duration of drug administration
22 proposed is 60 days.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next slide.

2 B. anthracis, as you have heard, is a
3 spore forming, Gram positive rod. It germinates into
4 the vegetative of pathologic state under certain
5 environmental conditions.

6 The vegetative state is conferred
7 virulence by both its capsule and the production of
8 certain toxic factors, protective antigen, edema
9 factor, and lethal factor.

10 Generally this organism in its vegetative
11 state is susceptible to both penicillin and
12 tetracycline. Naturally occurring isolates, however,
13 do exhibit about three percent of the time penicillin
14 resistance.

15 As I've mentioned earlier, there has been
16 some recent reports of resistant strains, strains that
17 are resistant to these two traditionally active
18 agents.

19 Next slide.

20 This application included information on
21 antimicrobial susceptibility testing in two series of
22 B. anthracis isolates. The total number of isolates

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was upwards of 90. I'm presenting data here from the
2 larger series of 70 strains because it is
3 representative of the entire population.

4 These are a mixture of clinical and
5 laboratory isolates. They come from geographically
6 diverse sources, from animal and human patients.

7 I think inspection of this table gives us
8 a feel for the potency and susceptibility for
9 ciprofloxacin in comparison to these other
10 traditionally active agents.

11 As you can see looking at the MIC-90
12 values, the MIC-90 for these 90-odd strains for
13 ciprofloxacin is just one dilution less, and that is
14 .06 micrograms per mL.

15 I'm going to turn now to a discussion of
16 cipro pharmacology. We are going to look at some data
17 expressing serum concentrations first in the macaque,
18 and this is data taken from the animals studied in the
19 experiment described by Dr. Friedlander, and then
20 we'll look at some data from human populations as
21 well.

22 Next slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 As you heard earlier, the work conducted
2 by Dr. Friedlander's group included several cohorts of
3 ten macaques each that received various
4 antimicrobials. These data are from the ciprofloxacin
5 receiving cohort that were exposed to aerosolized
6 spores and then administered ciprofloxacin for 30
7 days.

8 These peak concentrations were taken at
9 various points after steady state had been reached and
10 show that peak levels ranged somewhere between 1.5 and
11 two micrograms per mL. The Y axis here is a log scale
12 of cipro concentration. The X axis, the actual days
13 at which the sampling took place.

14 The pink line across the bottom is the
15 MIC-90 for B. anthracis, and that's .06 here. That is
16 for the series of strains submitted in this
17 application. This is not from the strains described
18 by Dr. Friedlander which has a slightly higher MIC of
19 .08.

20 Next slide, please.

21 This slide presents data on trough
22 concentrations in the same animals in a very similar

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fashion. Again, log scales, cipro concentration on
2 the Y axis, various sampling points after steady state
3 has been reached.

4 The mean trough concentrations are roughly
5 ten percent of the peak ranging between .15 and .2
6 micrograms per mL. The pink line, again, the MIC-90
7 of the organism.

8 Next slide, please.

9 This table presents pharmacokinetic data
10 from three populations of interest following the oral
11 administration of ciprofloxacin and the achievement of
12 steady state.

13 The first population is the monkeys that
14 were studied in the model of inhalational anthrax that
15 we have been hearing about this morning.

16 The second population is human adults who
17 have received a regimen of ciprofloxacin that is the
18 one in the proposed label for post exposure
19 prophylaxis of anthrax.

20 Similarly, the third population is human
21 pediatric data that's from cystic fibrosis patients
22 also receiving a dose that is in the proposed label 15

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 milligrams per kilo.

2 One thing I would point out is that the
3 monkeys studied in the experimental model received a
4 loading dose. Their first dose was twice the repeat
5 dose they subsequently received. So 250 milligrams
6 followed once, followed then by 125 milligrams every
7 12 hours for 30 days.

8 Inspection of the C-maxes shows that these
9 are reasonably close, but note that the human
10 populations without receiving the loading dose
11 actually achieve higher peaks than the macaque. For
12 the two populations for which we do have trough data,
13 the monkey and the human, these are quite comparable.

14 Next slide.

15 This is a graphic presentation of those
16 same data showing only the peaks. A very similar
17 structure to the slides I've been showing earlier.
18 the Y axis is the log scale of cipro concentration.
19 Along the X we just have the individual populations.

20 Visual inspection shows that these are
21 quite comparable levels, and again, we have the MIC-90
22 at the bottom.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This is a graphic presentation of the
2 trough data for the two populations for which those
3 data are available.

4 In a number of these slides I have been
5 showing data about drug exposure compared with what we
6 have seen of drug susceptibility in the in vitro
7 testing submitted in this package.

8 These are not formal models of
9 pharmacokinetic/pharmacodynamic testing. Those don't
10 exist for ciprofloxacin with B. anthracis. But I
11 think if we give a little bit of thought to what we
12 know about fluoroquinolones and what we're seeing
13 about this organism and about this drug, we can
14 develop an idea of what drug exposure is relative to
15 organism susceptibility.

16 Fluoroquinolones as a class exhibit
17 concentration dependent kill rather than time
18 dependent killing.

19 A few different parameters have been
20 looked at, and I think that Andy Verderame mentioned
21 these in some detail when he started talking about AUC
22 to MIC ratios and C-max to MIC ratios as a way of

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 looking at a model that might predict clinical
2 outcome.

3 We only have C-max data here. So that's
4 what I'm going to talk about.

5 Some early work in Gram positive systems
6 suggest that a ratio of C-max to MIC values that
7 reaches or exceeds ten is a desirable range.

8 When we look at the data for ciprofloxacin
9 peak levels compared to the MIC-90s for B. anthracis,
10 we see that in the macaque the cipro peak is
11 approximately 33 times the MIC-90 for B. anthracis.
12 In the human the peak is about 50 times the MIC-90.
13 This is using the value of .06.

14 If we use the value of .08, which was the
15 MIC for the organisms studied in Dr. Friedlander's
16 model, the ratio for humans is about 37 times the MIC.

17 Next slide, please.

18 I want to turn now and look at some early
19 work in inhalational anthrax, specifically at post
20 exposure animal models, but before I do that, I think
21 it's helpful to look back at two early theories of
22 pathogenesis of this disease.

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Theory number one is what I'm calling the
2 persistent spore theory. Following the inhalation of
3 aerosolized spores and their deposition on the
4 pulmonary epithelium, it was thought that pulmonary
5 macrophages would phagocytose these spores, convey
6 them through the mediastinal lymph nodes, and
7 somewhere in that process the spores would germinate
8 to the vegetative state, elaborate toxin, and start to
9 cause the pathologic changes that we ultimately
10 associate with clinical disease.

11 Theory number two was one of acute
12 bacterial infection, and that suggested germination at
13 a much earlier stage in the course of exposure to B.
14 anthracis spores which was thought to gain a portal of
15 entry into the deeper pulmonary tissues by an erosion
16 of the bronchial mucosa.

17 Once in the pulmonary parenchyma, it was
18 thought that spores would rapidly germinate, elaborate
19 toxin, and produce their early pathology in the lung
20 tissue itself.

21 Next slide.

22 Work undertaken by Henderson and

1 colleagues in the U.K. in the 1950s attempted to
2 address these two divergent theories of pathogenesis
3 by administering an antimicrobial, penicillin,
4 following exposure of macaques to aerosolized spores
5 of B. anthracis.

6 Their hypothesis was that if the
7 persistent spore theory were the operative one,
8 animals would only be protected from morbidity and
9 mortality for as long as they received the
10 antimicrobial.

11 Henderson's group performed a controlled
12 experiment where following exposure, macaques received
13 either five, ten or 20 days or nothing of penicillin.

14 If we look at the survival curves, which
15 we will in a minute, of these four cohorts, we can see
16 that they all seem to have the same slope as the
17 control animals, that is, there's a precipitous drop
18 in survival.

19 And perhaps the one conclusion we can draw
20 from the administration of these relatively short
21 courses of penicillin is that there main effect is to
22 only delay death rather than prevent it.

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next slide, please.

2 These are the four survival curves of the
3 four cohorts studied by Henderson's group. I'd just
4 point out that both of these Y axes are the numbers of
5 survivors. The X axis is the number of days following
6 exposure.

7 The left-most and vertical-most survival
8 curve is the control animals. None of them survived
9 beyond eight days, but I think if we just inspect the
10 curves for Groups A, B, and C, there is a parallel
11 quality to them. Animals die quite quickly, but we
12 can also see that the time of death is delayed
13 somewhat proportionally to the duration of
14 antimicrobial administration.

15 Next slide.

16 Another concept that was explored by
17 Henderson's group has been discussed in some detail
18 this morning. I'd just like to present it in a
19 slightly different form here for the purposes of the
20 discussion we're going to develop.

21 Looking at a number of the exposed
22 macaques that were sacrificed at certain points

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 following exposure, I think there were 50 or so
2 animals that were studied in this fashion. This group
3 demonstrated that the proportion of retained spores in
4 the lung fell over time. As we got out to periods 75
5 and 100 days following exposure, the percent of the
6 original retained load was becoming smaller and
7 smaller.

8 Next slide.

9 This concept was looked at from a slightly
10 different perspective by Joan Ross, who published her
11 work in 1957. Using a guinea pig model of
12 inhalational anthrax, she noted that the number of
13 spores that were reaching the regional lymph nodes in
14 the mediastinum were markedly less than the number
15 that were deposited on the pulmonary epithelium.

16 She developed a differential staining
17 technique which permitted her to distinguish various
18 stages of spore development and the vegetative state.

19 Doing a number of morphologic studies, she
20 proposed a number of different modes of spore exit
21 from the lung. One was the theory of pathogenesis
22 that we have seen being tested and looking more and

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 more like the operative theory, and that is the
2 phagocytosed spore being transported to the regional
3 lymph node by the pulmonary macrophage.

4 She also noted phagocytosed spores that
5 passed into bronchials and were presumably cleared
6 from the lung via the airways, perhaps coughed up.

7 Lastly, she described spore ghosts that
8 were halted in their development inside of the
9 phagocytic cell and proposed that some proportion of
10 spores are actually destroyed by the phagocyte.

11 Next slide.

12 I'm now going to move on to a discussion
13 of our analysis of the work done by Dr. Friedlander
14 and presented in great detail by him earlier. I just
15 want to review a couple of salient points to help
16 sort of follow through this discussion.

17 If you will remember, there were six
18 groups of ten animals each, all of which were exposed
19 to loads of aerosolized spores. Four of these groups
20 received 30 days of antimicrobial following exposure.
21 These groups received^{**} either ciprofloxacin,
22 doxycycline, penicillin, or doxycycline plus vaccine.

1 There were two additional groups, one of
2 which received vaccine only, the other which received
3 control, saline.

4 We're going to discuss these results using
5 two different analyses. Firstly, we'll look at
6 survival following the aerosol challenge for the
7 period ranging from day zero to 120.

8 As you heard Dr. Friedlander describe,
9 there was a second challenge phase to this experiment
10 that started around day 130, and I'm separating out
11 and talking only about the period up to day 120 at
12 this point.

13 We'll also look at mortality rates in two
14 different populations at two different points in the
15 study, and I will clarify the details of those as we
16 get to them.

17 Okay. Next slide.

18 This is a simplified survival curve based
19 on the one published by Dr. Friedlander's group in the
20 1993 publication included in your briefing package.
21 It shows the survival curves for both the control and
22 cipro animals. Survival is presented as proportional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 survival on the Y axis and days post exposure on the
2 X axis.

3 There are two heavy vertical lines on this
4 slide depicting important points in this study. One
5 is day 30, the cessation of antimicrobial
6 administration. The other is day 90, which was the
7 prospectively defined efficacy endpoint or what might
8 also be called the test of cure time point.

9 I think from inspection we can see that
10 there are two different shapes to the survival curves
11 here. The control animals look very much like the
12 control animals in the Henderson experiment, steep,
13 steep drop-off, poor survival.

14 The animals that received cipro have a
15 flatter and more successful looking survival curve.

16 Now, we can see that there are three
17 deaths in the cipro cohort, and those have been
18 discussed already. I just want to go over again
19 briefly what those deaths represent.

20 There's one cipro death in this
21 population, and that is the middle X. This is an
22 animal that died of anthrax at day 36, that is, six

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 days following exposure.

2 There were two non-anthrax deaths in this
3 population, as well. One animal died at day five from
4 a drug administration accident. A drug was introduced
5 into the airway, and one animal died at day 103, found
6 to have urinary tract obstruction.

7 This second and third animal were examined
8 both microbiologically and histologically and found
9 not to have evidence of anthrax.

10 Okay. Next slide.

11 You've seen this one already, too. This
12 is a summary set of survival curves for all six
13 cohorts. I think, again, we can see there are two
14 types of survival curves, the very steep ones
15 presenting the data for the control and the vaccine
16 only animals.

17 The four curves representing survival for
18 animals that received 30 days of antimicrobial all
19 show markedly better outcome.

20 The anthrax deaths in any of these animals
21 all occurred between days 30 and 60 post exposure.
22 There were three anthrax deaths in the penicillin

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cohort at days 39, 42, and 50. There was one anthrax
2 death in the doxycycline group at day 58.

3 Next slide.

4 The next two analyses I'm going to show
5 present similar concepts as have been shown in the
6 survival curves. If we look at an intent to treat
7 analysis that goes out to 130 days post exposure and
8 we look at all animals, all deaths, what we can see is
9 that the survival rates of any animals who received an
10 antimicrobial were statistically significantly better
11 than those animals that did not receive an
12 antimicrobial, that is, the control animal, so those
13 who received vaccine only.

14 Inspection of the P values or the 95
15 percent confidence intervals around the differences
16 between the treatment group and the control
17 demonstrate the significance of these differences.

18 Next slide.

19 If we look at an analysis of the evaluable
20 population of animals and look only at deaths due to
21 anthrax, a similar conclusion can be reached. I'd
22 like to point out that the evaluable populations for

1 two of these cohorts only contain nine rather than ten
2 animals. In the ciprofloxacin group, there was the
3 animal that died at five days because of a drug
4 administration accident. This animal was considered
5 unevaluable for the 90 day test of cure period.

6 Similarly, there was an animal that died
7 in the doxy plus vaccine group, was found not to have
8 anthrax, did not complete the 90 day study period, and
9 therefore, was also considered unevaluable.

10 Calculation of the anthrax death rates
11 shows us, again, that for any group that received 30
12 days of antimicrobial, there is a statistically
13 significant better survival than those animals in the
14 control group.

15 Okay. Next slide.

16 When we think about giving a drug for post
17 exposure prophylaxis of this disease, an underlying
18 question that arises very quickly is how long do we
19 give the drug for.

20 From the early work of Henderson's group,
21 we can see that a regimen of five, ten, or 20 days is
22 too short. From the work of Dr. Friedlander's group,

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a 30 day regimen certainly looks better.

2 At the same time, we need to consider that
3 in that ten monkey cohort that received ciprofloxacin,
4 there was one anthrax death six days following the
5 cessation of therapy.

6 Now, we've seen a couple of other lines of
7 evidence suggesting that spore loads decrease over
8 time, and another way we might approach this duration
9 of drug administration question is to ask if there is
10 some spore load that can be tolerated by the human
11 host such that the risk of disease is minimal. Is
12 there a floor to the spore load?

13 Okay. Next slide.

14 There is some work in human epidemiologic
15 studies that might give us some insight into the
16 answer to this question. Published accounts of this
17 Sverdlovsk outbreak of inhalational anthrax in 1979
18 state that the longest incubation period of a fatal
19 case is 43 days.

20 Now, I think we do want to note Patient
21 No. 42, who was mentioned earlier this morning. This
22 is a man who was found dead of inhalational anthrax

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about 60-odd days following exposure. The nature of
2 his exposure and when he was exposed is not known.

3 There are other data looking at industrial
4 exposures in non-immunized mill workers who have been
5 found to inhale somewhere between 150 and 700 anthrax
6 contaminated particles of a clinically relevant size
7 every shift, and yet clinical disease in this
8 population was quite rare.

9 Now, it might be reasonable to wonder if
10 a population like these mill workers who are exposed
11 to repeated low level organism loads might have some
12 form of protection not conferred on a completely naive
13 individual who is only exposed in a single, large
14 aerosol dose.

15 This was looked at also in a separate
16 group of studies which showed that the likelihood of
17 development of anthrax in textile mill workers was
18 independent of the duration of their employment,
19 suggesting that the longer time you spent in the mill
20 did not necessarily provide you with protection from
21 disease.

22 Okay. Next slide.

1 So with inhalational anthrax, we have a
2 rare, rapidly progressive disease with very high
3 mortality. There is little opportunity to improve
4 outcome with treatment once the clinical disease is
5 recognized for what it is.

6 This organism has also been identified --
7 this disease -- excuse me -- has been identified as a
8 clinical manifestation of a biological agent of
9 highest potential concern.

10 Next slide.

11 There is currently no drug approved for
12 prophylaxis of this disease. It can't be studied in
13 humans, and we've seen a discussion of a non human
14 primate model that demonstrates a similar pathology
15 and mortality as has been seen in humans.

16 Next slide.

17 What have we learned about ciprofloxacin?
18 Post exposure administration in a primate model of
19 this disease was shown to significantly improve
20 survival compared with placebo. Comparable blood
21 levels can be achieved with the dose used for
22 successful prophylaxis in the primate model of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inhalational anthrax with 500 milligrams administered
2 every 12 hours to human adults and with 15 milligrams
3 per kilo administered every 12 hours to children.

4 Blood levels achieved in experimental
5 animals and humans are roughly 30 to 50 times the MIC-
6 90 of the organism.

7 What we have seen of use and safety data
8 for ciprofloxacin show us that it has a broad array of
9 indications with substantial clinical experience and
10 a well characterized and large safety database.

11 Next slide.

12 We might think of prophylaxis as an effort
13 to reduce the risk of disease. From the animal model
14 results that we have looked at this morning, we saw
15 that ciprofloxacin survival was better than placebo
16 following a 30-day regimen. Human epidemiological
17 data suggests the duration of drug administration
18 might be at least 45 days.

19 The duration of the proposed regimen is 60
20 days.

21 Next slide.

22 Question number one for the committee is:

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do the data presented support the safety and efficacy
2 of ciprofloxacin for post exposure prophylaxis of
3 inhalational anthrax?

4 Question number two: if yes, is 60 days
5 an appropriate duration of ciprofloxacin
6 administration for this indication?

7 In closing, I would like to acknowledge
8 the substantial work of my colleagues on the review
9 team and the tireless efforts of our project managers.

10 Thank you.

11 CHAIRMAN RELLER: Thank you, Dr.
12 Meyerhoff.

13 Are there any questions before we break
14 for lunch for Drs. Meyerhoff or Chikami? Yes, Dr.
15 Archer.

16 DR. ARCHER: Dr. Chikami said early on
17 that programmed for large scale use of these drugs in
18 civilian or military personnel required an approved
19 NDA. Does that mean that penicillins and
20 tetracyclines, which only have a treatment indication,
21 cannot be used as prophylaxis?

22 DR. MEYERHOFF: If they are going to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 shipped across state lines.

2 DR. ARCHER: Meaning?

3 DR. MEYERHOFF: That they are not approved
4 for that use, and that would be the activity we would
5 regulate.

6 DR. ARCHER: Is there any reason why then
7 they haven't been brought forth at the same time as
8 cipro, to get an indication for prophylaxis?

9 DR. MEYERHOFF: I don't know the answer to
10 that.

11 DR. ARCHER: You brought them forth. Why
12 didn't you bring doxycycline up as well as cipro?

13 DR. MEYERHOFF: Gary, would you like to
14 answer that?

15 DR. CHIKAMI: Yeah, I guess I'll answer
16 that question.

17 (Laughter.)

18 DR. CHIKAMI: I guess as we've interpreted
19 the treatment indication for penicillin and
20 doxycycline, in fact, in those situations, we've
21 interpreted that indication broadly so that in our
22 discussions we felt, and Dianne can correct me if I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wrong, we felt that, in fact, in those situations an
2 IND wouldn't be required.

3 DR. ARCHER: So, in fact, for this use it
4 would be considered -- treatment and prophylaxis would
5 be considered equal in the case of the drug labeling?

6 DR. CHIKAMI: That's how we've considered
7 the situation for penicillin and doxycycline, and part
8 of that is the historical nature of those indications.
9 Those drugs were approved in the case of penicillin in
10 the probably mid to late '50s, in the case of
11 doxycycline in the '60s and '70s when indications were
12 written quite broadly without attention to detail in
13 regard to differentiation between prophylaxis and
14 treatment, and products were given broad treatment
15 indications based on data which were essentially case
16 series.

17 So that given the broad clinical use of
18 those products clinically and also clinically for the
19 treatment of anthrax, not specifically inhalational
20 anthrax, as you've heard, again, we've taken sort of
21 a broad interpretation of those indications.

22 DR. ARCHER: So just one more follow-up.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So as an agency, governmental agency, would you
2 consider ciprofloxacin, tetracycline, penicillin equal
3 for this indication if you were being asked to give
4 recommendations?

5 DR. CHIKAMI: Well, I think based on the
6 information that we have in hand and looking at the
7 data, I can't differentiate either certainly increased
8 efficacy of one over the other. I think there are
9 specific considerations that may lead you to choose
10 one product over the other in a specific situation,
11 and I think that's one of our purposes in bringing
12 this forward, is to provide another alternative to the
13 other two agents which have long historic use.

14 CHAIRMAN RELLER: At this point I'd like
15 to suggest that it's exactly 12:45, that we break for
16 lunch for one hour. There will be time to pursue all
17 of these questions in relation to addressing the
18 charge to the committee.

19 Please be back at 12:45 to begin the
20 public -- excuse me -- 1:45 to begin the public
21 hearing.

22 Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One more thing. If you follow either
2 hallway, for those who are not familiar with this
3 building, you will end up in the cafeteria on this
4 floor.

5 (Whereupon, at 12:47 p.m., the meeting was
6 recessed for lunch, to reconvene at 1:45 p.m., the
7 same day.)

8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(2:07 p.m.)

CHAIRMAN RELLER: It's now time for the open hearing. We have one scheduled speaker, and this would be the appropriate time for relevant remarks, comments, raising of issues, but not the specific directing of questions to individual members of the panel, but the issues that would be considered in the subsequent discussion of the members of the Advisory Committee.

Also, as was done earlier with the members of the Advisory Committee, in fairness we ask that anyone speaking if they have a previous financial involvement with the sponsor or any other relevant financial disclosures to make, to please do so.

Thank you, and the public hearing is now open.

First we'll have Dr. Itzhak Brook speak.

DR. BROOK: Good afternoon. I'm Itzhak Brook from the Armed Forces Radiobiology Research Institute. I'm a past Chairman of this committee from 1984 to '88, and I really am happy to address it again

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on a topic that I think is very pertinent, which is
2 the resistance of Bacillus anthracis to antibiotics.

3 Some of them are being discussed today.
4 It's obvious that we need to recognize the importance
5 of the possibility of the development of resistance or
6 selection of resistance during the treatment.

7 We have done some work, and some of it has
8 been published a few months ago and some has not yet
9 in trying to predict in an in vitro manner the
10 subsequent induction of resistance to Bacillus
11 anthracis, by Bacillus anthracis to the antibiotic
12 that may be used for prophylaxis and treatment.

13 The method that we used has been tested
14 before against other organisms, for example,
15 streptococcus pneumonia, hemophilus influenza, by a
16 variety of researchers. The most noted group that has
17 done a lot of work is Dr. Appelbaum and Jacobs' group,
18 and what we did is in vitro growing the organism in a
19 sub-inhibitory concentration and selecting the first
20 growth of the organism in the in vitro system and then
21 sub-culturing it in another series of sub-cultures,
22 and doing it seriously, seriously sub-culturing it for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 21 sub-cultures.

2 And it's possible actually to extend it
3 further than that, but what we did was sub-culturing
4 it for 21 sub-cultures, and what we found is, and as
5 you can see here, with an ofloxacin is that there was
6 an initial, very low, minimal inhibitory concentration
7 of about 1.25, but at about sub-culture number seven
8 and eight, one strain -- we did it in duplicates --
9 stayed -- each of the strains doubled their MIC. One
10 of them, the number 15 sub-culture, continued to
11 increase its resistance, and by sub-culture 20
12 resistance was more than 3.2 micrograms per mL.

13 Next one.

14 Next we or simultaneously we looked also
15 at ciprofloxacin. Here the MIC was also quite low,
16 and here, too, about one of the strains at about sub-
17 culture number five tripled its resistance, and
18 another jump in resistance of both strains occurred at
19 sub-culture 12 and another one at sub-culture 18, to
20 end up in an MIC of 3.2.

21 We looked also at doxycycline -- I'm sorry
22 -- at trovofloxacin (phonetic), and we looked at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 altro derivative, and here too with this new
2 quinolone, the MIC was low, but again by sub-culture
3 eight, nine there was a quadrupling of the resistance,
4 and then afterwards at the number 12, the resistance
5 was quite high.

6 We also looked at the possibility of
7 cross-resistance between quinolones. We took the
8 strain that became resistant to ciprofloxacin and
9 tested it against gatifloxacin and it was cross-
10 resistance. The strain that was resistant to
11 ciprofloxacin was not affected in vitro by a newer
12 class of quinolone, gatifloxacin.

13 In doxycycline, we saw very little change
14 in resistance, only one tube dilution difference. The
15 initial MIC was 0.025, a jump to 0.05 at the ninth
16 transfer, and there was an increase to another tube to
17 0.1, but it did return back, and then again one strain
18 stayed at 0.1. The other one is 0.5, which are
19 clinically attainable concentrations.

20 However, with all of the quinolones that
21 we've showed you so far, the subsequent resistance was
22 about the same concentration that is achievable in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 serum.

2 Next.

3 We are also right now looking at
4 gatifloxacin. Unfortunately we just started to do it
5 a short time ago, but at least by the eighth dilution
6 there was one move. There was a change initially to
7 doubling the MIC, and I think what has concerned us
8 the most is that a strain that was becoming resistant
9 to cipro also showed resistance to gatifloxacin.

10 So we would not be surprised if that would
11 occur at later subcultures.

12 So this is the data that I wanted to show
13 you, and I think that whatever consideration the
14 committee would take in assessing the usefulness of
15 the quinolones, this kind of information has to be
16 taken into consideration that there is a possibility
17 of selection of resistant organism.

18 The question, of course, is how likely is
19 it to happen in clinical practice, and again, I don't
20 have any way of answering that, but I think from
21 looking at other organisms, that type of test does
22 have the potential of predicting what may happen in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the future in clinical use of the drugs.

2 And just before finishing, I just want to
3 bring more of a question to the members of the
4 committee. The question that I brought earlier was
5 whether there were any clinical trials in looking at
6 ciprofloxacin or other quinolones in sporadic cases of
7 Bacillus anthracis infection that occurs in many
8 countries around the world.

9 Thank you.

10 CHAIRMAN RELLER: Thank you, Dr. Brook.

11 Are there any other persons who wish to
12 present comments to the committee for consideration in
13 their discussions?

14 (No response.)

15 CHAIRMAN RELLER: If not, the public
16 hearing is closed.

17 It's time for then the break on the
18 agenda, which we've already just taken.

19 (Laughter.)

20 CHAIRMAN RELLER: And, Dr. Chikami or Dr.
21 Meyerhoff, do you want to formally present the charge
22 to the committee or we'll just go ahead and address

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the questions?

2 DR. SOPER: Barth, can I just make one
3 statement or comment?

4 CHAIRMAN RELLER: Sure, Dr. Soper.

5 DR. SOPER: As Dr. Brook has pointed out,
6 it's pretty easy to induce resistance in these
7 microorganisms, and I'm not an expert in bioterrorism,
8 but if I was going to use this microorganism as an
9 agent for bioterrorism, why in the world would I use
10 one that was sensitive to penicillin, doxycycline or
11 ciprofloxacin? And how is this element of prophylaxis
12 relevant?

13 In other words, if you are using an -- if
14 you know what the agent is sensitive to, why would you
15 develop a bioterrorist agent that was sensitive to
16 anything that somebody has to counteract it?

17 DR. CHIKAMI: I guess I certainly wouldn't
18 consider myself an expert in sort of strategic
19 planning of developing a biological weapon. I guess
20 my own perspective on this issue is that given the
21 information that we have in hand and the overall
22 motivation, that is, to provide what we view as at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this point in time reasonable alternatives to a
2 potential response to this issue, that -- and this is
3 what I've sort of decided in my own thinking -- is
4 that understanding that a completely resistant
5 organism is a risk in this situation, even given that
6 scenario, what we understand about the usual
7 antimicrobial susceptibility patterns of the organism
8 and the data that we have in hand, is it reasonable to
9 consider -- to determine that this agent or
10 penicillin, whatever, this agent is reasonably likely
11 to be useful in that situation, understanding that as
12 with the treatment of any infectious disease, once the
13 situation arises, the final determination of the use
14 of an agent will be based on susceptibility testing.

15 I mean I think that's all we can do in
16 this situation.

17 DR. MURPHY: I want to try and clarify the
18 prior question also. Basically, at this point, as I
19 tried to indicate in the introduction, we feel that if
20 one needs to utilize the other two products that have
21 been presented, the class of cillins and tetracyclines
22 or doxycyclines, that one has that organism therapy in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 those -- treat those anthrax in the label at this
2 time, and that we would have no difficulty with that
3 product being shipped for the treatment of that
4 organism.

5 Now, the next question is: well, why do
6 we ask this company to come in with this product? And
7 that the reason is that we understand that we have no
8 indication in the label for this organism in this
9 product. We also understand that resistance could be
10 something that a terrorist might do, and that the
11 knowledge that we're aware of is that there are
12 organisms, anthrax organisms that have been altered to
13 be penicillin and tetracycline, doxycycline resistant.
14 Do that mean that they couldn't also be cipro
15 resistant? Clearly they could be if somebody wants to
16 make them.

17 Our goal today is to provide another
18 option, and we want the committee to consider does the
19 evidence that we have brought forth support providing
20 an indication in this label so that there would be an
21 additional option to therapy.

22 I think in any situation the

1 recommendation would be if you knew the sensitivities,
2 you'd treat it with a drug that you knew it was
3 sensitive to. It is the concept of trying to have a
4 number of options available.

5 CHAIRMAN RELLER: I might add yesterday in
6 an open public meeting at the Microbiology Devices
7 Panel of the Food and Drug Administration, experts
8 from the Department of Defense, the CDC, and academia,
9 others addressed the issues of the latest and best
10 technology, what would be done to rapidly recognize an
11 exposure that would put the public or individuals at
12 risk and the mechanisms for rapidly confirming,
13 including susceptibility testing.

14 And actually this was mentioned in the
15 Bayer presentation of an important component if such
16 a tragic event were to occur is to delineate what
17 might be an altered organism and then take the
18 appropriate steps thereafter.

19 I think it would be effective to at this
20 time, since there were some possible lingering
21 questions, while all of the invited guests, experts
22 with extensive experience, that this would be an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 opportunity for the voting members of the committee to
2 raise those questions, and basically it's quite
3 straightforward, the questions being asked of us, and
4 we will vote yes or no with the best advice that we
5 can give to the agency about this application based on
6 the data available.

7 But now would be a superb time with the
8 invited experts to raise any -- to seek any additional
9 information that the committee members would like to
10 have before they vote on the questions at hand.

11 David.

12 DR. SOPER: I have just one question about
13 the duration question. It seems to me there was that
14 one case that was 43 days and no further -- why are we
15 going 60 instead of, say, 45 days? I mean is there
16 some sort of standard deviation or this is our best
17 guess?

18 CHAIRMAN RELLER: Mr. Verderame?

19 MR. VERDERAME: The reason that Bayer
20 chose to propose 60 days' duration was honestly based
21 on the working group consensus statement which was
22 published in JAMA and which those experts recommended

1 60 days.

2 CHAIRMAN RELLER: I think it's beyond the
3 latest recognized with a few days' margin to come to
4 an even number.

5 Thank you.

6 Dr. Deitchman and then back to Dr. Walker.
7 Yes, please.

8 DR. DEITCHMAN: Thank you.

9 I'd like your indulgence to finish a
10 question that I didn't get a chance to ask before we
11 broke for lunch, and that was to help me understand
12 how this NDA, if approved, would relate to this
13 question of prophylaxis versus treatment of clinical
14 disease, particularly since it seems to me that in a
15 patient with a known or presumed exposure who presents
16 with flu-like symptoms, at that point you're no longer
17 talking about prophylaxis. That patient is being
18 treated to prevent progression of disease.

19 So you have a spectrum that ranges from
20 prevention of first symptoms, treatment of early
21 symptoms, and treatment of overt clinical disease.
22 How would this NDA relate to approval for treatment?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Let me try this. The need to
2 treat a patient if you have a product on hand for
3 something that's not on the label is the practice of
4 medicine, and as indicated, we would not regulate
5 that.

6 We are not -- we don't think that we would
7 have the opportunity to study all various
8 manifestations of this organism as a disease. We need
9 the ability to say that we have the indication to
10 treat what we think will be the most common situation.

11 Could be wrong. You're right, but it is
12 the thought at this time that if this organism was
13 used, it would be used as an inhalational event, and
14 that if product were needed, it would be sent to treat
15 the population that had been exposed.

16 Now, if people have a fever are we going
17 to say they can't have the medicine because the doctor
18 there would not be able to give them the therapy at
19 that point? Certainly not. It is the ability to have
20 an indication that would be for the treatment of this
21 or the prophylaxis exposure that would allow us to
22 have this product.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DEITCHMAN: And I guess to amplify on
2 that point, what you've described is a situation of
3 post exposure prophylaxis. There's a very fine line
4 between what you might consider early treatment as
5 opposed to post exposure prophylaxis, and that's sort
6 of the territory we're in as opposed to primary
7 prophylaxis, which is not what we're talking about.

8 DR. MURPHY: And we had a number of
9 discussions about how we would describe this, and I
10 think you all are struggling. What we're trying to
11 relay is that the intent is where do we think it's
12 going to be used. Where do we think we have the most
13 information? That's what we would label the product
14 for.

15 And people would use the -- as always, the
16 physician would have discretion to use it as they
17 needed to.

18 CHAIRMAN RELLER: Dr. Archer.

19 DR. ARCHER: Can I just add a possible
20 scenario? I'm trying to get an idea of how this would
21 work.

22 What if somebody calls up, for instance,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a local television station in Washington and says, "I
2 am Joe Schmo, and I've just released anthrax into the
3 Washington area"? At what point does this trigger a
4 response, and what is the response of the appropriate
5 agencies going to be in terms of what antibiotic to
6 recommend for immediate post exposure prophylaxis?

7 DR. MURPHY: The FDA is not deciding
8 that.

9 DR. ARCHER: Well, they might turn to you
10 for recommendations though on which antibiotic is --

11 DR. MURPHY: There will be -- there are
12 recommendations, and I think the CDC is very much
13 involved with that, and we really are trying to stay
14 clear of what is stockpiled for what by whom. We're
15 simply saying we know what the products are that will
16 possibly be recommended, and we need to be able to
17 look at whether we can make them available or not.

18 So that's sort of the task that we have
19 before us today. We would not be telling the DOD or
20 anybody else that they should ship this or shouldn't
21 ship that.

22 CHAIRMAN RELLER: Along those lines,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 again, from the meeting yesterday, I mean, there's an
2 interagency including working with the Infective
3 Diseases Society of America and others that led to
4 that statement, but CDC, the Department of Defense,
5 civilian authorities, police departments, their
6 federal grants, you know, for preparedness for this
7 and other public health emergencies, and again, the
8 question that we're going to be dealing with is based
9 on the scientific evidence that we have heard this
10 morning and is available in the literature for the
11 purpose of recommending or not to the agency that
12 ciprofloxacin be approved for use in the setting of
13 inhalational exposure and for prophylaxis after that,
14 most plausibly associated with a bioterrorism event,
15 but it theoretically could be in other situations
16 where such a personal or public health emergency,
17 accident were to occur.

18 Yes, Dr. Takafuji.

19 COL. TAKAFUJI: Yes, this is Colonel
20 Takafuji.

21 From a DOD perspective, I think I need to
22 clarify some things. Scott is absolutely right. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 want it clearly understood by everyone that CDC and
2 DOD are really together on this issue. It's not two
3 positions, although their interest is more from the
4 standpoint of the civilian public health issues,
5 whereas ours is more from the position of our Armed
6 Forces.

7 But there is concern about stockpiling.
8 There are decisions that have to be made about
9 stockpiling, the amount, the quantity, the location,
10 all of those things that come into play. There are
11 even cost issues that come into the equation.

12 But what we are talking about clearly is
13 post exposure prophylaxis, and the word "prophylaxis"
14 has been loosely used, but it is really not our intent
15 to extend any package labeled use to the pre-exposure
16 scenario because I understand, and we have had legal
17 advice given to us as you have here at FDA pertaining
18 to that fine line in terms of pre and post exposure.
19 There are clear differences in terms of how that would
20 be interpreted and how you would have to address each
21 scenario.

22 So from the standpoint of CDC and from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DOD, if I could just kind of paraphrase and follow
2 onto what Scott said, we are clearly talking about
3 after an event has occurred, and the use there. Right
4 now without the labeled use, it really hampers our
5 ability to be able to respond, to be able to have the
6 right amount of antibiotics at the right place at the
7 right time.

8 And it doesn't make any difference whether
9 we're talking about civilians or are we talking about
10 military personnel?

11 CHAIRMAN RELLER: Yes, Dr. Chesney.

12 DR. CHESNEY: I know the information about
13 treating anthrax with penicillin and doxycycline is
14 old, but was that patients who actually had pneumonia
15 or was that based on MICs? Do you know?

16 DR. CHIKAMI: It's very difficult to
17 reconstruct that information, and given the
18 epidemiology, again, I would suspect that inhalational
19 anthrax was not included, represented very broadly in
20 those patients. Primarily cutaneous.

21 CHAIRMAN RELLER: Dr. O'Fallon.

22 DR. O'FALLON: My concern is maybe I've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 got this wrong, but I have this idea, to use your
2 thing, that somebody goes up to the top of the
3 Washington Monument and dumps some stuff out, and so
4 that would seem like unless you guys have got a pretty
5 good idea of where the winds are blowing and all of
6 that, you're probably going to have to treat an awful
7 lot of people.

8 All right. Now, some of them are
9 children. We were told -- I don't have a real clear
10 idea of the safety profile for 60-plus days of
11 treatment. We're going to be treating an awful lot of
12 people that really don't need it, but we can't
13 distinguish who they are.

14 So my first concern is that a really long
15 term toxicity profile because there are a lot of not
16 sick people that are going to get this stuff. So
17 that's a do no harm type thing. Of course, it's
18 between that and dead, you know.

19 (Laughter.)

20 DR. O'FALLON: I work in Cancer. I've got
21 that real clear.

22 (Laughter.)

1 DR. O'FALLON: But it is an issue, and so
2 the first thing I'd like to ask -- well, there are two
3 issues that are of concern. One of them is the long
4 term, really long term safety profile because when you
5 dump them all together, if only ten percent of the
6 patients have been treated long term and the other 90
7 percent were short term, the toxicity profile that you
8 see in the combination is the short term. The others
9 don't even show up on the radar screen.

10 So that's the first thing I'm concerned
11 about, and the second thing I'm concerned about is how
12 dependable is efficacy information in primates for
13 predicting for human beings because that's where our
14 data are, the efficacy data.

15 COL. TAKAFUJI: If I could just make a
16 comment, I think it should be remembered that not only
17 will you not be able to know exactly who was exposed.
18 You also will not know how much they had been exposed
19 to. So there are a lot of assumptions and there will
20 be a lot of confusion and so forth.

21 I think everyone understands that. The
22 Office of Emergency Preparedness, as you probably well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know, has been addressing this and looking in terms of
2 what's the right approach that should be taken in this
3 country, and DOD and CDC and many other agencies are
4 very much involved in that in terms of the national
5 plan of response.

6 But there will be some uncertainties, but
7 again, just to reiterate and to keep it within the
8 scope of this meeting, what we are interested in is
9 the specific indicated use that will allow us to at
10 least have the option. Otherwise we'll be writing a
11 lot of prescriptions.

12 CHAIRMAN RELLER: We have several
13 questions. One, again, of the emphases in yesterday's
14 meeting was how important it was just to confirm,
15 separate out hoax from real threat very swiftly.

16 Jonathan Moreno had a question, but maybe
17 before that because if it has to do with the safety
18 issue, there were data presented by the sponsor
19 earlier. I mean another way to look at the 90-10 was
20 a much smaller, maybe even less than ten
21 proportionately had, but there were safety data
22 presented in the subset that -- the smaller number,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but yet extensive number. Please.

2 DR. POSNER: Yeah. It might be helpful to
3 just put that overhead back up because we did break it
4 out up to 30 days, 30 to 60 days, greater than 60
5 days, and, yes, you're right. Dr. O'Fallon is right.
6 The numbers are smaller, but there are still, I
7 believe, over 100 patients and maybe beyond that.

8 There's a lot of data on that slide, I
9 know. So we'll just reshow that one if you don't
10 mind.

11 CHAIRMAN RELLER: It's an important
12 question. So we'll get this sorted out.

13 Actually while that's being found, since
14 we won't forget it, maybe rather than in a rush you
15 can take your time, find the data, and we'll hear from
16 Jonathan Moreno.

17 MR. MORENO: Thank you.

18 Stimulated both by Dr. O'Fallon's first
19 point and also by Mr. Verderame's statement this
20 morning that cipro was distributed in the Gulf War,
21 something that I didn't know until just this morning,
22 and I've been following -- I thought I had been

1 following the anthrax issue in the Gulf pretty
2 closely, has the -- and I guess this is really a
3 question for Colonel Takafuji to some extent -- has
4 the DOD satisfied itself that it's done what it can
5 with respect to a look-back to gather whatever
6 information might be available with respect to who
7 took cipro, how many people did, and what the
8 experience was?

9 COL. TAKAFUJI: As far as the data in
10 terms of how well that was tracked and so forth, I'm
11 not sure we really have that good of data, except I
12 can tell you that although it was distributed, much of
13 it was not really used. In fact, most of it; just
14 about all of it was not used because we never had the
15 incident. It was more an issue of preparedness.

16 MR. MORENO: Right. It just occurs to me
17 that if even a few hundred people used it for some
18 time --

19 COL. TAKAFUJI: I don't think we even have
20 that experience.

21 MR. MORENO: If I were a member of the
22 Advisory Committee, I guess, I would want to satisfy

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 myself that the DOD had done what it could to do a
2 look-back with respect to that population.

3 COL. TAKAFUJI: Well, cipro is a pretty
4 widely used drug. If you wanted to just collect
5 safety data on use, and you remember the use of cipro
6 would be relatively short term in that scenario
7 anyway. I'm not sure that would be the best
8 population to collect safety data on, frankly.

9 But I can tell you that from a DOD
10 perspective that a lot of thought was given into the
11 discussion to distribute ciprofloxacin, but since that
12 time we have come to realize that it's just not a
13 simple matter just passing out pills. It requires
14 everything that has to be adhered to from a strictly
15 regulatory perspective, and that's why we are
16 concerned.

17 And cipro represents one, of course, of
18 many antibiotics that could be used.

19 MR. MORENO: Thank you.

20 CHAIRMAN RELLER: Yes, Dr. Deitchman.

21 DR. DEITCHMAN: While we're waiting for
22 the visuals, perhaps one other vulnerable

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 subpopulation we haven't talked about is asthmatics
2 who are receiving theophyllines or other zanthenes.
3 Some of the material we received ahead of time talked
4 about concerns that due to competing metabolic
5 pathways these folks who then take ciprofloxacin may
6 be at risk for theophylline toxicity, and I wonder if
7 representatives from Bayer could provide us any
8 guidance on what recommendations might be made for
9 those patients in this kind of situation.

10 MR. MONTEAGUDO: Yeah, you're absolutely
11 correct. This is something that's mentioned in the
12 patient -- in the package insert for ciprofloxacin,
13 the interaction with theophylline, and theophylline
14 levels can rise with co-administration with
15 ciprofloxacin.

16 In terms of what advice to give out, I
17 think it should just be good medical judgment in terms
18 of monitoring theophylline levels or possibly making
19 a decision as to what would be the appropriate
20 antibiotic to use.

21 CHAIRMAN RELLER: Dr. Archer.

22 DR. ARCHER: What is the shelf life of

1 cipro? If it were stockpiled, how often would the
2 stockpile have to be replaced? Does anybody have that
3 data?

4 DR. POSNER: The shelf life is two
5 years -- three years. Sorry.

6 DR. ARCHER: So in terms of stockpiling,
7 you would presume that the stockpile would have to be
8 turned over every three years if it weren't used?

9 DR. POSNER: I wouldn't be able to make
10 that kind of a decision. Cipro is generally used
11 acutely. So that issue has really never come up.

12 DR. ARCHER: Can anybody speak to the
13 stockpile issue and the stability of that versus doxy.
14 and penicillin in terms --

15 CHAIRMAN RELLER: Gordon, again, you know,
16 I mean the facts are -- I mean, you know, the shelf
17 life is two years. Now, how the DOD, the CDC, the
18 national stockpile and others deal with that, you
19 know, I mean, that's not our purview.

20 DR. ARCHER: It's a curiosity question.

21 CHAIRMAN RELLER: Right. And in the
22 interest of time, yours and everybody's we'll go to

1 the safety data.

2 DR. POSNER: Yeah, we have the technology
3 working now. So maybe that's a good time to just not
4 press our luck and turn to the data that we have here,
5 and you can see we've tried to break them out.

6 These are the adult patients. We also
7 have it done for pediatrics as well, and you can see
8 that we have put them into groups. The first two
9 columns are controlled clinical trials. So we have
10 about 24,000 patients in controlled clinical trials.

11 The other includes both controlled
12 clinical trials and uncontrolled trials. So it's not
13 quite the same population, but we do have about 1,400
14 patients treated out between 30 and 60 days, and 1,000
15 patients treated out beyond 60 days, and in general,
16 the adverse -- these are adults -- in general, the
17 adverse event profiles are similar whether you go up
18 to 30, beyond 30, or up to 60.

19 MR. VERDERAME: I would like to add one
20 other point for the committee's -- just for their
21 general knowledge, that ciprofloxacin is already
22 approved up to 42 days of treatment for bone and joint

1 infection. So it's not that much more of a leap to go
2 to 60.

3 CHAIRMAN RELLER: Dr. O'Fallon, that's
4 what there is. Any other question?

5 DR. POSNER: We also have that for
6 pediatrics because I know of Dr. Christie's interest
7 in children. Maybe you just want to show to
8 comparable slide for children.

9 We don't have quite as many children, but
10 we do have over 100 in each group, 2,300 for all
11 patients. Again, we have 190 patients, roughly 38 to
12 60 days, and 104 patients out beyond 60 days. Once
13 again, there's really not much of a difference in the
14 adverse event profiles.

15 CHAIRMAN RELLER: Thank you.

16 For our invited guest experts, is there
17 anything that if the committee has not asked, you
18 think that they should consider in their vote on the
19 questions at hand?

20 DR. WALKER: I'd like to bring up you
21 discarded the cases of --

22 CHAIRMAN RELLER: Use the mic please.

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. WALKER: You've discarded the case of
2 the patient who was out over two months, and I'm
3 not -- there were never any inhalational cases of
4 anthrax before this event. There have never been any
5 after that event. I believe that patient was related
6 to this event. I believe that patient died within a
7 day or two of the time that they were found, and I
8 believe that it's possible that spores, if they can
9 remain in the soil for as long as we've been told,
10 that they can remain in a patient for longer than the
11 42 or 43 days.

12 Now, with prophylaxis you're never going
13 to cure everybody. You're never going to prevent
14 everybody from getting the illness. It's just a
15 numbers game, and where you decide to draw the line is
16 going to be fairly arbitrary.

17 But I believe that the 60 days is not an
18 unreasonable number, and I think Art may have a
19 different opinion.

20 DR. FRIEDLANDER: No.

21 CHAIRMAN RELLER: Dr. Friedlander.

22 DR. FRIEDLANDER: No, no ,no. I agree for

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 prophylaxis. Remember these primate studies are done
2 with eight LD-50. If you put that up by a log, the
3 possibilities of disease occurring even later
4 certainly exist. I mean it's certainly logical. So
5 I don't think 60 days is untenable or unreasonable.

6 But the exact point, as has been pointed
7 out here, is somewhat arbitrary.

8 CHAIRMAN RELLER: Yes, Jonathan Moreno.

9 MR. MORENO: If an event takes place, will
10 the different government entities that might have to
11 use the stuff have different rules for monitoring the
12 results?

13 I mean that's clearly the opportunity,
14 unfortunately, to learn in situ how well this or any
15 other medication works. What can the FDA require with
16 respect to reporting of the results, monitoring, and
17 so forth?

18 DR. MURPHY: Again, we're not the CDC, but
19 from what we understand, that there will be efforts,
20 and my understanding is fairly sustained and very
21 vigorous efforts, to track who receives medical along
22 the concept of a large, simple trial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You're not going to be able to have
2 details of lab tests or minor clinical symptoms, but
3 you know, who died, who was in the hospital.

4 I would suggest that asking us any more
5 about how that large, simple trial would be
6 implemented at this point would probably not be
7 fruitful, but I certainly think that those discussions
8 are ongoing about ways to make sure that in an event,
9 that whatever product is used, irrespective of the one
10 we're looking at or under an IND, that who receives
11 drug and what happens to them is tracked in the most
12 vigorous manner.

13 I mean, I guess we should invite if
14 there's somebody from the CDC who would like to
15 comment on that, but that's my understanding at this
16 point.

17 Gary, do you have anything else?

18 CHAIRMAN RELLER: Dr. Hugh-Jones, is it a
19 comment on this point or a different one?

20 DR. HUGH-JONES: Well, it's basically the
21 same point.

22 CHAIRMAN RELLER: Okay.

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HUGH-JONES: Being a vet, I'm somewhat
2 more robust about losing my patience than medics are.
3 I have to be. It's a fact of life.

4 But in the Sverdlovsk exposure, our
5 general feeling is that it was something less than one
6 LD-50 that was disbursed as far as normal exposure,
7 people walking through. Obviously it was more than a
8 number of LD-50s if they just stood out there
9 breathing all the time, but in the normal, average
10 exposure, it was less than one LD-50.

11 And, therefore, this modest amount of
12 antibiotic that they were given was fairly adequate.
13 Plus on the 22nd of April, they went in and vaccinated
14 and had very good vaccine cover at least for one shot.
15 So that was seven days -- 12 days after the diagnosis
16 they were vaccinating, and I think they covered 80
17 percent of the population.

18 So what I would say is that when you have
19 an exposure, you've got to come down to what is the
20 expected dose that people are getting, dividing your
21 population into not exposed, possible, probables, and
22 certainties.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The certainties obviously should get the
2 full 60 days, but do you have enough infrastructure in
3 a city with, say, 500,000 people to give them 60 days'
4 coverage, you know? You've got to cut your cloth and
5 assume some losses at some point.

6 This issue of follow-up is an interesting
7 one, but another perspective that might be worth
8 considering is that the presentation has many unique
9 features, as has been pointed out, and clearly instead
10 of an individual diagnosis, there's a public health,
11 governmental responsibility for which an enormous
12 amount has already been invested for early
13 recognition, confirmation, and similarly already
14 discussed is the obligation on the part of the same
15 public health infrastructure to do the appropriate
16 follow-up should a public health tragedy occur.

17 And that is quite a different thing from
18 thinking in terms of post approval studies in a
19 different context that would be -- enough said.

20 It's time for the questions, I think,
21 unless there are any other ^{**} comments. Shall I read
22 them?

◆

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Question number one for the committee: do
2 the data presented support the safety and efficacy of
3 ciprofloxacin for post exposure prophylaxis of
4 inhalational anthrax?

5 We'll go around the voting members. Dr.
6 Archer, you have the first opportunity to vote.

7 DR. ARCHER: Such as the data are, with
8 the understanding that they're not likely to ever be
9 better, and it's an unusual indication, I would say
10 yes, also with the understanding that ciprofloxacin is
11 one of currently three acceptable agents for post
12 exposure prophylaxis. With the data given, no better
13 or no worse than the other two, I would say yes.

14 CHAIRMAN RELLER: Thank you.

15 Dr. Chesney.

16 DR. CHESNEY: I say yes. I just wondered
17 if we wanted to add caused by susceptible strains, the
18 point being that you would know that after a few days.
19 Would one continue prophylaxis for 60 days knowing it
20 was a highly resistant strain?

21 DR. CHIKAMI: No, and I think you're
22 familiar with the way we usually write our indications

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701