

1 Next slide.

2 This slide is from a study, a reference
3 submitted by the sponsor, and this does use the mean
4 pentagastrin stimulated peak acid output as the
5 endpoint, and this is intended to show that there is
6 an effect following a single does.

7 However, to maximize the pharmacodynamic
8 effects of omeprazole, one needs to go out multiple
9 doses. This study was done with a 30 milligram dose,
10 although a similar pattern would be expected for other
11 doses as well.

12 Next slide.

13 Now, I'd like to discuss the heartburn
14 relief trials. To briefly review the demographics,
15 these were frequent heartburn sufferers with a mean
16 frequency of heartburn of 60 percent of days during
17 the pre-study period. The average heartburn severity
18 of participants was in the moderate range on a zero to
19 three scale with zero being no heartburn and three
20 being severe. Over 50 percent of the subjects had
21 moderate to severe heartburn.

22 This slide shows the primary efficacy
23 endpoint of sustained complete relief for the first
24 episode and first dose of drug, and as you can see,
25 the percent of subjects with sustained complete relief

1 is not meaningfully different between placebo,
2 omeprazole ten and 20 milligrams in both studies.

3 Next slide.

4 Secondary endpoints for the first dose
5 were inconsistent at those endpoints of sustained
6 adequate relief, complete relief within an hour,
7 adequate relief within an hour, and overall
8 assessment.

9 And I would want to add here that while
10 sustained adequate relief was a primary efficacy
11 endpoint in previous heartburn submissions, it was not
12 the only evidence to form the basis of approval and
13 the totality of other submissions out of context is
14 difficult to compare to a current submission.

15 Next slide.

16 The sponsor has discussed the secondary
17 analysis of all treated episodes, and before we can
18 really fully understand the meaning of those results,
19 and that question has been alluded to earlier today,
20 one needs to consider what the extent of exposure to
21 drug was over the 14-day study period.

22 Almost 90 percent of subjects in these
23 studies took more than three doses of medication
24 during the 14-day period, and as we've discussed,
25 results beyond the first episode will be confounded by

1 the pharmacodynamic carryover effects from prior
2 doses.

3 As the next slide will show, no benefit
4 was seen for the episodic cases. The agency requested
5 that the sponsor do an additional analysis of all
6 episode that were separated by at least four days from
7 a previous dose of omeprazole. This was felt to allow
8 for inclusion of as much data as possible, but also
9 minimizing the extent of carryover pharmacodynamic
10 effect and acid suppression that would be associated
11 with prior doses for the indication of occasional
12 relief of episodic heartburn.

13 And as this slide shows, the percent of
14 subjects with sustained complete relief was not
15 meaningfully different between placebo, omeprazole ten
16 and omeprazole 20 milligrams at this analysis.

17 There were additional heartburn relief
18 studies submitted to the IND. There were three.
19 These were large studies with a total of over 11,000
20 subjects, and no efficacy was demonstrated at the
21 study endpoints that included sustained complete
22 relief, sustained adequate relief, overall assessment
23 of study medication, and back-up medication usage.

24 In summary, there were five studies of
25 episodic heartburn relief which failed at the primary

1 analyses. The all episodes analysis, taking into
2 account carryover effect, failed to demonstrate
3 efficacy for the occasional episodic usage.

4 Next we'll discuss prevention of the
5 meal induced heartburn studies. This slide shows the
6 primary efficacy endpoint for four-hour post meal
7 heartburn free period, and similar to the display
8 earlier, study 006 does show a relatively small
9 therapeutic gain with statistical significance, while
10 study 005 has a yet smaller therapeutic gain which
11 does not achieve statistical significance for either
12 dose.

13 Next slide.

14 Secondary endpoints included overall
15 assessment of medication, maximum severity score,
16 back-up medication use, average symptom severity, and
17 reduction of maximum severity score. There was some
18 supportive data -- some supportive results at the
19 secondary endpoints for the 20 milligram dose.
20 However, the ten milligram dose had some support only
21 for the endpoint of maximum severity score, with the
22 other four endpoints noted here, lacking any support
23 for the ten milligram dose.

24 In conclusion, Prilosec I at a 20
25 milligram dose may have marginal efficacy for the

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 prevention of heartburn when taken one hour before a
2 heartburn inducing meal, while the ten milligram dose
3 lacks replicated efficacy for primary and most
4 meaningful secondary endpoints.

5 Outstanding issues include the lack of
6 replication of results; the small therapeutic gain
7 that has been alluded to earlier today compared to
8 placebo; the potential for consumer confusion, which
9 I think has also been alluded to earlier in judging a
10 product that may be approved for prevention of
11 heartburn where there's a lack of efficacy for
12 treatment; and finally, the pharmacodynamics as
13 discussed do favor chronic usage of this product.

14 Next we'll review the 24-hour prevention
15 studies. This is in fact, a new indication, the
16 concept of 24-hour prevention of symptoms over a
17 period of time, and of course, the question must be
18 asked: is this, in fact, management of GERD or
19 occasional episodic heartburn?

20 The entry criteria for these subjects
21 included heartburn of greater than one month's
22 duration and heartburn at least two days per week. As
23 has been mentioned earlier, subjects had to have been
24 responsive in the past to antacids or over-the-counter
25 H2 receptor antagonists for enrollment which does

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 enrich the population for response.

2 Demographically, the subjects were, in
3 fact, more strongly enriched for what we might call
4 GERD sufferers with 80 percent of subjects having a
5 baseline frequency of heartburn greater than 50
6 percent of days. Mean severity was between mild and
7 moderate.

8 The primary efficacy endpoint, heartburn
9 free over the 24 hours following the first morning
10 does, did show meaningful differences between placebo
11 and both ten and 20 milligrams of omeprazole.

12 Next slide.

13 The results on day 14 following 14
14 cumulative doses of omeprazole likewise showed
15 meaningful difference between the placebo and
16 omeprazole groups in both studies.

17 In summary, there were replicated,
18 statistically significant differences compared to
19 placebo for both doses, and as one might expect from
20 the pharmacodynamics, the efficacy as measured by the
21 therapeutic gain compared to placebo did increase over
22 time. On day one, going across studies and across
23 doses, the gain was nine to 17 percent compared to
24 placebo, while by day 14 the therapeutic gain was
25 between 23 and 30 percent compared to placebo.

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 This slide has been displayed earlier and
2 does point to the fact that the efficacy is lost over
3 the two to three days following discontinuation of a
4 14-day therapeutic course of omeprazole, and it of
5 course then begs the question: what does the consumer
6 do following day two or three when they have return of
7 their underlying chronic symptoms with an OTC product
8 labeled for limited usage?

9 In conclusion, these 24-hour prevention
10 studies were successful at demonstrating prevention of
11 heartburn symptoms with both ten and 20 milligram
12 doses. The efficacy did increase over time with the
13 therapeutic benefit lost within three days of
14 discontinuation of the study medication.

15 I'd like to briefly discuss prescription
16 versus OTC, GERD versus heartburn. The current
17 prescription Prilosec label for GERD states that the
18 recommended adult oral dose for Prilosec for the
19 treatment of patients with symptomatic GERD and no
20 esophageal lesions is 20 milligrams for up to four
21 weeks.

22 For those patients with erosive
23 esophagitis and accompanying symptoms due to GERD, the
24 dose is the same, but the duration is longer,
25 extending from four to eight weeks.

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 Next slide.

2 Within the submission, the sponsor defined
3 GERD as representing a distinct physician diagnosed
4 chronic disease characterized by acid reflux and
5 attendant symptoms, usually heartburn, and requires
6 four to eight weeks of treatment with omeprazole.

7 A little further in the submission
8 episodic treatment of heartburn in an attempt to
9 distinguish from GERD is different from the treatment
10 of GERD, although it's not well clarified how one
11 would differentiate heartburn from GERD on a spectrum
12 and how the treatment would best be approached.

13 Going outside the submission, Dr. Castell
14 has alluded to these definitions. One appears in the
15 American Society of Gastrointestinal Endoscopy
16 guidelines for practice of endoscopy, and a prominent
17 gastrointestinal disease textbook edited by
18 Schlesinger and Fortran both point to the fact that
19 GERD may be defined as symptoms and/or tissue injury
20 related to the reflux of gastric contents into the
21 esophagus with heartburn being the typical symptom of
22 GERD.

23 Next slide.

24 In an attempt to look at an operational
25 definition of the practitioner, one may look to

1 studies of GERD and heartburn to see how the clinical
2 investigators define the population to appropriately
3 reflect the population for extrapolation.

4 And in a recently published study in the
5 Archives of Internal Medicine, entitled "Efficacy of
6 Omeprazole for the Treatment of Symptomatic Acid
7 Reflux Disease Without Esophagitis," the entry
8 criteria required the patients have a history of
9 heartburn for over 12 months and episodes of moderate
10 to severe heartburn on four or more days of the seven
11 days prior to endoscopy and enrollment.

12 In summary, heartburn is the cardinal
13 symptom of GERD, while GERD is a chronic condition
14 that does require some medical judgment to assess and
15 to differentiate from what might be operationally
16 defined as a mild occasional heartburn, and likewise
17 management of GERD is based on medical judgment,
18 taking into account severity, chronicity and frequency
19 of symptoms.

20 The rationale that underlies the current
21 over-the-counter treatment of episodic heartburn can
22 be described by the points on this slide, that is, the
23 episodes for treatment should be discrete and
24 occasional. The symptoms have to have been shown in
25 analysis to be responsive to low dose therapy in an

1 attempt to distinguish it from a chronic prescription
2 therapy for GERD, and the currently approved over-the-
3 counter H2 receptor antagonists are approved at one-
4 eighth to one-quarter of the daily prescription doses.

5 The OTC products are all effective for
6 both relief of acute symptoms, as well as prevention,
7 with no repeat carryover dose effects required for
8 efficacy, and the limitation to usage on the label is
9 for two weeks consecutively.

10 Currently the approved products, as noted
11 earlier, include relief of episodic symptoms and
12 prevention for symptoms that may occur in association
13 with food or beverages that are known to cause
14 heartburn for that individual, and it's clear that the
15 indication is linked to specific episodes of
16 heartburn.

17 The proposed Prilosec label includes
18 relief of symptoms for which the submission is not
19 demonstrated efficacy, as well as 24-hour prevention
20 taken any time during the day. The studies that were
21 submitted were morning dosing and would require
22 extrapolation to assume that dose taken any time of
23 day would give the same results.

24 The label further goes on to say that if
25 preferred, one hour before those events that are

1 associated with occasional heartburn, such as
2 consuming food and beverages, where there was marginal
3 efficacy supported, the next point the revised label
4 addresses that.

5 Next slide.

6 The 24-hour prevention is noted, is a new
7 indication for OTC heartburn treatment, which is not
8 episode based. Dosing any time of day is an
9 unsupported new dosing instruction which also pulls
10 the consumer away from the concept of episode based
11 management and the non-meal related symptoms we skip.

12 And in the original proposed dose is the
13 prescription dose. The new proposed dose is certainly
14 closer to the prescription dose than the other over-
15 the-counter remedies that are approved.

16 Next slide.

17 Overall conclusions, the pharmacodynamic
18 properties of omeprazole would predict no efficacy for
19 acute, short-term relief with progressive improvement
20 in efficacy for prevention over time based on the
21 delayed pharmacodynamic effects of the drug.

22 The results of the clinical studies do
23 follow these predictions with a lack of efficacy at
24 acute treatment of episodic heartburn, marginal
25 efficacy at prevention when taken one hour before a h

1 inducing meal, and a prominent optimal role in the
2 prevention of heartburn over time in the management of
3 GERD, which as the sponsor stated is currently a
4 physician diagnosed chronic disease requiring four to
5 eight weeks of therapy.

6 And as Dr. Castell has alluded to, moving
7 along a spectrum from occasional heartburn to GERD
8 would be a difficult item to label if one were to move
9 from the occasional heartburn to GERD arena for over-
10 the-counter management.

11 Thank you.

12 DR. CHIN: Thank you.

13 Testing. Can you hear me in the back?

14 Good morning. I trust you're still
15 heartburn free for the FDA presentations.

16 My name is Dr. Chin, and I'm from the
17 Division of Over-the-counter Drug products.

18 These slides were prepared by Dr. Shetty
19 and myself.

20 A very brief overview of actual use
21 studies. Typically actual use studies have the
22 following characteristics. They are all comer studies
23 with minimal inclusion and exclusion criteria, with
24 minimal health professional involvement and
25 intervention.

1 Actual use studies are conducted for the
2 purpose of demonstrating that the consumer can self-
3 select and use the drug appropriately according only
4 to the label.

5 Next.

6 In support of the Prilosec switch, there
7 were five studies conducted under OTC-like conditions,
8 and they can be grouped as self-selection and usage
9 studies which are study 003, 067, and 022, and
10 marketing and usage studies 014 and 091.

11 This is a summary slide, and I'm going to
12 skip it.

13 An extensive list of inclusion and
14 exclusion criteria were applied to the enrollees
15 before they could participate in the actual studies.
16 The ones of more relevance to this presentation
17 include age limitations and prerequisites for use of
18 heartburn medications.

19 The only thing of note is that study 067
20 recruited specifically for adolescents age 12 to 17,
21 and they had to be treated with antacids or H2
22 blockers in the last month.

23 In study 014, there had to be use of oral
24 OTC heartburn medications in the past three months,
25 and in study 091, antacid, acid reducer use was of at

1 least two times per week in the last 30 days.

2 Almost all of the risk conditions on the
3 proposed label was screened out, including pregnancy,
4 medical conditions such as peptic ulcer disease,
5 continuous abdominal pain, dysphasia, known
6 hypersensitivity to omeprazole, and the medications
7 listed here.

8 Female subjects had to undergo two or
9 three urine pregnancy tests before and during the
10 study and sign an agreement that they would use a
11 reasonable contraceptive during the study.

12 Next.

13 A key feature of actual use studies is to
14 demonstrate the subject's ability to self-select and
15 use appropriately. Note that the subjects in studies
16 014 and 091 did not determine for themselves if the
17 product was appropriate for them to use.

18 Studies 003 and 022 were the only studies
19 where subjects did self-select. The rest of this
20 presentation, therefore, will focus only on studies
21 003 and 022, as well as 067 which provided information
22 on adolescents.

23 Proposed uses for OTC Prilosec are for
24 prevention and relief. Directions were provided for
25 prevention of systems for 24 hours for any time during

1 the day or for one hour before associated events, as
2 well as for relief of symptoms. Regardless of use,
3 the directions state do not take more than one tablet
4 a day. Do not use for more than ten days in a row
5 unless directed by a doctor.

6 The primary objective of these studies you
7 know already, and these are measured by the primary
8 endpoints which are the percent of subjects who take
9 only one tablet per dose, take no more than one dose
10 per day and take no more than ten consecutive days.

11 Demographics. Only study 003 recruited
12 for subjects with low literacy levels. There were
13 about ten percent in the ITT population. There was
14 racial diversity in studies 003 and 022, and in all
15 studies about 60 percent were female.

16 These studies were useful in telling us
17 about the kind of OTC consumers who would use this
18 product. As far as heartburn history, most of the
19 subjects in this study had heartburn of longstanding
20 duration. Two-thirds to three quarters of the
21 subjects had heartburn for more than two years, and
22 only about eight to 15 percent had heartburn for less
23 than a year.

24 More than half of the subjects had
25 heartburn at least two times per week.

1 Usage patterns. Users were also
2 characterized by their use of the product. All
3 subjects had to record the reason for product use in
4 the product use journal. Subjects checked
5 prespecified boxes that were marked, taken any time
6 during the day, taken one hour before the event or
7 taken for relief of symptoms. These data were
8 compiled resulting in the distribution of subjects by
9 these five mutually exclusive groups.

10 Over half of the subjects used the product
11 for prevention and relief. About a third of the
12 subjects used it for relief only, and about ten
13 percent used it for prevention only.

14 I'd like to make a note here that the
15 three prevention subgroups, prevention any time,
16 prevention one hour before, and dual prevention had
17 very few subjects involved. So they will be
18 considered as one group from here onwards, as a
19 prevention only group.

20 The results on correct use. As a reminder
21 I've put up the three dosing directions. Subjects are
22 assessed as consistent only if they complied with all
23 three directions, and the overall results for
24 consistency are 58 percent to 75 percent across all
25 three studies.

1 I'd like to make a comment here about
2 sponsor's data that was presented earlier. The
3 results presented were by dosing day and by dosing
4 occasions. The results that are presented in this
5 slide are by subjects. So you can see about three
6 percent to 22 percent of subjects did not use
7 correctly according to any one of the dosing
8 direction.

9 Conversely -- can you just go back one
10 second? Okay. I get extra time.

11 (Laughter.)

12 DR. CHIN: Conversely, in totality, if you
13 take all the subjects in the studies, 78 to 86 percent
14 of all subjects did dose correctly according to any of
15 each of these directions.

16 If we focus only on those who exceeded the
17 ten-day limit, this graph shows across all three
18 studies -- oops. Sorry. Okay. I'm sorry. There was
19 a mix-up in the order here.

20 If we focus only on those who exceeded the
21 ten-day limit across all three studies and if you look
22 at the usage groups of those who use it for prevention
23 only, 64 percent of people in this group exceeded the
24 ten-day limit on use.

25 The people who used it for relief only

1 very rarely did that.

2 Next slide.

3 Now, if you look further at the maximum
4 number of sequential days that the product was used,
5 this slide graphically shows you the pattern between
6 the prevention only users and the relief only users.
7 Prevention only users are in yellow. Relief only
8 users are in orange.

9 Eighty percent of the people who used it
10 for relief only used it for one to two days
11 consecutively.

12 Among the prevention only users, the
13 profile is reversed. Over 51 percent took the drug
14 for more than 25 sequential days.

15 Next slide.

16 So in summary, these are the specific
17 conclusions from these studies. Fifty-eight to
18 seventy-five percent of subjects in the three studies
19 dosed according to all three dosing directions. The
20 relief only users were more compliant than the
21 prevention only users. Prevention only users were
22 most noncompliant with the ten-day sequential use
23 limit.

24 Study participants had heartburn of
25 frequent occurrence and longstanding duration. I'd

1 like to offer that the study results may be biased
2 since two to six percent of subjects with a risk
3 profile were further excluded by criteria or study
4 personnel. Another 18 to 25 percent of subjects were
5 excluded from the ITT population for failure to return
6 the product use journal or failure to complete certain
7 elements of the product use journal.

8 One could postulate that the subjects who
9 did not return or complete the use journal may be less
10 motivated and may be more likely to be noncompliant,
11 and if included in the ITT population would have
12 impacted on the overall consistency results
13 negatively.

14 Given the possibility that study results
15 may be overly optimistic, the overall compliance with
16 all three dosing directions is not impressive. It is
17 of concern that the direction to exceed ten days of
18 consecutive use was the one direction that was most
19 ignored, especially among prevention users, the
20 majority of whom were using it for beyond 25 days.

21 This is the final slide. The 24-hour any
22 time prevention claim has, in essence, changed the
23 nature of using this drug product for episode linked
24 prevention to prevention of any number of episodes of
25 heartburn within a set time period. Therefore, people

1 using it for this purpose may, in fact, have more
2 frequent and longstanding heartburn suggestive of
3 GERD.

4 Our concern is that if people with self-
5 treating for GERD, the proposed label does not provide
6 adequate information for such use. The question is:
7 what potential harm, if any, may affect OTC consumers
8 from chronic long-term use without benefit of a
9 learned intermediary in such areas as possible
10 misdiagnosis, delay in diagnosis and treatment, and/or
11 suboptimal treatment of a chronic condition that may
12 result in much more serious consequences.

13 Thank you for listening.

14 DR. AVIGAN: Good morning. My name is
15 Mark Avigan. I'm a medical officer in the Division of
16 Gastrointestinal and Coagulation Drug Products.

17 Next slide, please.

18 As you just heard from a number of our
19 presenters, there are a number of characteristics of
20 omeprazole and the proposed indication for OTC use
21 which point to a rather strong likelihood of chronic
22 or intermittent long-term use by some consumers.
23 These include, first, the proposed labeling does not
24 warn against long-term intermittent use.

25 Second, actual usage studies that have

1 been performed by the sponsor indicate that a
2 significant percentage of subjects did not follow the
3 label instructions by treating themselves beyond ten
4 days.

5 Third, the maximal asset suppression only
6 occurs after two or three days of daily 20 milligram
7 doses, and then there's this lingering effect after
8 cessation for a few days.

9 These properties are consistent with a
10 role of the prevention of chronic heartburn rather
11 than immediate relief by single table of occasional
12 episodes of heartburn.

13 And finally, a significant percentage of
14 subjects recruited into the OTC studies, in fact, had
15 GERD. Heartburn associated with GERD is
16 characterized, as we've heard, by a high recurrence
17 rate when treatment is stopped. Therefore, we need to
18 take into account the safety profile of long-term drug
19 exposure in conjunction with short-term exposure as
20 expressed in the labeling that the sponsor has
21 proposed.

22 Next slide, please.

23 To pursue this the following topics will
24 be discussed, and we will have some overlap with what
25 has been presented by the sponsor. First, the safety

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 profile of the magnesium formulation in the OTC trials
2 is presented in the NDA.

3 Second, safety issues raised by experience
4 from the short term administration of the prescription
5 enteric coat formulation in which a summary of
6 clinical studies and the post marketing experience
7 will be discussed. Special topics of concern that
8 will be addressed today will be omeprazole induced
9 liver toxicity, skin toxicity, bone marrow and immune
10 system.

11 Third, the post marketing experience with
12 the magnesium formulation of omeprazole will be
13 discussed since, as was mentioned, since 1998 this
14 formulation has been prescribed by physicians in
15 Sweden, and special issues that we will discuss today
16 in collaboration with the sponsor, the potential for
17 drug-drug interactions between omeprazole and other
18 drugs.

19 Next slide.

20 In addition, we'll make some reference to
21 special populations, particularly pregnant women, and
22 then the second part of this presentation will be an
23 analysis of special concerns that have been raised
24 about long-term continuous or intermittent
25 administration of omeprazole.

1 We've somewhat arbitrarily defined this to
2 mean continuous or intermittent exposure to the drug
3 for more than 12 weeks and in some cases longer than
4 the year or longer than that even.

5 The special topics that will be covered
6 include masking of medically significant diseases,
7 tumorigenicity and the implications of gastric acid
8 rebound upon cessation of drug administration.

9 Finally, a summary of the conclusions that
10 we have drawn surrounding these issues will be given.

11 There are four databases that are relevant
12 for the short-term exposure analysis. First, the
13 magnesium formulation clinical trials, the OTC NDA,
14 that form the body of this application. Eight
15 thousand one hundred and seventy-nine subjects were
16 exposed to daily ten milligram or 20 milligram doses
17 of the magnesium formulation, 5,000 of these to the 20
18 and 3,000 to the ten.

19 In most subjects the duration of treatment
20 range between one and 14 days. A second database
21 relevant to short-term omeprazole exposure is that
22 derived from the clinical trials of the prescription
23 formulation, and as was mentioned, 5,700 patients with
24 specifically GERD, esophagitis and dyspepsia on doses
25 between ten and 40 milligrams who are treated over the

1 duration between one day and 12 weeks are in this
2 database.

3 And finally, there are the two post
4 marketing databases that have been alluded to, the
5 SafeTNet database, which is a compilation of adverse
6 events until 1998, a lot of adverse events from the
7 inception of the prescription by the sponsor, and then
8 the database about the magnesium formulation in
9 Sweden, 1998 and 1999.

10 So in that database there is a small
11 number of adverse events that so far have been
12 recorded in a background of over 11 million
13 prescriptions, as the sponsor has mentioned.

14 Now, safety information gleaned from the
15 OTC omeprazole magnesium clinical trials is limited by
16 the following characteristics.

17 First, there is brief exposure to the
18 drug.

19 Second, there is short-term monitoring of
20 adverse events.

21 Third, the relatively small number of
22 subjects precludes comprehensive assessment of rare
23 adverse events since these may not be detectable in
24 this size of a group of exposed individuals.

25 It has to be pointed out that there was

1 negligible representation of specific demographic
2 groups, and I think the sponsor has already alluded to
3 the adolescents, and in addition, Asian Americans only
4 represented one percent of the exposed individuals,
5 and as has been alluded to, this particular group has
6 a higher rate of slow metabolizers, and I'll come back
7 to this point in a moment.

8 The findings in the omeprazole magnesium
9 clinical trials for the OTC indication are that the
10 profile, a general profile, common adverse events, is
11 similar to the prescription formulation, but in the
12 database there are also cases of drug related adverse
13 events, including serum sickness, urticaria, and
14 elevations of AST, suggesting that these side effects
15 are not exceedingly rare.

16 There are no apparent dose related
17 differences in these adverse events. Causes for drug
18 discontinuation in the groups included not
19 surprisingly headache and rash.

20 Next slide.

21 Because there are large numbers of people
22 in the United States who may self-medicate for
23 heartburn symptoms only, without supervision of the
24 physician, it is necessary to insure that omeprazole
25 meets a very high stringency of safety. In the case

1 of prescription usage, the benefit of treatment of
2 significant medical conditions under the supervision
3 of a physician outweighs the risk to develop drug
4 toxicities, including those that are rare.

5 Because the benefit gained for the
6 symptomatic treatment of occasional episodic symptoms
7 is different, it is appropriate to revisit the profile
8 of these toxicities which were previously found to be
9 acceptable in the arena prescription treatment.

10 In synthesizing the four different sources
11 of information concerning the safety profile, the
12 short-term exposure to the drug, a number of
13 toxicities have emerged as points for this discussion,
14 and they're listed here. These are the ones we will
15 just briefly focus on: hepatic, marrow suppression,
16 angioedema and anaphylaxis, and finally drug-drug
17 interactions.

18 The sponsor has provided a liver function
19 assessment that was performed in four U.S. and five
20 non-U.S. clinical trials. These studies included a
21 rather small group of 1,400 patients. Treatment
22 duration with omeprazole lasted between one and 60
23 weeks, and we can make the following general
24 conclusions from these studies.

25 First, that LFT abnormalities are not dose

1 dependent, and secondly, most of these abnormalities
2 are mild, transient, and not related to duration of
3 treatment.

4 Nonetheless, as can be seen, a few
5 patients with liver injury were detected in these
6 trials. Transaminase elevations exceeded three times
7 the upper limit of normal in five patients in the U.S.
8 trials with respect to incidences at .58 and .18
9 percent.

10 No unexpectedly, the incidence of milder
11 elevations of transaminase in both groups of these
12 studies were higher. This finding supports the
13 conclusion that there's a spectrum of transaminase
14 elevations associated with exposure to the drug, and,
15 in fact, the studies reveal that between 200,000 and
16 500,000 treated patients developed some transaminase
17 elevations consistent with three times or greater
18 elevations of hepatitis.

19 Now, in the post marketing SafeTNet
20 database, there were 33 fatal cases, two which were
21 assigned an A rating. This rating suggests a high
22 probability of omeprazole toxicity since no other
23 explanation of causality could be identified.

24 Of the 227 liver toxic serious adverse
25 events, four were assigned an A rating, and it has to

1 be pointed out that two of these four cases
2 redeveloped hepatocellular necrosis after drug
3 rechallenge, demonstrating the unequivocal linkage to
4 omeprazole.

5 According to the FDA adverse event
6 reporting system, two of 57 domestic toxic liver
7 events linked to omeprazole have required liver
8 transplantation. Therefore, the range of liver damage
9 associated with omeprazole rarely includes individuals
10 who have developed severe toxicity and organ failure,
11 and, again, it is a rare event.

12 Unfortunately, the incidence of omeprazole
13 linked liver damage and hepatic failure and death
14 cannot be extrapolated from a voluntary reporting
15 system because of the nature of such a system.

16 Next.

17 With regards to omeprazole associated
18 toxic epidermal necrolysis and Stevens-Johnson
19 Syndrome, there are variable time intervals between
20 drug exposure and onset of symptoms. In the post
21 marketing database there are 49 cases of this severe
22 form of toxicity. Two have an A rating, and a
23 nonfatal case redeveloped skin lesions upon drug
24 rechallenge showing the strong linkage to the drug.

25 The incidence of white cell suppression by

1 omeprazole is high enough to be detectable in
2 relatively small clinical trial populations. With
3 regards to granulocytopenia in U.S. short-term trials
4 that have been analyzed, the incidence was .2 percent,
5 and in U.S. long-term trials it was .7 percent. For
6 leukopenia, the incidence in U.S. short-term trials is
7 .9 percent and in long-term trials 1.5 percent.

8 Related to these observations the
9 intensive medical monitoring program in New Zealand
10 and one-year follow-up of omeprazole treated patients
11 revealed that .03 percent developed granulocytopenia.
12 In fact, there was a case of aplastic anemia.

13 It's important, again, to emphasize that
14 cause and effect is not -- is provided for each of
15 these cases.

16 Now, the post marketing database -- next
17 slide -- SafeTNet has revealed that there are 122
18 reported cases of omeprazole linked with suppression
19 of white cells. These include 26 fatalities.

20 Of the 26 fatal cases, five were assigned
21 an A rating. Of the 96 serious nonfatal cases, 35
22 were assigned an A rating.

23 So, in summary, similar to the other
24 toxicities we visited so far, significant marrow
25 suppression associated with granulocyte counts less

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 than 1.5 times ten to the ninth per liter occurs with
2 an incidence between .3 and five per 1,000, and in
3 fact, there are very rare cases of fatal
4 agranulocytosis.

5 As is the case of these other events,
6 omeprazole exposure has been associated with
7 hypersensitivity reactions in clinical trials. In
8 these trials at least there are four cases of
9 angioedema and one of anaphylaxis. Three fatalities
10 also occurred that were associated with drug
11 hypersensitivity.

12 But much more commonly the incidence of
13 urticaria has been measured to be between one and two
14 per thousand.

15 Similarly, the reported incidence of
16 hypersensitivity reactions, including angioedema and
17 urticaria in omeprazole users has been detected in New
18 Zealand.

19 Not surprisingly in the post marketing
20 SafeTNet database there were 134 cases of angioedema
21 and anaphylaxis. Seven of these were fatal, and nine
22 of the nonfatal cases were assigned an A rating.
23 Again, the A rating is the high probability linkage.

24 Next slide.

25 In summary, immediate hypersensitivity

1 reactions, which include urticaria, angioedema,
2 wheezing and anaphylaxis are linked to omeprazole
3 exposure. In the number that I've given most of those
4 are on the milder end of the spectrum.

5 Next slide.

6 As I mentioned, omeprazole magnesium has
7 been used as a prescription drug in Sweden since 1998,
8 and there's a database of 219 voluntary reports. The
9 only thing I want to say about these is that we see a
10 similar pattern of side effects, including
11 hypersensitivity reactions, angioedema, urticaria,
12 anaphylactic shock, and there are some liver toxicity
13 reports.

14 Other serious adverse events include toxic
15 epidermal necrolysis and interstitial nephritis.
16 Finally, cases of agranulocytosis have been reported.

17 Although substantial differences between
18 the safety profiles of the enteric coated prescription
19 formulation and the magnesium formulation have not
20 emerged, it should be pointed out that subtle
21 differences in formulation associated risk to develop
22 rare adverse events cannot be measured because of
23 undefined reporting biases and the relative short time
24 that the magnesium formulation has been marketed.

25 Now, I'm going to just go through this

1 quickly. This has been alluded to before that
2 omeprazole is metabolized by CYP 2C19.

3 Next slide.

4 An important influence on omeprazole
5 clearance is the presence of a polymorphism, which
6 inactivates the isoform, and this slow metabolizer
7 phenotype is identified. The homozygous genotype
8 actually is identified in only three percent of
9 Caucasians, but it's present in 15 percent of Asians.

10 Other factors as has been mentioned which
11 decreased clearance or aging and liver disease.

12 Again, the concept is that a reduction in
13 clearance of the drug may be linked to two effects:
14 first, a longer circulating half-life of the drug;
15 and, second, increasing circulating drug levels when
16 it's at steady state.

17 Because of the relatively short half-life
18 of omeprazole, modest effects on clearance usually
19 have small effects in circulating drug levels.

20 Alterations of activities of other drugs
21 by omeprazole occur by two distinct mechanisms. One
22 of these, changes of drug absorption, occurs due to
23 the effects of the PPI and gastric liminal pH, and
24 pertinent to this mechanism, there is increased
25 absorption of digoxin and nifedipine, which in normal

1 individuals is a modest phenomenon.

2 However, it should be pointed out that
3 certain individuals, such as those with renal failure,
4 might be susceptible to digoxin toxicity, for example,
5 even with subtle changes in blood levels.

6 In the opposite direction, decreased
7 absorption of the anti-fungals by as much as 80
8 percent during treatment with omeprazole has been
9 observed.

10 The second mechanism by which omeprazole
11 interacts with some other drugs is through the
12 inhibition of CYP 2C19, leading to their reduced
13 clearance. Drugs which are cleared by this enzyme
14 include diazepam, phenytoin, R-warfarin, and
15 tolbutamide.

16 And during omeprazole treatment in study
17 subjects, decreases in clearance of these drugs has
18 ranged between ten and 55 percent. In the case of
19 diazepam, an omeprazole induced reduction of this
20 magnitude may be clinically significant in individuals
21 who are particularly susceptible, such as those with
22 liver disease.

23 Although omeprazole reduces clearance of
24 these drugs only modestly in normal subjects, the
25 potential for more pronounced alterations in

1 individuals who are slow metabolizers taking multiple
2 drugs in which alternate clearance pathways have been
3 saturated or in individuals with underlying medical
4 conditions, such as liver disease, has not been
5 entirely ruled out.

6 Let's move on. The adolescent point I
7 think we both agree on, and I think we can just
8 move forward.

9 Thank you.

10 Currently omeprazole is not approved for
11 prescription use during pregnancy. There are a number
12 of concerns regarding the use of omeprazole during
13 pregnancy. These include the following points. The
14 drug is associated with embryo fetal lethality in
15 rabbits and reduced fetal weights in rats. In some
16 experiments the drug has been found to be classed
17 eugenic, and I will discuss this in a moment.

18 Nonetheless, it has to be said that
19 voluntary reporting of females of child bearing age
20 who have been issued 14 percent of the total
21 prescriptions in the U.S. before, during, and after
22 pregnancy has not revealed a signal consistent with
23 human embryo-fetal toxicity.

24 With these observations, there is a need
25 for a prospective or nested (phonetic) case control

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 studies in pregnant women to confirm safety of embryo-
2 fetal exposure.

3 Now, let me switch gears to talk about the
4 safety issues that surround long-term exposure of
5 omeprazole, defined as exposure for more than 12
6 weeks, in some cases longer than a year. These
7 include the masking phenomenon that we've heard about
8 or in the delay of diagnosis of GERD related
9 complications or conditions which require medical
10 treatment. Such conditions include Barrett's
11 esophagus, advanced stages of erosive esophagitis,
12 esophageal dysplasia and adenocarcinoma.

13 A second issue is the undefined
14 tumorigenic potential of drug induced prolonged
15 hypergastrinemia and genotoxic properties related to
16 the drug.

17 Finally, a concern has been raised about
18 the potential for rapid and/or exaggerated rebound of
19 gastric acid secretion after cessation of treatment
20 that is tied to recurrence of reflux symptoms and/or
21 mucosal inflammatory changes.

22 As I alluded to, it is likely that the
23 long-term use of omeprazole will be common among
24 undifferentiated OTC consumers with heartburn since,
25 first, the proposed labeling does not warn against

1 long-term intermittent use;

2 Second, actual usage studies revealed
3 longer than ten-day use in a significant percentage of
4 people who use the drug for prevention;

5 Third, the pharmacodynamic properties of
6 omeprazole lend themselves to this phenomenon;

7 And, finally, the history of symptoms of
8 many of the OTC users, in fact, was that they had
9 GERD.

10 Moreover, the concern about masking of
11 underlying disease is justified from a number of
12 anecdotal voluntary post marketing SafeTNet reports,
13 which indicate that delay in diagnosis of gastric
14 malignancy can occur due to temporary alleviation of
15 symptoms or improvement in the appearance of gastric
16 lesions.

17 In four of 49 cases of omeprazole linked
18 gastric adenocarcinoma, there was a one to 12 month
19 delay in diagnosis after treatment was started.

20 Here I'm just going to reemphasize a point
21 made by the sponsor that the incidence of GERD
22 complications is not trivial. Complications include
23 Barrett's esophagus. You heard a ten percent number,
24 that studies range anywhere from one to six percent of
25 people with longstanding hypernon (phonetic).

1 And, again, as was mentioned, the symptoms
2 of Barrett's esophagus really are not distinguishable
3 from the undifferentiated population, which is a
4 problem, but the conundrum, the hook is that current
5 medical practice includes regular endoscopic
6 surveillance in these folks for dysplasia and cancer.

7 A different complication of GERD is the
8 composite of advanced stages of erosive esophagitis
9 whose incidence ranges between 2.4 and 47 percent,
10 depending on the studies. These individuals are at
11 increased risk to develop clinically significant
12 strictures and other fibrotic changes, and they're
13 currently treated with aggressive pharmacotherapy to
14 suppress acid.

15 Another complication that we've heard
16 about today is the dysplasia and cancer complications
17 where the problem of delay in diagnosis may have an
18 important impact on outcome. These individuals are
19 less than one percent of the total pool of people.

20 Next slide.

21 Because of the complications that I have
22 mentioned, effective triage of individuals with GERD
23 who require further diagnostic testing plays an
24 important role in their management. The current
25 standard of medical care includes the following

1 features.

2 Early physician referral is recommended
3 for individuals with one or more of the following:
4 dysphagia or odynophagia; persistent symptoms despite
5 treatment; hematemesis, melena, rectal bleeding, or
6 anemia, weight loss, anorexia, unexplained chest pain,
7 chronic cough, hoarseness, asthma, chronic symptoms in
8 patients at high risk for a Barrett's esophagus and
9 finally need for continuous therapy.

10 Therefore, early physician evaluation of
11 individuals with GERD who have features that put them
12 at risk for underlying diseases is part of the current
13 standard of medical care in the United States.
14 Endoscopic evaluation may be warranted in many of
15 these individuals.

16 In summary, physician referral after a
17 failed treatment course or recurrence of GERD symptoms
18 after cessation of therapy is thought to provide an
19 important margin of safety to exclude significant
20 underlying diseases.

21 Consistent with this perspective, the
22 sponsor has made the following statement, and you can
23 read it. In order to avoid the risk of possible
24 complications -- and I think they basically said the
25 same thing today -- that there has to be adequate

1 warning, and that consumers should be made aware of
2 the indications, dose and duration of therapy, and in
3 addition, they should have a clear understanding of
4 when to seek medical attention

5 Issues of concern that have been raised
6 that pertain to a potential carcinogenic effect of
7 omeprazole in a large population of chronic users,
8 even though ambiguity still surrounds some of these
9 issues, it is important to raise them since the
10 proposed treatment of occasional episodic heartburn
11 requires an appraisal of risk relative to a newly
12 calibrated benefit.

13 The concerns are based on the following
14 proposed mechanisms. First, omeprazole induced
15 hypergastrinemia has an atrophic effect on not only
16 ECL cells, but other cells both within and outside the
17 GI tract.

18 There is a potential by omeprazole induced
19 hypergastrinemia to cause exaggerated growth promoting
20 effects in the gastric mucosa of H. pylori infected
21 individuals.

22 And finally, the genotoxic properties of
23 omeprazole to susceptible cells both within and
24 outside the GI tract may promote carcinogenesis.

25 Omeprazole induced hypergastrinemia is

1 characterized in the following manner. Many
2 individuals manifest a two to fourfold increase in
3 serum gastrin concentrations above baseline during
4 chronic administration of the drug. This reverses
5 upon cessation of treatment.

6 Increases of this magnitude are not
7 observed during administration of low dose H2 receptor
8 antagonists that are used to treat heartburn over the
9 counter. A small percentage of individuals develop
10 pronounced responses with greater than a fourfold
11 increase of serum gastrin concentrations, some well
12 above the upper limit of normal. These individuals
13 may be particularly vulnerable to drug related cancer
14 risks.

15 Factors which may increase serum gastrin
16 responses to omeprazole in some individuals include H.
17 pylori infection, the CYP 2C polymorphism, both the
18 heterozygous, as well as the homozygous genotype.
19 High dose and increased dosage infrequency of
20 omeprazole and medical or physiologic conditions in
21 which there is a reduced level of pretreatment gastric
22 acid secretion.

23 The genotoxic potential of omeprazole is
24 predicated on the following observations. First, in
25 vivo and in vitro clastogenic effects have been noted

1 in drug exposed mouse and human bone marrow cells.

2 Second, chromosomal aberrations in
3 omeprazole exposed human lymphocytes have been noted.
4 Increased sister chromatin (phonetic) exchanges in
5 peripheral lymphocytes of treated subjects have been
6 reported in one set of experiments, but similar
7 reports subsequently have not been forthcoming.

8 Despite these findings, DNA mutagenicity
9 testing is measured by Ames Salmonella typhimurium
10 tests, has been consistently negative.

11 Taken together in the face of positive
12 results that omeprazole has some clastogenic
13 properties, it is not possible to rule out
14 genotoxicity associated with long-term exposure to the
15 drug that may be linked to an increased risk of
16 malignancy.

17 Now, taking a step back and analyzing the
18 carcinogenic potential of omeprazole in humans, there
19 are a number of significant limitations in our
20 analysis. These include the size of controlled
21 studies of individuals treated for longer than one
22 year are small; precluding detection of rare drug
23 related tumors. There's a lack of prospective or
24 nested cohort studies to track patients treated with
25 the drug over a very long period of time.

1 Detection of malignancy is limited by a
2 predicted long lag phase after drug exposure, and in
3 some cases high background rates of certain GI
4 malignancies, for example, colon cancer are expected
5 to drown out weak signals.

6 The SafeTNet, as needs to be emphasized,
7 relies on a voluntary reporting which is not
8 comprehensive. So we don't really get incidence
9 figures out of SafeTNet data.

10 Finally, there's a lack of definition of
11 groups that may be especially vulnerable to the
12 carcinogenic effect of omeprazole. We heard that from
13 the table. Such subsets of the population may be
14 diluted by individuals who are not at increased risk
15 for malignancy when exposed to the drug.

16 Nonetheless, taking these deficiencies
17 into account, at present based on the composite of the
18 clinical studies, the SafeTNet data and the
19 literature, the development of omeprazole induced ECL
20 cell hyperplasia in humans, unlike rats, has not been
21 linked to progression of carcinoid tumors with the
22 caveats that I've mentioned.

23 There is no apparent causal relationship
24 between omeprazole and carcinoid tumors, gastric
25 adenoma carcinoma, colorectal adenocarcinoma, and

1 other malignancies.

2 And finally, in H. pylori infected subject
3 a clinically significant contribution by omeprazole to
4 the development of gastric mucosal atrophy, intestinal
5 metaplasia and dysplasia, which are the precursor
6 lesions to cancer, has not been apparent.

7 Finally there has been concern about
8 rebound of gastric acid secretion after cessation of
9 omeprazole. This is based on the following points.

10 First, cessation of treatment is
11 associated with rapid reappearance of inflammatory
12 changes in individuals with erosive esophagitis.

13 Second, acid rebound is reflected by
14 increases in both basal and pentagastrin stimulated
15 acid secretion. This effect is variable, usually in
16 people who have been on treatment for longer than a
17 month, but it's not unique to PPIs. It also occurs
18 with full dose H2 blockers.

19 And, lastly, acid rebound is self-limited
20 after discontinuation of treatment with omeprazole.
21 No information has been provided by the sponsor to
22 determine whether acid rebound plays a role in some
23 subjects to extend the duration of continuous OTC
24 self-medication with omeprazole. Therefore, at this
25 time it is not possible to assess whether conditions

1 which affect acid secretion, such as H. pylori, for
2 example, may influence the development of acid rebound
3 after cessation of treatment.

4 In addition, pronounced acid rebound in a
5 subset of susceptible individuals cannot be excluded.
6 Such a phenomenon would not necessarily be detected in
7 studies which are in real small numbers of test
8 subjects.

9 In conclusion, associated with the
10 omeprazole magnesium application, there are a range of
11 liver toxicities, toxicities idiosyncratic, usually
12 mild, self-limited, and reversible upon drug
13 withdrawal.

14 However, the drug does cause significant
15 hepatocellular necrosis in a small percentage of
16 individuals and has been linked to a few deaths.

17 Causality of significant hepatocellular
18 damage has been confirmed and in a few cases with
19 rechallenge by rechallenge with omeprazole.

20 Omeprazole is also associated with toxic
21 epidermal necrolysis and Stevens-Johnson Syndrome.
22 Although very rare, some cases have been linked to
23 death.

24 The drug has been linked to
25 agranularcytosis and other disorders of marrow

1 suppression. Life threatening suppression of
2 leucocytes by omeprazole is very rare. Usually drug
3 induced marrow suppression is reversible upon drug
4 withdrawal.

5 Drug hypersensitivity occurs in some cases
6 in which symptoms of urticaria, wheezing, rash,
7 anaphylaxis and angioedema after omeprazole exposure
8 have appeared, and the causality in some cases has
9 been proven by drug rechallenge.

10 The incidence of these responses that have
11 been detected in clinical trials may be as high as .5
12 per 1,000 users of the drug.

13 Now, even if serious adverse events and
14 the fatalities related to them are rare, and I think
15 we all agree that these are rare events, in a
16 background of millions of OTC consumers per year, a
17 significant number of these events are expected.

18 For example, if there are ten million OTC
19 courses of omeprazole magnesium issued in a year and
20 the rate of an SAE is one per 10,000, then 1,000 SAEs
21 are predicted to occur. SAE, that is, serious adverse
22 events.

23 We can skip that.

24 Currently omeprazole is categorized as a
25 Class C drug because of embryo-fetal toxicity in an

1 animal model. In addition, there are concerns about
2 the clastogenic properties of the drug. Nonetheless,
3 off label use is not demonstrated to omeprazole linked
4 loss of fertility or teratogenicity in humans.

5 With regards to long-term exposure,
6 omeprazole may mask clinically significant GERD,
7 complications which require early diagnosis and
8 specific management. These include Barrett's
9 esophagus, advanced erosive esophagitis, dysplasia,
10 cancer, and gastric cancer.

11 The drug may induce significant
12 hypergastrinemia and/or manifest toxicity in some
13 individuals. Hypergastrinemic responses to omeprazole
14 may be more pronounced in those with H. pylori
15 infection or in slow metabolizers.

16 However, based on voluntary reporting a
17 tumor association with omeprazole administration has
18 not yet emerged. The possibility that there are
19 oncogenic effects of the drug in susceptible groups
20 who are exposed to the drug for very long periods of
21 time has not been ruled out.

22 Rebound of acid secretion may encourage
23 long-term usage in a subset of consumers. Upon
24 cessation of treatment, rapid relapse of heartburn
25 symptoms and/or esophageal inflammatory change is

1 predicted in some individuals with GERD.

2 Taken together the prescription use of
3 omeprazole has relied on the presence of a
4 professional health care provider for patient
5 assessment, triage, and for further diagnostic testing
6 and recognition and management of significant drug
7 toxicity.

8 In the OTC setting there is no learned
9 intermediary to enact these functions so that safe and
10 effective use entirely depends on the effect on
11 effective consumer labeling.

12 We want to be convinced that serious
13 omeprazole magnesium induced toxicity even when rare
14 is outweighed by the benefit of OTC treatment by
15 symptomatic heartburn.

16 Furthermore, we are concerned whether
17 serious toxicity will be recognized and effectively
18 managed by OTC consumers without physician
19 supervision.

20 Chronic empirical therapy prior to
21 physician referral is inappropriate for a significant
22 number of patients with GERD. We are concerned
23 whether omeprazole magnesium can be targeted in an OTC
24 setting to only those consumers for whom self-
25 medication will have a meaningful benefit in the

1 absence of a significant risk for serious adverse
2 events.

3 Conversely, we are concerned whether there
4 are adequate safeguards protecting those for whom
5 physician referral is indicated to justify OTC
6 approval.

7 (Pause in proceedings.)

8 DR. LECHTER: Good morning. I'm Karen
9 Lechter with the Division of Drug Marketing,
10 Advertising, and Communication.

11 I'm going to talk as fast as I can. I
12 understand our time is running out. I'm going to be
13 talking about the label comprehension study and the
14 addendum study, and to give you a little context, I'd
15 like to point out that the regulations require that
16 OTC labels be written in such terms as to render them
17 likely to be read and understood by the ordinary
18 individual, including individuals of low comprehension
19 under customary conditions of purchase and use. For
20 this reason, sponsors for switched products often
21 perform label comprehension studies.

22 For Prilosec, there was one main label
23 comprehension study and an addendum study. I won't go
24 over the details of this. The sponsor has already
25 discussed those, but I will point out that there were

1 four cohorts, one of which were persons who should not
2 use the product without referring to a doctor before
3 use. They were taking medications that were indicated
4 on the label as requiring medical consultation or they
5 were pregnant or nursing.

6 I will just present the most important
7 results, not all of the results. When asked the
8 purpose of the product, 99 percent said that it was
9 prevention or relief. Sixty-five percent mentioned
10 relief only. Eighteen percent mentioned prevention
11 only, and 16 percent mentioned prevention and relief.

12 These results indicate that when asked
13 what the product is for, most consumers think in terms
14 of relief.

15 The information listed here was only
16 moderately understood. I will not go over all of
17 these with you. If you can read them fast, you will
18 understand that there are some issues that were
19 understood only in the low 80 percent range and could
20 benefit from improvement in the labeling.

21 There was a significant troubling result.
22 Seventy-five percent of Cohort IV, the persons who
23 should see a doctor before using the product,
24 incorrectly said that they would use the product to
25 prevent and relieve heartburn. There were two

1 questions on this issue, one for prevention and one
2 for relief. Only 21 percent of persons in that group
3 were correct in saying that they would not use the
4 product.

5 Because of this troubling result, an
6 addendum study was conducted to determine if the
7 wording of the question about self-use in Cohort IV
8 contributed to the high rate of incorrect responses.
9 The addendum study compared responses to the original
10 self-use question with responses to a new self-use
11 question. In this study there were 58 participants,
12 29 in each arm. All should have asked the doctor
13 before using the product. They were pregnant,
14 nursing, or taking the drugs mentioned on the label.

15 The addendum study questions were as
16 follows. One arm was asked the original question: if
17 you wanted to prevent heartburn, would you use
18 Prilosec I yourself? They were also asked an
19 identical question about relief.

20 The other arm was asked new questions. If
21 you were a heartburn sufferer and you wanted to
22 prevent heartburn, would it be okay for you personally
23 to use Prilosec I yourself or not?

24 A similar question was asked about relief.
25 It did not use the word "personally."

1 The new questions did improve the results.
2 For the original question about prevention, 35 percent
3 were correct. With the reworded question, 69 percent
4 were correct. For the original question about relief,
5 31 percent were correct, with the reworded question,
6 59 percent were correct.

7 However, even with the reworded question,
8 comprehension about self-use was low among those who
9 should see a doctor before use.

10 There were additional questions asked. If
11 the prior responses were not correct in this study,
12 they dealt with whether the persons were actually
13 taking the medications on the label, and they also
14 were leading questions. Is there anything you would
15 do prior to taking this product or not? And
16 considering your current health and medications you
17 are currently taking, would it be necessary for you to
18 contact a doctor prior to using this product yourself
19 or not?

20 The results of the leading questions have
21 uncertain value, and therefore, we do not use those
22 results in interpreting the responses to the
23 questions.

24 The conclusions from the addendum study
25 are that the group that should consult a physician

1 before use has problems understanding that they need
2 to see a physician first. Only 59 percent to 69
3 percent of this group responded correctly to the new
4 question about self-use.

5 Now I'll briefly talk about the product
6 label. The label changed substantially after the
7 label comprehension test, and the new label has not
8 been tested. The committee members have copies of the
9 tested label and the NDA label in their packets. It's
10 two sheets stapled together if you want to refer to
11 those.

12 And then there's a more recent label that
13 was submitted recently that we have not had an
14 opportunity to review. I'll just briefly go over some
15 of the differences in these labels because of the time
16 constraint.

17 The NDA label, as opposed to the tested
18 label had causes of symptoms, prevention, and allergy
19 warning, a "do not use" section, a statement about not
20 using with acid reducers, and some other additional
21 information that did not appear on the tested label.

22 The NDA label specified the number of days
23 in which to see a doctor, changed the number of days,
24 said that to see a pharmacist as well as a doctor for
25 certain questions; changed the wording about trouble

1 swallowing, pregnancy, directions for use, and storage
2 instructions; reversed the order of other information;
3 and bolded one of the warnings and included a symbol.

4 Therefore, there were many changes to the
5 label after the label comprehension test. Because the
6 new label was not tested, we don't know how well it
7 will be understood by consumers.

8 In summary, consumers associate this
9 product with relief. The fact that most consumers
10 associate this product with relief is troubling,
11 particularly since efficacy for this indication is
12 questionable.

13 The data suggests a substantial use by
14 persons who should consult a doctor first.

15 Some information is not strongly
16 communicated. They were listed on the prior slides.
17 I won't go over all of those at this time, and the
18 most recent label has not been tested. It varies
19 significantly from the tested label, and in light of
20 the changes that the sponsor presented today, we
21 particularly don't know if consumers will understand
22 what the product is for.

23 CHAIRMAN BRASS: Thank you very much.

24 We now have time for questions from the
25 committee to the FDA. I would again like to remind

1 the committee that there will be ample opportunity for
2 general discussion this afternoon, and I would like to
3 focus the discussion now on clarification of issues
4 directly relevant to the FDA's presentation.

5 Dr. Sachs. Microphone.

6 DR. GEORGE SACHS: Three hundred and
7 forty-five points on these presentations. (a) --

8 CHAIRMAN BRASS: Round it off to one.

9 DR. GEORGE SACHS: Right. Any genotoxic
10 studies that have been performed on omeprazole in
11 vitro have shown it to be negative unless there's an
12 extremely high dose with conversion to the actual
13 active drug.

14 Two, in pernicious anemia patients, there
15 is no history of increased gastric cancer or increased
16 carcinoids, and the gastrin levels in PA patients is
17 much higher than you see with omeprazole treatment.

18 CHAIRMAN BRASS: Any comments from the
19 FDA?

20 Okay. Ms. Cohen.

21 Microphone. Thank you for making me feel
22 needed.

23 DR. AVIGAN: I think that the
24 hypergastrinemia point is an excellent point. The
25 only caveat to that is the time line of when the

1 hypergastrinemia occurs in life.

2 Someone else at the table has raised the
3 problem of what about early in life exposure to high
4 gastrin levels. PA is a disease typically of older
5 people. So one of the problems with these cancer
6 questions based upon the way we understand the disease
7 is the long lag phase and the multiplicity of
8 mutations that have to accrue in particular cells over
9 time to get the phenotype.

10 So, again, I agree with the statement, but
11 there is a caveat.

12 CHAIRMAN BRASS: Ms. Cohen.

13 MS. COHEN: I have one question, but since
14 I'm the only consumer member, I hope I'll have time
15 this afternoon to address my questions to the
16 presenters, but I do have a question for the FDA in
17 regards to what Dr. Waldum said about the studies of
18 rats and the study of humans, and I don't think there
19 was any answer. Now, do you feel that what he has
20 presented in terms of his study, that there is a
21 causation that you can track between rats and humans?
22 Is this something that's essential to our
23 understanding of what needs to be done?

24 I'm glad I asked the question.

25 CHAIRMAN BRASS: Could you identify

1 yourself, please?

2 DR. DeGEORGE: Joseph DeGeorge, Associate
3 Director for Pharmacology and Toxicology.

4 Actually, I don't think we can answer your
5 question specifically about any causal link or any
6 specific link between the animal findings and humans.
7 There is, it's my understanding, evidence of a
8 hyperplasia across species, but the next step is the
9 link that people would like to know the answer to. We
10 just don't have that data.

11 CHAIRMAN BRASS: Dr. Mirsalis.

12 DR. MIRSALIS: Yes. I'd like to ask a
13 question or a clarification about the statement that
14 was made that there is in vivo genotoxicity. It's
15 stated one place in the briefing book and again in the
16 presentation you say in vivo chromosomal aberrations,
17 and yet in FDA's own briefing book they state no
18 significant increase in chromosomal aberrations were
19 noted.

20 I did a National Library of Medicine
21 search and can't find anything in the peer reviewed
22 literature. In fact, the data is overwhelming in the
23 literature that there is no in vivo genotoxic
24 response, and data hasn't been provided to us.

25 I'm just curious what that statement is

1 based upon.

2 CHAIRMAN BRASS: Thank you all.

3 DR. CHOUDARY: I'm Jaspi Choudary, a
4 pharmacologist from Gastrointestinal and Coagulation
5 Drug Products Division.

6 Those statements are there in the labeling
7 also. The in vivo tests referred to the chromosome
8 aberration test in the mice and the micro nucleus test
9 in the mice. Those are all there in the NDA labeling
10 for omeprazole, and those stand up.

11 DR. MIRSALIS: Could I comment on that
12 then? We haven't been provided that data at all to
13 look at.

14 DR. CHOUDARY: That is there in the
15 labeling that has been reviewed already in the NDA
16 review dating 11 years back, and it still stands.

17 CHAIRMAN BRASS: Thank you.

18 Dr. Robinson.

19 DR. ROBINSON: For a long time we've all
20 been told about the dangers of drug-drug interactions
21 and not only with the potential drug-drug interactions
22 with this drug, but other drugs as well, and I want to
23 know whether either the agency or the sponsor has any
24 data on the actual occurred on drug-drug interactions
25 with omeprazole because it seems to me if we're going

1 to talk about this as a risk, we need to have some
2 idea of what kind of quantitative risk this might be.

3 DR. AVIGAN: Well, I think we were not --
4 I was not really disagreeing with what the sponsor has
5 said. Again, it was a caveat that I was mentioning,
6 which was that these drug-drug interactions have been
7 tested in a very confined way to specific kinds of
8 subjects.

9 My concern is that when you take this drug
10 out of the arena of a learned intermediary and you
11 have an outlier individual who has multiple
12 simultaneous reasons for abnormal clearance, there may
13 be an additive or a synergistic effect, which is not
14 measurable in a more simple case where there's just
15 two drugs being tested in a normal background.

16 CHAIRMAN BRASS: Dr. Waldum.

17 DR. WALDUM: I have a couple of comments.
18 First, on pernicious anemia, I think that it is quite
19 clear that you have a two to three times increased
20 risk of carcinoma in patients with pernicious anemia,
21 and it's also well documented that you have an
22 increased risk of ECL cell carcinoids. I think
23 there's no doubt about that.

24 And so it's difficult to me to understand
25 what you mean when you say that there is no indication

1 that hypergastrinemia in UCC cell lomas (phonetic) in
2 man because also patients have Zollinger-Ellison
3 Syndrome not only as a part of endocrine neoplasia,
4 but also chellery (phonetic).

5 So patients -- every condition with
6 hypergastrinemia in whatever species you know do
7 develop ECL cell tumors when they have
8 hypergastrinemia for a long enough time. It's well
9 known that the studies on mice and dogs, that two low
10 doses were used and, therefore, you had not adequate
11 inhibition of gastric acid secretion, and therefore,
12 you didn't see any tumors.

13 That's my first comment, and the second is
14 relating to Helicobacter pylori. Since Dr. Sachs
15 didn't know this Norwegian-British study about gastric
16 carcinoma and the Helicobacter Pylori and gastrin, I
17 suppose he does know that transgenic mice, moderately
18 hypergastrinemic, when they develop gastric carcinoma
19 and when they are infected with Helicobacter pylori,
20 the gastrin value increases and the incidences of
21 gastric carcinoma also increases.

22 So you have this connection both in man
23 and in animals, and I have a question concerning this.
24 It is claimed that you haven't seen any ECL cell
25 tumors in patients treated with omeprazole. If you

1 look at the book from page 131, there are 14 cases.

2 CHAIRMAN BRASS: I'm sorry. Which book
3 are you referring to?

4 DR. WALDUM: This white one.

5 CHAIRMAN BRASS: The sponsor's book.

6 DR. WALDUM: Yeah. On page 131, they
7 stated that there were 14 cases of gastroduodenal
8 carcinoids where they could not determine whether the
9 patient had Zollinger-Ellison Syndrome or pernicious
10 anemia, and they also state that some of them
11 obviously have acid hypersecretion. I guess that they
12 had gastric hypersecretion due to rebound, acid
13 hypersecretion secondary to treatment, and also that
14 actually these patients, these tumors actually show
15 that the ECL cell tumors have been developed after
16 treatment.

17 So it would be very interesting to have an
18 independent look into these tumors, I think.

19 CHAIRMAN BRASS: Comment on that?

20 DR. AVIGAN: I think both the sponsor and
21 the FDA would agree that sorting through these cases
22 is very difficult, and when you read the narratives
23 and look through them, just my general impression is
24 that there are some cases which are, you know, clearly
25 the diagnosis is made, and in other cases there's

1 still an open question mark.

2 So I think to be fair at this point it's
3 a theoretical possibility, but I have not really so
4 far, you know, found, you know, clear cases that could
5 be linked by cause and effect, but that would be
6 something that could be thought about in terms of how
7 that could be looked for.

8 CHAIRMAN BRASS: Dr. Shapiro, did you have
9 a question?

10 DR. SHAPIRO: I have 346 questions, but
11 one of them was taken up already. The drug and drug
12 interactions is one. My basic question is this: is
13 that agranularcytosis, aplastic anemia, anaphylaxis,
14 acute liver failure, and toxic epidermal necrolysis,
15 and Stevens-Johnson Syndrome have all been mentioned
16 as case reports possibly linked to the use of
17 omeprazole. Case reports are notoriously unreliable.
18 In my view they are sometimes, and then only
19 occasionally, reliable for the generation of
20 hypotheses. Beyond that, they tend to be
21 systematically biased and exceedingly unreliable, and
22 in all instances, they have to be confirmed by
23 epidemiological data.

24 Are there any epidemiological data to
25 indicate that omeprazole was associated with an

1 increased risk of agranulocytosis, aplastic anemia,
2 anaphylaxis, et cetera? And if so, what is the
3 magnitude of the association, and what is the
4 incidence of these conditions among people who use
5 omeprazole?

6 We know, for example, that the baseline
7 incidence of aplastic anemia is two to four per
8 million per year in the general population, and
9 incidentally, none of your incidence figures included
10 the time dimension at all, which makes them rather
11 difficult to interpret.

12 Assuming even that there were an increased
13 risk, what would be the public health implications of
14 that increased risk for a drug which may turn out to
15 be very useful in the management of heartburn?

16 CHAIRMAN BRASS: I will allow them to
17 answer in just a second, but since it's fresh on many
18 of our minds, I would just like to reemphasize that
19 the burden of proof of safety is on the sponsor, and
20 that there's no burden on the FDA to provide lack of
21 safety if a concern exists.

22 DR. SHAPIRO: Mr. Chairman, if the
23 allegations are made that there may be a lack of
24 safety, we need data to show that those allegations
25 have some foundation.

1 DR. AVIGAN: As I alluded to, for those
2 four rare events that were discussed, they were
3 actually rated by the sponsor according to a lettering
4 system A through D for causality, and I was not trying
5 to build a case for numbers because I think we
6 actually all agree that these are very rare events.

7 The most compelling A cases, which are the
8 ones where causality has been linked, are ones where
9 there is rechallenge, that is, single drug. For
10 example, hives, zero to cariad (phonetic), a very
11 clear example where there are cases where the drug is
12 given. Hives develop within 12 hours. The drug is
13 stopped. Hives disappear, and then the drug is given
14 again as a rechallenge and the hives reappear.

15 There are similar cases, again, as
16 anecdotal for each of the side effects that I
17 mentioned, and the question about incidence is a fair
18 question. On a population basis, clearly these events
19 are rare. The reason why they were raised in this
20 setting is that we're moving from a learned
21 intermediary setting where rare complications might be
22 recognized and managed to one where the OTC consumer
23 has to take liability for recognition and for doing
24 something about it.

25 And so it's a conceptual point that bears

1 some thought not on a population basis, but rather on
2 an individual basis.

3 CHAIRMAN BRASS: Dr. Cantilena.

4 DR. CANTILENA: Yes. I just have two
5 questions on the issue of drug-drug interactions, and
6 the first one is I guess I'm hearing you say that
7 you're not sure of the clinical significance of what
8 could be up to, you know, 50 percent decrease in
9 clearance as a result of the inhibition of CYP 2C19.

10 And I guess I heard you sort of qualify
11 that by saying there could be subsets who have, you
12 know, liver disease, et cetera, et cetera, but I guess
13 I would like to sort of ask you then are your, you
14 know, qualifications saying that in all likelihood
15 that magnitude of a change for drugs such as phenytoin
16 or, you know, diazepam are not likely to be clinically
17 significant?

18 That is, you know, the first, you know,
19 question because as I think all of us saw, there was
20 a change in the label from the original label which
21 had a couple of these drugs in there, and, you know,
22 the final label or, you know, the one that's on the
23 table now has, you know, dropped those drugs, and I'm
24 hoping that they weren't dropped as a result of the
25 FDA saying they're unlikely, you know, to be

1 clinically significant.

2 DR. AVIGAN: Let me clarify that point.
3 There is a margin of safety in terms of the CYP ISO
4 enzyme ability to metabolize. That's clear, and the
5 sponsor is absolutely correct, I guess, in their data
6 on test subjects to show that slow metabolizers still
7 based on theoretical considerations can basically get
8 rid of drugs through other alternate pathways.

9 But the problem is in a large population
10 of users when you amplify the usage to people who have
11 other reasons to not clear, then you have to consider
12 different kinds of scenarios in terms of saturating
13 those alternate pathways, and the potential that you
14 run out of that margin of safety.

15 In cases where you have underlying liver
16 disease, for example, as a concept point or people who
17 are on multiple drugs. The idea there is if you have
18 a learned intermediary, and I raise this as a question
19 only, would that learned intermediary at least know or
20 think about those issues in a patient who is a problem
21 patient?

22 DR. CANTILENA: So if I could just follow,
23 so are you in support of, you know, dropping that from
24 a label because of, you know, low likelihood that it
25 will be a problem?

1 DR. AVIGAN: I don't want to take a
2 position on that now. I think I would rather keep
3 away from the remedy because I would rather that that
4 be discussed by the committee and later on.

5 DR. CANTILENA: Okay. Then the follow-up
6 question is in someone who is, you know, homozygous,
7 you know, PM for the enzyme, is, you know, the pathway
8 that then becomes the most important, is that CYP 3A4
9 and are there questions in terms of interactions with
10 substrates of the CYP 3A?

11 DR. AVIGAN: There might be.

12 CHAIRMAN BRASS: Dr. Steinberg.

13 DR. STEINBERG: Could you give us an
14 estimate, if there is one, of the difference in
15 toxicity as where it is between omeprazole and the
16 data we have on that, and other medicines that already
17 have been approved for OTC products, such as the H2
18 receptor antagonists, such as NSAIDS, et cetera?

19 Is this drug more dangerous, less
20 dangerous? It appears to me it's a lot less dangerous
21 than NSAIDS, which the FDA has approved, and multiple
22 NSAIDS, if I'm correct, and H2 receptor antagonists
23 have similar rare toxicities, to my knowledge.

24 DR. AVIGAN: You're correct, and I don't
25 want to get into that argument.

1 CHAIRMAN BRASS: Well, can you comment on
2 the order of magnitude comparisons of frequency, I
3 think, which was --

4 DR. AVIGAN: Yes.

5 CHAIRMAN BRASS: -- I think, just in terms
6 of information?

7 DR. AVIGAN: At first blush because,
8 again, the problem that you're -- if you're asking me
9 to be scientific, I don't have incidence data. We see
10 with post marketing data there's a large pool of users
11 and signals. We have no idea what the reporting bias
12 is. So these are not incidence data. So it's a
13 database from, let's say, one of the H2 blockers and
14 a database for this. They're not really
15 scientifically comparable. That's a very important
16 point to realize.

17 But having said that, the general gestalt
18 is for these acute effects which probably is not all
19 that much difference in terms of the gestalt of it
20 from what I see.

21 DR. STEINBERG: From the H2s, for
22 instance.

23 DR. AVIGAN: Right. The separate issue of
24 the longstanding exposure, chronic hypergastrinemia,
25 that's slightly distinct and the way the

1 pharmacodynamic properties of the drug work; that's a
2 slightly distinct issue.

3 DR. STEINBERG: I have another question of
4 a toxicity that hasn't been or an adverse effect that
5 hasn't been raised, and that is there have been
6 reports of vitamin B12 malabsorption from the use of
7 acid suppressors. Vitamin B12 as we take it is
8 protein bound, and acid is needed to separate the B12
9 from the protein.

10 What information do we have on long-term
11 use of omeprazole and clinically significant vitamin
12 B12 problems, and has it been looked at even?

13 DR. AVIGAN: It has been looked at.
14 There's a series of papers on that, and a general
15 impression, and there are some experts here who could
16 probably tell more about it than I can, but that there
17 is not a problem.

18 CHAIRMAN BRASS: Dr. Lam.

19 DR. LAM: Is there actual safety data
20 whether it is positive or negative in poor metabolizer
21 that are described on the omeprazole? And if the data
22 is negative, what would be the effect of specific
23 inhibitor of CYP 2C19, and especially in terms of
24 converting them into a poor metabolizer, and if that
25 case they're more reliant on a CYP 3A4 pop way

1 (phonetic), what would be the effect of adding a CYP
2 3A4 inhibitor, which as erythromycin, which is
3 available over the counter -- I mean not over the
4 counter -- which is widely available to the regimen?

5 DR. AVIGAN: That was the point I was
6 raising. I'm not aware of data about that. Again,
7 the way the subjects are tested is that, you know,
8 theoretically if you don't saturate the alternate
9 pathways, then the patients or people who are slow
10 metabolizers should have no effect on the drug because
11 they don't even use that pathway.

12 The problem is starting to speculate about
13 what happens when you sort of spill over and saturate
14 pathways, and that, again, is just an open question.

15 DR. LAM: Okay, but we have no safety data
16 in specific poor metabolizers at all whether it is
17 negative or positive?

18 CHAIRMAN BRASS: Dr. Neill.

19 DR. NEILL: I'm going to go to a slightly
20 different subject. I'm curious about whether FDA has
21 had submitted to it to review data from an efficacy
22 study designed for the new use label that the sponsor
23 is proposing, specifically prevention due to food or
24 beverage when taken only on days heartburn is
25 expected, because I haven't seen any efficacy data

1 about that specifically.

2 And the second question is I have not
3 heard any label comprehension data on this new
4 proposed use, and I trust that's because you're
5 hearing about this for the first time today as well.
6 Can you confirm that?

7 DR. GOLDKIND: My understanding is the
8 same as yours. There's no currently labeled products
9 have that indication as you described it.

10 CHAIRMAN BRASS: No, I think the question
11 was whether any of the existing submitted from the
12 sponsor efficacy data are relevant to the indication
13 as proposed.

14 DR. NEILL: Actually it's not whether
15 they're relevant because we've got several studies
16 that may be relevant, but none that are specific that
17 were designed to answer the question: is the
18 medication as proposed effective?

19 And while I would guess that that study,
20 if done, might show a degree of effectiveness, my
21 concern is for those intermittent users who might have
22 more than a two or three day lag time between doses,
23 who I'm extrapolating from the data that I have would
24 not see much effectiveness, and then on the contrary,
25 for patients who might be taking it daily, I've got

1 data that suggests that they're going to take it daily
2 for a long time, and my concern in those people is
3 they are a category of patient who would not be
4 appropriate for OTC use because of the inability to
5 self-select and to self-monitor for important co-
6 morbid conditions, specifically GERD and Barrett's and
7 are then not going to present for endoscopy and that
8 learned intermediary intervention.

9 I've got no data on efficacy for the
10 proposed indication at all.

11 DR. GOLDKIND: The data that would be
12 relevant from these submissions would be the data on
13 day one of the 14-day prevention studies. There was
14 a difference between placebo in both doses for the
15 percent of subjects who would be heartburn free for 24
16 hours following a dose at 8:00 a.m.

17 CHAIRMAN BRASS: Dr. Lechter, do you want
18 to comment on the label question?

19 DR. LECHTER: The only label that we're
20 aware of that was tested is the one that I described.
21 You have the two in front of you. One was a tested
22 label, and the other was the one submitted with the
23 NDA, and now the one that they're discussing today is
24 an even different one. We have not seen that one.

25 DR. NEILL: Just to follow up about the

1 efficacy data, while I agree with you that that might
2 be the most appropriate piece of data that we have in
3 front of us, my concern is that that data derives from
4 a study in which that first use was not up to the
5 consumer to choose, and the label that I have in front
6 of me as proposed is on days when heartburn is
7 expected.

8 And I don't know that my patients have the
9 ability to know when to expect heartburn, and if
10 that's not the case, then I would have expected
11 studies like 171 and 183 where we would tell patients
12 who have a history, "Don't try and predict whether
13 it's coming. Take it every day for ten to 14 days."

14 DR. GOLDKIND: I share that concern and
15 agree.

16 CHAIRMAN BRASS: Dr. Sachs, you had an
17 additional comment?

18 DR. GEORGE SACHS: Yes.

19 CHAIRMAN BRASS: Microphone.

20 DR. GEORGE SACHS: Ms. Cohen asked a
21 question about comparison of rats and people, and
22 remember as well just incidentally that there are four
23 such drugs now available on the market in the U.S.
24 that have been subjected to not only a variety of
25 animal studies at very high doses, approximately 100

1 times, even sometimes 1,000 times of what's given to
2 people, and rats consistently give this ECL cell
3 carcinoid carcinoma eventually because that cell in
4 the rat continues to replicate and doesn't stop
5 replicating. It is not an end cell, and there's much
6 data in the dog, the mouse and man that ECL cells are
7 end cells and, therefore, do not continue replication
8 beyond a certain point of aging and maturation.

9 So I think it's very clear, given not just
10 omeprazole from the early days, but with pantoprazole,
11 lansoprazole, and rabeprazole, that the rat ECL cell
12 rapid formation of ECL cell carcinoids and metastasis
13 is a rate selector problem independent of dose given
14 to any other animal species, and of course, all of
15 those PPIs have had two year carcinistic studies in at
16 least two species.

17 CHAIRMAN BRASS: Dr. Geller.

18 DR. GELLER: Hearing everything I've been
19 hearing, what is the ideal way to take this drug if
20 you have, indeed, heartburn and not GERD?

21 CHAIRMAN BRASS: If the FDA would like to
22 answer, but again, I don't want a general discussion.
23 I want focused on are there any issues about the FDA
24 presentation that we can get addressed now. If you'd
25 like to make a comment, feel free.

1 DR. GOLDKIND: The proposed label doesn't
2 really address the efficacy data well, and I think a
3 challenging discussion would be how one might label
4 this product following the efficacy data. I don't
5 have a solution to that problem.

6 CHAIRMAN BRASS: Do you have a rebuttal to
7 Dr. Sachs or do you have a question for the FDA?

8 DR. WALDUM: No, only a remark.

9 CHAIRMAN BRASS: No. Save it for later,
10 please.

11 Dr. Cohen, a general question for the FDA?

12 DR. COHEN: My question really focuses on
13 the last question I was asked and a question to the
14 FDA. Are you trying to make a distinction between
15 GERD and heartburn? I don't see how you can do it.
16 I don't know a difference, and I think if you filled
17 the room with a group of Talmudic scholars I don't
18 think they can tell you the difference.

19 There is no difference. GERD or
20 gastroesophageal reflux is manifested by heartburn,
21 and heartburn is the cardinal symptom of GERD. So
22 it's the same, and I can't see how you can go about
23 trying to argue this point. It's the same situation,
24 same condition.

25 DR. GANLEY: Can I answer that or try to

1 answer that?

2 CHAIRMAN BRASS: Yes.

3 DR. GANLEY: Yeah, I think that's what
4 we're trying to point out here, is that the current
5 OTC market is for the treatment of episodic occasional
6 heartburn or meal induced heartburn. This is going
7 down another path.

8 I think we're coming to that agreement
9 here. It's going to pull in people that have GERD.
10 Our question to the committee is: is that acceptable?

11 We're taking a neutral position. We're
12 asking your opinion on it. We're not taking a
13 position. I think that's what the presentations have
14 tried to pull out. So that's a question for the
15 committee to answer. Is that an acceptable OTC use?
16 And if it is, how do we appropriately label for that?

17 CHAIRMAN BRASS: And that will be the
18 focus this afternoon.

19 Dr. Shuster, question for the FDA?

20 DR. SHUSTER: Yeah. First of all, I just
21 wanted to ask Dr. Cohen what he thinks a good Jesuit
22 priest might do with that question.

23 (Laughter.)

24 DR. SHUSTER: But I did want to address
25 the question which had been raised here and which I

1 had in mind which really concerns me, and that relates
2 not to content, but to process. Here we are now being
3 presented with something which none of us have really
4 directed our attention to.

5 When I read these tomes here, I usually
6 have a targeted concept, a targeted approach to it,
7 and I think that many others would, too. For example,
8 the group now that is being proposed, which really
9 essentially is the prevention only group for the
10 labeling, is the group which was most noncompliant,
11 which most misunderstood the directions. Sixty-four
12 percent of them did.

13 Now, we are also given a new dosage form,
14 and I would have to go back to all of this focusing
15 now on ten milligram dosage rather than a 20 milligram
16 dosage.

17 So what I'd like to ask is can -- and I
18 address this to all of the FDA representatives as
19 they're standing there, and actually it's a question
20 that could be addressed to all of the committee
21 members as well, and that is do you feel that you are
22 competent at this stage to make recommendations about
23 the new labeling or would you have to go back to look
24 at this in a totally different sort of fashion.

25 I'm new to this committee and, as a matter

1 of fact, so new that I've been disenfranchised because
2 I haven't been vetted appropriately yet, but I would
3 like to ask whether the process should not be a rather
4 rigid one; that if there is a change in labeling, and
5 that wasn't determined yesterday, I presume, that that
6 change be submitted to the FDA and to the committee so
7 that they could pay attention to it.

8 CHAIRMAN BRASS: Well, let me respond to
9 that and then, again, Dr. Ganley or the committee or
10 anybody else can comment, too.

11 I think that the points you raised are
12 extremely important and, in fact, will be reflected in
13 both the questions and discussion that we will have
14 this afternoon about reacting to this information, and
15 I think the FDA has asked our opinion not only with
16 respect to the studies and issues that have been
17 presented, but because this is an evolving area, our
18 input at this stage might be helpful to both the
19 agency and sponsor in focusing that evolution in the
20 future.

21 But I think the points you've raised are
22 germane and recognized by all, and Dr. DeLap.

23 DR. DeLAP: Yeah. I think that this is a
24 not terribly unusual circumstance for us when we're
25 dealing with something that is a little different

1 paradigm than what's gone before, and there is a
2 natural back-and-forth between the sponsor and the
3 agency over the course of the review of an application
4 in this kind of situation, and in fairness to the
5 sponsor, you know, it's not their fault that they come
6 up with some new ideas in the course of the review
7 process because we're asking them to come up with some
8 new ideas a lot of times.

9 Having said that, this did seem to us to
10 be a good time to look at at least a large portion of
11 the issues in the application and to get some advice
12 from the committee rather than, you know, trying to
13 get everything totally ironed out before it comes to
14 you.

15 And, again, in terms of like labels for
16 things, it's not unusual if the label gets changed
17 from what was studied. That usually triggers the need
18 to do another label study, but, again, that's not
19 unusual.

20 I think there are some questions that have
21 come up today, as you say, that we're not really
22 competent to address because we haven't thought about
23 them exactly in that fashion, but there are a lot of
24 good questions, I think, that have been thought about,
25 and we've tried to capture some of those for the

1 committee here, and I think we'll be very pleased if
2 we can get some good discussion and ideas about the
3 questions that we have addressed here. That will
4 really help us with the process.

5 CHAIRMAN BRASS: With that segue, I will
6 adjourn us for lunch to reconvene at 1:05. I don't
7 ant to shortchange your lunch.

8 (Whereupon, at 12:08 p.m., the meeting was
9 recessed for lunch, to reconvene at 1:05 p.m., the
10 same day.)

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:09 p.m.)

1
2
3 CHAIRMAN BRASS: If we could begin the
4 afternoon session, after the presentations of this
5 morning a number of issues were identified, and what
6 I would like to do is we have been given or will be
7 given shortly a very broad spectrum of questions
8 quantitatively and qualitatively from the FDA to
9 discuss, which I think encompasses the broad range of
10 these issues, and by using the questions to focus our
11 discussion, I think we will be able to be more
12 productive and yet cover that broad range of issues.

13 So at this point, I'd like to ask Dr. Katz
14 to give the charge to the committee.

15 DR. KATZ: Good afternoon. I hope
16 everyone can hear me back there. Can you hear? Okay.

17 At this point in time, I'd like to welcome
18 everyone back to the final session of our committee
19 meeting, which is the deliberation portion of our
20 meeting.

21 Before going on to kind of address some of
22 the questions, I'd just like to go back again and just
23 kind of remind everybody where we've been.

24 As part of the background, currently
25 available now we all know that there are two products

1 out on the OTC marketplace for the indication or
2 symptomatic relief of heartburn. Those would be the
3 antacids and the acid reducers, also known as the H2
4 receptor antagonists.

5 The H2 receptor antagonists also have an
6 additional indication, that of prevention for meal
7 induced heartburn symptoms at a specified period of
8 time depending upon the nature of the drug.

9 Today we have heard from Procter & Gamble,
10 who has proposed moving omeprazole, Prilosec, to the
11 over-the-counter marketplace. Currently, as we know,
12 Prilosec is approved for 20 milligrams as a
13 prescription drug for the treatment of gastric and
14 duodenal ulcers, erosive esophagitis, GERD, and for
15 treatment as well of pathologic hypersecretory
16 conditions.

17 Today also we have heard that an
18 additional proposal has been discussed for an
19 additional indication, and in fact, at the time of the
20 filing of the NDA, the three indications that I have
21 here for acute symptomatic relief of heartburn, for
22 prevention of meal induced heartburn, and the new
23 indication of a 24-hour heartburn prevention due to a
24 variety of causes was proposed.

25 Earlier today we've heard about a

1 modification for the labeling which would be for the
2 treatment of frequent heartburn and a change in dosage
3 from 20 milligrams to ten milligrams.

4 When you go back to deliberate over some
5 of the questions at hand, what I'd like to remind you
6 about are some of the important issues that go into
7 some of the decision making process for looking at
8 drugs that are going over the counter. These would
9 include the benefit-risk for the population that we're
10 talking about; consumer's ability to treat, to self-
11 diagnose and self-treat; consumer's ability to
12 understand the labeling instructions, including
13 monitoring, follow-up care, and other associated
14 treatment that they might need to receive; ability to
15 recognize that they've attained a goal and what that
16 goal is; and the ability to recognize toxicity.

17 In today's discussion, what I'd also like
18 to do is to focus you on the areas that we've heard
19 about, which is that we've had different studies that
20 have been presented to look at efficacy, and in fact,
21 we've heard about five studies that have been
22 presented for the acute symptomatic relief of
23 heartburn where both ten milligram and 20 milligram a
24 day doses have been looked at.

25 For relief, we've also heard that there

1 really has not been a significant benefit shown for
2 either the ten or the 20 milligram tablet.

3 We've also been presented two trials to
4 look at prevention of meal induced heartburn, both the
5 ten and 20 milligrams, one which was successful, one
6 which trended but did not show statistical
7 significance, and two additional studies for the
8 prevention of 24-hour heartburn due to a variety of
9 causes.

10 In addition, there were five actual use
11 studies that were performed to evaluate use patterns
12 in dosing compliance, but these were not designed
13 specifically to look at efficacy.

14 We also have heard further about the
15 indication as to GERD, and in part of your
16 deliberations today, we'd like you to look at is GERD
17 an acceptable OTC indication, and this gets us from
18 the acute versus the chronic realm, and is this
19 appropriate?

20 When we consider GERD, remember again we
21 consider chronicity of therapy, safety consequences,
22 any rebound effects, and suboptimal treatment, and by
23 this I mean the fact that the label itself will be
24 labeled if as we saw it for ten-day use and what do
25 consumers need to do if the symptoms persist beyond

1 the ten days' duration, and this, again, should be
2 something that should come out in some of the
3 discussion.

4 The safety issues we've heard a great deal
5 about, and at this point in time rather than spending
6 a lot of time here, I just want to focus you on short-
7 term versus chronic intermittent use, and in going
8 through to the deliberations and trying to answer the
9 questions, to have you focus on those terms, and also,
10 again, to let us know in any of the areas that we've
11 discussed today, such as anaphylaxis, angioedema,
12 urticaria, liver toxicity, bone marrow disorders,
13 severe skin reactions, and other safety concerns,
14 which of these are of import for an OTC marketplace
15 and which we should pay more or less attention to.

16 We're also coming down. Two would be the
17 drug-drug interactions.

18 Finally, we get to the last area, which is
19 that of actual use and label comprehension issues, and
20 this is where some of the data, again, we kind of try
21 to synthesize the whole over-the-counter picture
22 together.

23 We've heard about that it's important for
24 consumers to be able to appropriately self-select, to
25 know which population of consumers should use this

1 product and which should not, their ability to use the
2 product correctly, having the correct dosage for the
3 time specified in the label, their ability to identify
4 when to go see a physician or other health care
5 provider, their ability to identify serious adverse
6 events and what needs to be done about them, their
7 ability to avoid interacting drugs, and whether or not
8 populations who should not use the drug can adequately
9 identify that they should not be taking this product.

10 We've also identified for you some areas
11 of concern in that 65 percent of a subset of subjects
12 using omeprazole for prevention only used it more than
13 the ten consecutive days that was a limit placed on
14 the label itself.

15 In addition, about 19 to 22 percent of
16 consumers using omeprazole for both acute symptoms and
17 prevention also exceeded the ten-day limit.

18 The best results did seem to go in the
19 individuals who used it for relief only. However,
20 again, we've heard that the product was not very
21 effective for relief only.

22 At this time, again, in closing, I would
23 just like to once more focus your attention that what
24 we were talking about here is a new indication, as
25 well, that would take over more of a chronic realm,

1 and that should focus in as part of your discussion in
2 deliberating the questions at hand.

3 Thank you.

4 CHAIRMAN BRASS: Thank you.

5 We will now proceed to the questions, and
6 again, because we have several guests, I just want to
7 go over a few of the ground rules.

8 First of all, when it comes to voting,
9 only official panel members will be able to vote, and
10 therefore, the following people will be excluded from
11 any voting, though they will be able to participate in
12 the discussions. Specifically, Drs. Mirsalis, Sachs,
13 Robinson, Blewitt, Douglas, Waldum, Shapiro, Shuster,
14 and Cohen as all excluded from the voting.

15 Second, I think because of the breadth of
16 material we need to cover this afternoon, I think it's
17 extremely important that we stay focused in our
18 discussion on the issues relevant to the questions,
19 each question as it comes before the committee.

20 It will obviously be important for us to
21 discuss these in as much depth as possible, and I
22 would encourage committee members to ask questions of
23 either sponsor or the FDA for clarifications on issues
24 relevant to those questions.

25 So with that preamble -- yes?

1 DR. SHAPIRO: Just a point of order, Mr.
2 Chairman. On my handout, I'm listed as a voting
3 participant. Is that incorrect?

4 CHAIRMAN BRASS: I will ask for help.

5 DR. TITUS: You're Dr. Shapiro?

6 DR. SHAPIRO: Yes.

7 DR. TITUS: I need to check.

8 CHAIRMAN BRASS: We will look into that
9 and try to get an answer prior to the first vote.
10 Thank you for clarifying that.

11 So the first question is: in studies 092
12 and 095, those two studies specifically, the primary
13 endpoint for efficacy was the occurrence of sustained
14 complete relief of the first treated episode of
15 heartburn. Based on the primary measure of efficacy,
16 is there a clinically significant improvement of acute
17 symptomatic heartburn in either the ten or 20
18 milligram omeprazole groups as compared to placebo?
19 Please explain your answer.

20 I have been told that Dr. Shapiro does,
21 indeed, get to vote officially.

22 Dr. D'Agostino.

23 DR. D'AGOSTINO: The data is quite clear.
24 The studies did not attain statistical significance.

25 CHAIRMAN BRASS: Other comments or

1 observations?

2 I personally would agree with that
3 assessment, and that on the primary endpoints there
4 was no reason to believe that there was efficacy.
5 Would anybody else like to comment on that issue?

6 Yes, Dr. Steinberg.

7 DR. STEINBERG: That appears to be clear
8 for that particular question, but there were the
9 secondary outcomes where some of this is muddied,
10 where there is statistical significance for sustained
11 adequate relief. Is that an important consideration?

12 CHAIRMAN BRASS: It's potentially
13 important. But I would point out that in 095 it was
14 only the 20 milligram dose, and neither dose in the
15 092 is my understanding for that endpoint.

16 DR. STEINBERG: I have all treated
17 episodes.

18 CHAIRMAN BRASS: I see. The all treated
19 episodes, that's correct.

20 DR. STEINBERG: It was the ten milligrams
21 for both studies appear to be statistically
22 significant.

23 CHAIRMAN BRASS: Dr. D'Agostino.

24 DR. D'AGOSTINO: I think we could have an
25 interesting discussion that they picked the wrong

1 endpoints and the primary endpoint, and I think that
2 when you look at the sort of array of endpoints, at
3 the secondary, and then though the FDA sort of took
4 them to task for it, when you look at what other
5 studies, other products have done, that there may be
6 something of interest going on with the secondary
7 endpoints. The question focuses on the primary, and
8 my response was to the primary.

9 CHAIRMAN BRASS: Dr. Cohen.

10 DR. COHEN: In looking at the data, if I
11 read this correct, with the 60 percent placebo
12 response, I think that's beyond what --

13 CHAIRMAN BRASS: Which endpoint are you
14 referring to?

15 DR. COHEN: Well, the sustained adequate
16 relief. I think 60 percent placebo response is beyond
17 what we generally see in GI diseases where you're
18 looking for symptomatic improvement. So I would think
19 that we brought in a lot of patients, and it's very
20 insensitive in separating out the two groups.

21 DR. STEINBERG: But I think it seems that
22 industry set a very high task to get complete -- that
23 primary endpoint which they established was very
24 admirable, but very tough to achieve. I think most of
25 us in practice would be very happy if we controlled

1 symptoms. It would be great if we could eliminate
2 them, but make them better. It appears that the data
3 shows that it make the people better, but not
4 completely better.

5 CHAIRMAN BRASS: Well, I think part of the
6 issue in the endpoint discussion, which I think both
7 of you have highlighted, and I tried to bring out
8 earlier is whether in the endpoint that you are
9 referring to, whether or not it is truly acute relief
10 that's being detected or prevention, and it is more
11 similar to some of the other studies, and I think
12 that's also what Dr. D'Agostino is referring to in
13 terms of differentiating the endpoint.

14 DR. STEINBERG: I don't think you could
15 separate those two probably.

16 CHAIRMAN BRASS: But I would submit
17 separation is important if the product was to be
18 labeled directly so that consumers would understand
19 which endpoint they were trying to treat.

20 Yes, Dr. Blewitt.

21 DR. BLEWITT: Yeah. Frankly, I don't
22 think that you can ask Question A in isolation as far
23 as the primary endpoints are concerned. I think that
24 really you have to find out what the studies told you,
25 and I would suggest that there were significant

1 learnings from the studies when you look at adequate
2 relief compared to complete relief. Maybe complete
3 relief was too high a bar.

4 And so I would suggest that the Question
5 A be taken also in the context of the secondary
6 endpoints.

7 CHAIRMAN BRASS: Yes. I would encourage
8 such a discussion. Obviously for the purposes of the
9 vote, we will focus on the primary endpoint, but I
10 think your point is an excellent one, and I'm trying
11 to bring that out in the discussion so that it would
12 help provide insights relevant to the later questions,
13 et cetera.

14 Yes.

15 DR. ROBINSON: My comment would be that I
16 don't think anyone in this room would argue about the
17 efficacy of this product in the disorder for which
18 it's intended, and the only issue really is: is it
19 possible to use this product in the OTC environment in
20 a way which is easily understandable by patients or by
21 people in the community who would want to use such a
22 medicine.

23 And they really answered that question in
24 this study, it seems to me, and I think the sponsor
25 pointed this out quite well when they asked for the

1 appraisal, the overall appraisal of was this a useful
2 medicine for you for this condition for which you took
3 it.

4 And in that situation it clearly was very
5 -- deemed by the takers of the medicine who, after
6 all, are the only arbiters who are important in this
7 situation as being very useful, indeed.

8 So I think that's really the -- from my
9 perspective at least, that's the final point in this
10 story, and that is this is a lot of semantics about
11 whether we're talking about acute heartburn or relief
12 of heartburn or treatment of heartburn, but really the
13 bottom line for all of this is are these subjects
14 being satisfied by a medicine that they are taking for
15 a condition, an unpleasant condition which they are
16 experiencing?

17 CHAIRMAN BRASS: Dr. Geller.

18 DR. GELLER: I would like to disagree with
19 the previous speaker. I think we're talking about a
20 disease that's both self-limiting and has a placebo
21 effect.

22 Now, the primary endpoint here was clearly
23 negative. The P values aren't even close, and I'd
24 like to make an additional -- to .05 -- I'd like to
25 make an additional comment that since there were

1 essentially two studies using the same control group,
2 a rigorous clinical trialist will assess these data at
3 the .025 level, not at the .05 level, and in that
4 setting a P value of .035, which is the last treated
5 episode P value for the 20 milligram versus placebo
6 dose or the .032 which is the totality of evidence
7 over the two weeks would not be considered
8 statistically significant. At best they would be
9 borderline.

10 CHAIRMAN BRASS: Dr. D'Agostino.

11 DR. D'AGOSTINO: It's the same comment,
12 that basically the placebo effect is so large, I mean,
13 it's probably a badly run study as opposed to
14 indictment of the drug, and I think that's really what
15 the issue is.

16 CHAIRMAN BRASS: Dr. Sachs.

17 DR. GEORGE SACHS: I think you should
18 remember that this class of drug actually made its
19 name by its ability to treat GERD or heartburn as
20 compared to H2 RAs, and that was its launch pad, but
21 it's very clear from any study that had been done on
22 this class of drug that to expect to get complete
23 symptom relief with first dose simply isn't within the
24 mechanics of the way this drug works.

25 However, in taking the drug by the second

1 dose you see the effect, and you see the effect also,
2 in fact, in the evening better than H2 RAs.

3 So if they ask the question complete
4 symptom relief, almost nothing does that even in long-
5 term studies, but in terms of improvement for the
6 patient by the first dose, second dose, that sort of
7 question, I think, would be answered positively.

8 CHAIRMAN BRASS: Dr. Sachs on my right.

9 DR. HARI SACHS: Dr. Hari Sachs, P.
10 Mandrix (phonetic).

11 In my looking at the data, actually
12 looking at clinical significance, where I have a
13 little trouble is at best they're showing ten to 15
14 percent over placebo. So I don't see this as being
15 clinically significant in answering this, you know,
16 for efficacy at all. Even though there may be some
17 improvement, it's really very marginal over placebo.

18 CHAIRMAN BRASS: Ms. Cohen, did you have
19 a comment?

20 MS. COHEN: Well, I don't know --

21 CHAIRMAN BRASS: Microphone.

22 MS. COHEN: Thank you.

23 I think you're going to shoot me down, but
24 as a consumer member, I've heard a lot of things.
25 There are almost 50 million Americans in this country

1 that don't have health care. Therefore, they're not
2 even going to be able to see a doctor.

3 This is a multi-cultural country, and I
4 saw that you had 86 percent Caucasians in your study.
5 Did you advertise in different languages to find
6 people from different backgrounds and different
7 cultures? How are these people going to understand
8 something if it's not going to be in their language?
9 No one has interviewed them in their language.

10 Did you do focus groups in different
11 languages for different people? Because the one thing
12 most of us, I do, I'm a perfect example of heartburn
13 and GERD. How do you know how these people -- it's
14 the one thing that everybody is going to say. In the
15 advertising that's going to happen, and all of a
16 sudden everybody, everybody is going to think, "Well,
17 I can come take this medication."

18 Well, there are all kinds of preemptive
19 information that they need to know, and I, frankly --
20 this is the real world of all those Americans who
21 aren't going to be able to go see a doctor, and we're
22 talking about all of these things, and the end result
23 is the kind of information that is given to consumers
24 that's it's plain and concise, plain language in
25 Spanish.

1 Is someone going to answer the phone who
2 speaks Spanish or another language? Is there going to
3 be someone at the phone at your place?

4 I'm sorry. I feel that these are issues
5 that are very important and the heart and soul of the
6 end product, which is the information you're going to
7 give to people, and the advertising if this thing is
8 passed is going to be voluminous, and I'm worried
9 about all the people that can't go to a doctor, and
10 they continue to take it and what's going to be the
11 end result?

12 Thank you. I appreciate your allowing me
13 to say that, but I'm disturbed.

14 DR. SCHACHTEL: I couldn't agree with you
15 more, and there are several -- as you know, I've been
16 involved in neighborhood health centers for years, and
17 I entirely agree with you about concern for people who
18 may not be as literate as others, whose ethnic
19 backgrounds or even language background may be
20 different.

21 We did look at the benefits for them, as
22 well as their compliance with the label in different
23 ways, and I can provide you in great detail if you
24 want looking at the different stratifications. Maybe
25 there can be a few that can be thrown up that might

1 satisfy you.

2 For example, looking even at educational
3 level, which is a reasonable handle I think you'll
4 agree for literacy -- we actually have it. Good.
5 This one I don't know how to work. Oh, there it is.
6 Good, okay.

7 Looking at the percent of dosing days
8 compliant by whether a person has had a high school
9 diploma or a GED or less versus some college and
10 greater than a college degree; looking at whether they
11 took one tablet per dose, one dose per day, and the
12 overall doesn't matter. Do you have it for the ten
13 days, please? Because I think that's a critical
14 issue, too. No, that's not a critical issue to you?
15 I thought it would be.

16 MS. COHEN: Is that where you go from
17 Hispanic areas and you go into black areas and you
18 find that everybody has that educational level? You
19 know, we're all educated in this room, but this is not
20 real America either. There are all those people out
21 there who are not college degree or don't have
22 advanced degrees, who really -- I mean I just saw what
23 you gave. I mean, is that typical of the United
24 States and in many areas of this country?

25 I don't think so. I'm worried about

1 people that have to understand what they're taking,
2 what they're taking it for, how long they can take it.
3 I'm worried about they might have symptoms that really
4 are far more serious than just indigestion or GERDS

5 I mean, this is a serious thing, and if
6 you're going to go OTC, you're going to start
7 advertising, and I'm worried about the people who have
8 symptoms that are going to be masked by other things.

9 I think showing me the educational level
10 is not telling me about America.

11 DR. SCHACHTEL: But, in fact, the shopping
12 centers that we purposely selected represent lower
13 socioeconomic, Hispanic sections. If you looked in
14 the reports -- perhaps it's not in the dossier that
15 you received -- we did that intentionally, and that's
16 why the averages for socioeconomic level through
17 different indices are intentionally low.

18 What I was particularly interested in is
19 that it doesn't really matter as much as some people
20 believe because if a person wants to take a medication
21 for their heartburn, they will learn how to use it
22 correctly either because, in fact, they are literate
23 or because there are other people in the home who are,
24 and that's what I've learned at least over the past,
25 well, 13 years that I've been doing this kind of

1 research.

2 And I don't consider this study to have
3 been any different, in fact.

4 CHAIRMAN BRASS: I want to bring us back
5 to 92 and 95 and the question on the table. So we'll
6 come back if there is a question about the label. We
7 can do that.

8 Dr. Geller?

9 DR. GELLER: I was going to say that I
10 don't think you can make a very strong argument in
11 defense of efficacy based on these trials. In fact,
12 I think the argument is extremely weak. In fact, the
13 people sitting around this table did not make the
14 decision of what the primary outcome should be. The
15 company chose this because they thought they were
16 going to get success on this endpoint, and that's a
17 reasonable line of thinking.

18 But I don't think anybody here should make
19 the case that there's efficacy based on this trial,
20 and I think we should go on to the other trials where
21 you can make some argument of efficacy.

22 CHAIRMAN BRASS: Dr. Shuster.

23 DR. SHUSTER: My area of special interest
24 in gastroenterology is gastrointestinal disorders or
25 functions, sometimes called functional gastric

1 disorders there, disorders in which there is disturbed
2 motility and disturbed contraction of sphincters that
3 prevent reflux and so forth, and what we see in a
4 number of these disorders of function is a very high
5 placebo response, up to 60 percent.

6 Now, I think you need a pretty darn good
7 drug to best a 60 percent placebo response, and even
8 ten or 15 percent above that I think is a significant
9 response. That's number one.

10 Number two, had these studies been carried
11 out further, they may have shown a more impressive
12 result because the placebo response tends to be
13 somewhat self-limited, and if you can write out that
14 response, I think that it might have shown we don't
15 have that data, but I think it is a consideration.

16 CHAIRMAN BRASS: Dr. Neill?

17 Any other comments before we vote on --
18 yes.

19 DR. ELASHOFF: Well, in terms of sustained
20 adequate relief, the difference between the ten
21 milligram dose and the placebo is five percent in one
22 study and two percent in the other. It's not ten or
23 15.

24 CHAIRMAN BRASS: Okay. I'm going to call
25 the first question, and again, specifically in