

1 support might not buy that argument.

2 DR. LIPICKY: No. But in fact they do. One of
3 the most recent development programs that we've been
4 associated with with a small company -- the liability issue
5 postmarketing was a big deal, and they were willing to put
6 more into the development program thing than suffer the
7 pangs of having postmarketing liability. They looked into
8 it in great detail. So, if that's logically approached
9 premarketing or during development, I think one would come
10 to the same conclusion, and I know one that did.

11 DR. KONSTAM: But, Ray, that might not apply
12 when you're talking about a 1,000-fold increase in the dose
13 range.

14 DR. LIPICKY: It's only three times more than
15 300. So, that's only one more arm.

16 (Laughter.)

17 DR. KONSTAM: Okay. I suppose this is a
18 question that Milton didn't ask, the "wouldn't" question.
19 I still think that there is going to be a lot of
20 resistance. I still think that your assurance that there
21 would not be a penalty, in terms of approvability, will not
22 sufficiently dissuade that concern.

23 DR. LIPICKY: All they have to do is look at
24 all of the drugs that are on the market that have dose-
25 related side effects. There are. We have approved drugs

1 that do that.

2 DR. KONSTAM: I actually would like to ask
3 another question. Maybe this is a naive question, but an
4 ethical question, regarding informed consent. Particularly
5 as we're getting into the issue of exploration of adverse
6 events at very wide dose ranges, perhaps at dose ranges
7 that, despite we don't know adequate dose response, some
8 people might construe as unlikely to yield significant
9 additional benefit.

10 Maybe there are studies done this way, but I've
11 never been involved in one, where a specific goal of the
12 study was to identify the dose range of adverse events.
13 Usually you say, well, we're exploring efficacy. We don't
14 know everything about adverse events, so there's risk.
15 Here are the adverse events we know about, and you should
16 know there might be others that we don't know about. But
17 we're really exploring efficacy.

18 This is now different. This is now saying,
19 well, one of the purposes of this program is actually to
20 find adverse events.

21 DR. LIPICKY: Right. I know and I shouldn't
22 have said what I said. The purpose of the program is to
23 find the greatest effect one can find. So, that requires
24 increasing dose. One may not be able to increase dose
25 sufficiently to find that greatest effect, in which case

1 | adverse effects would be limiting. The only reason for my
2 | assertion of we ask people to hurt people is that the
3 | excuse that, as you are looking for the greatest effect you
4 | can get, might hurt somebody doesn't seem like a reasonable
5 | excuse to me. It's much better to know that, once again,
6 | in the premarketing circumstance than in postmarketing.
7 | But you're searching for the greatest benefit.

8 | DR. KONSTAM: No. I understand that part. I
9 | guess I'm saying one of the constructs that I think that
10 | had floated around as a situation that would be acceptable,
11 | that you've adequately explored the dose range, despite
12 | absence of a maximal effect, is that you've identified
13 | dose-limiting adverse effects.

14 | DR. LIPICKY: Well, no. I understand, but I
15 | think the notion is that if you have been able to identify
16 | a maximal effect -- and I would accept Emax with tight
17 | confidence limits as having demonstrated that -- and you
18 | haven't found adverse effects, that's fine. I wouldn't
19 | push for going to a higher dose.

20 | DR. KONSTAM: So, you'd never be, despite some
21 | comments that have been said, proposing a program that
22 | specifically would be seeking --

23 | DR. LIPICKY: That is correct. The purpose is
24 | to find the greatest effect, beneficial effect, and that is
25 | the purpose. The thing that bothers me, all told, is we

1 have this one ACE inhibitor over 1,000-fold dose range and
2 it still couldn't show it had the found the maximum effect.
3 Now, that's a bother to me. So, that means it probably
4 should have gone higher.

5 Well, then up comes the business of side
6 effects and you might hurt somebody. My response to that
7 is, sure, you may. You may not too. And if you do hurt
8 somebody, the best time to hurt them is in the development
9 program. It should not occur postmarketing. So, if there
10 are things that are dose-limiting, you ought to find that
11 out as you're working the drug up. You should not find
12 that out when it goes on the market.

13 DR. BAKRIS: Milton, let me just build into
14 what Carl mentioned and what the discussion was right here
15 because it occurred to me, as a former IRB member, that
16 there may be a limiting factor and it will have nothing to
17 do with the company. That is, the company may say fine and
18 they may propose it, and the sites they go to and the IRBs
19 that they use may not allow the maximum doses. So, I think
20 that's something else to --

21 DR. PACKER: I don't understand that. When a
22 sponsor proposes a dose, it does not know, so the IRB
23 cannot know, what is in fact the appropriate dose-response
24 relationship. So, it is impossible for me to understand
25 how an IRB could object to what is almost always an

1 arbitrary selection of doses.

2 DR. BAKRIS: Right.

3 DR. LIPICKY: Well, I can see where some people
4 might say if you're studying a 1,000 times something, that
5 that's just too much. You don't have to know much to know
6 1,000 times is a big number. A priori, they will say
7 that's too much.

8 DR. BAKRIS: We're not talking about physiology
9 here. This is gut feeling of people.

10 DR. SHEINER: With respect to this, though, you
11 have the tradition in oncology where they have now
12 escalation studies not within an individual, not crossover
13 type, but small numbers of people and you keep on
14 increasing the dose. So, if it was really important, there
15 are ways to design studies that put minimum number of
16 people at risk, and you can do that if you think it's
17 important enough. In oncology, they always have because
18 they have wanted to be side effect-limiting in general.

19 DR. PACKER: From the sense that I've heard
20 today, all we're encouraging sponsors to do is maximize
21 their asset. What we're saying is that if you have a
22 product that lowers blood pressure and you can only give it
23 in a limited dose range because it produces side effects,
24 then you've understood how that drug should be used. If
25 you've given it in a range that lowers blood pressure but

1 is very well tolerated, you haven't explored its full
2 potential. It may be the single best antihypertensive drug
3 ever. In fact, it may be so good that it is the only
4 antihypertensive drug a patient will ever need and would,
5 in fact, fulfill that role in everyone in the world. You
6 would never know that if you limited the potential to ask
7 questions.

8 One would never, I think, willingly reduce the
9 potential of an asset. Everything that's being talked
10 about here is simply to say there are straightforward, non-
11 expensive, non-burdensome ways of expediting the process of
12 exploring the full potential of an asset, which up to now
13 has been under-utilized, perhaps non-utilized, and that
14 doing so is in the sponsor's interest. It's in the
15 interest of regulatory clarity and, as Carl said,
16 regulatory consistency over time, and it's in the interest
17 of public health.

18 DR. SHEINER: That being said, I think the
19 reality is that without regulatory pressure it won't
20 happen. It won't happen for two reasons. One, because
21 pharmaceutical companies tend to function that whatever we
22 did in the past, we'll do in the future because we have a
23 system for that.

24 The second is that despite the fact that you
25 said it's straightforward -- as far as I'm concerned it's

1 straightforward -- it isn't. It's a little more complex.
2 The design of the study is a little more complex. The
3 interpretation of the study is a little bit more complex.
4 And adding these two elements of fear and the fact that
5 there will have to be an investment in a relatively unknown
6 thing that they'd have to do because the guidance of
7 exactly how to do it -- in fact, the knowledge of exactly
8 how to do this -- in the most efficient way doesn't exist.

9 So, what I think we have to acknowledge that
10 without regulatory pressure, we will not get the
11 pharmaceutical companies to do this and begin the
12 exploration on a larger scale that we in academics have
13 started on a smaller scale, but the exploration is going to
14 be required to find out what are the most efficient
15 procedures for getting this knowledge.

16 DR. PACKER: Paul?

17 DR. ARMSTRONG: Milt, I'd like to pose a
18 question in relationship to this discussion of dose. If we
19 establish the minimally effective dose of a new compound,
20 do we have a sense as a group of what a feasible or
21 realistic range would be to approve marketing of that
22 compound in this era of medical errors? Notwithstanding
23 the wish to make the mistakes before it's marketed, we know
24 for certain that there will be continuing problems after
25 it's marketed based on inappropriate use. Do we have a

1 | sense of feasibility and range that's realistic? A 1,000-
2 | fold, for example, would be very difficult for me to
3 | accept. Could we have some discussion on that point?

4 | DR. PACKER: Paul, let me pose a way of
5 | thinking about it. That is that if a sponsor would
6 | normally look at a dose range from 2 milligrams to 10
7 | milligrams, just making this up, and found that that was
8 | acceptable, but because of this discussion, was encouraged
9 | to look at a dose of 100 milligrams and found out that 100
10 | milligrams was really very much more effective, based on
11 | whatever model one would think, and was still very well
12 | tolerated, what the sponsor might decide to do is, rather
13 | than instruct physicians that ranges of dose from 2 to 100
14 | milligrams, which seems quite wide, are recommended, they
15 | might want to further explore maybe whether that
16 | recommended dosing range might be usefully confined perhaps
17 | to the upper range rather than the lower range. I'm just
18 | putting that out as a possibility. And they might be so
19 | confident in that range because it was so much more
20 | effective, they would be able to do comparative studies
21 | with other drugs showing it was better. Therefore, they
22 | would primarily develop the upper range, something they
23 | would have never done if they hadn't explored the full dose
24 | response.

25 | Jeff?

1 DR. BORER: Paul raises I think a very
2 important point that I would like to discuss a little
3 further, that is, the need to define the minimally
4 effective dose. I don't think we have to define the
5 minimally effective dose. I don't think a sponsor has to
6 define the minimally effective dose. Just to throw it out
7 on the table, it seems to me like defining the ED50 and
8 knowing that that's safe and knowing that another dose,
9 either higher or lower, is safe, and having some idea of
10 the dose-response curve would allow someone -- having
11 enough information to define a good range of the dose-
12 response curve, if not the entire dose-response curve to
13 maximally effective dose -- to titrate, to allow a doctor
14 with a label to titrate to the effect that he or she is
15 trying to achieve in his or her patient.

16 If it's blood pressure we're talking about, you
17 don't have to know the minimally effective dose for the
18 population. You've got to know the effective dose to get
19 to where you want to get in the patient. And if you know
20 that the dose-response curve is X, and it's shaped this
21 way, and you know that a dose that's up here is safe, you
22 can titrate down from it and figure out where you want to
23 be. Who needs to define the minimally effective dose for
24 the population I would suggest.

25 So, I think it's a very important point, but I

1 don't think that that should be the goal of the sponsor, to
2 define the minimally effective dose. I think the goal
3 should be to define the dose-response curve and then to
4 define safety at a couple of points, however many points
5 seems appropriate along that curve, so that some reasonable
6 directions for use can be given.

7 It may be that in phase IV more points along
8 the curve, higher up, have to be defined -- the safety of
9 them, that is -- but a titratable drug, if you know the
10 slope of the dose-response curve, why do you have to spend
11 a lot of money on defining minimally effective population
12 dose?

13 DR. PACKER: As I understand it -- and I'm just
14 looking at the equations used for calculating the Emax
15 model -- a sponsor doesn't have to define the minimally
16 effective dose. The sponsor simply has to define the full
17 range of dose and describe the relationship. What might be
18 a minimally effective dose emerges from knowing the shape
19 of the curve. But the intent is not to identify the
20 minimally effective dose.

21 DR. BORER: I didn't mean to suggest that the
22 current rules indicated that one needs to do that. We know
23 that they don't. But rather, just moving on from what Paul
24 had suggested, that not only do you not have to do it, but
25 it's not something that you would want to spend precious

1 resources on.

2 DR. PACKER: Jeff, I think you're emphasizing
3 that's frequently misunderstood. There appears to be a
4 considerable energy frequently expended by sponsors to
5 define a minimum dose in the absence of defining the whole
6 shape of the dose-response curve. I think what you're
7 saying is no good purpose is served in that kind of energy
8 expenditure unless one is going to do that as part of -- in
9 other words, the motivation is to define the dose-response
10 relationship, not to define the minimally effective dose.
11 Defining the minimally effective dose without defining the
12 dose-response relationship serves no purpose.

13 DR. BORER: That's right, although I would
14 suggest that there is no such thing as a minimally
15 effective dose for an individual except for 0. The dose-
16 response curve is a continuum, and you got to titrate in
17 your individual patient.

18 DR. PACKER: Any other comments, questions?

19 (No response.)

20 DR. PACKER: Ray, I think you have our
21 strongest recommendation and encouragement to take measures
22 to encourage the elucidation of the full dose-response
23 relationship for antihypertensive drugs, both for the
24 individual and for the public health.

25 DR. LIPICKY: Thank you.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DR. PACKER: And we are adjourned.

(Whereupon, at 2:54 p.m., the committee was
adjourned.)