

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE

VOLUME I

Wednesday November 15, 2000

8:30 a.m.

University of Maryland
Shady Grove Campus 9640 Gudelsky Drive
Rockville, Maryland

PARTICIPANTS

Kathleen R. Lamborn, Ph.D., Acting Chair,
Nancy Chamberlin, Pharm.D., Executive Secretary

MEMBERS:

Gloria L. Anderson, Ph.D., Consumer Representative
John Doull, M.D., Ph.D.
Judy Boehlert, Ph.D. Joseph
Bloom, Ph.D.
Nair Rodriguez-Hornedo, Ph.D.
Jurgen Venitz, M.D., Ph.D.

GUESTS:

(Robert) Gary Hollenbeck, Ph.D.
Leon Lachman, Ph.D.

FDA :

Wallace P. Adams, Ph.D. Yuan-
yuan Chiu, Ph.D.

James MacGregor, Ph.D. Guriag Poochikian, Ph.D. Nancy B. Sager
Paul Schwartz, Ph.D. Helen Winkle

T E N T S

Call to Order, Kathleen Lamborn, Ph.D.	4
Conflict of Interest Statement, Nancy Chamberlin, Pharm.D.	4
Welcome, Helen Winkle	6
Chemistry, Manufacturing and Controls:	
314.70 Update, Nancy Sager	13
Risk Management Initiative, Helen Winkle	20
Reducing the Regulatory Burden:	
Concept, What and How, Yuan-yuan Chiu, Ph.D.	25
Innovative Industry Perspective, Tobias Massa, Ph.D.	36
Generic Industry Perspective, Debra Miran	49
Committee Discussion	58
Open Public Hearing, Orally Inhaled and Nasal Drug Products:	
Overview of ITFG/IPAC-RS Collaboration,	
Harris Cummings, Ph.D., Magellan Laboratories	100
BA/BE In vitro and In vivo Tests,	
Lars Borgstrom, Ph.D., AstraZeneca	104
Responses to Agency's BA/BE Questions	
Raised at OINDP Subcommittee Meeting,	
Lester Harrison, Ph.D., 3M Pharmaceuticals	107
ITFG/IPAC-RS Technical Team CMC Specifications,	
Bo Olsson, Ph.D.	114
CMC Tests and Methods, Carole Evans, Ph.D.	118
CMC Leachables and Extractables and	
CMC Supplier Quality Control, Gordon Hansen, Boehringer	
Ingelheim Pharmaceuticals	122
Concluding Remarks, Cynthia Flynn, Ph.D., Aventis	127
Subcommittee Reports:	
Guriag Poochikian, Ph.D.	131
Wallace P. Adams, Ph.D.	136

Subcommittee Report: Nonclinical Studies,
John Doull, M.D., Ph.D.

159

1 P R O C E E D I N G S

2 Call to Order

3 DR. LAMBORN: I would like to welcome everyone
4 here. This is the Advisory Committee for Pharmaceutical
5 Science. I am Kathleen Lamborn. I am serving as the Chair
6 for today in the absence of our permanent Chair, who will be
7 with us tomorrow. The initial item on the agenda is the
8 conflict of interest.

9 Conflict of Interest

10 DR. CHAMBERLIN: Welcome. The following
11 announcement addresses the issue of conflict of interest
12 with regard to this meeting, and is made part of the record
13 to preclude even the appearance of such at this meeting.

14 Based on the submitted agenda for the meeting and
15 all financial interests reported by the committee
16 participants, it has been determined that all interests in
17 the firms regulated by the Center for Drug Evaluation and
18 Research which have been reported by the participants
19 present no potential for an appearance of a conflict of
20 interest at this meeting, with the following exceptions.
21 Since the issues to be discussed by the committee at this
22 meeting will not have a unique impact on any particular firm
23 or product but, rather, may have widespread implications
24 with respect to an entire class of products, in accordance
25 with 18 U.S.C. 208 (b), each participant has been granted a

1 waiver which permits them to participate in today's
2 discussion.

3 A copy of these waiver statements may be obtained
4 by submitting a written request to the agency's Freedom of
5 Information Office, Room 12A-30 of the Parklawn Building.

6 With respect to FDA's invited guests, there are
7 reported affiliations which we believe should be made public
8 to allow the participants to objectively evaluate their
9 comments.

10 Dr. Tobias Massa is Executive Director for
11 Regulatory Affairs at Eli-Lilly; Debra Miran is a consultant
12 for Teva, DPT Labs, Bioavail, University of Maryland
13 Pharmacy School, Vintage, Scinopharm, Warner Chilcott, and
14 is temporary Head of Scientific Affairs for Generic
15 Pharmaceutical Association. We would also like to disclose
16 that Dr. Leon Lachman is the President of Lachman Consultant
17 Services, Inc., a firm which provides consulting services to
18 the pharmaceutical and allied industries.

19 With respect to all other participants, we ask in
20 the interest of fairness that they address any current or
21 previous financial involvement with any firm whose products
22 they may wish to comment upon.

23 DR. LAMBORN: Thank you. Before we go to our
24 welcome, I would just like to ask each of the members of the
25 committee to introduce themselves and mention their

1 affiliation.

2 DR. DOULL: I am John Doull. I am from the
3 University of Kansas Medical Center.

4 DR. ANDERSON: Gloria Anderson, Morris Brown
5 College, Atlanta, Georgia.

6 DR. CHAMBERLIN: Nancy Chamberlin, Exec. Sec.

7 DR. LAMBORN: Kathleen Lamborn. I am from the
8 University of California San Francisco.

9 DR. BOEHLERT: Judy Boehlert, and I have my own
10 consulting business.

11 DR. VENITZ: Jurgen Venitz, Virginia Commonwealth
12 University, in Richmond, Virginia.

13 DR. RODRIGUEZ-HORNEDO: Nair Rodriguez, University
14 of Michigan College of Pharmacy.

15 DR. BLOOM: Joseph Bloom, University of Puerto
16 Rico.

17 DR. LAMBORN: Thank you. I believe Helen Winkle
18 was planning to provide a welcome.

19 Welcome

20 MS. WINKLE: Well, good morning, everybody. In
21 case you don't know me or recognize me, I am Helen Winkle
22 and I am currently the Acting Director of the Office of
23 Pharmaceutical Science.

24 [Slide]

25 It is my pleasure today to be able to welcome the

1 members of the Advisory Committee for Pharmaceutical
2 Science, to welcome as well the guest speakers, the
3 representatives from the subcommittees of the advisory
4 committee, and all of you in the audience who have taken
5 time to share with us today your ideas and thoughts on many
6 of the things that we will talk about. I know that one
7 thing today is that this is not the best facility and I do,
8 up front, want to apologize for that. We were going to have
9 a joint committee meeting on Friday with dermatology. So, our
10 timing was set around that meeting and there were no hotels
11 available. So, unfortunately, this is not the best facility
12 for the advisory committee meeting, and lunch is not going
13 to be the best you have ever had but, anyway, I did want to
14 bring that up.

15 [Slide]

16 The Center and OPS consider this advisory
17 committee to play a really significant role in addressing
18 the scientific issues that we have in the Office of
19 Pharmaceutical Science. The committee's input on these
20 issues and the recommendations are very important to us as
21 we go forward in making regulatory decisions. As we make
22 these decisions, we want to be certain that we have the best
23 scientific basis, and through this committee as well as
24 other mechanisms we feel that we are getting that scientific
25 input that we need to be able to make the right decisions.

1 We realize the significance of outside expertise, and we
2 really feel that it helps to not only enhance but to
3 maintain the science base necessary in OPS.

4 The new year has brought a number of challenges to
5 OPS, and the agenda today for the advisory committee will
6 actually focus on several of these challenges and,
7 hopefully, the recommendations that will come out of the
8 meeting today will be extremely helpful as we move forward
9 in addressing these challenges.

10 I thought before I talked about the agenda it
11 would be helpful to go over the current OPS structure. I
12 think there are several new committee members, and there
13 have also been numerous changes in the management structure
14 of OPS. So, I thought it would be helpful just to give a
15 quick overview.

16 As you can see, the chart for OPS is on the
17 overhead. If any of you have ever seen Roger Williams talk,
18 he has shown this many times so I am certain it isn't new to
19 most of you.

20 Starting at the top of the chart, right now, as I
21 mentioned, I am the Acting Director of OPS. Eric Sheinin is
22 the Deputy Science Director for OPS. Eric will be here
23 later in the day; he couldn't make it this morning. Just so
24 you will know, we are in the process of selecting a new
25 director for OPS. In fact, announcement for the position

1 just closed, and we hope that by January or February to have
2 a new director.

3 Next in the Office of Pharmaceutical Science the
4 change that has been made is that now Mei-Ling Chen is our
5 Associate Director for Quality Implementation for BA and BE.
6 This is a significant position because she helps in the
7 Office coordinate a number of the activities that are going
8 on in BA and BE as far as policy and guidance, and she is
9 also helping to coordinate and ensure consistency between
10 both the Office of Clinical Pharmacology and Biopharm., and
11 our Generics Office on BA and BE issues.

12 Next, I wanted to mention the Office of Testing
13 and Research. You will later hear from Ajaz Hussain who is
14 currently the Acting Director of this Office. He is in the
15 process of looking at ways for re-engineering this Office
16 and, although he will talk more tomorrow about the
17 initiatives that are currently going on, I think in the
18 future we will be talking more to the advisory committee
19 about some of these re-engineering initiatives that we have.

20 Jim MacGregor, who is the Director of the Office
21 is currently on detail with Janet Woodcock. He is working
22 with her on leveraging activities for the Center.

23 Next is the Office of New Drug Chemistry. In
24 fact, one of our first speakers is Yuan-yuan Chiu. She is
25 going to talk about one of the main initiatives we have in

1 this Office. This Office continues to grow. I think it has
2 a significant place in the Center now, and I think there are
3 a lot of activities in the area of chemistry that we will
4 continue to talk about with the community.

5 The Office of Generic Drugs -- we also have an
6 acting director in this Office. It is Gary Buehler. We are
7 also in the process of selecting a new office director. I
8 think this process will be over by the beginning of December
9 and we will be able to make the announcement of that office
10 director. This Office too is making a lot of changes. Gary
11 has already incorporated some streamlining changes. I think
12 there are a lot of other things that will be going on in the
13 next year under the new leadership of the Office, and I
14 think we will have some interesting activities. We do have
15 one initiative today that we are going to talk about to the
16 committee and get some input from the committee. Last is
17 the Office of Clinical Pharmacology and Biopharm.

18 [Slide]

19 Unless there are any questions, I am going to move
20 on to the agenda. This morning we are going to focus on
21 chemistry, manufacturing and controls. We are going to
22 present an update on 314.70. Since we have been working on
23 this area for a while, I think it will be beneficial for the
24 committee to hear what is happening in this area and where
25 we are going. We are also going to talk a little bit about

1 risk management activities, basically in line with what we
2 consider to be one of our most important risk management
3 initiatives in OPS, and that is reducing the regulatory
4 burden for CMC.

5 After lunch, we will continue with an open public
6 hearing to discuss the reduction of CMC regulatory burden,
7 and present reports from two advisory committee
8 subcommittees. This will be the orally inhaled and nasal
9 drug products subcommittee and the non-clinical studies
10 subcommittee.

11 Tomorrow, Thursday, OPS will provide an update on
12 three of our more recent guidances. The first one is BCS.
13 the second one is a general BA and BE guidance, both of
14 which have been published, and we are basically wanting to
15 bring the advisory committee up to date on what has happened
16 with these guidances. The committee had a lot of input into
17 the information that went into these guidances and we want
18 to share where we are with them, and possibly look at next
19 steps.

20 We also will talk about the statistical guidance
21 for BA/BE which is a companion to the general guidance. It
22 is not issued yet but we are ready to issue and we wanted to
23 update the committee on this as well.

24 The rest of the morning on Thursday we will be
25 focused on clinical pharmacology, modeling and simulation.

1 We are starting to use modeling and simulation in our
2 reviews and we want to discuss with the committee what our
3 next steps should be. It is important to us, in OPS, as we
4 move more in this direction that we are ensuring consistency
5 in how we do our reviews and that we apply the current
6 technology in our regulatory decisions. So we will be
7 hoping to get input. We will give you a little information
8 on what we are doing and, hopefully, get input from the
9 committee on the best way to continue in that direction.

10 In the afternoon, after the public hearing, we
11 will hear an update from the Office of Testing and Research.
12 I have already mentioned that. Besides that, Dr. Hussain
13 will talk about the Product Quality Research Institute and
14 bring the committee up to date on what that Institute is
15 doing and some of the current projects and what we, at FDA
16 and OPS, are doing in that direction.

17 We will end Thursday with a discussion of a
18 proposed regulation change regarding failed bio studies for
19 engineered drugs. This is an area that we have been working
20 on and we have an interest in getting input from the
21 committee on failed studies and how best to apply those to
22 our regulatory decision-making.

23 [Slide]

24 Friday is a joint meeting with the Advisory
25 Committee for Pharmaceutical Science and the Dermatological

1 and Ophthalmic Drugs Advisory Committee. This joint
2 committee will be to discuss dermatopharmacokinetics. I
3 think many of you in the audience have probably heard some
4 things about DPK. It is not a new topic for the committee
5 or for OPS, but I think over the last year we have had more
6 issues that are associated with DPK that we would really
7 like to discuss with the joint committee, and we have
8 opportunities for other uses. We also want to run
9 information by the two advisory committees to obtain some
10 useful direction for our current focus.

11 I hope the next two and a half days will bring an
12 awareness of many of our initiatives in OPS, as well as
13 provide some scientific information and justification to us
14 from the committee which will help us in answering questions
15 relating to many of our ongoing projects.

16 As Dr. Lamborn said, she will chair the committee
17 today since Dr. Byrn could not be here. He will be with us
18 tomorrow. So, unless there are any questions from the
19 committee I will turn the meeting over to Dr. Lamborn.

20 DR. LAMBORN: Thank you. Any questions from the
21 committee?

22 [No response]

23 I think then that we are ready to move on to the
24 first agenda item.

25 Chemistry, Manufacturing and Controls

1 314.70 Update

2 MS. SAGER: Good morning.

3 [Slide]

4 I am Nancy Sager, and I have been tasked with
5 giving you an update of fifteen years of history in ten
6 minutes. So, I will have to talk fast.

7 [Slide]

8 CDER's approach to regulating post-approval CMC
9 changes has been evolving over the past fifteen years.
10 Initially, a 21 CFR 314.70 regulation was written, in 1985,
11 that provided the basics for what to do when post-approval
12 chemistry changes were being made by a company.13 Then there were the SU/PAC documents, and I am
14 going to talk about all of these in a little more detail
15 after the initial slide. The SU/PAC documents were from the
16 early '90s to present. In 1997 the FDA Modernization Act
17 was passed by Congress, and we are in the process of
18 implementing FDAMA Section 116, which deals with post-
19 approval changes. Then, there is the question of "next"
20 which Dr. Chiu will discuss after my speech.

21 [Slide]

22 21 CFR 314.70, when it was written in 1985 -- what
23 it states is that the applicant shall notify the FDA about
24 each change in each condition established in an approved
25 application beyond any variations allowed in the original

1 application. What the regulations do is they provide a
2 general listing of changes with a reporting mechanism.
3 These changes are very, very general. Basically, it is a
4 general approach. There is no distinction between different
5 types of changes within a class. For example, manufacturing
6 site changes for drug product, all of them were prior
7 approval changes. It didn't matter if you were making a
8 sterile injectable or the only thing you were doing was
9 packaging solid oral dosage forms, or even putting a label
10 on the bottle. They were all site changes, and they were
11 all prior approval. So, there was no distinction.

12 [Slide]

13 Then, in the early '90s FDA, with industry
14 feedback of course, was recognizing that this was not the
15 most appropriate approach because not all changes were
16 created equal; there are differences. Some are of more
17 concern than others. So, what FDA did in the early '90s was
18 start on a project which many people on the advisory
19 committee are probably familiar with, and in the audience.
20 What we did, we wrote various guidances. There were three
21 dosage form specific guidances that basically down-regulated
22 the regulations. We wrote an immediate release guidance, a
23 non-sterile, semi-solid and a modified release solid oral
24 dosage form product guidance. The guidance basically
25 spelled out various types of changes, for example,

1 formulation changes or site changes and allowed for lower
2 reporting mechanisms than what was specified in the
3 regulations. It also provided information on what tests to
4 do to support the change.

5 [Slide]

6 Then, in 1997 Congress pass the FDA Modernization
7 Act. Part of that was Section 116 that dealt with post-
8 approval changes. It basically recognized the importance of
9 the SU/PAC approach. It also amended the Food, Drug and
10 Cosmetic Act to additional a new section dealing with post-
11 approval changes. It also required for FDA to revise the
12 current 314.70 that was current at that time to make it
13 conform to the new Act. FDA decided we also had to prepare
14 a guidance to go along with the new 314.70.

15 [Slide]

16 The main thrust of FDAMA Section 116 was that the
17 reporting categories are based o the potential for the
18 change to adversely affect the identity, strength, quality,
19 purity or potency of a product as these factors may relate
20 to the safety and efficacy of the drug product. So, it
21 basically took the SU/PAC approach and put it into a
22 regulation which said don't treat all changes equally. Try
23 to base it on potential, the scientific potential for change
24 to have an adverse event.

25 [Slide]

1 FDAMA also specified four reporting categories.

2 Before FDAMA we only had three. What got added was
3 supplement changes being effected 30 days, which requires a
4 company to delay distribution of the drug for 30 days once
5 they submit that kind of supplement. During those 30 days
6 FDA can get back to the company and say this shouldn't have
7 been a changes being effected supplement; it should have
8 been a prior approval supplement or that information is
9 needed. It is more of a screening period; we don't do a
10 significant review during that time. That was added because
11 of we were going towards down-regulating quite a bit and we
12 felt that there needed to be some kind of check to make sure
13 that something really didn't have a significant potential;
14 didn't get out to the public.

15 [Slide]

16 The implementation status of FDAMA 116 was that we
17 wrote a guidance and a regulation and published them both on
18 June 18, 1999 in draft proposed form. Why we needed a
19 guidance is the statute is very, very general dealing with
20 post-approval changes. The regulation is a little bit more
21 specific but still very, very general. It is hard to deal
22 with specific case-by-case type of specifying what the
23 filing mechanisms are in a regulation. So, we wrote a
24 guidance to give examples of where certain changes fall in
25 the reporting scheme.

1 So, we published the draft of the proposed
2 regulation on June 18, 1999. The guidance had a closing
3 period of August 27 and the regulation had a closing period
4 of September 13. We got quite a few comments. We had over
5 30 comment letters, with the draft guidance having about
6 1200 individual comments. Some of them were repeats or
7 talked about the same issue. On the regulation we had 30
8 comment letters and about 300 individual comments.

9 [Slide]

10 Just to go further, the changes to an approved NDA
11 if ANDA guidance that we published was modeled on the SU/PAC
12 guidances, recommended reporting categories only. We could
13 not cover what type of information to be submitted. It also
14 covered all dosage forms.

15 [Slide]

16 So, the FDAMA 116 implementation status was that
17 we had two years to get the regulation published, which was
18 November, 1999. And, if we did not have a new regulation
19 published by November, 1999, then 314.70 basically
20 disappeared and we were regulating only under the statute
21 because we were given two years to make the regulation
22 conform. We were unable to complete the regulation in that
23 time period. Two years is quite a short deadline for any
24 type of regulation with all the clearance process that has
25 to be done with it.

1 So, what we have been doing since November, 1999
2 since we have not had a regulation is that we finalized our
3 guidance, changes to an approved NDA or ANDA, and that
4 represents FDA's current thinking on how it will apply to
5 the requirements of Section 506(a) of the Act. So,
6 basically, we have the Act, the statute and the guidance
7 right now, without 314.70 in between.

8 [Slide]

9 This just continues on. That is what we have been
10 doing until the 314.70 publishes.

11 [Slide]

12 So, what is the status of both documents? First
13 of all, we did publish a final the guidance in November,
14 1999 and we are still working on the regulation, finalizing
15 the regulation. It is in the clearance process but it takes
16 quite a bit of time and has to go through OMB review and
17 legal review at the agency. We expect it possibly winter or
18 spring. I guess I should qualify that, we are expecting it
19 in 2001, not 2002 or whatever, but this date has been moved
20 several times already because we have expected it sooner
21 than that. So, we are hoping that it will be no later than
22 spring of next year. When we do publish the final rule we
23 will also be publishing a final changes to an approved NDA
24 or ANDA guidance at the same time, an updated version that
25 will conform to whatever we have done with the 314.70

1 regulation so that there will be no conflicts between the
2 two. Thank you. That is my talk.

3 DR. LAMBORN: Thank you. I think we are ready to
4 move on to risk management initiative.

5 Risk Management Initiative

6 MS. WINKLE: The next topic on the agenda is risk
7 management. I wanted to talk a little bit today about the
8 Center's risk management initiatives and OPS' current focus
9 on risk management. I think this is an important area in
10 the entire Center and I wanted to be able to update the
11 advisory committee on what we are doing in OPS.

12 [Slide]

13 Risk management is now a Center priority. Dr.
14 Woodcock is focusing a lot of attention on risk management.
15 She is actually setting up a risk management office and has,
16 as well, set up a working group on risk management. She is
17 putting a lot of emphasis on this.

18 I think as all of you know, the Center's mission
19 is to ensure that safe and effective drugs are made
20 available to the public, and the Center feels that in
21 meeting this mission, the whole mission can be improved
22 through better risk identification and risk management. So,
23 it has become a really important priority in the Center. If
24 the Center knows what the risk of the drugs are, then it is
25 much easier for the Center to work toward preventing adverse

1 events, etc.

2 So, we are all, in the Center, focused on ways we
3 can do this, and the reason I wanted to talk about this is
4 that, again, we are going to talk a little bit about what we
5 are doing as CMC reduction and we consider this to be an
6 important risk management activity.

7 The Center is also focusing on those drugs with
8 the higher risk so that it can devote more time and
9 resources to those drugs where the opportunity for risk is
10 high rather than spend a lot of time and resources where,
11 obviously, the risk is low. And, we have done that. I
12 mean, we will spend just as much time on the low risk drug
13 now and doing the reviews, spending effort and looking at
14 all the documentation. So, this is an area that the Center
15 is beginning to look at so that we can cut down on some of
16 the time, the time involved by the Center as well as the
17 firms that are submitting the applications.

18 Part of the Center's risk management initiative is
19 to ensure that the information too is made available to both
20 prescribes and patients. This is an important aspect of
21 risk management, sort of like getting the risk information
22 out there. I think all of us know there is a lot emphasis
23 now on advertising various drugs. I think the American
24 public is taking more interest themselves in making
25 diagnoses or working with their doctors in figuring out how

1 to handle disease, and stuff, and we feel that more
2 information has to be out there to the public so that they
3 can make really good decisions on how to handle their own
4 medical care.

5 Basically, we all share in ensuring the
6 effectiveness -- the public, the industry and FDA -- and
7 safe use of drugs, and without the appropriate information
8 this isn't possible.

9 [Slide]

10 As already mentioned, the Center has developed a
11 risk management working group. This group is focused on a
12 number of different area. Communication of information to
13 those outside the Center I have already mentioned. This is
14 the number one priority of the working group, to figure out
15 better ways to communicate with those outside the Center,
16 both public and industry.

17 Perform research to learn more about users and
18 providers so that we are able to better communicated. There
19 is a lot of information that we need in order to better
20 understand how to communicate.

21 Identify and prioritize risk areas. This will be
22 looking at off-label use, pregnancy information, etc., and
23 being able to get that information out as well.

24 Share and learning within the Center. One of the
25 things that we want to be able to do is talk within the

1 Center about how decisions are made. I mean, there are a
2 lot of common drug product areas where they are going in
3 separate directions, so we want to be able to share
4 information within the Center. We feel like we will be
5 better able to focus on some of the high risk versus the low
6 risk products.

7 Last, and one of the reasons I wanted to talk to
8 the advisory committee is that the group is also focused on
9 expanding advisory committees to add risk management experts
10 to the committees for pre-approval and post-approval
11 discussions. This has already happened on at least one
12 advisory committee, and one of the things we will be
13 considering along the line is whether we want to additional
14 someone to the OPS committee. One of the things that has
15 actually been discussed at the working group level is
16 whether to use the OPS committee -- additional some people
17 on there who have risk management experience and let the OPS
18 committee held all of the decisions as far as risk
19 management that may come up in the Center that need outside
20 input. So, we are still in the process of discussing these
21 things but I wanted to bring it up to the committee, that
22 some of these things are on the table.

23 [Slide]

24 Obviously, risk management plays an important role
25 in CDER and in OPS. As you can see from this slide, in many

1 cases the benefits of the drugs really outweigh the risk,
2 and we want to talk a little about the OPS initiative that
3 we have with this committee on reducing the CMC
4 requirements.

5 To quote Janet Woodcock, risk-based chemistry
6 product quality is the foundation for everything CDER does
7 and underlies the safety and efficacy of all the products
8 CDER regulates. It is our hope, through this initiative, to
9 reduce the CMC requirements and be able to eliminate some of
10 the NDA and ANDA manufacturing supplements and reduce the
11 amount of information that needs to be submitted to the
12 annual reports. Determinations for these reductions will be
13 made through sound scientific criteria, thus ensuring that
14 the benefits of the expedited reviews for some products
15 would outweigh the risk associated with the products.

16 There are other areas in OPS that we are beginning
17 to incorporate more of the risk management thinking, and we
18 will bring a lot of these initiatives to the committee in
19 the future. I just felt that today we wanted to at least
20 form the basis for where we are going with risk management.
21 Unless the committee has any questions, I will hand the
22 podium back over to Dr. Lamborn so we can hear about the CMC
23 reduction.

24 DR. LAMBORN: Questions from the committee?
25 [No response]

1 Reducing the Regulatory Burden

2 Concept, What and How

3 [Slide]

4 DR. CHIU: I am going to present for you a new
5 initiative which is risk-based CMC reviews. This is a
6 project we are taking beyond the SU/PAC concept.

7 [Slide]

8 Before I start to explain the program, I would
9 like to give you background information. The FDA's
10 oversight of product quality really is established by law,
11 and is also governed by the concern of safety. So, if you
12 look at the same product, such as ephedrine, it would have
13 multiple status under the law and under the regulations. It
14 can be a prescription drug or an over-the-counter drug but
15 require a submission of applications, either NDA or ANDA.
16 Once it is determined that it should be an application drug,
17 then it is required to submit full CMC information by the
18 manufacturer, and it is also required to be in compliance
19 with the drug GMPs fully.

20 However, it can also be an OTC monograph drug.
21 The determination of a monograph drug is purely based on
22 safety, whether the drug can be administered by the patients
23 through self-medication. Once it is determined to be an OTC
24 monograph drug, then there is no requirement for
25 applications to the Center. Then the Center does not know

1 how the drug is made and does not know where it is made, not
2 to mention any changes occurring during the years.

3 Although OTC drugs should be in compliance with
4 drug GMPs, the agency has less authority in many risk
5 respects. For example, they do not need to tell the agency
6 that they are going to go through a recall like the
7 application drugs, and when an investigator goes to the
8 facility to conduct inspections, he will not necessarily see
9 all the documents. So, therefore, there is really much
10 reduced oversight by the agency.

11 However, as you all know, there can also be a
12 dietary supplement which is governed under the law. Then,
13 the product is considered to be safe and efficacy is not
14 even part of the consideration, and it is actually traded
15 more like a food. So, not only does the agency not have
16 oversight of the manufacturing information, it does not
17 require prior approval by the agency, and although DSH
18 required the agency to publish a GMP modeled on food for
19 types of supplements, so far the agency has not issued a
20 proposal of the GMP for dietary supplements. So, in the
21 meantime the dietary supplements are regulated on the food
22 GMPs, which is really sanitary type of GMPs. So, it is
23 much, much less regulated.

24 So, as you can see, none of these decisions were
25 really based on product risk. Because of this type of

1 implication, we hope that the Center will take a look at
2 whether, you know, all the products that require
3 applications can be regulated in a different way based on
4 product quality risk, rather than just looking at the safety
5 or the law.

6 [Slide]

7 So, this initiative is to make an assessment of
8 the risk to product quality of all the approved drugs, and
9 then to see whether we can carve out a portion of those
10 products that we consider of little or no risk.

11 [Slide]

12 So, the objective is to establish a set of quality
13 attributes and acceptance criteria for little or no risk
14 drugs. Then, to compile a list of little or no risk drugs
15 based on the established attributes and acceptance criteria.

16 [Slide]

17 Once a drug is on the list, what we can do is --
18 because we think those are of very low risk and whatever you
19 do to the product, nothing much will happen to it, then the
20 Center can have much less oversight in terms of filing
21 requirements. So, for those products we would eliminate
22 most of the manufacturing supplements except for certain
23 changes listed in FDAMA Section 116. An example would be
24 changes of drug substance, drug product specifications,
25 changes of drug product components or composition, changes

1 requiring in vivo studies, and also we are thinking about
2 including simple sterile products on the list so we will
3 propose to have supplements when the changes occur to the
4 sterilization process.

5 [Slide]

6 If we just reduced the supplements and then
7 everything else would be moving to the annual report. So,
8 that does not really reduce the resource of the Center in
9 terms of CMC review because everything now is in annual
10 reports and someone still has to look at them. If we think
11 the products are such low risk, then it would not be
12 necessary even to look at the annual reports. So, we will
13 propose to reduce the information required in our annual
14 report. Once the drug is on the list, this program is
15 implemented and a one-time submission to the annual report
16 would much reduce the information, modeled on the technology
17 documents for the ICH similar to the quality summary of the
18 CTD-Q to establish a baseline. If the drug, indeed, is such
19 a low risk, then we think, you know, it is not necessary
20 even for a generic company to submit the full information in
21 the original NDA. So, we would call it a truncated NDA.
22 This would further reduce the resource of the Office of
23 Generic Drugs. You know, so many applications are received,
24 why should each drug be reviewed with the same intensity if
25 the drug is considered to be of no risk in terms of product

1 quality?

2 [Slide]

3 There are several important points to be made even
4 though we are talking about reduced CMC requirements. There
5 will be no reduction in scientific and validation data
6 needed to be generated by the firms, by the manufacturers,
7 to ensure that the identity, purity, quality,
8 strength/potency of the drug, the documentation will be kept
9 on site. So, therefore, the only reduction is the filing
10 requirement. It is not to reduce for the manufacturer to do
11 the things they ordinarily need to do. There will be no
12 change in the pre-approval inspection program. So, a
13 truncated NDA will still be inspected before its approval
14 and all the documentation can be looked at if necessary. We
15 propose there will be a joint inspection by the chemistry
16 reviewer and the investigator of the field office to
17 randomly audit the scientific and validation data that are
18 not submitted to the applications.

19 [Slide]

20 Another important point to make is that a
21 reduction in CMC submissions should not be associated with
22 other clones of product because even though we have full
23 information in our application, it doesn't prevent
24 counterfeits. It doesn't prevent adulteration of products,
25 and doesn't prevent a company from making GMP violations.

1 And, there are some rare events, you know, like tryptophan,
2 all of sudden they become toxic and the submission of the
3 CMC information would not even help those cases. So,
4 therefore, when one must dissociate the filing requirement
5 with other problems which occur to products because that is
6 in a different arena.

7 [Slide]

8 So, together, you know, in our Center and with
9 other organizations of the agency have discussed this and we
10 came to a very preliminary list of attributes and acceptance
11 criteria. We believe the attributes should include chemical
12 structures, should be well characterized. If the drug
13 substance is not characterized, then it probably should not
14 be on the list. We think, you know, the manufacturing
15 process should be a simple synthetic process. The quality
16 should be fully assured by adequate specifications, and does
17 not contain known toxic impurities. The physical
18 properties, such as polymorphism and particle sizes, should
19 be well characterized and can be controlled. The product
20 should be stable and the product should be on the market for
21 significant length of time. And, there are other
22 attributes.

23 Those are just very preliminary thoughts of ours,
24 and many of the substance criteria, you know, are not really
25 quite defined. So, what is a simple synthetic process? Or,

1 how do you define that? That is the reason we are here. We
2 are seeking input, technology and scientific input from the
3 committee.

4 [Slide]

5 We also made a similar table for the drug product.
6 We believe all the oral, liquid and solid immediate release
7 dosage forms and simple sterile solutions can be considered,
8 and the manufacturing process should be easy. There should
9 be adequate specifications for the drug product, and should
10 be stable, and should be on the market for quite a while.
11 We are also seeking input and advice from the committee on
12 the criteria and the attributes for the drug product.

13 [Slide]

14 Once that is established, the attributes and the
15 acceptance criteria are established, then we can compile a
16 list. That is the first phase of the program. Once the
17 list is drafted, then we think they should move to the
18 second phase. The second phase is safety considerations, to
19 see whether there are any clinical concerns; whether any
20 drug on the list medically should not be included.

21 Tomorrow you will hear more about BCS and, in our
22 view, we do not think BCS is the limit, however, this will
23 be discussed internally and externally. Once those
24 considerations are made, then the final list will be there.
25 Because products are made by multiple companies, we believe,

1 you know, if a manufacturer has the privilege to use this
2 process, it must have good GMP standards and record. So,
3 the eligibility of a manufacturer is based on GMP
4 consideration.

5 [Slide]

6 In order to make this program proceed and be
7 successful we have already started our internal discussion,
8 and we have started brown-bag meetings with our reviewers.
9 We have also met with our Office of Regulatory Affairs at
10 the agency level -- the field office.

11 Today, we are here discussing this with this
12 committee and we believe we will come back to you next year
13 once the program is further developed. We would also like
14 to seek public comments. So, we plan to have a scientific
15 workshop in June or July next year. Then, after the
16 workshop, we will issue a draft guidance on the attributes
17 and acceptance criteria. We will seek formal comments.

18 Then, we believe that sometime in the late 2002 we
19 will be able to issue a final guidance on acceptance
20 criteria and the attributes. At the same time, we will be
21 able to issue a draft list of the candidates of the drugs.

22 In addition, at the same time we will be
23 discussing internally and, again, externally what the
24 reduced annual reporting information should be. Then, at
25 the same time we will issue a draft guidance on the CMC

1 filing requirements for the annual reports. We believe an
2 original truncated NDA could also model on annual reports.
3 It is our legal advice in order to get the truncated ANDA
4 going, we will require a proposed rule, we will require a
5 change of the regulation.

6 Then, once all the comments come in to the draft
7 guidances, then we will be able to formalize the entire
8 program in the year 2003, we hope. Then we will start to
9 implement this program in the meantime and we will work out
10 all the administrative details. So, here today we are
11 seeking advice from the committee on technical and
12 scientific input for the attributes and acceptance criteria.

13 [Slide]

14 We also believe, once the program is implemented,
15 we will be able to learn from it and also to learn from
16 further product quality research. We may be able then to
17 expand this.

18 [Slide]

19 Finally, I would like to show you the names of our
20 people who are working on this project. They represent the
21 Office of Generic Drugs, Office of New Drug Chemistry,
22 Office of Compliance of CDER, and also the field office,
23 Office of Regulatory Affairs. Thank you.

24 DR. LAMBORN: Yes, you have a question?

25 DR. ANDERSON: Yes. I think it is the pie chart

1 on your third slide, how does that relate to the last one?

2 DR. CHIU: You mean objectives?

3 DR. ANDERSON: Does this represent the current
4 state of affairs?

5 DR. CHIU: No, this is just the beginning. The
6 objective of the program is to establish a set of product
7 attributes and acceptance criteria.

8 DR. ANDERSON: So, the one on the third slide and
9 the last slide --

10 DR. CHIU: Oh, you mean the pie chart. What we
11 want to do is to carve out a group of drugs which are
12 determined little or no risk based on the attributes and
13 substance criteria to be established through the internal
14 and external discussion. The last slide, that slide just
15 shows that in the future we would like to expand the pie so
16 more drugs can be included to be considered little or no
17 risk because, once we learn more, we will be able to add
18 other attributes or acceptance criteria so more products can
19 be included.

20 DR. LAMBORN: Could I try and restate it to make
21 sure I understand? So, what you are saying is that at the
22 moment there is no group that is defined as little or no
23 risk. You hope to carve out a piece, and then over time to
24 increase that piece. Is that what you are saying?

25 DR. CHIU: Exactly.

1 DR. LAMBORN: I think what we should do right now
2 is if we have specific questions for clarification, we
3 should cover those, and then I know we are going to come
4 back later to do the discussion but it would be pertinent
5 now if there are other clarification questions.

6 DR. BLOOM: Excuse me, is there a definition
7 between little or no risk in terms of the drugs?

8 DR. CHIU: No, we will have to define what is
9 little or no risk by establishing the attributes and the
10 acceptance criteria.

11 DR. BLOOM: So, that has not been established yet?

12 DR. CHIU: That has not been determined.

13 DR. DOULL: Madam Chairman, that is a problem.
14 There are no drugs with no risk. I think that should be
15 little or minimal risk, or something, but that term "no
16 risk" is offensive to toxicology because every drug has some
17 risk.

18 DR. CHIU: We are not talking about risk based on
19 quality. We are not talking about safety risk because,
20 absolutely, you are right, all the drugs have side effects.
21 But we are talking about maybe certain drugs, you know, are
22 just rock stable and so easy to make, therefore, whatever
23 you do to it -- you know, it doesn't matter how you store
24 it, how you ship it; it doesn't matter if you change the
25 manufacturing process, it stays the same drug. That is what

1 I mean.

2 DR. LAMBORN: Yes, I tend to agree that just about
3 everything you could do, enough to do it, to create a problem
4 but I think we understand the concept, which is a minimal
5 risk group.

6 DR. BLOOM: Are you planning on defining little
7 risk in terms of time to?

8 DR. CHIU: I am sorry, in terms of what?

9 DR. BLOOM: In terms of time.

10 DR. CHIU: Yes, I think once we approve a new
11 drug, you know, we will need to know a little bit more about
12 the drug's marketing history. So, one of the criteria we
13 put down is that maybe it should be on the market for ten
14 years, but that is just a number to throw out for
15 discussion.

16 DR. LAMBORN: Seeing no additional clarification
17 questions, I think our next topic is an innovator industry
18 perspective, Tobias Massa.

19 Innovative Industry Perspective

20 DR. MASSA: Good morning.

21 [Slide]

22 I am here representing the Pharmaceutical Research
23 and Manufacturers of America to provide an innovator
24 industry perspective on the risk-based CMC review proposed
25 by FDA.

1 [Slide]

2 Having seen this presentation a few times in
3 several trade meetings, and representing PhARMA at those
4 meetings, I can say that PhARMA does welcome this approach.
5 We think it is a bold and innovative proposal by FDA. Over
6 the years that I have been involved in CMC at PhARMA, I know
7 that we have had lots of discussions about taking an
8 approach something like this although we have made proposals
9 that were slightly different than this, but we do agree that
10 once this proposal is implemented, if and when it does
11 happen, this will truly represent the spirit of FDAMA
12 Section 116.

13 [Slide]

14 I want to reemphasize the point that Dr. Chiu
15 made, that it should be understood that this proposal in no
16 way reduces the data required to support a manufacturing
17 change. What we are talking about here is a reduction in
18 burden that results from not having to file for prior
19 approval or CBEs for these manufacturing changes. The
20 amount of work that would have to be done would be identical
21 both before and after this change gets implemented.

22 [Slide]

23 One of the things that we have had a lot of
24 discussion about in canvassing some of my colleagues in the
25 innovator industry is that we all have older products that

1 might want to be considered for this, and in considering
2 those products these products need to be brought up to
3 current standards. The products that were approved in the
4 ICH era probably would not fall into that older product
5 category, but when you are talking about some of the older
6 products, they may not have impurity profiles comparable to
7 today's impurity profiles. They may not have all of the
8 specifications that have been outlines in Q6A or Q6B. The
9 validations may have been appropriate when those products
10 were approved but they don't meet the standards of today and
11 i think if we are going to establish a program like this,
12 where we are concerned about risk, all products should be
13 operating in a level playing field. Again, we want to
14 emphasize the importance, as Dr. Chiu has, of cGMPs.

15 [Slide]

16 We think that trying to carve out a group of ten
17 percent of the products is an appropriate first step, and we
18 think that is a wise to move to try and ensure that there is
19 minimal risk. In deference to Dr. Doull, as a former
20 toxicologist, I agree with his position very strongly. We
21 want to minimize the risk to consumers and customers.

22 Ultimately, we also agree with Dr. Chiu that ten
23 percent would not be the end goal of this thing. Looking at
24 the burdens that are on FDA reviewers, we realize that they
25 get approximately 1500 manufacturing supplements a year that

1 have to be reviewed. If we can reduce some of that burden
2 while not reducing product quality, that will allow these
3 reviewers to be doing other things that they need to do.

4 I think it is also important to remember that --
5 the numbers that I have heard -- approximately 77 percent of
6 the products that are on the marketplace right now are
7 immediate release oral products. So, there is a lot of
8 opportunity here to get products into this minimal risk
9 category depending on what some of the other criteria are.

10 [Slide]

11 I think it is important that we recognize that
12 there are a number of efforts ongoing right now dealing with
13 manufacturing changes, and those efforts should not be
14 slowed down while we are trying to deal with the minimal
15 risk proposal. Nancy Sager, this morning, talked about
16 trying to get the reg. and guidance out for 314.70, and we
17 think that needs to come forward.

18 There are also updates to SU/PACs that are in
19 process. We are waiting, BACPAC too, which is the post-
20 approval change to bulk drugs. There have been ongoing
21 efforts on and off to try to do something about sterile
22 products. Just recently industry made a proposal on
23 packaging changes. We think those things need to keep going
24 forward while we are trying to deal with this as well.

25 [Slide]

1 I think this kind of makes the point that while we
2 have a goal of trying to get ten percent of the products out
3 there, the vast majority of products still are going to be
4 governed by these other guidances. That is why it is
5 important to keep the progress going on these other
6 guidances as well.

7 [Slide]

8 As far as some specific comments, we think quite
9 clearly, and there has been a lot of discussion about
10 biotech products of late. Clearly, biotech products would
11 not fall into this category. They are just too complex to
12 have changes considered in this proposal and, therefore, we
13 would not consider them part of this.

14 [Slide]

15 The most important thing in assessing this
16 proposal is establishing what are the inclusion criteria. I
17 am going to talk about this in a slightly different order
18 than Dr. Chiu did because I think I am trying to lump some
19 of my comments together here.

20 We would propose that when we talk about drug
21 substance or drug product characterization that there are
22 already guidances out there that address how a product
23 should be characterized. We have spent many years of ICH
24 trying to establish guidances on specifications, impurities
25 and stability, and those criteria should play a very big

1 role in establishing what the criteria are in this
2 particular program.

3 Of course, one would have to address, as I said
4 before, what you do about products that were approved prior
5 to ICH guidance coming into play. I think that is where you
6 would have to exercise some judgment. For example, although
7 we have not seen the final stability guidance, one of the
8 things that has been discussed in that guidance, or at least
9 in drafts of that guidance is that a manufacturer would have
10 the option of keeping their existing stability program if it
11 was still felt to be adequate to support the product or
12 converting over to an ICH-like program. I think you have to
13 consider what your existing data are and how robust the
14 product is. You would have to look at whether or not the
15 assays that it lacks would jeopardize it being part of this
16 minimal risk program. Again, what we want to try and do is
17 establish an even playing field here so that we are
18 minimizing risk to patients.

19 [Slide]

20 It has been proposed that this proposal be
21 effective on BCS classification 1 products -- high
22 solubility, high permeability. When we discussed this
23 internally in some of our PhARMA committees, there was a lot
24 of discussion that maybe this may apply to categories 2 and
25 3 as well, depending on whether or not you have in vivo, in

1 vitro correlations.

2 [Slide]

3 Issues about product validation, again this gets
4 back to the issue of cGMP. Product validation is a GMP
5 requirement and those of us who have some older products and
6 have been deemed certainly 483s, those validations don't
7 necessarily come up to today's standards. So, clearly, it
8 is the responsibility of all of us to make sure that we are
9 current -- that is what that little "c" means in front of
10 GMP. So, we are expected to keep these validations current.
11 We are expected to keep product specifications, i.e., what
12 specific assays do we run on these products current with
13 today's standards.

14 [Slide]

15 Clearly, the issue of the facility being BMP is
16 paramount. We have talked about what establishes a facility
17 in being GMP. You know, some facilities have kind of gone
18 through coming to Jesus, as you may want to term it. We
19 have had some difficulties and we have seen the light, and
20 we now have good GMP status. So, I think you need to look
21 at what has been a recent history and what that time frame
22 is I think really needs to be determined. A facility has to
23 have adequate quality systems. I am going to come to this
24 again later on because you want to have systems in place
25 that will be able to detect when problems arise. Without

1 those systems you can't claim, regardless of how simple a
2 product is, that you are minimal risk. I think some of the
3 GMPs that have been outlined in Q7A which has been proposed
4 for drug substances outline a lot of the things we need to
5 have in place to be considered in this program.

6 [Slide]

7 With regard to process and physical properties, I
8 don't think the issue should be revolving around is it a
9 simple procedure, whether or not chirality exists, whether
10 or not polymorphs exist. I think the key is whether the
11 process is validated and whether or not it consistently and
12 reproducibly results in a product that meets whatever its
13 specifications are. If you have polymorphs -- it shouldn't
14 matter whether or not polymorphs are present but is your
15 manufacturing process such that you always get the same
16 ratio of polymorphs and that that does not change on
17 stability. I think that is a key that we need to look at,
18 the same thing as it relates to stereoisomerism. The
19 difficulty of a process is not necessarily that determines
20 risk. The key is how well do you control that process.

21 [Slide]

22 Do you have the appropriate manufacturing
23 experience and controls in place to detect potential
24 changes? I think that relates to product characterization
25 more than anything else. Do you have the ability to detect

1 when something could "go wrong?" Do you have adequate
2 assays for impurities, for example, that would detect new
3 impurities occurring in the face of a manufacturing change?
4 As Dr. Doull pointed out, there are always safety
5 implications here.

6 As far as manufacturing history, we have had a lot
7 of discussion about the proposal of ten years and we, quite
8 frankly, think that is too long. We don't see that as being
9 of any benefit certainly to the innovator industry and in
10 most cases those products, once we get them approved, are
11 really off patent then and there is really no benefit to us
12 there.

13 If you look at the definition of what adequate
14 experience is in SU/PAC, for the immediate release products
15 it was termed to be three years of experience, and for the
16 MR products it was said to be five years experience. I
17 think the history maybe is not necessarily something we
18 ought to talk about in terms of temporal time but how many
19 batches of the product have we made, or perhaps we ought to
20 be talking about one real time shelf life to look at
21 stability out of the commercial plant. We need to have some
22 sort of discussion around what really constitutes adequate
23 time on the market. Clearly, we agree with Dr. Chiu that
24 there shouldn't have been any recalls in whatever that
25 period of time is. Certainly for a newer product you don't

1 want any recalls. For an older product you would have to
2 establish a time in which there had been a recall.

3 [Slide]

4 One of the things we also think ought to be
5 considered here is uncoupling drug substance from drug
6 product. It may be that certain manufacture of a drug
7 substance is such that it is well controlled but the drug
8 product it goes into may be a little more complicated and
9 may not qualify as being a minimal risk product.

10 Now, we recognize certainly that drug substance
11 characteristics have impact on drug product and that needs
12 to be considered as well. If you are talking about
13 innovation products or some of the extended or modified
14 release products, clearly, substance issues come into play
15 and we need to look at that. But you may be able to
16 distinguish low risk bulk or bulk changes from higher risk
17 product changes, and vice versa.

18 I think we have already established the precedence
19 for that in delineating certain changes that can be made
20 early in the synthesis of a bulk and BACPAC1 where you have
21 liberalized quite a bit what we can do in the manufacturing
22 change arena. Although we have not seen BACPAC2, we know
23 that that is going to be a lot more conservative because
24 that deals with changes that are occurring in the last steps
25 of synthesis. I think if you have a long synthesis it may

1 actually be to the benefit of the drug substance because
2 there are more steps where, for example, impurities may be
3 eliminated as a result of recrystallizations that may occur
4 in the process.

5 So, I don't think there is any magic formula here
6 that says what should and shouldn't be up for consideration.
7 We have wrestled an awful lot with this because when we came
8 up with what our criteria were, quite frankly, we thought
9 they could apply really to any drug substance or any product
10 type, but we agreed with FDA that at least initially we
11 ought to be talking about immediate release oral products,
12 oral solutions and simple sterile solutions, and we are
13 encouraged by the fact that when we talked about sterile
14 solutions included in there were solutions made by aseptic
15 process. We are not just limiting this to terminal
16 sterilization. I think, again, what needs to be considered
17 here is how well controlled these processes are.

18 [Slide]

19 We, on the innovator side, are prepared to
20 actively support process and we would like to participate in
21 open discussions about this. I think the guidances that
22 have come out recently where there has been a lot of
23 industry participation, before we have got guidance to
24 paper, have been the ones that we though have worked out the
25 best, and if we think this one is going to work there needs

1 to be an awful lot of discussion because establishing these
2 inclusion criteria is going to be very important.

3 [Slide]

4 To summarize, we obviously support this approach.
5 We look forward to discussions on establishing what these
6 criteria are, and we think that is going to be the key, and
7 in keeping certainly with some of the comments that Dr.
8 Woodcock has made and my colleagues in OPS have made, this
9 needs to be based on sound science, not on "what if." We
10 can't rely on anecdotes here. This has to be based on sound
11 science. Thank you.

12 DR. LAMBORN: Thank you. Are there any
13 clarification questions? Please?

14 DR. VENITZ: Yes, in one of your earlier slides
15 you mentioned ten percent inclusion of products. What
16 products are you talking about? Products on the market,
17 NDAs, ANDAs?

18 DR. MASSA: My understanding is that the initial
19 proposal was trying to carve out approximately ten percent
20 of the approved products that are on the market right now.
21 I think, you know, one of the things we need to be careful
22 with here is that, at least as it was initially described to
23 us, the criteria were and criteria, not or criteria. As one
24 of my colleagues in FDA pointed out to me, if we only look
25 at BCS1 category products, I think only seven percent of

1 products on the market right now are BCS1. So, if we make
2 that and criteria we very quickly get down to low single
3 digits in terms of what products would be eligible to be in
4 this program. So, again, I think the criteria that we use
5 are going to be really important here.

6 DR. DOULL: I would like to ask about the
7 criteria. Did you talk about type of effect as a criterion.
8 I am thinking, you know, surely you would be more concerned
9 about something that causes cancer than something that
10 causes nausea. Therefore, one would have a type of effect
11 criterion but it is not in here.

12 DR. MASSA: Actually, you are right. We did not
13 look at that although we agreed with Dr. Chiu that we need
14 to look at product type and what diseases we are trying to
15 treat; whether or not we have a high or low therapeutic
16 index; are they using critical care settings. We agree with
17 those and we think those need to be carefully considered for
18 products that are considered here.

19 DR. DOULL: And, you are thinking of that as part
20 of the safety effect?

21 DR. MASSA: Yes, we are.

22 DR. DOULL: So, incidence and type of effect.

23 DR. MASSA: We think that as we get into this in
24 the next six or twelve months we are going to have to have
25 lots of discussions around what are the inclusion criteria

1 and all these things, I am sure, are going to come up for
2 discussion. I know we are trying to work with Dr. Chiu on
3 the workshop that we have talked about for the summer of
4 this year, establishing what things need to be discussed
5 there and, clearly, those will be discussed.

6 DR. DOULL: Good. Thank you.

7 DR. LAMBORN: Our next speaker will provide a
8 generic industry perspective. Debra Miran.

9 Generic Industry Perspective

10 MS. MIRAN: Good morning everyone, and good
11 morning to the committee.

12 [Slide]

13 Generic Pharmaceutical Association would like to
14 thank everyone, and especially FDA for inviting us to share
15 our thoughts on this exciting new venture that the FDA is
16 embarking on.

17 [Slide]

18 In listening to Toby and his comments from PHARMA,
19 I think you will find that we overlap in the generic
20 perspective a lot with the general thinking and philosophy,
21 and we too in the past, from the generic perspective, have
22 supported the SU/PAC initiatives, have supported FDAMA 97,
23 Section 116, and will continue with this in the support of
24 the FDA initiatives to further down-regulate and establish
25 CMC requirements based on sound science.

1 [Slide]

2 We too, as PhARMA has suggested, strongly agree
3 with the FDA that there should be no reduction in study,
4 data or documentation requirements for ANDA applicants and
5 ANDA sponsors. All these established CMC requirements
6 should be maintained, and the difference in the proposal
7 versus the current standard would be a filing reduction, not
8 a testing or documentation reduction.

9 [Slide]

10 GPhA also supports the phased-in approach, as we
11 have defined it, which begins with the simplest minimal risk
12 products and evolves over time so that the slice of the pie
13 can be expanded. I think that it is important to look at
14 these on a product and manufacturer quality attribute, which
15 is a concept I will discuss in a few minutes.

16 [Slide]

17 Here I may depart slightly from some of the
18 previous comments, but we believe that the focus of the
19 initiative should be on product quality and chemistry and
20 manufacturing controls. I am not suggesting that the safety
21 category, as FDA has proposed, be overlooked or eliminated
22 but I think that we need to remember that this is the
23 product quality initiative that the FDA has taken on, and
24 that the focus really should be the CMC attributes.

25 [Slide]

1 We agree that the important attributes and
2 acceptance criteria are the key to establishing this
3 qualification, as FDA calls it. As previously shown by Dr.
4 Chiu, the four categories that FDA proposes are drug
5 substance, drug product, safety and GMP compliance. As I
6 previously mentioned, we strongly support three of those
7 four, meaning drug substance, drug product and GMP
8 compliance.

9 [Slide]

10 The focus of my next few slides is really to pose
11 some questions and some issues that our group internally,
12 within GPhA, have discussed. I am not suggesting we have
13 answers to these, and I realize that the committee has
14 plenty of questions to deal with already today, but take
15 these as some food for thought and, hopefully, these will be
16 addressed in the months to come and in the upcoming spring
17 workshop, next spring.

18 To begin with, as we thought this through, it is
19 hard to imagine one list in the current FDA thinking if you
20 think of the drug product, drug substance and then the
21 combination of drug product and drug substance. Could this
22 suggest three lists instead of one? Could the drug
23 substance qualify but maybe the drug product does not? So,
24 I think that when we think about this practically, it is a
25 little difficult to think about how this would be

1 implemented with one list.

2 [Slide]

3 Secondly, if you consider the FDA proposal in the
4 four categories, there are numerous attributes under each.
5 In this concept, do all criteria for all the categories need
6 to be met? Or, is it sufficient that some of those
7 attributes be met? I think here, again, that would be a
8 process to consider in the ongoing discussions in the next
9 workshop.

10 [Slide]

11 The list, as it has been coined, will that be
12 developed by FDA only or with some industry input? Thinking
13 beyond the initial creation, how will new products or
14 substances be added to the list and what process will be
15 used to keep it dynamic and growing?

16 When looking at the attributes that are being
17 suggested, we are a little bit concerned that many of these
18 attributes aren't necessarily inherent properties of the
19 drug substance or the drug product but are more specific to
20 the product, manufacturing process or the company
21 manufacturing the product and, therefore, a generalized list
22 with a generalized set of attributes may be difficult to fit
23 into some of these less inherent properties.

24 [Slide]

25 As I previously suggested, we are also curious

1 about how the safety category which FDA proposes will
2 correlate with the product quality attributes. I am not
3 trying to be rebellious and suggest that we are against this
4 concept of looking at higher risk from a safety perspective,
5 but if the focus is meant to be on drug product quality and
6 drug substance quality how is that going to tie into the
7 safety category?

8 Like PhARMA, we question the definition of
9 history, and is this the sponsor's history with the product
10 or simply the years that the product has been on the market?
11 Once again, your company history with the product may be
12 irrelevant to the general history of the product being
13 manufactured by all companies over a period, and the ten-
14 year concept we too think is excessive, knowing that in
15 existing guidances SU/PAC has already defined a three-year
16 period, which is defined as a significant body of
17 information for immediate release products.

18 [Slide]

19 With respect to the manufacturing procedure, the
20 proposal suggests two categories, easy and, as we call it,
21 everything else. Neither is very well defined at this point
22 but here, again, it is not so much that it is a concern but,
23 rather, as we all know in the manufacturing world even with
24 simple immediate release products there are multiple
25 processes available to the manufacturer and what may be easy

1 for one sponsor may not be for another.

2 [Slide]

3 Turning back to the subject of drug substance
4 versus drug product, another concern that we perceive is
5 that if the acceptance criteria for the drug substance are
6 established, it may not be relevant once it is formulated
7 into a drug product. The example that I show on the slide
8 is that if a drug substance is known to be sensitive and
9 stable from light or moisture or any other environmental
10 factor, it is possible to formulate into the drug product a
11 more stable drug product, thus, minimizing or eliminating
12 those sensitivities. So, the drug substance may not fit an
13 attribute on one hand but the drug product may, and does
14 this product need to be on the list or not on the list?

15 [Slide]

16 We, at GPhA, have also thought a lot about this
17 concept and would like to take a few minutes to propose a
18 slightly less radical approach maybe, and a version of what
19 the FDA proposal suggests that will take into consideration
20 all the objectives that the FDA wants to meet but, at the
21 same time, I think addressing our concern that these really
22 should be more product and manufacturer driven attributes.

23 We think we can create a concept which, as I said,
24 meets FDA's objective of down-regulating and providing
25 relief from filing requirements. This can be based more on

1 the qualification of the sponsor, the drug manufacturer and
2 the product that they are making.

3 [Slide]

4 Replace may be a strong word and if I had had a
5 chance to edit these slides I would have said reconsider the
6 concept of the truncated ANDA, and that may come as an
7 interesting message from the generic industry which always
8 is trying to suggest that we have too much filing
9 requirements already. But I think in this case the concept
10 that we are trying to put forward here is that for an ANDA
11 the traditional approach meeting requirements per 314.94 may
12 be the way to continue, and then, after a period of time,
13 after the product has been approved and on the market, and
14 for the sake of argument I am suggesting three years when
15 the sponsor has had a chance to manufacture a product and
16 develop a history and understand that the controls that they
17 have in place are adequate to detect potential problems, to
18 control the product and the process and the quality, then
19 the sponsor would be eligible to submit this annual report
20 or whatever filing mechanism the FDA arrives at to down-
21 regulate.

22 [Slide]

23 But we think that this could help to expand the
24 pieces of pie with, of course, the FDAMA exceptions which we
25 know are governed by law. We also think that this approach

1 rewards quality and compliance. The whole concept of what
2 FDA is proposing does put more and more responsibility on
3 the manufacturer which they already bear per GMP, but for
4 the responsible manufacturer, as always, it is important
5 that this concept of reward be in place and that the
6 diligence pays off in the reducing filing requirements.

7 This approach may not depend on a list concept.
8 There may be a way of not having to create, and add, and
9 maintain the list that has been suggested this morning.
10 Importantly, this would probably not -- I am not a lawyer
11 but it would probably not require regulation to implement as
12 the TANDA concept would likely.

13 [Slide]

14 In summary, I would like to say that GPhA does
15 support the FDA's efforts to establish this risk-based CMC
16 approach. We too are eager to be involved and to
17 participate in the discussions going forward with the
18 agency.

19 All the questions the committee has today before
20 them to review and discuss we feel are relevant and
21 challenging, and they do apply generally to all drug
22 substances, drug products and manufacturers, generic and
23 innovative alike.

24 Finally, we feel at GPhA that our proposal might
25 attempt to implement the FDA concept in a customized

1 approach for the drug substance, drug product, and
2 manufacturing and, thus, the attributes are created and
3 complied with, with both the FDA and the sponsor working
4 together in the process. Thank you.

5 DR. LAMBORN: Thank you. Are there questions?

6 DR. VENITZ: Can you define your concerns about
7 the safety considerations that you object to?

8 MS. MIRAN: I figured I was going to face that
9 question. I think that it is inappropriate to say that we
10 object. What I was trying to say, and maybe I wasn't
11 completely clear, is that we feel that the focus should be
12 on product quality attributes because this is a CMC of
13 chemistry, manufacturing and controls initiative. So, the
14 focus, the primary consideration for a list or the concept
15 that we propose, or whatever ultimately comes out of this
16 should be driven by the CMC attributes.

17 DR. LAMBORN: I guess I have one question. I just
18 want to make sure I understand. Your proposal with regard
19 to the timing is that you would like the timing to be linked
20 with a particular manufacturer having produced that product
21 for a period of time. Is that right?

22 MS. MIRAN: Yes, and the suggestion of three years
23 I guess is arbitrary but it comes from the SU/PAC documents
24 that have been published that we are working with and
25 already using that time frame for other change types.

1 DR. LAMBORN: Thank you. We have the unusual
2 benefit of being a little bit ahead of time, but I think
3 what we should do is take our 20-minute break now. We then
4 have a list of questions that have been posed for discussion
5 by the committee, and I think that is what we will turn to
6 following the break. So, a 20-minute break and then we will
7 reconvene.

8 [Brief recess]

9 Committee Discussion

10 DR. LAMBORN: Everyone should have a copy of the
11 questions to the committee, as well as two tables that the
12 questions refer to in the back of what you received. So,
13 although we have put this table up here, we are counting on
14 people being able to reference what is in front of you. It
15 is both in our background and it is also in the agenda that
16 we received today.

17 Also, we are fortunate to have two guests with us
18 for the purpose of this discussion and I might ask them to
19 introduce themselves.

20 DR. HOLLENBECK: Sure. My name is Gary
21 Hollenbeck. I am associate professor at the University of
22 Maryland School of Pharmacy.

23 DR. LACHMAN: My name is Leon Lachman. I am an
24 industry consultant on regulatory matters.

25 DR. LAMBORN: And we have two additional folks

1 from the FDA who are here to participate in this discussion.
2 If you could introduce yourselves too?

3 DR. BORING: I am Dan Boring, from the Antivirals
4 Group.

5 DR. SCHWARTZ: I am Paul Schwartz, from the Office
6 of Generic Drugs.

7 DR. LAMBORN: Thank you. I appreciate you all
8 participating in this sort of strange structure rather than
9 our usual circular table.

10 So the first set of questions that we have are
11 questions to Table 1. I think Table 1 is on the screen
12 above but, for the committee, have you all found the
13 questions?

14 So, regarding the drug substances, is each of the
15 identified attributes important in determining potential
16 risk to product quality? Or, are they potential sources of
17 product defects? I guess the attributes that we are talking
18 about are chemical, synthetic process quality, physical
19 property, stability, manufacturing history and then we have
20 the lovely generic "others."

21 So, if we start with the ones that are better
22 defined, perhaps our guests would like to start.

23 DR. HOLLENBECK: I would like to ask a general
24 question first, if I could, maybe a point of clarification
25 from this morning's speakers.

1 DR. LAMBORN: Please.

2 DR. HOLLENBECK: I noticed in all the
3 presentations this morning there was a discussion that the
4 basic scientific structure behind product quality is not
5 going to change as a result of this proposal; that only the
6 filing requirements would be changing. Yet, there is, in
7 your presentation, Dr. Chiu, the comment about reduced
8 information in the filing itself. Could you comment a
9 little bit more about what you mean by reduced information?

10 DR. CHIU: You know, in the original filing of an
11 NDA, we would have the full CMC information required by our
12 regulations and also recommended by our guidances. However,
13 if a drug is on the list, then we are thinking the company
14 could submit one annual report. The annual report would not
15 contain all the information which is required originally.
16 It would have reduced information. Just to give you an
17 example, in the original submission we will have a full
18 description of the synthesis in terms of all the steps, the
19 conditions, everything -- the quantity, everything is in
20 there, a full description. Sometimes that description is
21 actually substituted by an SOP. In this reduced annual
22 report they will mainly just consider a detailed flowchart.

23 The reason to have a reduced annual report concept
24 is if we do not have that, then any changes to the annual
25 report information still are required to be submitted in the

1 next annual report, even though we don't require
2 supplements. But if the annual report itself is reduced,
3 like the flowchart of the synthesis, then in the next annual
4 report only changes to that flowchart would be reported.
5 So, that is why I call it the reduced annual report
6 information. And we were thinking that the concept applied
7 to the truncated NDA, even in the original NDA submission,
8 will be reduced information. However, the manufacturing
9 site companies still have SOPs. They can't get away without
10 an SOP for synthesis.

11 DR. HOLLENBECK: Thank you. Looking at the
12 question under number one, it would seem that for chemical
13 entities we are not talking about the entities resulting
14 from recombinant DNA or biologic fermentations, whatever the
15 chemical structure, once it is on the market -- the product
16 has been on the market a while and it has been shown to be
17 reproducible, the same thing for the synthetic process -- we
18 are talking about a number of years. So, I think we are
19 going to have a process here, a synthetic process that we
20 have been able to optimize resulting in reproducible
21 characteristics of quality. This includes, you know, the
22 polymorphism, as was discussed this morning. Do we have a
23 constant ratio of the various forms, or do we have the more
24 stable polymorphic form? All this I think is based on the
25 process. We have identified, characterized and finalized

1 the synthetic process once you get into the NDA approval
2 phase. In order to change it later you have to go through
3 some kind of an amendment before approval or supplement.

4 So, assuming that this has all been done, I think
5 you then have a repeatable active pharmaceutical ingredient
6 as shown after three or five years of production. So, that
7 would be the repeatability of that process and of that
8 ingredient. I think I may even look at some other things
9 here from a processing point of view. I would be interested
10 in some of the physical property of solubilities being
11 critical as to decision points on whether a dosage form is
12 going to have any problem with the actual ingredient or not.
13 So, I think that is what I would look at.

14 DR. LAMBORN: So, specifically solubility?

15 DR. HOLLENBECK: Solubility would be one thing I
16 would add to this physical property. That is an important
17 part in dosage form. Whether a dosage form has a role or
18 not will be dependent on the solubility of the active
19 ingredient.

20 DR. DOULL: Where does purity go in this table?
21 Does purity go in? Where does that go in?

22 DR. HOLLENBECK: Purity would be part of the
23 quality specification where we are talking about the
24 impurities of the degradants and related substances. That
25 should be picked up in there.

1 DR. LAMBORN: Are there some folks on the
2 committee who would like to comment?

3 DR. BOEHLERT: Under quality, I would agree that
4 the purity and impurities come in there. The other thing
5 that we might consider for some products is microbiological
6 quality. That can be key for some products. It is not
7 included here specifically. It could be added.

8 With regard to manufacturing history, while I
9 think that is important, I think two of the speakers this
10 morning said it is not the length of time necessarily that
11 you manufacture, it is how many batches you have made.
12 There are certainly products on the market where you make
13 one batch every two years. So, ten years gives you five
14 batches. There are other products where you make a batch
15 every day. So, in a year you would have a lot more history
16 than in ten years. What is important is how often you
17 produce that material, not the number of years. Certainly,
18 we should be looking at some of the years that are mentioned
19 in SU/PAC, three and five rather than ten.

20 DR. LAMBORN: Other comments? What other
21 attributes need to be considered is I think where we are
22 right at the moment.

23 DR. HOLLENBECK: I have to do things in a very
24 logical manner for my slow brain sometimes. First of all, I
25 think you have high risk here when you venture into the

1 untried and the unknown. Clearly, Debra Miran's comments
2 this morning really focused on when you can counter that
3 risk. I would like to think that all of the products that
4 we make have a CMC portfolio sufficient to characterize
5 their quality, and that they are understood well enough by
6 the companies that manufacture them, and that the
7 specifications are meaningful.

8 So, I think if you have had experience
9 manufacturing a product and you have followed perhaps the
10 other guidelines that Debra Miran presented this morning --
11 compliance with cGMPs, no recalls, those kinds of things,
12 that is an important proof that this product can be
13 manufactured and maybe that should qualify a company for the
14 opportunity of reduced filing requirements. I could see
15 that almost as an initial screen for this kind of reduced
16 filing requirement.

17 It seems to me when we get to the questions on
18 this list, and that is the thing we are really asking, how
19 can we ensure that we could have a list where we just have
20 general decreased filing requirements and still be confident
21 that there isn't high risk associated with that. As we move
22 in that direction, it is very hard to exclude anything as a
23 possibility for influencing quality of the product. But my
24 sense is if you have meaningful specifications in place it
25 is pretty straightforward. If you comply with those

1 specifications, why aren't you maintaining that product's
2 quality?

3 DR. CHIU: That had to be debated while we were
4 discussing FDAMA. Specifications tell the whole story --
5 the agency, you know, both CBER and CDER do not support that
6 specifications tell the whole story for every drug because,
7 for example, if you have a complex mixture or you have a
8 very complicated molecule, even though you do certain
9 release tests, physical, chemical, biological, you are still
10 not sure you have the product consistent unless, you know,
11 you have the process control well in place. So, therefore,
12 that is why it is not just focused with adequate
13 specifications. We were thinking about whether the drug
14 substances are well characterized. If you cannot
15 characterize the product, then you cannot really say that
16 the specification is adequate.

17 DR. LACHMAN: Someone mentioned earlier that the
18 inspections that would take place in the future would be a
19 combined inspection between the reviewing representative as
20 well as a compliance representative. I think also important
21 would be to look at the number of all the specification
22 results, looking at the deviation reports, and is there
23 reprocessing taking place in order to get the final pure
24 material, and what is the yield. Is the yield repeatable or
25 is it variable? So, these are some of the other attributes

1 that could be determined during the pre-approval inspection.
2 That adds on to the assurance that the product or the bulk
3 drug is of the appropriate quality and repeatable quality.

4 DR. BOEHLERT: I would just mention that in
5 addition to that, product complaints is another good thing
6 to look at because if, indeed, there are complaints coming
7 in from the field, physical defects of lack of efficacy,
8 that could point you in the direction of a product you might
9 not want to consider.

10 DR. LAMBORN: Just to see if I am understanding,
11 what we are talking about is, first, that the company needs
12 to qualify and that is where you are suggesting the whole
13 process should start.

14 DR. HOLLENBECK: I am suggesting that that could
15 be an initial opportunity into a process like this, a
16 company-based kind of process. I think we referred to some
17 of this before as creating your own SU/PAC, if I remember,
18 in the initial follow-up discussions. That was basically a
19 reward for experience with the product and performance.
20 That is a possible way into this kind of regulatory field.
21 The other approach is an alternative as well.

22 DR. CHIU: And, we felt, you know, the agency
23 needs to be very active. So, the first step to be more
24 objective is to have universal criteria, attributes to
25 identify a list of drugs with those characteristics. Then,

1 based on GMPs, we will say which companies then have the
2 privilege to use that list. But if we have the other
3 approach, then we will have a company-dependent list, and
4 the list can be perceived to be subjective because each
5 company has a different list.

6 DR. LAMBORN: Except, if I am understanding
7 correctly, I guess I would look at it more as a listing but
8 there are two sets of criteria that have to be met. One is
9 related to the product and one is related to the company.
10 So, whichever one we start with, ultimately to have a
11 product manufactured by that company have the minimum filing
12 requirements you continue to have both a company and a
13 product requirement that has to be met. Am I correct in my
14 understanding?

15 DR. CHIU: Yes, and I think in which sequence you
16 do the list really doesn't matter. However, if the proposal
17 is just to look at the company and see how many products
18 they make and they decide which drugs will go with which
19 company, it kind of becomes, you know, subjective.

20 DR. LAMBORN: Thank you.

21 DR. HOLLENBECK: Well, my suggestion would be
22 objective criteria that you use to determine a company's
23 ability to participate in this program. So, that would be
24 one point.

25 I guess my point is that I don't necessarily feel

1 like we should reject any drug substances from possibly
2 being eligible for a program like this. If they are well
3 understood -- if the product is well understood then, it
4 seems to me, the filing relief makes sense. In many
5 respects the decision based on a drug substance can be quite
6 arbitrary. You know, some products are easily made by some
7 folks and not so easily made by others. But if you have a
8 well characterized substance -- all the criteria that you
9 have listed here I believe are important parts of that
10 process, but if you have demonstrated that you can do that,
11 then you should be eligible for relief on a program like
12 this.

13 DR. LACHMAN: I don't think I would support that
14 if the criteria is objective, it is independent of
15 companies. That is the basis for it, the objective criteria
16 that you have to develop.

17 DR. LAMBORN: One of the things that I note on
18 this first table is that there are well characterized, and
19 then we have others to be defined -- simple process to be
20 defined.

21 DR. ANDERSON: Those are the two areas that I
22 would like to comment on. First of all, if you know the
23 chemical structure it probably has already been well
24 characterized. So, I think we are talking about maybe
25 compound here instead of the structure.

1 Secondly, there are some things that chemists,
2 organic chemists usually use to characterize compounds so
3 that they can identify the structure, and I assume that is
4 the goal here. I can talk to you about that later because I
5 do that every day.

6 Secondly, I think we might want to distinguish
7 between simple synthetic process and a simple synthetic
8 process which may have a sequence of steps in it because, as
9 I have looked through the literature, most drugs are made
10 through a series of synthetic processes and they may not
11 necessarily be simple, and that may be where the problem
12 comes in. So, we might want to amend this so that it
13 doesn't rule out multi-step synthesis but, in fact, look at
14 whether or not within each of those steps we are talking
15 about a simple synthetic process. Let me just add quickly
16 that I am a great believe in simple synthetic processes.

17 There is a question down here, it says, should a
18 drug substance we multiple chiral centers be excluded? Can
19 you elaborate on that for me, why that question is raised?

20 DR. SCHWARTZ: Well, we think that if they are
21 many chiral centers you get a series of diastereomers and
22 perhaps I think may not be as controlled necessarily if
23 there are not multiple chiral centers. By making changes in
24 the synthetic process, could that possibly affect the
25 eventual purity of the material if there are many chiral

1 centers?

2 DR. ANDERSON: As I am sure you know, there is
3 just beginning to, I think, become understood a little bit
4 better about what is actually the active compound or the
5 active isomer in the drugs. So, it is not quite clear to
6 me, if there are multiple chiral centers in a compound, how
7 that might affect the activity. If the drug is active and
8 is working, the multiple chiral centers may really not have
9 any problem. Maybe one is acting or both, whatever.

10 You are right about the diastereomers and probably
11 you should have taken my test last week and would have done
12 better than my students. You are right about the
13 diastereomers but if that is, in fact, the case, then what
14 you do is, theoretically you can separate those if that is
15 what you are talking about. If you are talking about the
16 other part of it, then that may not be a problem in terms of
17 activity of the drug.

18 DR. BLOOM: I think the diastereomer isomers can
19 affect also the efficacy of drug in terms of drug receptor
20 interaction. So, that should be taken into account. There
21 is efficacy of the drug and it might be affected by the
22 syntheses also. So, if you are looking in terms of a
23 structure already in terms of the diastereomer isomers, they
24 should be looked into in terms of the efficacy of the drug.

25 DR. BOEHLERT: I think there is no question that

1 diastereomers are important and if you have a drug with five
2 chiral centers and have numerous diastereomers, I think what
3 it depends on in the last analysis is whether you have
4 acceptance specifications, and that is to test the methods
5 and the limits, and if, indeed, you do, then the issue is
6 not whether there are chiral centers or not but how well it
7 is controlled and whether you can get chiral changes when
8 changes are made. You know, I think the focus should be on
9 whether, indeed, you can follow those changes and not where
10 the drug started to begin with.

11 If we are talking now about a place to start, you
12 probably won't start with a drug with five chiral centers;
13 you would start off with drugs that are a lot easier to
14 control. But I would not exclude such drugs if, indeed, you
15 have good acceptance specifications.

16 DR. LAMBORN: I think one of the things that I am
17 hearing is a repeated theme that fits in with where I think
18 you all want to go anyhow, which is that part of the
19 distinction is where do we start versus where do we
20 ultimately want to be, and how far can we expand that
21 section of the pie? So, I don't think there is disagreement
22 to start with the simplest end of the spectrum but how
23 quickly you move away from that may be dependent on the
24 comment that I heard a minute ago, which is that if we start
25 with the absolute simplest we will practically not involve

1 any of the products that we are trying to deal with and,
2 therefore, we will want to expand that perhaps at least to
3 assure that the first pass includes enough to make something
4 that is meaningful, keeping in mind the other discussions
5 that will go forward.

6 Let's look at these other questions that we have
7 been asked to address. One of the things that I am
8 wondering about is what should be the standards for adequate
9 specifications? How should product specific, i.e., specific
10 to a manufacturing's product, impurities be handled? Since
11 I have not heard anybody comment on that, I will pass that
12 for comment. That is question 3c, on that first page.

13 DR. RODRIGUEZ-HORNEDO: I would like to make a
14 comment also related to question 3b.

15 DR. LAMBORN: Please.

16 DR. RODRIGUEZ-HORNEDO: I think in my view of the
17 synthetic processes and adequate specifications, I raise the
18 question what are the endpoints of the synthetic process?
19 What is the endpoint? Is it the active ingredient with the
20 well-defined particle size and polymorphs? Is that the
21 endpoint? I am a little bit confused with the synthetic
22 process and its ties to the adequate specifications. Maybe
23 adequate specification is just a final active product
24 ingredient, and where does the synthetic process end?

25 DR. LAMBORN: I think this is up to you all.

1 DR. SCHWARTZ: The synthetic process ends with the
2 active drug substance and its impurities, and what is the
3 level of impurities that might be found acceptable and also
4 the physical properties, like you mentioned the particle
5 size and polymorphs if that is an issue. In certain drugs
6 it is an issue in some drugs it is not an issue. For
7 example, for highly soluble drugs we are not particularly
8 concerned about particle size or polymorphs. Even if there
9 are different polymorphs, if it is a highly soluble drug it
10 is really insignificant. The same thing about particle
11 size. Certainly for a solution particle size is not going
12 to be an issue and even for a lot of soluble drug
13 substances, for example salts, probably particle size won't
14 be an issue. But what we are talking about is characterized
15 chemically and physically, final product plus whatever side
16 products from impurities associated with that synthesis, and
17 when physical properties are important that is included too.

18 DR. RODRIGUEZ-HORNEDO: So, the in-process
19 controls would be applicable to the adequate specifications.

20 DR. SCHWARTZ: It comes after the adequate
21 specifications.

22 DR. HOLLENBECK: Yes, I think this is a key point
23 to focus on for a minute, and that is the definition of risk
24 in this context. I have heard the panel refer to toxicology
25 and safety of drugs. That isn't really the risk that we are

1 looking at here. That is an important risk and maybe,
2 indeed, we should start with drugs that are really safe from
3 the safety perspective, but the risk that we are looking at
4 here is what is the risk of changing the filing requirement.
5 We have in place a set of specifications for drug substances
6 and drug products that in most cases is adequate to
7 characterize materials and ensure product quality.

8 So, the question that we are really asking is what
9 is the risk of changing from a supplement to an annual
10 report. And, it seems to me, the risks are will the
11 immediate nature of both the active substance and the
12 product be the same now, and will there be any untoward
13 time-dependent effects introduced because of this relief in
14 regulatory filing requirements?

15 We keep getting back to the same message here, if
16 the set of specifications upon which a product is approved
17 is adequate and in general it covers all of the issues we
18 have been discussing here, then the question is by moving it
19 into an annual report what would we really risk?

20 DR. LAMBORN: Cold I just ask for a clarification?
21 I had thought that in your presentation you had said that
22 you were not going to move it simply from immediate to an
23 annual report, that you were also proposing to reduce the
24 burden in the annual report. Did I misunderstand that?

25 DR. CHIU: Yes, you did. The annual report will

1 be reviewed. The reason we put the simple and synthetic
2 processing here is -- you know, my personal experience is I
3 have reviewed drugs in the past and they had hundreds of
4 steps. To synthesize, it took six months. So, even though
5 you say you have specifications there, sure that drug might
6 not be included because we would not have any information on
7 the changes of the synthesis process because the annual
8 report was just a flowchart, and we would not even know of
9 all kinds of changes and will that create a risk by reducing
10 the requirements. So, many of drug substances have very few
11 steps, a dozen steps or half a dozen steps, but there are
12 products, you know, that really take a long time and
13 hundreds of steps to synthesize.

14 DR. LAMBORN: So, again, just to make sure I
15 understand, the concept here is could there be something
16 that is missed that will have a bigger impact if we have a
17 lot of steps or the process is complex and, therefore, you
18 want to be assured that there is a backup process in terms
19 of filing for those, with the thought that accumulation of
20 items might be more likely to cause a difficulty that was
21 unexpected.

22 DR. CHIU: That is right.

23 DR. HOLLENBECK: I guess that gets back to the
24 inextricable linkage between experience and the products. I
25 don't think for the compound that you were just talking

1 about it makes sense to allow a manufacturer to do the first
2 batch that they have every made under these reduced
3 requirements. But, having successfully manufactured a
4 product meeting the specifications, then you can look at
5 using the reduced filing requirements.

6 DR. CHIU: Let us consider the process doesn't
7 change. Under this program, a process change will not
8 require any filing, you know, beyond a flowchart change.

9 DR. DOULL: I just want to respond to that
10 comment. It is hard for me to conceive of a risk-based list
11 that excludes biology. These are all chemistry things, and
12 maybe it is a two-step process as you say. You first need
13 to do the biology but you have to ask about therapeutic
14 purpose -- you know, what are we going to use this drug for?
15 If it is going to cure cancer it has different kind of
16 requirements than if it is going to be used for a headache.
17 And, what is the therapeutic index? You know, what are the
18 adverse effects that this thing produces? That is all part
19 of risk. You can't just shove it over there and say, you
20 know, we are just going to deal with the product
21 specifications unless, as you say, you have already taken
22 care of that other step. But I think we are excluding
23 biology here and I don't think we can, if we are talking
24 about risk, because biology is right in the middle of risk.

25 DR. LACHMAN: This is not for new drugs; this is

1 for existing drugs.

2 DR. DOULL: Well, that is true.

3 DR. LACHMAN: So, you will have the biology done,
4 and the only time you will change biology is if you change
5 the characteristics of the drug itself -- if it is not going
6 to be available, if you have new impurities or something
7 like that, otherwise it shouldn't change the biology.

8 DR. LAMBORN: Let's get clarification, Dr. Chiu.

9 DR. CHIU: Yes, I think our proposal includes
10 three phases. The first phase is to look at your process
11 characteristics. That is what we are discussing now. That
12 is what we are seeking advice about. The second phase is,
13 once we have the list of drugs based on the product
14 characteristics, we will look at the safety. Whether there
15 is a narrow therapeutic index, whether it is for critical
16 care, to determine whether any of the drugs which we are
17 considered not safe, then we will remove those drugs from
18 the list. That is the second phase.

19 DR. DOULL: That might be my first question,
20 whatever order.

21 DR. LAMBORN: Perhaps we could say that, once
22 again, there are two different pieces to this. Just as
23 there is the manufacturer and the substance, there is also
24 going to be the issue of the third piece which is the
25 purpose of the product, and whichever order as long as all

1 of them are covered, it is a matter of efficiency. But the
2 point is, as I understand it, you are trying to put that one
3 piece in place so that we have that together -- you are
4 trying to put two of the pieces together --

5 DR. CHIU: Right, because this initiative is based
6 on risk of product quality. So, first we lay down, you
7 know, the risk in terms of drug substance, drug product
8 characteristics. Without the objective criteria we will not
9 be able to even compile to a list because we need our
10 objective criteria first.

11 DR. VENITZ: I am still confused about what you
12 mean by risk. We heard a definition that risk means that if
13 you change your filing requirements, does that make any
14 difference with respect to product quality. I guess I am
15 with Dr. Doull, to define risk as if you change your
16 processes right now to assess product quality, is the
17 consumer going to take any additional risks?

18 DR. CHIU: We are talking about the risk if we are
19 reducing our oversight in terms of product manufactured at
20 our Center -- will that create a risk of producing product
21 quality not meeting the standards.

22 DR. VENITZ: Does it also mean that it would
23 potentially expose the public to a greater hazard?

24 DR. CHIU: Yes, the consequences will produce the
25 hazards because the quality is the basis for safety and

1 efficacy.

2 DR. VENITZ: But then you do have to incorporate
3 the biology or whatever it is you are talking about.

4 DR. CHIU: Yes, we are. We are incorporating the
5 biology. We have three pieces. And, if we permit a company
6 to have reduced filing all three characteristics, three
7 elements have to be met.

8 DR. VENITZ: Thank you.

9 DR. HOLLENBECK: If I may respond to that, let's
10 remember we are talking about an approved drug that has gone
11 through the entire approval process, a product that is
12 manufactured according to Good Manufacturing Practices, and
13 a product that meets its specifications. So, it is in that
14 context that I framed the question. The risk that we are
15 really exploring with these changes is changing the filing
16 requirement. You still have the whole gestalt of all of
17 that safety-efficacy data that is part of the original
18 development and manufacturing record.

19 DR. CHIU: Yes, in addition, firms still have to
20 do the right testing, studies, assessment and validation for
21 any changes. It is just the filing requirements that are
22 reduced.

23 DR. VENITZ: But then the question that you are
24 really asking, at least in my mind, is by changing the way
25 you assess product quality, is it going to have a clinical

1 impact or not? In other words, are there ways in which you
2 can loosen up your specifications in your CMC and still
3 maintain the same clinical safety and efficacy for a
4 product?

5 DR. CHIU: If you are talking about using the
6 specifications, our proposal is if you change product
7 specifications you will require a supplement, as required by
8 law, by FDAMA.

9 DR. ANDERSON: I was going to ask for a bit more
10 clarification on 3c. Adequate specifications and specific
11 manufacturer's impurities -- can you give me a little bit of
12 information on what you do now?

13 DR. SCHWARTZ: Well, I think what we mean in this
14 case is that there could be several ways to synthesize a
15 particular drug substance, and each way may generate its own
16 impurity profile, and each manufacturer might have a
17 different set of impurities associated with their particular
18 synthetic method. So, if the specification is for a certain
19 amount of impurities, specific impurities, and they change
20 the process and now generate a new set of impurities, how do
21 we handle that type of situation?

22 DR. ANDERSON: Well, a couple of things, one is
23 the impurities affect the structural determination and if
24 you are going from one process to another, then it seems to
25 me like you have to have another whole set of whatever you

1 do to approve that or to at least clear it. I don't see how
2 you can take one set of impurities and one set of reactions
3 and apply the regulations to another process because, as you
4 said, if you are generating a different set of impurities
5 then you may have problems in terms of how they affect the
6 patients or the consumers.

7 DR. SCHWARTZ: So, that comes back down to
8 adequate set of specifications. If the impurities are not
9 well characterized, then a product may look like it passes
10 when, in fact, it wouldn't because it would have other
11 impurities that may not even be accounted for. I think
12 that is part of this issue.

13 DR. RODRIGUEZ-HORNEDO: I guess the impurities
14 would change the chemical stability --

15 DR. SCHWARTZ: That is true too.

16 DR. RODRIGUEZ-HORNEDO: -- potentially the
17 dissolution rates, although not necessarily, and maybe the
18 appearance of other polymorphs.

19 DR. BOEHLERT: We are talking about products that
20 have been on the market for some time and, with regard to
21 impurities, I think Dr. Massa talked about the standards
22 that we use for these older products may not meet today's
23 requirements, and you see many old products that have limits
24 for impurities of no more than a total of one without any
25 reference specifically to what those impurities are. So, I

1 think this is an area we need to take a look at because,
2 indeed, you can change the profile and have a whole
3 different set of impurities and they still meet the same
4 requirements because it is one percent of anything and 0.2
5 percent of this or that. So, I think that needs to be a
6 factor in what we consider when we talk about meeting
7 specifications. It could, indeed, meet the same
8 specification and be different. The burden of proof is on
9 the manufacturer because when the process changes the
10 impurity profile well may change.

11 DR. SCHWARTZ: It is not unusual to see
12 specifications with a certain percent of unidentified or
13 unknown -- the unknown comes up a lot, and I think that is
14 probably not very adequate.

15 DR. LAMBORN: It sounds to me like one of the
16 things that we keep circling around on is recognition that
17 initially this would be applied to products that have been
18 on the market from a long time ago versus newer products
19 that then will reach time frame that will have been
20 characterized in more complete fashion. So, dividing that
21 in two pieces clearly makes a difference in terms of how we
22 might respond to your questions.

23 There are two sets of questions here, and I
24 realize to some extent they may be overlapping but I want to
25 make sure we cover both of them. There are a couple of

1 pieces here that we have not really addressed. For instance
2 3e, stable substance, does anyone have a comment or any
3 thoughts that you would like to make sure we cover on that
4 topic?

5 DR. ANDERSON: I do.

6 DR. LAMBORN: Go ahead.

7 DR. ANDERSON: Just quickly, I think the way you
8 define a stable substance depends on how you are going to
9 use it. Sometimes it is defined by shelf life. If you are
10 talking about a drug, it seems to me like you might be
11 concerned about the chemical reactivity when you dissolve it
12 in something, or you may be talking about decomposition or
13 degradation when it is taken as a drug. I guess you already
14 know all of that but, in my mind, shelf life would be
15 different than how you are using the drug or how you are
16 trying to dissolve it. To me, shelf life is a good way to
17 define what I use but it is probably not a good way to
18 define what a consumer is going to take.

19 DR. LACHMAN: You have two pieces here. You have
20 the active pharmaceutical ingredient and then you have the
21 active pharmaceutical ingredient put into a dosage form.
22 So, I would look at a stable substance as the active
23 pharmaceutical ingredient, and you can determine that by
24 stability studies. Then you are going to use that active
25 pharmaceutical ingredient with other materials to make a

1 dosage form. Then you have to relook at that stability of
2 that ingredient with the other materials, and there could be
3 two different stabilities. So, I think there are two pieces
4 to this pie.

5 DR. BOEHLERT: Stability is a factor of how it is
6 stored and how it is packaged as well. You can have a
7 material that is stable for twenty years when it is stored
8 and packaged appropriate, and unstable in a day when it is
9 stored inappropriately or not packaged well. So, you need
10 to take into consideration appropriate packaging and storage
11 conditions when you talk about stability. Very often there
12 are drugs that are unstable in a pure state but once they
13 are formulated they are stable. So, you need to consider
14 that as well.

15 DR. SCHWARTZ: I think we are also considering the
16 opposite where drugs that are stable in a pure state might
17 be reactive with excipients, for example. That is what we
18 meant by chemical reactivity. So, should drugs that have
19 those type of reacting groups be excluded from the list
20 because they might interact with potential excipients in a
21 formulation?

22 DR. HOLLENBECK: I think this is a screening
23 question again. Let's start with those things that are rock
24 solid stable, and I think there is a group of those that we
25 can begin with. That is an excellent screening criterion to

1 begin with, and then progressively move towards the ones
2 where packaging may be critical or excipient selection may
3 be critical.

4 DR. BLOOM: I just want to make myself clear.

5 These are little or no risk product quality assessment after
6 a product is already known. Basically that is what we are
7 saying. We know all these characteristics and chemical
8 structure and everything. So, my question is do we have to
9 go through this to have less of a burden in terms of
10 paperwork for a product for an annual report? My guess is
11 the annual report should encompass all these characteristics
12 or properties, or whatever and then send the information and
13 with that information you can tell if it is going to be
14 stable or not stable, or whether the formulation is going to
15 change. My guess is we are going through a process where
16 these characteristics are already known. My thought is,
17 well, are the properties or the principal properties going
18 to change in a product when the annual report comes in that
19 might change the formulation, and it will provide a risk to
20 the people that might be taking this drug or formulation.
21 Is that what we are trying to get to?

22 DR. CHIU: In our files we know the product
23 characteristics. Whether they are reactive or, you know,
24 whether they can be stable for twenty years when they are
25 properly packaged, we do have an idea but what we are trying

1 to find out is among this vast number of products which ones
2 we can really consider stable and not have a risk when we
3 are require certain filing information. So, one suggestion
4 is rock stable. Then, how to define rock stable? It
5 doesn't react to anything and has a shelf life of twenty
6 years or five years? Eventually, to be objective we have to
7 very concrete, definitive criteria.

8 DR. LAMBORN: I think one of the things that I
9 have seen, having served on this committee for a period of
10 time, is that there is always a conflict between wanting to
11 come up with something that is objective and, yet, realizing
12 that every time we try to come up with something that is
13 objective we keep coming up with the exceptions and we
14 either end up with something that is so narrow it doesn't
15 serve a purpose, or it gets to the point where it is a
16 judgment call each time and by the time you have finished
17 making the judgment as to whether it qualifies you might as
18 well have done the filing. So, I understand the tradeoff
19 that you are trying to come up with and, hopefully, some of
20 the comments that we are making are helpful in that regard.

21 Now, one thing before we switch to the second list
22 of questions, how many years of marketing history? We have
23 heard a couple of comments, I think to the sense that it is
24 the number of batches -- something to do with the frequency
25 with which you are going through the manufacturing process

1 that would have an impact perhaps, and the general sense
2 that ten years, while it might be applicable for a first
3 pass on the older ones, should not be the criteria that
4 would be used, but that that should be reduced over time
5 assuming a reasonable number of batches are being produced.
6 Are there some other thoughts or comments that the committee
7 would like to share on that topic?

8 DR. BORING: I would like to ask one question.

9 DR. LAMBORN: Sure, please.

10 DR. BORING: You were talking about numbers of
11 batches earlier, and that your familiarity with the process
12 could be overriding in your assessment of this. How many
13 batches would you think is a good number? This is also tied
14 in with the stability. How much experience with the
15 stability of a substance would you be comfortable with?

16 DR. ANDERSON: Reproducibility I think is
17 extremely important.

18 DR. LAMBORN: So, what you are really saying is,
19 yes, but what do you need to be sure of reproducibility?

20 DR. HOLLENBECK: You know, in the absence of any
21 explicit data that I am aware of, I would feel most
22 comfortable with recommendations like those in the SU/PAC
23 guidances which define a significant body of information.

24 DR. LAMBORN: Perhaps we could turn to the second
25 set of questions, which really are similar to the first

1 except that they are now focusing on the same kinds of
2 general kinds of questions but now with regard to the drug
3 product rather than on the drug substance. So, again, let's
4 start perhaps with the questions of attributes with regard
5 to dosage form since that is obviously something that is
6 different from what we have been discussing. Anyone like to
7 comment on which type of dosage forms they feel we should
8 start with?

9 [No response]

10 I assume that means there is really no
11 disagreement with the selection that is in place.

12 DR. LACHMAN: I think the solubility of the active
13 pharmaceutical ingredient is one of the major controlling
14 factors on the characteristic particularly of the solid
15 dosage forms, and if you have a very soluble drug you are
16 not going to do much with the excipients you put in there.
17 It is going to come out, and it is going to come out
18 normally pretty satisfactorily.

19 Also, when you have a drug that may be 75 percent
20 and above the content of the dosage form, it is also going
21 to be readily available by the correct formulation. So, I
22 think those are probably two of the major elements. The
23 solubility of the active ingredient and the concentration of
24 the active ingredient in the dosage form may play a role in
25 deciding.

1 DR. HOLLENBECK: Yes, I agree with the approach
2 taken here to focus on oral drug delivery systems from the
3 safety perspective. I think as we begin to look at a risk-
4 based system that makes sense. I would also endorse using
5 the biopharmaceutical classification system that we have
6 already invested a lot of effort into. I guess I heard a
7 number this morning for the first time, that seven percent
8 of marketed products fall into class I highly soluble,
9 highly permeable. If that is the case, that is not a bad
10 place to start. That almost gets you to the ten percent
11 goal that you have sort of established as a beginning point.

12 DR. CHIU: You know, highly soluble, highly
13 permeable are definitely good criteria to decide whether you
14 need to do in vivo bioequivalence studies or not. However,
15 a low solubility drug doesn't mean it is not stable. It
16 doesn't mean it is not characterized easily. It doesn't
17 mean you cannot control the manufacturing process. So, if
18 we limit ourselves to the class I BCS seven percent, within
19 that seven percent there are products not characterized and
20 not easy to make, and then we will probably end up with
21 single digits, as Toby mentioned. Therefore, we really need
22 to look outside the context of the clearance issue and look
23 at the risk purely by product quality.

24 DR. LAMBORN: So, you are saying that that is a
25 good group to include but should not be sufficient and we

1 want to go beyond that.

2 Could I ask for a clarification? Question 3b that
3 you have here, should only products that can be manufactured
4 by multiple processes be included? My question is why is it
5 that you would think that only those that can be
6 manufactured by multiple processes -- why would that be
7 proposed?

8 DR. CHIU: What we are saying here is if a product
9 can be made by a multiple process, it means that the product
10 is really prone to changes. But if there is only one way to
11 make it, with a change from wet to dry that you will change
12 the product characteristic then is a higher risk.

13 DR. SCHWARTZ: I think maybe the question should
14 be worded should only products that have been manufactured
15 by multiple processes be included?

16 DR. BORING: In other words, products that have
17 used many different processes and have all ended up with the
18 same result is a very robust product despite that process.

19 DR. LAMBORN: So, clearly what you are saying is
20 that if multiple processes produce the same thing, that
21 would give you an extra degree of comfort. But, then that
22 poses the question of if we had a product that has only been
23 produced by one process, how uncomfortable would the members
24 of this group be? I mean, how strong a criteria do people
25 feel that should be?

1 DR. LACHMAN: I would look at the characteristics
2 of the active pharmaceutical ingredient to give me a little
3 bit more indication as to my concern. If the active
4 pharmaceutical ingredient has a stable polymorph or it
5 doesn't have any polymorphs and doesn't have any chiral
6 characteristics and is soluble, I would have little problem
7 with it. If I had other difficulties that I experience with
8 the active ingredient, then I would be concerned with the
9 dosage form.

10 DR. LAMBORN: I saw some nods, but could people
11 sort of verbalize their comments so we can get them on the
12 record?

13 DR. BOEHLERT: I think you have to look at the
14 whole picture and not just one aspect here. If, indeed, all
15 the other attributes are favorable, then you might want to
16 consider a product that is made only by one process. You
17 need to look at everything, not just one aspect.

18 DR. DOULL: And that would include, again, biology
19 in that. The implication here is that now we are talking
20 about formulation and you could make a minor change in
21 formulation and that really wouldn't put that drug out of
22 the low category back into the high category. Few of you, I
23 am sure, will recall the Massengil situation. We had
24 sulfonamide and we had propylene glycol and it worked like a
25 charm. So, they simply changed the solvent over there

1 because it didn't dissolve very well in propylene glycol and
2 they put it in ethylene glycol. Well, it made a huge
3 difference. If you were just paying attention to the
4 chemistry, solubility and what-have-you, you would never
5 have really much worried about that change, and a lot of
6 people didn't until we started poisoning people.

7 So, you know, even though you are manipulating the
8 formulation and the chemistry you do need to keep track of
9 the biology because if you don't we are going to have
10 another Massengil.

11 DR. HOLLENBECK: Well, I think that is an extreme
12 example.

13 DR. DOULL: True.

14 DR. HOLLENBECK: We are not talking about changing
15 the components of a product here; we are talking about
16 manufacturing changes. So, anything related to that would
17 be a relatively small change in composition maybe, certainly
18 nothing as dramatic as that.

19 DR. DOULL: True, but the implication of this is
20 that we can focus on the chemistry and that the chemistry
21 and biology pathways diverge, and what I am saying is they
22 need to keep talking to each other and not diverge in order
23 to protect the patient.

24 DR. HOLLENBECK: I wouldn't disagree with that,
25 but I think the focus here is on things that have already

1 jumped through all those hurdles, and we are talking, from
2 my perspective in many cases, about relatively minor changes
3 in manufacturing that now consume a lot of the agency's
4 time.

5 DR. DOULL: True.

6 DR. HOLLENBECK: If I could respond to your
7 question in 3b and verbalize my head nodding, I think if you
8 reverse those things -- what are the criteria for defining a
9 robust process, one of them might be that you are able to
10 make it by multiple processes and still have an effective
11 formulation, and Judy identified some others, as did Leon.
12 So, there are, indeed, multiple criteria that you could use
13 to help give you confidence that you have a robust process.

14 DR. RODRIGUEZ-HORNEDO: First, regarding the
15 formulation and the discussion between both of you, I think
16 that sometimes it is my understanding that there could be a
17 formulation change if there is a processing change. So,
18 just an example presented here, manufacturing change between
19 wet granulation and recompression so that sequence might be
20 slightly different --

21 DR. HOLLENBECK: But those would be major changes
22 that would not fall under this kind of a process.

23 DR. CHIU: No, change of composition components,
24 under FDAMA by law, means prior approval supplement.

25 DR. RODRIGUEZ-HORNEDO: But then the specific

1 question 3b, I think that even taking wet regulation as an
2 example, even with that process you can have so many
3 variables within that process that could be vulnerable to
4 the quality of the final product. So, it highly depends on
5 the properties of the active product ingredient. I think
6 robustness of a process -- it is not only the endpoint that
7 we can reproduce; it is sometimes having control -- what are
8 the variables that are the most important, whether we have
9 an issue of polymorphism or solvent formation, for instance,
10 or endpoint if we have spray drying, for instance.
11 Monitoring the events in some specific processes, not for
12 all but for some I think shows what the range is, for
13 instance or rate of weight change in spray drying
14 operations. So, some process may be more vulnerable and I
15 think we need to be cognizant about that.

16 DR. LAMBORN: As I look at these questions, I
17 think that probably we have addressed the others in part as
18 we were discussing the drug substance. So, perhaps I would
19 ask first if any members of the committee think we have
20 something that we have missed in terms of giving comments.
21 Dr. Chiu, do you have any particular pieces of this also,
22 since you all put together these questions, that you think
23 that we have not addressed?

24 DR. CHIU: Yes, I think maybe we need to come back
25 to the drug substance and how to define well characterized.

1 We have had internal debates on whether we should just use
2 molecule weight cut-off. You know, big molecules are
3 difficult to characterize. Or, whether we should look at
4 physical or chemical analysis, you know. That would be
5 helpful.

6 DR. LAMBORN: Can I ask someone.

7 DR. ANDERSON: What did you say about big
8 molecules?

9 DR. CHIU: You know, simple organic compounds
10 usually are 400 and 500 molecular weight and usually they
11 have simpler structures so, they are easy to characterize
12 and you know definitively by just a simple IR test what you
13 have. But when you get to a molecule like taxol, it is so
14 big, so huge, and then how do you know? How can you say
15 such a molecule could be considered well characterized? You
16 know, if you make polypeptides which may have 15, 16 amino
17 acids and tertiary structure, can we say those molecules are
18 well characterized?

19 DR. ANDERSON: I don't think so. Are you really
20 going to start with those complex molecules like that? It
21 might be wise to look at something simpler where you have
22 more information, where you can get a decent elemental
23 analysis, a decent IR, a decent NMR and those kinds of
24 things because, you know, I have some questions about a lot
25 of those structures that they claim they have determined.

1 You know, you might not want to deal with those big
2 molecules, at least not initially.

3 DR. BORING: We had also discussed about whether
4 or not it should be only single molecules. Should all
5 mixtures be excluded? Also, if you have an analytic method
6 that is specific to the drug substance only, say a size
7 exclusion chromatography or something that is specific,
8 should those not be on this list?

9 DR. ANDERSON: Normally, when you characterize a
10 compound the assumption is that the compound is pure. Now,
11 if it is a mixture you really can't characterize it because
12 you are characterizing one or more things and you really
13 don't know what you have. So, it seems to me that you would
14 want to exclude things which are mixtures if they haven't
15 been purified because, otherwise, the structural data really
16 don't mean anything.

17 DR. HOLLENBECK: I was just going to agree with
18 that comment. Those would certainly be the more difficult
19 ones. Unless I am mistaken, there is this concept of the
20 well-characterized biological, and I know that you really
21 aren't talking about biologicals here but certainly that
22 portfolio of analytical tools used to characterize
23 biologicals might give you the kind of confidence that you
24 want here.

25 DR. CHIU: Well, several years ago we coined the

1 term well-characterized biotechnology products. However,
2 down the line we actually stayed away from that word, well-
3 characterized and we changed the word to specific
4 biotechnological products. Since we restrict our products
5 to synthetic products, most of them are not mixtures.
6 Mixtures tend to be fermentation products or natural
7 products. But even though we restricted to synthetic
8 products, there a small molecules and medium sized and big
9 molecules. Is the size a good criterion to use, or should
10 we actually really base it on the capability of analytical
11 methodology to characterize the molecule?

12 DR. BLOOM: The analytical methodology, would we
13 use it according to the molecular weight? For example, if
14 we have a 400 molecular weight compound that could be
15 analyzed by GCMS which would give you structural information
16 and you wanted to use proteins and you wanted to
17 characterize it you could use NMR or you could use tandem
18 mass spectrometry that could give you a sequence, and then
19 you could use x-ray crystallography for tertiary structure
20 maybe. So, it is depending on the molecular compound and
21 the physical properties of it in terms of the analytical
22 methodology that you could use. But, I think you should use
23 more than one analytical method to characterize, as you say,
24 a compound. It all depends on the characteristics of the
25 compound per se.

1 DR. DOULL: Almost every script I write is for a
2 mixture. Rarely do I administer pure drugs. Even, you
3 know, in the pill that has the excipients and all the
4 binders and what-have-you in there, it is in a mixture and
5 you assume that there are no synergistic or antagonistic
6 effects from all those ingredients in there, but you are
7 also assuming that you can manipulate those to a certain
8 extent without influencing significantly the effect of the
9 active ingredient, and I guess that is where my concern
10 would be. Mixtures are not unique. We deal with that. You
11 know, it is in air, water, drugs, food -- everything is a
12 mixture; virtually nothing is pure chemical in the way we
13 use it.

14 DR. LACHMAN: I think an example of that is
15 parenteral nutrition that is in large-volume parenterals
16 that are mixtures of amino acids, vitamins, proteins.

17 DR. CHIU: Yes, we used to talk about multiple
18 vitamins. Each one is made as a pure, single substance.
19 Then they are combined together and become a mixture. Here,
20 we are talking about each substance that is well
21 characterized.

22 DR. LAMBORN: Other thoughts? Comments?

23 [No response]

24 We have just a few minutes and since we do, I
25 would like to ask if there is anybody in the audience who

1 has a burning point that they want to make. If they wish
2 to, we do have a couple of microphones. If you do, I would
3 ask you to identify yourself and to speak briefly.

4 [No response]

5 Then, I think that we are ready to adjourn. We
6 will reconvene promptly at one o'clock. We do have at least
7 one presentation for the open public hearing. For the
8 committee members, if you have not find it, since I didn't
9 find it, we are meeting in room 2033 for lunch.

10 [Whereupon, at 11:45 a.m., the proceedings were
11 adjourned for lunch, to reconvene at 1:00 p.m.]

A F T E R N O O N S E S S I O N

2 DR. LAMBORN: We will start the afternoon session.
3 We are going to change topics to the topic of the orally
4 inhaled nasal drug products, and we will start with an open
5 public hearing.

6 Orally Inhaled and Nasal Drug Products

7 Open Public Hearing

8 Overview of ITFG/IPAC-RS Collaboration

9 DR. CUMMINGS: Good afternoon.

10 [Slide]

11 My name is Harris Cummings. I am with the
12 Inhalation Division of Magellan Laboratories. I also sit
13 on the USP Aerosol Expert Committee.

14 I would like to start by thanking the advisory
15 committee for giving us time to speak this afternoon. In my
16 brief presentation, I am going to be introducing the
17 collaborative work of two groups concerned about issues
18 related to inhalation products.

19 [Slide]

20 These groups the Inhalation Technology Focus
21 Group, which is a focus group of the American Association of
22 pharmaceutical scientists and it is comprised of
23 pharmaceutical scientists who seek to advance the science
24 and technology and regulatory issues related to inhalation
25 products. The second group involved is the International

1 Pharmaceutical Aerosol Consortium on Regulation and Science,
2 which is an association of companies that develop and
3 manufacture inhalation products for the treatment of both
4 respiratory and non-respiratory diseases.

5 The work of the collaboration is to respond
6 through a science-based and data-driven process to the three
7 draft guidances which are shown here.

8 [Slide]

9 Both ITFG and IPAC-RS share the FDA's goal of
10 assuring the highest levels of safety, efficacy and quality
11 for orally inhaled products, and we also recognize the value
12 of having the guidance documents to facilitate the
13 development and approval of new medications. However, we
14 believe that significant differences still remain concerning
15 CMC and BA/BE issues in the draft guidances, and we believe
16 certain sections of the guidances need modification.
17 Finally, we are suggesting that additional meetings need to
18 occur which can provide the opportunity to discuss these
19 issues in depth in order to achieve the best possible
20 guidelines.

21 [Slide]

22 I would like to give a brief overview of the
23 completed work and also future commitments of the
24 collaboration to addressing these issues.

25 Following the publication of the draft guidances,

1 ITFG and IPAC-RS independently and together submitted
2 extensive written comments to the FDA. The collaboration
3 then organized and implemented the current process of
4 collecting and analyzing relevant data for both marketed
5 products and products under development.

6 Members of the collaboration participated in the
7 first OINDP subcommittee meeting in April of this year, and
8 at that time committed to collecting data and preparing
9 technical reports on the issues in the draft guidance.

10 It is the purpose of these technical reports to
11 describe the conclusions reached based on the data that are
12 collected, and to describe proposed modifications to the
13 guidances which are based on these conclusions. Today, we
14 have submitted four technical reports to the FDA, with
15 several more to follow.

16 [Slide]

17 The organization of the collaboration is shown
18 here. We have a steering committee with five technical
19 teams, and the technical teams are organized around the CMC
20 issues and the BA/BE issues.

21 [Slide]

22 The collaboration has certainly been a truly
23 industry-wide effort, with over 100 individuals from more
24 than 25 companies participating. The companies are listed
25 here, and they include pharmaceutical companies, contract

1 organizations, academic institutions and component
2 suppliers.

3 [Slide]

4 The technical teams are at different stages in
5 their work. All have collected and analyzed data. As I
6 mentioned earlier, four have submitted initial assessments
7 to the agency. In the talks that follow mine, a member of
8 each technical team will review the work of the team to date
9 and give examples of issues related to the guidances which
10 they believe warrant further discussion. They will also
11 explain plans for future work.

12 [Slide]

13 We are asking the advisory committee today to
14 support the continued scientific dialogue on these CMC and
15 BA/BE issues before the draft guidances are finalized, and
16 we ask you to support our request for meetings between the
17 FDA and the ITFG/IPAC regarding the collaborations technical
18 papers and data-based proposals to modify the draft
19 guidances.

20 [Slide]

21 In summary, ITFG and IPAC-RS recognize and
22 appreciate the agency's efforts in issuing the draft
23 guidances and the agency's initial steps towards a
24 scientific dialogue. We believe that a unique opportunity
25 exists now to produce the best possible guidances for

1 inhaled products, and would welcome the chance to work with
2 the FDA on achieving this goal.

3 I would like to again thank the advisory committee
4 and the agency for considering our comments and proposals,
5 and we are pleased to be able to participate in today's
6 meeting and hope to be able to contribute in future meetings
7 as well. Thank you very much.

8 DR. LAMBORN: It is my understanding we have a
9 series of presentations. Will you just take yourselves
10 through them?

11 BA/BE In Vitro and In Vivo Tests

12 DR. BORGSTROM: Good afternoon.

13 [Slide]

14 My name is Lars Borgstrom, and I am scientific
15 adviser at AstraZeneca, and today I speak on behalf of the
16 collaboration BA/BE group.

17 [Slide]

18 After the April 26 meeting of the OINDP system,
19 the collaboration made two different commitments with regard
20 to bioavailability and bioequivalence questions. We made a
21 commitment to develop a position paper on the BA/BE
22 question. We also made a commitment to respond to the
23 questions raised by the FDA at the April 26 meeting. On
24 August 30, the collaboration did submit these two technical
25 papers to FDA.

1 The collaboration has developed two position
2 statements, one on in vitro testing and one on in vivo
3 testing. I would like to read them out as a philosophical
4 background to our thinking.

5 [Slide]

6 In vitro testing is essential for pharmaceutical
7 product equivalence and should be included as part of the
8 BA/BE guidance for all nasal and oral inhalation products,
9 but is not currently sufficient for determining BE without
10 establishing in vivo BE.

11 On the in vivo side we have the following wording,
12 for bioequivalence approval, BA/BE guidance documents for
13 nasal and oral inhalation drug products for local action
14 should require use of validated human models for in vivo
15 testing for local and systemic exposure, efficacy and
16 safety. This means that we have agreed that in vitro as
17 well as in vivo testing is necessary.

18 [Slide]

19 Our assumptions that we have presented apply only
20 to locally acting drugs. Our discussions include both
21 nasally and orally inhaled drugs even though there is as yet
22 no published guidance on orally inhaled drugs. An obvious
23 comment is that this is an evolving scientific area and that
24 the position statements reflect the current state of
25 knowledge.

1 [Slide]

2 One of the findings on the in vitro side is that
3 it cannot be generally stated that the in vitro tests are
4 more relevant or discriminating than clinical studies for
5 bioequivalence. It probably often is so, but the used in
6 vitro method has to be validated with regard to the clinical
7 outcome. If so done, in vitro analysis should be more
8 discriminating as they tend to have a lower variability but
9 also here exceptions do exist.

10 Similar reasoning can be applied to the assumption
11 that for a nasal solution formulation in vitro studies
12 should be sufficient to declare bioequivalence. It could be
13 so, but the links between in vitro and clinical outcome are
14 yet not strong enough to support such a general statement.

15 Finally, in certain cases a correlation has been
16 shown between the in vitro outcome, lung deposition and
17 clinical effect but these correlations are not strong enough
18 to be predictive in a regulatory sense. Available
19 information can be used in the pharmaceutical development
20 work but not as a predictor for regulatory claims.

21 [Slide]

22 On the in vivo side, there is equivalence between
23 the old and new drug formulation. A similar situation is at
24 hand when a generic company makes a new formulation of an
25 approved drug. None of the extent of the testing

1 requirements should be negotiated with the agency.

2 [Slide]

3 During the discussion within the collaboration, we
4 have often been caught in a Catch-22 situation. There is,
5 of course, a need to establish validated links to be allowed
6 to predict the clinical outcome from in vitro data, but to
7 establish these links the company has to do a rather
8 extensive program and, thus, there is not anymore the need
9 for the links.

10 [Slide]

11 We would like to get an opportunity to meet with
12 the agency to discuss our findings and we are, of course,
13 also willing to address further questions that can be
14 raised. Thank you for your attention.

15 Responses to Agency's BA/BE Questions Raised at OINDP
16 Subcommittee Meeting

17 DR. HARRISON: Hi. Good afternoon.

18 [Slide]

19 I am Les Harrison. I am section head of clinical
20 pharmacokinetics at 3M Pharmaceuticals. I am also co-chair
21 of the BA/BE team, and I was an invited guest at the
22 subcommittee meeting in April, representing BA/BE for the
23 collaboration.

24 [Slide]

25 Today, what I would like to summarize are the

1 responses that the BA/BE team prepared in answer to the
2 agency's questions that were proposed during the
3 subcommittee meeting.

4 [Slide]

5 To answer the questions, what we did, we formed
6 small working groups for members of the BA/BE team and also
7 from other experts within the collaboration. We used the
8 scientific data that we could find. We used the literature
9 and also company experiences to prepare our answers. The
10 answers were reviewed by the entire BA/BE team, and we had
11 to reach consensus for all answers. This process took
12 several months and we submitted to the agency a report at
13 the end of August.

14 [Slide]

15 In general, what we found as an overview is that
16 the FDA, indeed, raised some difficult technical issues
17 during the April 26 meeting, and it is our opinion that most
18 of these issues are still open. What we were able to do is
19 provide additional scientific substantiation for many of the
20 subcommittee's answers. In add, we were able to provide
21 responses where the subcommittee's answers were limited.
22 So, going forward, what we really need is more opportunities
23 to digest what we have found and to continue to address
24 these difficult questions. We appreciate the pas
25 opportunities we have had to really dialogue with the

1 agency, and we hope that this continues.

2 [Slide]

3 What I would like to do now is really walk through
4 what our responses were to the questions that were raised by
5 the agency, and they were divided really into two main
6 areas, in vitro and in vivo.

7 Looking first at in vitro, one focus was profile
8 analysis, and the question was should all stages of the
9 cascade impactor be examined for BA/BE, and we agreed with
10 the subcommittee and the answer there was yes for us.

11 [Slide]

12 The second question under profile analysis was
13 should a statistical approach be used and, if so, how about
14 chi-square? We agreed with the subcommittee that, yes, a
15 statistical approach should be used and chi-square may be an
16 appropriate metric but further assessment is needed. And,
17 this is a position where we could help as a collaboration
18 because we have many real data sets within our members that
19 could be used here. In fact, we are attempting to get
20 clarification from the agency that this effort would be
21 useful before we actually undertake this new and probably
22 large effort.

23 [Slide]

24 The next question in the in vitro area focused on
25 DPIs. Here, we were very fortunate. Within the

1 collaboration we have really the key DPI manufacturers and
2 we could bring a lot of technical expertise to answer this
3 question as well.

4 The first part of the question was what design
5 features would be needed for determining pharmaceutical
6 equivalence. Our as was fairly general here, pretty much
7 all the formulation and device elements would be needed.

8 [Slide]

9 The second part of this question though allowed us
10 to get a lot more specific in terms of listing what type of
11 tests would be needed. I draw your attention to the second
12 bullet where we did actually customize some of these
13 requirements to the uniqueness of DPI. Here, we are saying,
14 in the second bullet, that particle size distribution
15 certainly should be measured across a range of airflows and
16 a realistic range of temperatures and humidities.

17 [Slide]

18 In the in vivo area, the question we are focusing
19 on is, first, local delivery of nasal aerosols -- local
20 delivery really meaning local efficacy. The first question
21 was what about the clinical designs that were presented?
22 Are they reasonable for BA/BE and are there alternatives?

23 We agreed with the subcommittee here that really
24 the proposed guidances for the clinical tests were
25 reasonable and that the traditional treatment study probably

1 is still the most appropriate design. However, a real key
2 here is that the statistical requirements need to be
3 discussed in an open forum so that we can really better
4 evaluate these type of tests.

5 [Slide]

6 The second question for nasal delivery was if you
7 can establish bioequivalence for SAR, SAR standing for
8 seasonal allergic rhinitis, can you get bioequivalence
9 transferred for other indications?

10 Here, the subcommittee did not really answer that
11 question, but what we came up with was an answer that, yes,
12 we thought that you could be able to transfer indications
13 once you establish BE for the SAR, at least in adults.

14 The second bullet certainly says that in children
15 you need to be more cautious and you need to assess if the
16 safety can be transferred as well.

17 [Slide]

18 Also in the in vivo area, the next series of
19 questions focused similarly to the nasal but now for
20 steroids, and they asked again what type of testing is there
21 for steroids and are there alternatives.

22 [Slide]

23 Our answers there again were pretty much in
24 agreement with the subcommittee. We thought that a
25 comparative dose-response trial with pulmonary function

1 measurements is still the standard and still reasonable, but
2 we do also recognize that the variability for this trial is
3 large and the metrics really are not that sensitive. Just
4 like for the nasal area, what is really needed here is some
5 type of statistical input to help us really sort this out.
6 Here, again, the collaboration could help. A number of our
7 member companies have done comparative clinical studies on
8 steroids which could be useful if there were an open forum
9 where this could be discussed to get at the appropriate
10 statistical requirements.

11 [Slide]

12 To answer the question about other biomarkers, it
13 is our feeling that really there are none that have been
14 established thus far that can be used. However, we were
15 very intrigued by the crossover design that was suggested by
16 Ahrens during the April 26 subcommittee meeting, and that
17 actually has the potential of fulfilling what we are looking
18 for in this area but it is premature to really accept it at
19 this point in time.

20 [Slide]

21 The last question focused on PK issues and asked
22 the question if you can show in vitro documentation as well
23 as PK documentation establishing bioequivalence, is that
24 sufficient?

25 Here, the subcommittee seemed to lean toward

1 answering no, and what we said was, yes, there could be
2 situations where in vitro data plus PK may be relied on.
3 The requirement there is that PK there would somehow have to
4 be shown to be a surrogate marker for the clinical efficacy
5 documentation, and we do admit that no drug at this point in
6 time can do it.

7 We went further as well and said that if you can
8 show in vitro and in vivo correlation for safety and
9 efficacy, it may be even possible to waive all clinical
10 studies.

11 [Slide]

12 In summary, the number of questions posed by the
13 FDA on the guidance have underscored a number of open
14 issues, and we feel that most of those issues are still
15 open, and the BA/BE team collected a substantial body of
16 information that, hopefully, bears on some of these issues,
17 and what we would like to do is encourage that examination
18 continues, utilizing existing avenues and we can have the
19 OINDP subcommittee consider them, go through PQRI. We can
20 have another broad workshop. Dialogue between the
21 collaboration and the FDA is certainly welcome. And, there
22 is also the possibility of federal research grants. We
23 would love to see the studies that we talked about of Ahrens
24 for steroids funded and actually taken to fruition. We hope
25 that the agency and, indeed, this advisory committee is

1 receptive to our comments and continues to dialogue with the
2 public before finalizing the current draft guidance or
3 issuing further guidances. Thank you.

4 ITFG/IPAC-RS Technical Team CMC Specifications

5 DR. Olsson: Good afternoon.

6 [Slide]

7 My name is Bo Olsson. I am formerly scientific
8 adviser at AstraZeneca. Now I am with Microdrug
9 Development. I am a member of the aerosol expert committee
10 of both the United States and the European Pharmacopeia. I
11 speak here today on behalf of the CMC specifications team of
12 the collaboration. In this team we have focused on dose
13 content uniformity and particle size distribution
14 specifications.

15 [Slide]

16 At the OINDP subcommittee meeting this spring, our
17 team posed the hypothesis that the current state of OINDP
18 technology may not allow general compliance with the dose
19 content uniformity specifications in the draft FDA CMC
20 guidances.

21 At the same meeting, the agency raised the
22 question if there should be a single content uniformity
23 standard for all orally inhaled and nasal drug products.
24 They also posed the question if FDA should continue
25 development of the proposed statistical approach to

1 evaluating content uniformity.

2 Our approach in addressing these questions is to
3 collect the worldwide database to investigate the actual
4 dose content uniformity capabilities and appropriate
5 statistical approaches.

6 [Slide]

7 We have now collected data and this unique
8 database comprises a total of 46,000 observations for 77
9 products originating from 10 companies. So, it is truly a
10 multi-company effort. These products are on the market or
11 in late development, meaning from Phase IIB, Phase III or
12 NDA stage.

13 Our initial assessment of the data was submitted
14 to the FDA this summer, and it is now available on the FDA
15 web site.

16 We have further developed and submitted a plan for
17 continued analysis of the database, which we will discuss
18 with the agency on Monday next week.

19 [Slide]

20 From the initial assessment, we found that for the
21 key requirement in the draft guidances, namely that no
22 observations may be outside 75-125 percent of the label
23 claim, most products do not comply; 68 percent of the
24 products in the main analysis show results outside these
25 limits. Yet, the grand mean dose in the database is at 100

1 percent of labeled claim.

2 [Slide]

3 From this, we conclude that our hypothesis that
4 orally inhaled products are not generally in compliance with
5 the draft guidances is supported by data. Additionally, the
6 database shows a relatively large difference between
7 products and also between product types, suggesting that a
8 single one size fits all specifications is unsuitable.

9 [Slide]

10 To follow-up the initial assessment, we intend to
11 continue with a more thorough investigation, specifically on
12 the compliance with the more complex criteria in the
13 guidance system we have done so far, and we will also
14 investigate the interesting approach taken by ICH for dose
15 content uniformity, and we will try to assist in the
16 development of Dr. Hauck's approach of statistical
17 hypothesis testing to dose content uniformity.

18 [Slide]

19 Turning now to particle size distribution, we have
20 committed to examine the relevancy of the mass balance
21 requirement as a product specification versus as a system
22 suitability requirement, and also to investigate if fewer
23 than 3-4 stage groupings can provide equivalent control.

24 Again, our approach has been to collect the
25 worldwide database to investigate actual PSD capabilities.

1 [Slide]

2 This database comprises a total of over 3600
3 individual particle size distributions from 35 products.
4 Our initial assessment of the data was submitted to the
5 agency and is also available on their web site. We are now
6 developing a plan for further analysis of the PSD database.

7 [Slide]

8 The draft guidance mass balance requirement is
9 that the total mass of drug collected on all stages should
10 be within 85-115 percent of the labeled claim. The key
11 finding from the database is that only 4 of the 35 products
12 showed no results outside 85-115 percent. The median
13 product had 5 percent of the observations outside these
14 limits.

15 [Slide]

16 From this, we conclude that products do not in
17 general comply with the proposed mass balance requirement,
18 and that, therefore, the proposed requirement is not
19 suitable as a drug product specification but it could well
20 be appropriate as a system suitability requirement with
21 limits defined on a case by case basis.

22 [Slide]

23 To follow-up the initial assessment, we would
24 continue the analysis of the PSD database to investigate
25 further the relevance of the mass balance criterion, and to

1 compare different metrics and sets of criteria for
2 characterizing protein size distribution of OINDPs. We are,
3 of course, willing to meet and discuss with the agency.

4 [Slide]

5 In conclusion, we feel that many unresolved issues
6 surround CMC specifications for DCU and PSD. To address
7 these issues, our team has collected and is analyzing DCU
8 and PSD data. We strongly encourage continued discussions
9 by all interested parties before CMC draft guidances are
10 finalized. It is our firm view that developing
11 statistically sound specifications based on real data is
12 essential to creating a scientifically credible program of
13 product quality control. Thank you for your attention.

14 CMC Tests and Methods

15 DR. EVANS: Good afternoon. My name is Carole
16 Evans. I am here to present the work of the tests and
17 methods team

18 [Slide]

19 The team's objective in its work has been to
20 assist the agency in developing CMC testing requirements
21 that provide valuable information about product quality. We
22 hope to do this by providing data-driven commentary on the
23 testing requirements contained in the draft guidances.

24 [Slide]

25 I would like to start with some initial comments

1 on the draft guidances and general observations. Firstly,
2 to clarify the requirements for each of the four dosage
3 forms included in the draft guidances, the guidances should
4 be further edited or separate guidances developed for each
5 dosage form, thus making the testing requirements for each
6 dosage form more readily understood.

7 Secondly, in some instances, the language in the
8 guidances is ambiguous, and where we have addressed these
9 they will be addressed by written comments not supported by
10 data.

11 Finally, the need for certain tests should be
12 driven by an evaluation of the data generated in dearlly
13 development.

14 [Slide]

15 We have reviewed the draft guidances and
16 identified areas for comment. We started our work with the
17 MDI test requirements. We have got work in progress on
18 other dosage forms. But as the work for MDI is further
19 along, I am going to focus on these today.

20 The team has developed position statements with
21 respect to the tests listed here. These are the tests where
22 we felt that the consensus industry viewpoint diverges from
23 that of the agency. In particular, we focused on those
24 areas where we are able to generate data to test our
25 position statements. We believe that by conducting this

1 data-driven commentary we can make a commentary of a
2 different flavor to those already submitted earlier this
3 year.

4 [Slide]

5 This slide summarizes the processes that we have
6 used for each of these tests. For some tests water, spray
7 pattern, plume geometry, shot weight, and for the
8 requirement to control temperature and humidity in particle
9 size distribution we are in the process of collecting and
10 analyzing data to test our position statements for these
11 tests.

12 For further tests we have simply drafted comments
13 on the requirements for MDIs, such as those for impurities
14 and degradation products where we are simply requesting an
15 alignment with ICH requirements, or for dose content
16 uniformity where we have suggested alternate wording that we
17 think is clearer. Finally, we have collected data from the
18 scientific literature with respect to particle size
19 distribution methodologies and pressure testing for single
20 propellant and co-solvent mixture formulations.

21 [Slide]

22 We are currently in the midst of analyzing our
23 data on MDIs but do have some preliminary findings to bring
24 to you today. We have collected data for many products and
25 have shown so far that tests for spray pattern, water

1 content and shot weight often don't provide meaningful
2 information about product performance. For example, the
3 guidance requires that spray pattern testing be performed to
4 evaluate proper performance of valves and actuators, and the
5 data to date does not indicate a correlation between the
6 parameters of the devices and spray patterns gathered.

7 Further, there is a wide body of literature that
8 lends support to the use of validated and alternate methods
9 for particle size distribution and we will be submitting a
10 paper outlining those.

11 Finally, the literature suggests that for single
12 propellant and co-solvent mixtures the pressure testing is
13 outcomes a sensitive approach for determining the
14 appropriate ratios present. We feel that the integrity of
15 the propellant alcohol mixture is better controlled by
16 direct analysis of the alcohol content.

17 [Slide]

18 As I said, we are still in the process of
19 analyzing our data. With respect to MDIs, we will be
20 submitting technical papers containing our conclusions and
21 recommendations to the agency, and the expected date is
22 December of this year.

23 We are continuing with other dosage forms and
24 will, early next year, collect data and analyze data with
25 respect to those other dosage forms. Like the other teams

1 who are presenting here today, we would welcome the
2 opportunity to meet with the agency to discuss our findings
3 and data, and to try and work with the agency to address any
4 other questions raised. Thank you.

5 CMC Leachables and Extractables and
6 CMC Supplier Quality Control

7 MR. HANSEN: Good afternoon.

8 [Slide]

9 I am Gordon Hansen. I am associate director of
10 preclinical analysis at Boehringer Ingelheim
11 Pharmaceuticals.

12 [Slide]

13 Today I will be reporting on the work of two
14 technical teams, the leachables and extractables team and
15 the supplier quality control team. Both of these teams are
16 comprised of scientists from pharmaceutical companies and
17 component suppliers with broad experience in the
18 characterization of leachables and extractables. The team
19 supports the agency's activities in developing the draft
20 guidances and recognizes and supports the need for clearly
21 stated and scientifically sound requirements with respect to
22 leachables and extractables in inhalation products.

23 The team believes, however, that these guidances
24 could benefit from additional study and dialogue. The team
25 is committed to working with the agency and the subcommittee

1 to discuss these topics in detail.

2 [Slide]

3 After careful review, the team has identified key
4 issues which we believe could be strengthened by the add of
5 more detailed and clarifying language. For example, what
6 are appropriate reporting and identification thresholds for
7 leachables and extractables? How is a correlation between
8 leachables and extractables established? What are
9 appropriate practices for establishing safety of leachables?
10 Is extractables profiling appropriate for control of
11 component composition, and which critical components should
12 be subject to routine extractables testing?

13 In looking at just one of these issues in more
14 detail, currently the issue of reporting levels for
15 extractables and leachables is not well defined and is
16 currently substantially more stringent than is outlined in
17 ICH Q3B. Is 1 mcg per canister sufficient, or are detection
18 limits required that are lower than that? The situation at
19 present appears to be driven by advances in scientific
20 technology rather than pharmaceutical science.

21 The following steps have been taken by the team in
22 order to investigate these issues in more detail: The team
23 has collected drug product specific leachables and
24 extractables data in order to investigate the concept of
25 correlation. The team has also formed a toxicology working

1 group to address toxicology issues for leachables. The team
2 has investigated current supplier practices for the control
3 of component composition and extractables profiles.

4 [Slide]

5 Similarly, the tox team has reviewed the current
6 industry practices for establishing the safety of leachables
7 and is drafting a strategy for incorporation into the team's
8 "points to consider" document which will be submitted later
9 this year.

10 The tox team is investigating current practices
11 for establishing the safety of leachables, and looking
12 forward as to what industry requirements should be for the
13 safety evaluation of leachables.

14 [Slide]

15 After the analysis of the available data, the
16 leachables and extractables team has developed the following
17 key points for the agency's consideration. These will be
18 included in the "points to consider" document to be
19 submitted to the agency by the end of the year.

20 These points are as follows: A leachables study
21 should be a one-time development study and not a routine
22 requirement. Secondly, a correlation is established between
23 leachables and extractables when each leachable can be
24 linked qualitatively to a corresponding extractable. Once a
25 correlation is established, leachables are controlled

1 through the routine extractables testing of critical
2 components which contact the formulation or the patient's
3 mouth or nasal mucosa. Finally, the team strongly
4 recommends that a process be developed for establishing
5 reporting, identification and qualification thresholds for
6 leachables.

7 [Slide]

8 The toxicology evaluation proposal consists of
9 adding a separate section to each guidance to describe the
10 toxicology evaluation process, including a flowchart.

11 Toxicological qualification should be performed
12 only on leachables, and only on those leachables that occur
13 above a data-supported threshold.

14 The guidelines should also distinguish between
15 genotoxic and non-genotoxic leachables.

16 The issue of testing USP 87 and 88, these tests do
17 have utility for extractables testing, particularly for
18 component suppliers, however, for a pulmonary product, where
19 there may be a substantial body of data, these tests may not
20 have added value when the entire package is considered.

21 [Slide]

22 The team's next steps will be, first, to submit
23 the "points to consider" by the end of this year. We will
24 request the opportunity to meet with the agency to discuss
25 team findings and consider appropriate strategy for how

1 toxicology thresholds can be established. In collaboration
2 with the supplier quality control technical team, we will
3 propose a control strategy which includes appropriate
4 testing criteria for ensuring relevant performance and
5 safety characteristics of critical components. As the other
6 teams presenting today, this team is willing to address
7 further issues and welcomes further dialogue with the
8 agency.

9 [Slide]

10 At this time, I would just like to take a last
11 minute or two to describe the work of the supplier QC team
12 which reported its findings during the April 26 meeting of
13 the OINDP subcommittee.

14 This team investigated the question what is the
15 current status of compliance in the component supplier
16 industry? This team conducted a survey of component
17 suppliers in order to evaluate the quality and compliance
18 practices at all stages of not only component but excipient,
19 raw materials and active drug substance manufacture.

20 Findings of this team were that there, indeed, are
21 no generally accepted guidelines for the components supply
22 chains but, in fact, IPEC has developed GMP guidelines for
23 the manufacture and compliance of excipient manufacture.

24 Indeed, this team has endorsed the more widespread adoption
25 of the IPEC guidelines. This team is eagerly awaiting

1 comment and guidance, and in consultation with FDA and the
2 identification of the proper venue, would like to
3 collaborate in the development of cGMPs for component
4 suppliers. A formal report summarizing these findings will
5 be submitted to the agency by the end of the year. Thank
6 you.

7 Concluding Remarks

8 DR. FLYNN: Good afternoon.

9 [Slide]

10 My name is Cyndy Flynn, and I am the director of
11 pharmaceutical sciences at Aventis.

12 [Slide]

13 I would like to take this opportunity to recap
14 some of the highlights of the previous presentations that
15 you have just heard. The collaboration is composed of more
16 than 100 pharmaceutical scientists who represent more than
17 25 companies and institutions who have been working to
18 address the key concerns in the draft CMC and BA/BE
19 guidances.

20 This collaboration is committed to collecting and
21 assessing all relevant data, and sharing these findings in a
22 very timely fashion with the agency. The collaboration
23 anticipates that these data-based conclusions and proposals
24 will be useful to the agency in its preparation of the final
25 CMC and BA/BE guidances, and that this will ultimately

1 benefit both patients and the pharmaceutical industry.

2 [Slide]

3 Based upon the data that has been collected and
4 analyzed to date, the technical teams have concluded that
5 certain aspects of these draft guidelines need to be
6 revised. As described in the earlier presentations by my
7 colleagues, the technical teams have prepared or are in the
8 process of preparing specific data-based proposals for
9 modifying the draft guidances.

10 [Slide]

11 This slide is a summary of the technical papers
12 which have been prepared and submitted to date. Two papers
13 have been submitted in the summertime by the specifications
14 team; two papers by the BA/BE team, in the summertime also,
15 have been submitted; and the tests and methods team is in
16 the process of getting ready to submit a paper concerning
17 MDIs, in the month of December; and the leachables and
18 extractables team will also be submitting a technical paper
19 in December.

20 [Slide]

21 This slide is a summary of the numerous CMC and
22 BA/BE issues which have been presented to you today, which
23 remain of great concern to the collaboration.

24 What needs to be highlighted here is that the
25 collaboration sees that the majority of the issues revolve

1 around CMC issues, not necessarily only around BA/BE issues,
2 although these are also very important to the collaboration.

3 [Slide]

4 We believe that it is of utmost importance that
5 the collaboration's data-based conclusions and proposals for
6 modifying the draft guidances be given full consideration
7 before these guidances are finalized. As was mentioned in
8 the morning session by Dr. Toby Massa on another topic, it
9 has been found by industry that it is far more productive
10 and efficient to have the comments of industry incorporated
11 prior to finalization of these guidances rather than
12 afterwards.

13 Hopefully, we have been able to demonstrate to you
14 that these issues are of a very complex nature and that they
15 have generated a huge industry response, and this has been
16 demonstrated by the attendance levels at the June, '99 AAPS
17 meeting as well as at the April 26 subcommittee meeting
18 where we had a packed house.

19 In addition, at least 20 comment letters have been
20 received concerning these guidance documents which comprise
21 hundreds of pages of comments. In addition, there has also
22 been this massive effort on the part of the collaboration to
23 try and address these issues.

24 [Slide]

25 The collaboration, therefore, strongly recommends

1 that the agency continue to work towards resolving these
2 very important CMC and BA/BE issues by utilizing all
3 available existing avenues for in-depth interactive and
4 scientific dialogues. Some of these are listed on this
5 slide that could potentially be used, and I am sure there
6 are many others. We feel that such dialogues will ensure
7 that the guidances bring maximum value to regulators,
8 industry and, most importantly, to the patients and
9 physicians.

10 [Slide]

11 We would also respectfully request that the
12 Advisory Committee for Pharmaceutical Science support the
13 need for continuing scientific dialogue on these very
14 important issues before these draft guidances are finalized.
15 We would also request that the committee endorse our request
16 that opportunities be found for continued dialogue between
17 the FDA and the collaboration concerning the very unique and
18 valuable inter-company databases we have been able to
19 collect to date.

20 [Slide]

21 Finally on behalf of my colleagues, I would like
22 to express our gratitude to the agency for holding this
23 meeting. We very much appreciate the opportunity to present
24 our work, and we thank the agency and the committee for
25 considering our comments and proposals. Thank you.

1 DR. LAMBORN: Thank you. A couple of points of
2 clarification -- this may seem a little bit of a reverse
3 order of the way things should be done because of the need
4 to have the open public hearing at the time it was
5 scheduled. The material that has been presented to this
6 point has been part of the open public hearing. We do have
7 a subcommittee report, which Dr. Adams is going to present.

8 The other thing is that ultimately the
9 subcommittee will continue to bring items back to this
10 committee, and this is, in a sense, the advisory body that
11 will ultimately recommend to the FDA, not the subcommittee
12 but clearly a subcommittee was needed to move this forward.

13 Subcommittee Report

14 DR. POOCHIKIAN: Good afternoon.

15 [Slide]

16 My name is Guriag Poochikian. I am the chair of
17 the OINDP CMC working group. I am also a member of the USP
18 expert aerosol committee.

19 In April of this year, the OINDP subcommittee of
20 this advisory committee met under the leadership of Dr.
21 Vincent Lee, who is the chairman and professor at USC.
22 Unfortunately, Dr. Lee is not able to make it today so I
23 will try to summarize briefly and report the main discussion
24 points. My intent today is to be a messenger only. I am
25 not an advocate of any position today.

1 Dr. Eric Sheinin, Deputy Director of OPS, after
2 introducing the topic on April 26 of this year, outlined the
3 responsibilities for the subcommittee as follows: To
4 address and discuss the two questions related to the content
5 uniformity of OINDP, and present the findings to the
6 Advisory Committee for Pharmaceutical Science, and that is
7 what I am doing today.

8 [Slide]

9 As an outline, I will give a very brief
10 background, raise the questions and also summarize the
11 comments.

12 [Slide]

13 Almost two years ago the agency published these
14 two guidances. The first one refers to MDIs and DPIs. As
15 the name indicates, it refers to non-aqueous preparations
16 from the CMC perspective. The second one addresses other
17 nasal inhalation products which are necessarily aqueous
18 based.

19 Now, the content of these guidances is based upon
20 experiences and issues that have been dealt with during the
21 development and review of numerous and different types of
22 applications, particularly in the last decade.

23 In summary, these guidances organize the
24 information acquired in a manner which is equally accessible
25 to all interested parties. Thus, they do delineate the

1 current NDA practices.

2 [Slide]

3 A number of activities took place since the
4 publication of these guidances. There were public comments
5 periods for both guidances and we received numerous comments
6 which are under evaluation. A workshop was sponsored by
7 AAPS, FDA and USP a year ago. The three expert panels met
8 in November of '99, followed by the subcommittee meeting in
9 April of this year and now we are at the advisory committee
10 of OPS.

11 [Slide]

12 To ensure the OINDP quality in terms of dose
13 uniformity, the dose content uniformity issues need to be
14 addressed, in our view, from at least two perspectives.
15 First, dose uniformity among units from container to
16 container within a batch and, second, dose to dose
17 uniformity within a container. The second part, of course,
18 relates to device metered situations.

19 [Slide]

20 The first question that was raised to the
21 subcommittee is should there be a single content uniformity
22 standard for all orally inhaled and nasal drug products,
23 OINDPs?

24 [Slide]

25 Here, I would like to summarize the major points.

1 First, that there are needed, before this question can be
2 answered, a single content uniformity standard would be
3 desirable. Others -- in the last decade, drug products that
4 were approved met FDA guidance criteria. Multiple standards
5 may be the best approach. That is another comment.

6 Existing drug products may be grandfathered until phase-out,
7 especially when we are dealing with the CFC products which
8 are going to be phased out. Other comments -- that content
9 uniformity should be considered in the context of in vitro
10 and in vivo assessments. And, the last comment came from
11 clinicians that lack of standardization would be unfair to
12 clinicians and to the public.

13 [Slide]

14 The next second question, should FDA continue
15 development of the proposed statistical approach to
16 evaluating content uniformity?

17 [Slide]

18 This particular statistical proposal was presented
19 by Walter Hauck, who is a professor of medicine at Thomas
20 Jefferson University in Philadelphia, Pennsylvania. He is
21 also a member of the OINDP subcommittee. Unfortunately, he
22 is not here and that is why I am doing this to the best of
23 my abilities. I am not a statistician. Therefore, I do not
24 feel that I am in a position to provide detailed information
25 on his proposal.

1 However, in a nutshell, his proposed approach is
2 tolerance interval approach in which the regulatory agency
3 specifies three points. First, it specifies the maximum
4 allowed false-positive rate. That is the consumer risk,
5 like for example, five percent. Or, the degree of
6 confidence needed. That would be 95 percent. Second,
7 specifies the minimum coverage probability. That is, the
8 minimum proportion of the batch that should be covered, for
9 example, 90 percent of the units in a batch would meet that
10 criteria. Third, specifies the target interval, or the
11 target limit. For example, 85-115 percent.

12 If you put all this together, his statement says
13 it needs to be demonstrated that with consumer risk of five
14 percent in this example and that at least 90 percent of the
15 batch, again as an example, will fall, for example, within
16 85-115 percent of the labeled claim.

17 On the other hand, the sponsor, under his
18 proposal, will determine the sample size and also will
19 determine the number of tiers to attain this desired level
20 of the false-negative rate. That is, the probability that a
21 good batch does not pass.

22 [Slide]

23 Having said that, the comments from the
24 subcommittee were as follows: Yes, FDA should continue
25 development of the proposed statistical approach by Dr.

1 Hauck. Data from existing products would enable the
2 parametric statistical approach to move forward. Other
3 statistical approaches may be considered. So in a nutshell,
4 with Dr. Hauck's statistical approach, the agency sets the
5 allowable consumer risk while the producer determines its
6 own risk. Thank you.

7 DR. ADAMS: Dr. Lamborn, members of the advisory
8 committee, FDA colleagues, ladies and gentlemen, good
9 afternoon. I am pleased to be here.

10 [Slide]

11 My name is Wallace Adams. I am in the Office of
12 Pharmaceutical Science, working on guidances for
13 bioavailability and bioequivalence for nasal drug products
14 and for orally inhaled drug products.

15 [Slide]

16 The slide indicates that in June of 1999 the
17 agency issued a draft guidance for nasal aerosols and nasal
18 sprays bioavailability and bioequivalence, and that under
19 development is an additional guidance for orally inhaled
20 drug product MDIs, DPIs and inhalation solutions.

21 [Slide]

22 We took issues with regard to both CMC and with
23 regard to BA/BE to the OINDP system commenting in April of
24 this year. The main BA/BE issues are summarized in these
25 two bullets -- approach to developing a single test for

1 comparative particle size distribution, that is, a profile
2 analysis based on the cascade impactor; approaches to
3 bioequivalence in the presence of relative insensitivity of
4 rhinitis and asthma studies to dose response; and
5 consideration of in vitro and PK study of systemic exposure
6 to assure equivalent local drug delivery.

7 You can see from these bullet points that the main
8 issues that we took to the OINDP subcommittee were with
9 regard to bioequivalence issues and comparability rather
10 than with regard to bioavailability. [Slide]

11 First, I would like to go over the in vitro BA/BE
12 questions. As Dr. Poochikian has indicated, my presentation
13 is going to be a summary of the outcome of that subcommittee
14 meeting. I am simply going to go through the questions.
15 These are the same questions that Dr. Harrison had indicated
16 earlier, and bullet points that appear in the official
17 minutes to that meeting.

18 [Slide]

19 In the case of profile analysis the question was
20 asked, should all stages, including the inlet, throat, of
21 the cascade impactor be considered in the comparison of test
22 and reference products?

23 [Slide]

24 Just to illustrate the point, I took a slide from
25 the literature on beclomethasone, and what this slide shows

1 is that for two different products the impact cascade
2 impactor profile with deposition on the various stages of
3 the Anderson cascade impactor and in the throat, the
4 actuator and the stem. You can see that it is plotting for
5 each of the sites, each of the stages and auxiliary sites.
6 It is plotting the amount of drug deposit on that stage.

7 The question for bioequivalence in in vitro
8 studies is if there were a test on reference products, given
9 two profiles, how do we determine whether these products
10 are, in fact, the same in their cascade impactor profile or
11 not? We have decided that profile is appropriate in that if
12 we used a statistical comparison for each and every stage --
13 is the amount of the drug deposited on stage zero, for
14 instance, the same between test and reference; the amount on
15 stage one, and so on. There would be eight or ten
16 individual statistical tests simply on cascade impactor data
17 alone, and there are other tests that we are asking for.

18 So, we felt that a profile analysis is essential
19 and the question then is how to assess that profile.
20 Incidentally, this is not the type of profile that we would
21 see for an ANDA. This is two very different products. This
22 is an HFA-BDP versus a CFC-BDP product. They are two very
23 different products and the profiles, accordingly, look very
24 different.

25 One of the questions that we asked the

1 subcommittee was, should we be concentrating on a profile
2 based upon the drug on all stages and auxiliary sites, or
3 should it just be based upon a subset of those sites,
4 thinking that for instance in terms of the respirable drugs,
5 generally considered to be below five microns, perhaps that
6 comparison should be based on the stages below five microns.
7 But, there were concerns that the subcommittee raised.

8 So, going back to the question, should all the
9 stages, including the inlet of the cascade impactor, be
10 considered in a comparison of test and reference products?

11 [Slide]

12 The answer was yes by the subcommittee. The data
13 are used comparatively to bioequivalence. The relationship
14 of drug deposition on specific stages to safety and efficacy
15 is not known, therefore, all stages and inlet should be
16 considered. In other words, we know that the drug that gets
17 into the lungs is going to be generally five microns and
18 less, but the drug of coarser size is going to deposit it in
19 the oropharynx and a lot of that will be swallowed and can
20 be absorbed to the GI tract and contribute to safety issues.
21 That is the gist of the response, that data on all the
22 stages and sites should be compared.

23 [Slide]

24 The second part of that question, should a
25 statistical approach rather than a qualitative comparison be

1 used for profile comparisons? If yes, does the chi-square
2 comparative profile approach seem appropriate?

3 As Les Harrison had indicated earlier, yes, a
4 statistical approach is preferred because it allows
5 quantitation, and the subcommittee indicated that the chi-
6 square approach is still in progress; it is premature to
7 comment at this time.

8 [Slide]

9 Again, on in vitro another question, prior to
10 doing in vivo studies to establish equivalence of a test dry
11 powder inhaler product -- now turning specifically to DPIs -
12 - a firm would need to design its product to have the best
13 likelihood of being found equivalent in these in vivo
14 studies.

15 This, incidentally, is an issue that at least in
16 the Office of Generic Drugs we have not faced yet in terms
17 of an ANDA for a dry powder inhaler. So, our guidance is
18 intending to include dry powder inhalers, as it was
19 originally indicated. So, this question gets to what sort
20 of in vitro tests would be used to compare two different dry
21 powder inhalers: a) what design features of the device and
22 formulation and what parameters should be considered in
23 determining pharmaceutical equivalence?

24 [Slide]

25 Operating characteristics of equivalent devices

1 should be as similar as possible. Match the airflow
2 resistance and the flow-rate dependence of drug delivery.
3 The devices must be functionally similar. It would be
4 helpful to know what flow rates patients actually generate
5 with the test and reference devices.

6 [Slide]

7 What comparative in vitro tests should be
8 conducted to help support bioequivalence? It was suggested
9 by the system that peak flow rate at particular pressure
10 drops; rate of rise in flow in cascade impactor; variability
11 of the devices at multiple flow rates, which I think is
12 really related to the first bullet; and, the last bullet,
13 goalposts for the in vitro tests should be clinically
14 relevant.

15 [Slide]

16 Turning now to the in vivo BA/BE questions to the
17 subcommittee --

18 [Slide]

19 Clinical studies for local delivery of nasal
20 aerosols and sprays, and we divided these questions into
21 nasal aerosols and into the orally inhaled products. So,
22 specifically for the nasal aerosols and sprays, the first
23 question was three study designs have been proposed in the
24 draft guidance for drugs intended to have local action: the
25 traditional treatment study, which is a two-week study

1 design; a days in the park study; or an environmental
2 exposure unit study. These study designs are based on
3 seasonal allergic rhinitis.

4 [Slide]

5 I wanted to indicate before going further on this
6 that in order to get a sense of the issue involved here with
7 regard to dose response and clinical studies for rhinitis,
8 that we are dealing with a fairly insensitive measure for
9 clinical studies. This is a paper on mometasone furoate
10 nasal spray, published in the literature back in 1997. What
11 it shows is a reduction from the baseline in total nasal
12 system score, which is the clinical measure used to compare
13 the products. It shows the data for placebo, and notice
14 that there is a substantial reduction from baseline in the
15 placebo. In other words, these products have a very
16 pronounced placebo effect. Then, the reduction from
17 baseline at four different doses, 50, 100, 200 and 800 mcg.

18 Our draft guidance recommends that for
19 establishing sensitivity in a rhinitis study a dose
20 difference of either two- or four-fold be used to look at
21 the changes in total nasal symptom scores. But, we can see
22 that with a dose range of 50-200 mcg it goes from 6.1 up to
23 7 units reduction from baseline, something like a 15 percent
24 change only over a 4-fold range. Furthermore, in this study
25 a range from 50-800 mcg, a 16-fold range in dose, gave even

1 less than 15 percent change in response. So, over a very
2 wide range there is a low sensitivity to dose differences
3 using this clinical endpoint. Is that acceptable for
4 establishing efficacy of test and reference products?

5 [Slide]

6 That goes back to the question we were asking, and
7 specifically, is it feasible to demonstrate a dose response
8 for locally acting nasal drugs? If not, what other
9 approaches can be relied upon to establish equivalent local
10 delivery? I would say that the dose-response study that I
11 just showed from the literature is fairly representative of
12 the nasal corticosteroids in terms of the magnitude of
13 responses that are seen. If not, what other approaches can
14 be relied upon to establish equivalent local delivery?

15 [Slide]

16 The responses from the subcommittee were that,
17 yes, it is possible to show a dose response but this
18 requires hundreds of subjects and, in fact, in these studies
19 it is typical that each treatment arm would employ something
20 on the order of about 100 subjects in a parallel study
21 design.

22 Crossover approach is a problem for seasonal
23 allergy due to the shortness of the allergy season. If you
24 try to do a crossover study the level of allergens in the
25 air would have changed by the time you got around to a

1 washout and doing the second crossover arm. So, that would
2 be a problem. If a clinical study is nondiscriminating to
3 dose, rather than relying only on in vitro studies, it was
4 suggested a scintigraphy study could be considered.
5 however, it was pointed out that for a multi-phase product,
6 i.e., a suspension, it is difficult to make a labeled
7 product that duplicates the marketed product. I think here
8 what is being expressed is the concern that if technetium is
9 added to the product is it, in fact, associated with the
10 drug and not to the micella in the vehicle? So, it makes a
11 point that that is a problem in terms of labeling of the
12 product.

13 [Slide]

14 Furthermore, for in vitro tests the concern was
15 that in using other testing as a means of establishing
16 equivalence for the rhinitis drugs in vitro tests might be
17 used, but they may be so discriminating but irrelevant that
18 they would keep an equivalent product from the market.

19 Now, we have heard that from individuals in the
20 past that, in fact, these in vitro tests are less variable
21 than in vivo testing and, in fact, statistically significant
22 differences may be seen between products on a particular in
23 vitro test and that, yet, has no clinical relevance, but Dr.
24 Hauck pointed out that a key requirement of a bioequivalence
25 test is the ability to show differences. Setting an

1 appropriate goalpost can deal with a very discriminating
2 test. If we have differences, simply set that goalpost of
3 ours wider in order to accommodate those differences if they
4 have no clinical relevance.

5 [Slide]

6 Plasma drug pharmacokinetics could reflect
7 equivalent deposition, dissolution from the nasal suspension
8 formulation, and local concentration. The study may need to
9 involve charcoal block. So, this was a suggestion, that PK
10 data in fact could be used to establish local delivery.

11 I would like to point out that what we are talking
12 about here is PK data to establish local delivery, our
13 guidance indicates for suspension type products that PK
14 studies are preferred to establish equivalent systemic
15 exposure. But that is more of a statement issue. In this
16 slide, we are talking about possibly blocking absorption
17 from the gut and using PK data as a means of establishing
18 local delivery to sites of action. No consensus was reached
19 on this question, however.

20 [Slide]

21 Next question, can bioequivalence established
22 based on seasonal allergic rhinitis assure bioequivalence
23 for other indications such as recurrence of nasal polyps or
24 other non-SAR conditions?

25 I would like to skip over this question because,

1 in fact, since issuance, in June of '99, of our guidance the
2 Division of Pulmonary and Allergy Drug Products has issued
3 an allergic rhinitis guidance which addresses the issue of
4 comparability testing for changes in formulation or device
5 to a product and, in fact, their recommendations would fit
6 very nicely, I think, for the issue that we are talking
7 about here. So, in the interest of time, I would like to
8 skip that question. Well, I will read what the responses
9 were: More data are needed, and no known correlation exists
10 between SAR and non-seasonal allergic rhinitis.

11 [Slide]

12 A number of approaches have been proposed to
13 assess bioequivalence of inhaled corticosteroids -- now
14 switching from nasal to the inhaled corticosteroids -- a
15 number of approaches have been proposed to assess
16 bioequivalence of ICS, such as clinical trials,
17 bronchoprovocation tests, the steroid reduction model,
18 trials with surrogate measures such as exhaled nitric oxide,
19 and other measures. Are any of these study designs proven
20 to offer better discrimination in terms of dose-response
21 sensitivity?

22 Again, the issue implicit here is that just as for
23 the nasal steroids there is a very shallow dose response
24 for, the inhaled corticosteroids there is a similar
25 observation.

1 [Slide]

2 So the recommendations or comments were to perform
3 the bioequivalence study at lower doses to avoid plateau of
4 response. It was said that of questionable value were
5 exhaled nitric oxide, which is not yet acceptable as a
6 surrogate marker; beta agonist reversibility is a potential
7 marker of response; FEV-1 and peak flow changes are small,
8 and that represents a problem; changes with methacholine and
9 histamine challenge cannot be differentiated, again a
10 problem; and it was also suggested to select the right
11 patients based upon entrance criteria.

12 [Slide]

13 Next question, what other in vivo approaches,
14 e.g., surrogate markers, might be sufficiently sensitive and
15 validated to establish in vivo BA and BE for inhaled
16 corticosteroids? The point was raised again that eNO is not
17 at this time accepted as a surrogate marker.

18 [Slide]

19 Now, PK or PD studies for systemic exposure of
20 locally acting drugs -- question, are there situations where
21 in vitro data plus systemic PK and systemic PD data can be
22 relied upon to assure local drug delivery for either nasal
23 or inhaled drugs?

24 Now, go back a few slides where I pointed out
25 that, in fact, one of the earlier comments was that, yes,

1 there are situations if you possibly use charcoal block or
2 if you had a drug that after oral dosing undergoes high
3 first-pass effect so very little drug is getting into the
4 gut PK data, in fact, may be useful under circumstances to
5 establish local delivery.

6 When the question was asked in this way, the first
7 bullet, the participants did not have situations that
8 responded to the question. Second bullet, for orally
9 inhaled products, the in vitro and PK assessments are
10 important but not sufficient. Clinical studies for local
11 delivery are needed.

12 [Slide]

13 It was stated that the clinical trial could be a
14 bridging study rather than a full-scale study. And, when
15 the nasal dose is increased to increase plasma drug levels
16 for quantitation, the dose should remain within the
17 therapeutic dose range for these drugs.

18 [Slide]

19 I would like to acknowledge the following
20 individuals: Dr. Vincent Lee, who was the chairperson of
21 the OIDP subcommittee back in April; the members and invited
22 guests of the OINDP subcommittee; Nancy Chamberlin who also
23 sits here today; and members of the FDA's OINDP technical
24 committee; and the chair and invited guests of the
25 subcommittee meeting back in April.

1 [Slide]

2 I know the slide is kind of hard to see, but this
3 indicates the individuals who participated in that meeting.

4 [Slide]

5 And, the members of the agency's OINDP technical
6 committee, these are the individuals that really carried the
7 brunt of the development efforts for our draft guidance that
8 has been issued so far. Lastly, I would like to acknowledge
9 the efforts of Dr. Roger Williams who provided the initial
10 impetus for the development of these BA/BE guidances. Thank
11 you.

12 DR. LAMBORN: Thank you. As I understand it, we
13 have now received a summary of where things stand to date
14 and the major meeting that took place in April. What is the
15 next step, and what is it that we can do to assist today?

16 DR. ADAMS: As an update of where things stand
17 now, the various issues in this guidance are currently
18 undergoing further consideration and revision based upon
19 public comments that were received to the docket for the
20 nasal BA/BE guidance, as well as discussions that we are
21 having in the working groups. We are doing simulations in
22 some cases, making revisions to the guidance. We have had a
23 number of meetings of our working groups. So, I would say
24 that the process is continuing at this time, Dr. Lamborn, in
25 terms of refining this guidance. Some of the issues on

1 knottier than others are, more difficult than others are.
2 So, it is a question of how do we feel that these can best
3 be responded to.

4 In terms of what can the ACPS do to facilitate the
5 process at this time, I would say first to simply be aware
6 of our efforts as we work towards trying to put in place for
7 the first time appropriate BA and BE, but mostly BE issues,
8 approaches for which there are no easy answers. Looking
9 back over a few years, it took us a number of years for
10 albuterol MDI in terms of how to develop appropriate
11 bioequivalence methodology for that, and we finally got
12 there, and that would be reflected in the orally inhaled
13 guidance that is under development. But those types of
14 issues of sensitivity, endpoints, appropriate in vitro
15 testing -- that is another thing that is undergoing revision
16 now. We are looking at the host of in vitro tests that we
17 have asked for in the draft guidance, and trying to refine
18 that for which of those tests are the critical ones needed
19 to establish equivalence of the products and which ones
20 don't we need. So, this effort is continuing.

21 DR. LAMBORN: Well, I think we have finished the
22 formal presentations for the first section of this
23 afternoon. So, perhaps I could open up the committee to
24 either comment or questions that you might have at this
25 point.

1 DR. DOULL: Yes, I would like to ask Dr. Harrison
2 or Dr. Adams who also talked about that, about the cascade
3 impactor. You were asking about whether you should analyze
4 all those data in the throat and you showed a slide which
5 showed why that makes a difference. My question is why not
6 just use the Lovelace -- you know, different kind of
7 particle size analyzer rather than the Anderson cascade
8 impactor, one that really gives you the whole spectrum
9 without all the stages? I gather from Carole Evans'
10 presentation that you are looking at alternative particle
11 size analyzers and I guess the question is aren't there some
12 things in there that would eliminate those kind of problems
13 you are talking about with a cascade impactor?

14 DR. ADAMS: We are aware of the instrument you are
15 talking about but the Anderson cascade impactor or other
16 brands of cascade impactor are a critical element in our in
17 vitro comparisons because that, in fact, measures
18 aerodynamic particle size. Unlike, for instance, laser
19 diffraction or some other instruments, what the cascade
20 impactor does is to measure aerodynamic particle size which
21 is considered to be the best representation for particles
22 going into the lungs --

23 DR. DOULL: True, but the problem with it is it
24 does it stage, by stage, by stage so that, you know, if it
25 can get around the corner it makes it to the next stage.

1 What you need is something that isn't stage by stage but
2 that is continuous deposition depending on the particle size
3 mass and how far it travels. The Lovelace particle size
4 analyzer does precisely that and, therefore, would not have
5 that problem you are talking about with the stage thing.

6 I assume, because you do have activity, that it is
7 going to look at alternative methodology for particle size
8 and that that would be something that the subcommittee would
9 get involved in.

10 I have one other question, and that has to do with
11 Dr. Hansen's presentation. He gives some nice description
12 about the tox qualifications to be used for leachates and so
13 on, but he mentions in here the genotoxic and the non-
14 genotoxic leachables. Everything else has a threshold,
15 either implied or indicated, and I wonder if he is going to
16 have a threshold for genotoxic leachables.

17 DR. HANSEN: No, there was not an intention to
18 have a threshold for genotoxic leachables but for standard
19 non-genotoxic leachables only.

20 DR. DOULL: That, of course, is a big issue in
21 toxicology but there are some people, Gary Williams for
22 example, who just published a paper in which he shows
23 thresholds for four genotoxic -- four very potent genotoxic
24 agents. So, you know, the argument that there might be
25 thresholds for the genotoxics just like we have for all the

1 non-genotoxics is, I think, getting to the point where we
2 should give that some consideration. It would facilitate
3 what you are talking about for the tox protocol in here if,
4 in fact, you had thresholds.

5 DR. VENITZ: I have a question about the progress
6 as well, and I would like to compliment the industry for
7 getting together and putting together a database that I am
8 pretty sure is very valuable.

9 My question is for Dr. Adams. Wally, to what
10 extent are you going to incorporate data-driven
11 specifications?

12 DR. ADAMS: Dr. Venitz, data-driven in the sense
13 of what, in vitro, in vivo correlation?

14 DR. VENITZ: In the sense that they are coming out
15 right now from the database that the industry is analyzing,
16 and they find that some of those specifications would
17 basically fail 95 percent of their products. Is that going
18 to be considered when you review or revise the current
19 guidance?

20 DR. ADAMS: Are you referring to the CMC
21 specifications?

22 DR. VENITZ: Yes. Are you going to answer this?

23 [Laughter]

24 DR. POOCHIKIAN: Thanks for the question. As I
25 indicated on numerous occasions, most of our current

1 proposal, which is in the draft guidances are the result of
2 approved applications. Does that answer the question?

3 DR. LAMBORN: So, you are saying that most
4 approved products would, in fact, meet these specifications?

5 DR. POOCHIKIAN: That is what I am saying.

6 DR. LAMBORN: Thank you.

7 DR. BOEHLERT: I have a question then for the
8 consortium people because you presented some data that said
9 that 68 percent of all products show results outside one
10 limit and, in another case, a very high number of products
11 would not comply. Is that based on products that you all
12 would feel are acceptable in the marketplace, or were there
13 products in there perhaps that might be deemed unacceptable
14 and should be outside the limits?

15 DR. LAMBORN: Would someone from the consortium
16 care to respond to that?

17 DR. OLSSON: The products in the database are from
18 all over the world so they include commercial products on
19 the market in the U.S., commercial products in the rest of
20 the world, and a lot of them are late development products.
21 It is our opinion that these have been submitted to the
22 database by ethical companies. So, we have no real fear
23 that these do not represent the actual capabilities of the
24 various technologies that they represent.

25 DR. LAMBORN: I think the question was not whether

1 somebody was fudging the data but simply whether or not
2 these were approved products, and that these represented
3 tests on approved products.

4 DR. BOEHLERT: Yes, exactly.

5 DR. OLSSON: And I repeat that a number of them
6 are from approved products in the U.S., a number of them are
7 from approved products in the rest of the world, and a
8 number of them are products which are in the late
9 development phase and which are intended to be approved.

10 DR. EVANS: Please correct me if I am wrong, but
11 just to elaborate a little more, the figure presented
12 earlier where we had a 68 percent failure rate based on any
13 values being outside of plus/minus 25 percent, that was
14 based on the database of 60 total products. Of those 60
15 total products, 6 were U.S. commercial products. Looking at
16 the database, 4 out of those 6 would have failed that
17 plus/minus 25 percent requirement. Does that clarify it for
18 you?

19 DR. RODRIGUEZ-HORNEDO: I will follow-up that same
20 theme, do you have any idea of how those limits correlate
21 with the therapeutic outcomes? So, if the confidence limits
22 should be widened, what are going to be out endpoints?

23 DR. OLSSON: That is an extremely complex
24 question, as I am sure you know. First of all, because we
25 are dealing here with a number of different molecules it

1 would be really surprising if you would have the same
2 relation for different molecules. So, I think that in order
3 to circumvent this extreme difficulty we are talking now not
4 really about in vivo relevant measures but, rather, about
5 achievable technical quality and the products are then
6 validated by the clinical studies that are performed.

7 DR. LAMBORN: Other questions? Comments?

8 DR. BLOOM: In your presentation you comment that
9 a single content uniformity specification is not suitable.
10 Have you thought about measuring content uniformity
11 according to the specific drug? Maybe I missed it but
12 nobody addressed that content uniformity should be done or
13 shouldn't be done in terms of a specific drug.

14 DR. POOCHIKIAN: Is this a question for us or for
15 the panel?

16 DR. LAMBORN: I think you all should start.

17 DR. POOCHIKIAN: Okay. As I indicated in my
18 presentation, there were different views. From a clinical
19 perspective, the clinicians on the subcommittee were of the
20 opinion that a standardized approach is the way to go
21 because it will help physicians prescribing, and also from
22 the patient perspective. But there were also, as I
23 indicated, other views that different standards may be
24 applied also depending on, for example, the type of the drug
25 and the population that we are dealing with. So, those were

1 various options. So, it was a mixed approach. Some people
2 felt standardized is the preferred way to go but, at the
3 same time, there were members who thought that different
4 standards also can be applied. Now, what is the best way?
5 That is why we have subcommittees and committees seeking
6 advice.

7 DR. LAMBORN: This is an example of where I am not
8 clear what happens next. I mean, you clearly had a
9 discussion. The subcommittee discussed it. Some people
10 felt one way, some people felt another. You have a number
11 of thoughtful comments in here. Will there be further
12 discussion? Other individuals involved? How will it move
13 forward to a next step from these different viewpoints?

14 DR. POOCHIKIAN: That is a very different question
15 that we are wrestling with at the agency. As Dr. Adams
16 indicated, we are considering all the comments through the
17 public comments and also through the subcommittee reports
18 and, hopefully, from this meeting to go back and discuss
19 internally not only from the CMC perspective but also from
20 the clinical perspective to see what the best approach is,
21 whether to go with one standardized approach, or more than
22 one, or be somewhere in the middle, and be flexible, putting
23 in some criteria to clarify under what criteria what can be
24 applied. What Dr. Hauck was presenting will do away, in
25 many aspects, with some of the issues that we are discussing

1 because his point was if we define the patient risk and a
2 couple of criteria, what probability of the population
3 should be within that limit. Then, the applicant can define
4 the producer risk in terms of the sampling and in terms of
5 number of tiers. So, if somebody has a high quality drug
6 product, then he or she has a different option to take as
7 opposed to somebody else with regard to the samples to meet
8 that criteria. So, that is a third option that was
9 presented, and we are looking into it seriously too.

10 DR. LAMBORN: But that also could still leave you
11 with multiple standards depending on the application for the
12 drug in terms of relative risk that, for instance, you would
13 accept for different patient populations.

14 DR. POOCHIKIAN: Even in that scenario, that is
15 possible also, to branch into two tiers.

16 DR. LAMBORN: Other questions, comments from the
17 committee? There is obviously a lot of work and a lot of
18 thought that has gone into this from a lot of people, and I
19 think you have obviously impressed the committee. They are
20 just trying to absorb all the information that they have
21 received. So, I think maybe the best thing to do is to go
22 ahead and take our break now. I think this was scheduled to
23 be a 15-minute break. So, let's reconvene at 3:00 p.m.

24 [Brief recess]

25 DR. LAMBORN: Just a couple of issues. First for

1 clarification for committee members, you received a copy of
2 minutes from the April 26 meeting in your original packet,
3 and then you received a new copy here. There is no
4 difference. They were not official yet when they were sent
5 out to us first so they were listed as "draft" but they are
6 the same thing as we have received.

7 The other is that when we finish the formal
8 meeting this afternoon, if the committee members would sort
9 of gather around; we have a couple of housekeeping items to
10 deal with.

11 I think we are going to turn to the nonclinical
12 studies subcommittee.

13 Subcommittee Report - Nonclinical Studies

14 DR. DOULL: Thank you, Dr. Lamborn.

15 [Slide]

16 We are delighted to have this opportunity to
17 update the full committee on the activity of your
18 nonclinical studies subcommittee of this advisory committee.

19 [Slide]

20 This subcommittee, like many Food and Drug
21 committees, has two functions, one scientific and one
22 collaborative. The two functions here, the scientific
23 function is to provide advice on improved scientific
24 approaches to nonclinical drug development and regulation.
25 And, the second function then is to foster collaboration

1 among FDA, industry, academia and the public.

2 [Slide]

3 Our specific objectives here -- first the
4 scientific objective: We are seeking ways, approaches and
5 mechanisms that will improve nonclinical information for
6 effective drug development. We are seeking ways,
7 approaches, mechanisms to improve the predictivity of
8 nonclinical tests for human outcomes and, finally, seeking
9 approaches and mechanisms to improve linkages between the
10 nonclinical studies and the clinical studies.

11 Then the other, the collaborative effort is to
12 facilitate a collaborative approach to advancing the science
13 and regulation of drug developments.

14 [Slide]

15 This slide shows the list of the groups that are
16 collaborating, and you can see that from Food and Drug we
17 have CDER and CBER; NCTR, which is the lab in Arkansas. We
18 have industry collaborations from PhRMA and from BIO.
19 Academia, we have several academicians on the committee and
20 we seek collaboration with academia, and we have some strong
21 links with NIH.

22 [Slide]

23 This is the membership. In addition to Dr.
24 MacGregor and myself, as you can see, we have David Essayan;
25 Daniel Casciano, who is the new head at NCTR and is a new

1 member of the committee and hasn't been to a meeting yet;
2 Jack Reynolds from PhRMA; John Cavagnaro from BIO. Ray
3 Tennant is also a relatively new member. He has been to a
4 meeting or two but he is from the Triangle; he is from NIH
5 but he represents that. Jay Goodman is a toxicologist from
6 Michigan State University; Jack Dean is from Sanofi. He is
7 also a toxicologist. Both Jack Dean and Gloria Anderson,
8 who is here today, are both members of this committee and
9 they are also members of our committee.

10 [Slide]

11 We have had two meetings. The first meeting was
12 an educational meeting for all of us to get up speed in
13 areas of genomics and imaging, and we had experts from all
14 of these different areas who came and talked to us about
15 what is happening in those fields. We had experts come and
16 talk to us about potential biomarkers of toxicity, and
17 noninvasive or the imaging techniques that one can use in
18 nonclinical studies.

19 After that meeting, we then focused in more
20 sharply on areas that we thought would be profitable for our
21 subcommittee to focus on, and we have identified two of
22 these, and they are listed here. We thought there is
23 sufficient information, and we have made sufficient progress
24 in finding biomarkers of cardiac toxicity and that that
25 would be a good area to work on. Second would be a similar

1 situation for biomarkers of vasculitis.

2 Now, of the imaging, we looked at MRI and PET scan
3 and decided that the PET scan was furthest along. However,
4 we intend to get into that group but we haven't quite
5 decided exactly how we are going to do that. So, that is
6 not as fully organized as those other two groups.

7 [Slide]

8 This is the role of this subcommittee. Our task
9 is to identify and recommend areas in which we would focus.
10 As I have already indicated, we are going to focus on
11 biomarkers at least for the beginning. Our task then is to
12 identify experts in the focus areas to form working groups,
13 to identify people that could serve in this, and then to
14 encourage nominations and those nominations would come from
15 any place that is likely to be able to provide good
16 nominations. That would include the Federal Register
17 announcements. It would include FDA and stakeholder
18 announcements with all of their groups. We have used the
19 professional societies. We have sent letters to them and we
20 have also talked to a number of those. What the expert
21 working groups actually will do is to identify opportunities
22 for collaboration and to define the objectives of those
23 different expert working groups.

24 So, the role of our subcommittee is really kind of
25 an oversight or a steering committee approach to get the

1 expert groups up and going, and then to keep track of what
2 is happening with each of the expert groups. Once they get
3 the activities going, begin to plan workshops and so on,
4 then our task will be to encourage that and facilitate those
5 as they are developed by the expert groups and the
6 subcommittee.

7 [Slide]

8 Well, where are we at? Having identified the
9 areas in which we are going to focus, biomarkers for cardiac
10 toxicity, biomarkers for vasculitis, we have initiated the
11 process to develop nominations. The Federal Register notice
12 was published on July 26. As I have indicated, we have sent
13 letters to all the scientific societies that we thought
14 would have an interest in this activity. FDA has made all
15 kinds of official announcements, and we have had a number of
16 informal contacts. Members of the subcommittee and others
17 have been helping us recruit good candidates. Jay Goodman
18 and I and Dr. MacGregor were out at the Tox Forum and that
19 is the kind of meeting where you go around and solicit all
20 your colleagues to get nominations, and we did that.

21 [Slide]

22 The status we are at then is that the deadline for
23 nomination submission was 9/29, and we received 26
24 nominations, very good nominations. We are now in the
25 process of approval to get those expert groups established,

1 and we are doing that through the channels that are involved
2 in getting that done. So, right now we are in the process
3 of waiting for the members of the expert groups to be
4 assembled or announced, and once that is done, then
5 hopefully in January we will be able to have those expert
6 groups meet, and we thought we would meet initially with the
7 subcommittee and try and identify exactly what we hope will
8 come out of those expert group meetings. The
9 recommendations from the expert groups would come back to
10 the subcommittee and eventually then we will be bringing
11 those to this committee.

12 I have given you kind of a sketchy history of
13 where we are at. This is a brand-new committee and a very
14 brand-new area and a very exciting and challenging area, and
15 we are very hopeful that we will be able to bring you some
16 very significant recommendations and workshop plans. Thank
17 you.

18 DR. LAMBORN: Dr. MacGregor, did you have
19 additional comments?

20 DR. MACGREGOR: I don't think I have specific
21 comments. I would be happy to answer questions or expand on
22 any area that you would like.

23 DR. LAMBORN: I think then we are open to
24 discussion by the committee. Questions? I certainly agree
25 that it sounds like an exciting and challenging additional

1 area, and one well worth starting to work on.

2 DR. DOULL: I think the main difficulty -- there
3 are all kinds of possibilities for biomarkers and, I must
4 say, that we heard dozens of suggestions so that the
5 difficulty was really figuring out which of those
6 suggestions are far enough along that they could be
7 implemented and really be useful for nonclinical studies,
8 and could be linked to the clinical studies. So, I hope we
9 have picked the right two to start with, and possibly an
10 imaging one if we can get that up and going. But at least
11 that is our plan for now.

12 DR. LAMBORN: Are there any other topics for this
13 afternoon that committee members or FDA wishes to make?

14 [No response]

15 Then, I think we should consider that we are
16 adjourned. We will meet again tomorrow, same location.
17 Thank you all.

18 [Whereupon, at 3:15 p.m., the proceedings were
19 adjourned, to reconvene on Thursday, November 16, 2000]

20 - - -