

1 melanoma skin cancer.

2 DR. LAWRENCE: I will ask Dr. Forbes to
3 come up, and while he comes up I'll answer your first
4 question.

5 We obviously, in this program that we've
6 presented today, have only followed patients in our
7 presentation to the agency up to 12 months, and in
8 fact, we point that out in our particular
9 recommendations, that these studies have only been
10 conducted up to 12 months.

11 Clearly, as the drug is brought on the
12 market, we would certainly want to continue to work
13 with the agency on longer term information regarding
14 patients utilizing this drug. I certainly acknowledge
15 that.

16 And I'll ask Dr. Forbes to answer your
17 second question.

18 DR. FORBES: And I'll try to do so without
19 too much perambulation here, but let me, if I may,
20 simply point out that the test, as I think most of you
21 know well, the test that is done for
22 photocarcinogenesis is mechanism insensitive. It says

1 does this drug influence photocarcinogenesis by any
2 means, and does not separate out some of the kinds of
3 issues that Dr. Stern raised.

4 Now, let me speak to what we do and do not
5 know about photocarcinogenesis, experimental
6 photocarcinogenesis, and the immune responses. We do
7 know that systemic immunosuppression can lead to
8 substantial enhancement of photocarcinogenesis. We've
9 known that for 35 year or so based on mouse studies on
10 antilymphocyte serum and azathioprine, which as
11 systemic immunosuppressive agents significantly
12 enhance photocarcinogenesis. What we don't have as
13 clear a handle on is topical immunosuppression, and
14 where we have treatment modalities that do suppress
15 topical -- the cutaneous immunosuppression situation,
16 such as ultraviolet radiation or nitrogen mustard,
17 both of these are highly carcinogenic in hairless
18 mice, and very much complicate the issue in terms of
19 trying to separate out that issue.

20 One chemical that we had hoped might help
21 with this issue is uricanic acid, which as we know
22 does suppress some aspects of cutaneous immunology,

1 but at most the effects that we saw from uricanic acid
2 have been published by others in the literature are
3 equivocal.

4 That is, if there is any enhancement, it
5 is small in this hairless mouse model so that we do
6 not know clearly that suppressing the cutaneous immune
7 system has anything like the effect that suppressing
8 systemic immunology has on photocarcinogenesis.

9 Now, to get to the question of mechanistic
10 studies, we can certainly look forward to the
11 possibility of good, basic science studies that will
12 tell us more than we know today about a lot of issues,
13 including immunology and cutaneous immunology. If I
14 had to stand here and make a case and my job depended
15 on selling you on the idea that these had immediate
16 clinical benefit, that is, that they would tell us a
17 great deal about risk analysis and the possibility for
18 doing risk analyses, I'd probably lose my job.

19 So I can't really convince you that these
20 are of immediate clinical relevance, but I can tell
21 you that there are some things from which we can
22 develop better basic science.

1 We could, for example, separate temporally
2 the UV aspect from immunosuppression. We could
3 irradiate for, let's say, 12 weeks enough to do a
4 great deal of mutagenic effect in the epidermis,
5 separated by several weeks from changes then that we
6 could induce either systemically or topically in the
7 immune system.

8 So I think there's no question that
9 scientifically we can separate out some of those
10 effects and in the future at some point have a better
11 handle on what the mechanisms are along those lines.

12 I think somewhat beyond that, we can look
13 forward to some good transgenic models, either knock-
14 outs or promoters that will also help to separate some
15 of the aspects of the cutaneous immune system and give
16 us a lot more solid science than we have today.

17 Thank you.

18 ACTING CHAIRMAN STERN: Thank you, John
19 Forbes.

20 Why don't we have one last question?
21 We're already way behind time.

22 DR. SIMMONS-O'BRIEN: I actually have

1 several questions. So hopefully they won't take long
2 to answer.

3 I'd like to thank you for a succinct
4 presentation.

5 I wanted to know were any of the enrollees
6 -- were they biopsied prior to enrolling into these
7 studies, children and adults?

8 DR. LAWRENCE: We did not require biopsy
9 diagnosis. This was based on a clinical diagnosis.
10 I know for a fact that some patients had been biopsied
11 previously, but we did not require that for
12 enrollment. This was based on the clinical --

13 DR. SIMMONS-O'BRIEN: Okay. My next
14 question is for the individual who developed the CTCL,
15 and it states that seven-year history of chronic
16 eczematous dermatitis. I wanted to know how was the
17 diagnosis of CTCL made in that individual. Was he a
18 Stage 3B by the time of presentation?

19 DR. LAWRENCE: The diagnosis was made by
20 biopsy, and --

21 DR. SIMMONS-O'BRIEN: But what led to that
22 biopsy? Did he have adenopathy or --

1 DR. LAWRENCE: Yes, he had developed
2 concurrent lymphadenopathy, as well as the lesions
3 that were not responding to therapy. Actually he had
4 tried both with steroids and also with tacrolimus
5 without improvement in the cutaneous symptomatology,
6 and I think that, plus the lymphadenopathy, did
7 trigger.

8 And I'd like Dr. Joyce Rico, who is one of
9 our dermatologists, who has a better familiarity with
10 the case perhaps.

11 DR. RICO: The patient, as Dr. Lawrence
12 presented, had lymphadenopathy, had failed to respond
13 to therapy, had a biopsy that was consistent with
14 early mycosis fungoides. His immuno studies were,
15 however, negative, but he was subsequently
16 discontinued from the drug, treated with nitrogen
17 mustard, and did well.

18 So we suspect that he was a very, very
19 early patch stage 1B.

20 DR. LAWRENCE: Thank you, Dr. Rico.

21 DR. SIMMONS-O'BRIEN: My concern is that
22 there are or I have had lots of patients who have been

1 refractoried to treatment who have been called atopic,
2 and they are head to toe chronic eczematous
3 dermatitis, and a number of them have had mycosis
4 fungoides. So that's why I was interested in terms of
5 knowing exactly what the patient's diagnoses are when
6 you have that particular individual with longstanding
7 history or even short, acute history and total body
8 eczematous disease.

9 My next question was were there any
10 correlations with blood levels and assessment or were
11 there any assessments, concomitant assessments of
12 white blood cell counts in looking at differentials.
13 Any type of lymphopenia when the blood levels were
14 also assayed for the tacrolimus?

15 DR. FITZSIMMONS: We evaluated the
16 relationship between blood levels and the occurrence
17 of adverse events and found no relationship between
18 leukopenia and the blood levels that were measured.

19 DR. SIMMONS-O'BRIEN: Okay, and then my
20 final question was when the patients were enrolled,
21 were they advised to minimize their exposure to
22 sunlight? And how was that monitored and reinforced?

1 DR. LAWRENCE: They were definitely at the
2 time of enrollment -- were advised to avoid
3 unprotected exposure to natural or artificial
4 sunlight, including and we did encourage and permit
5 the use of sunscreens during the course of the study.

6 As far as the enforcement, it was asked to
7 be reinforced at each study visit, which as you know
8 were weekly and then every three weeks after that
9 through the 12-week study, as well as the quarterly
10 visits in the long-term study.

11 I think that is really probably all that
12 we were able to do, which was to ask the sites to
13 reinforce that, and we did certainly strongly
14 encourage patients to practice safe sun.

15 ACTING CHAIRMAN STERN: A final question
16 from Dr. Bull.

17 DR. BULL: Okay. I just had a point of
18 clarification. In your efficacy summary, you state
19 that effectiveness is maintained for periods up to one
20 year.

21 DR. LAWRENCE: Yes.

22 DR. BULL: Does that include periods of

1 retreatment or is that sustained -- for ones that had
2 complete improvement, was that sustained up to a year?
3 Was that sustained improvement without further
4 treatment?

5 DR. LAWRENCE: Let me clarify that. What
6 we meant was in those patients who continued to treat
7 for that period of up to 279 days, they were able to
8 maintain a relative level of improvement. They did
9 not have significant flares or break-through, although
10 isolated and nontreated areas continue to break
11 through.

12 With regard -- and those were only done in
13 -- that was really a very weak inference from the
14 long-term follow-up of the study patients that were up
15 for to a year where we looked at the easy scores over
16 time, and then we saw them drop and then be maintained
17 at a relatively constant level over time.

18 With regard to your second question, as I
19 think you were asking two things, patients that
20 discontinue treatment do recur. On average, the
21 patients recur anywhere from as short as a week to as
22 long as a month, but we do see recurrence with these

1 patients.

2 So once they stop treatment, they do have
3 recurrence, and the disease does come back, usually
4 not worse than it was before. We are not seeing a lot
5 of rebound phenomenon, but they do recur.

6 ACTING CHAIRMAN STERN: Henry, the
7 absolute last question.

8 (Laughter.)

9 DR. LIM: Hopefully it will be a short
10 one, but this is a follow-up of the point that Rob had
11 brought up before, that many of these patients, they
12 do require treatments in the past or in the future
13 with other modalities, including UVB, as well as PUVA.
14 The light source that had been used in animal studies,
15 I understand in human is going to be quite difficult.
16 In animal studies, it's a broad band light source, and
17 if I calculated correctly, approximately probably it's
18 going to be 90 percent UVA and probably about ten,
19 five to ten percent UVB in there.

20 And the effect probably, would you be able
21 to say, you know, what is the action spectrum or care
22 to speculate what is the action spectrum, Dr. Forbes,

1 for the possible photocarcinogenesis that is induced
2 in this particular model specifically with topical
3 tacrolimus?

4 DR. FORBES: Yes. As to the admission
5 spectrum, Dr. Lim, you're perfectly correct. This is
6 a small percent of UVB, much more UVA, as we find in
7 sunlight, and we believe that in this model the action
8 spectrum for photocarcinogenesis is simply that for
9 untreated skin. That is, we are not looking at
10 photochemistry as a drug here as we would be with 8-
11 methoxy psoralen or some photoactive material. We're
12 looking at the action spectrum of skin, which is
13 largely in the UVB. The UVA adds a very small amount
14 to the effectiveness of the light. The action
15 spectrum as published quite recently in a CIE standard
16 indicates that it has similarities to the erythema
17 action spectrum with small departures here and there.

18 ACTING CHAIRMAN STERN: Thank you very
19 much.

20 DR. LAWRENCE: I just wanted to answer two
21 questions you posed in your earlier portion, Dr.
22 Stern, very quickly. One of them is you did raise the

1 issue about herpes simplex. The frequency of eczema
2 herpeticum in our trials ranged from a low of about
3 less than one percent, about .9 percent in children
4 followed for up to a year and about two to three
5 percent in adults followed for up to two years, to
6 help with that issue.

7 Secondly, you did raise the issue of
8 immunocompetence locally with patients applying this.
9 Although we did not measure specifically, one
10 surrogate marker may be the advent of warts. We have
11 a very, very low incidence of Verruca vulgaris in this
12 study, actually about less than -- I think we had a
13 total of four cases, one in the vehicle, one in the
14 low concentration, and two in the high concentration
15 for a period of a year.

16 I just wanted to clarify. I was hoping
17 that answered some of your questions.

18 ACTING CHAIRMAN STERN: Thank you.

19 Do you have a closing comment as well?

20 DR. FITZSIMMONS: Just one additional
21 point --

22 ACTING CHAIRMAN STERN: Sure.

1 DR. FITZSIMMONS: -- of clarification. In
2 the beginning when you introduced the questions, you
3 raised the issue of the post hoc analysis of the adult
4 showing the difference between .1 and .03 percent
5 concentration. I just wanted to point out that these
6 two studies that Dr. Lawrence described were
7 identically designed, performed at the same time, but
8 at different investigative sites.

9 So the intention was to always pool the
10 results from those two adult studies. So we believe
11 that the pooling of those results to determine the
12 differences in the efficacy rate between
13 concentrations is a pre-plan not a post hoc analysis.

14 ACTING CHAIRMAN STERN: I'm sorry. What
15 I meant was the subgroup where you showed that to a
16 large extent, high severity was the group where there
17 was a significant difference.

18 DR. FITZSIMMONS: That's right.

19 ACTING CHAIRMAN STERN: It was the subset
20 analysis. I understood that you always planned to
21 pool the adults and the children individually.

22 DR. FITZSIMMONS: Yeah. So when we did

1 see the difference, then we further explored where
2 does that difference occur.

3 ACTING CHAIRMAN STERN: Right, and that's
4 post hoc analysis where I come from.

5 DR. FITZSIMMONS: Right, and the other
6 point of clarification is that, yes, in the
7 pharmacokinetic study that I described, the 08 study,
8 there was a small body surface area treated. In the
9 clinical trials that Dr. Lawrence described, as you
10 saw, on average 40-some percent body surface area was
11 treated in the pediatrics.

12 And we also did a pharmacokinetic study in
13 pediatrics and looked at exposure up to 60 percent
14 average BSA, and that data and pharmacokinetics with
15 .1 percent is described in your briefing document.

16 ACTING CHAIRMAN STERN: I'd like to just
17 make one final comment. I actually think that your
18 presentations were excellent, informative, and very
19 balanced. In terms of the safety data and the
20 analysis of systemic levels, to me it's not so much
21 the mean or the median that's important. What you're
22 really interested in are the outliers where the

1 effects may be shown because this is going to be used
2 in a very common disease, and I think you emphasized
3 models based on mean and median experience within the
4 group.

5 The analogy I always use is when I think
6 about how long it takes -- the mean time it takes me
7 to get from work to the airport is 20 minutes, but
8 it's the variance that killed me. It took me an hour
9 and 15 minutes yesterday.

10 (Laughter.)

11 ACTING CHAIRMAN STERN: So I'm most
12 interested in -- I think it would be interesting to
13 look at those outliers because they won't be small
14 numbers of people if this agent, in fact, becomes a
15 primary agent for the treatment of a common chronic
16 disease.

17 DR. FITZSIMMONS: And just quickly to
18 address that, that's why we did the hypothetical worst
19 case, as well as the average. We realize the average
20 is as you described. We want to look at what is the
21 worst case because we know that was the concern, and
22 you saw the safety factors even in that worst case,

1 the highest blood levels we've seen, the highest AUC.
2 Here's where the safety factors are, and you can see
3 that they're still very large.

4 Thank you.

5 ACTING CHAIRMAN STERN: Thank you very
6 much.

7 Because of my ineptitude as chair, we're
8 of course running behind, but we'll next hear from the
9 FDA presentation. I think what we'll plan to do is
10 keep on going until about 12:30, having either one or
11 two of the FDA presenters, depending on time.

12 And then what I'd like to do is perhaps
13 take a slightly shorter lunch period, although I
14 understand we have to go next door to have the nearest
15 cafeteria. So we need a little bit longer than we
16 would otherwise because of security and other
17 considerations.

18 So if we could hear from Dr. Hill, please.

19 DR. HILL: This presentation will focus
20 primarily on selected nonclinical pharmacology-
21 toxicology data for Protopic, which of course, as
22 everyone knows now, is a tacrolimus ointment for

1 atopic dermatitis.

2 Next slide, please.

3 This shows an outline of the studies that
4 will be focused on, which will include genotoxicity
5 studies conducted for tacrolimus; a
6 photocarcinogenicity study; and a dermal
7 carcinogenicity study conducted for tacrolimus
8 ointment; and then conclusion with an overall summary
9 and the results of these studies.

10 Next slide.

11 This slide shows the genotoxicity studies
12 that were conducted for tacrolimus, and they include
13 an Ames mutagenicity test; a mammalian in vitro
14 mutagenesis assay; an in vitro assay of mutagenicity
15 in mammalian cells; and in vivo classigenicity assays
16 performed in mice.

17 The overall finding from these studies is
18 that there was no genotoxicity signal noted in any of
19 the assay systems.

20 Next slide.

21 Photocarcinogenicity study is described on
22 the next few slides, and as you've already heard, the

1 overall objective for this study is to determine in a
2 hairless mouse model if dermal test article
3 application combined with simulated sunlight exposure
4 can reduce the time to formation of skin papillomas
5 compared to simulated sunlight exposure alone.

6 Next slide.

7 There were two major findings noted in
8 this study. The first is that topic administration of
9 the vehicle ointment enhanced photocarcinogenesis.
10 This is defined as shortened the time to skin tumor
11 formation, and its effect was greater in male mice
12 than female mice.

13 And a second finding was that topical
14 administration of tacrolimus ointment had an
15 additional small influence on skin tumor development
16 beyond the vehicle effect, and once again, this was
17 more prevalent in male mice.

18 Next slide.

19 The conclusions from this study is that
20 the sponsor proposed that a caution be included in the
21 label for patients to minimize or avoid exposure to
22 natural or artificial sunlight during the use of .03

1 percent and .1 percent tacrolimus ointment, and this
2 is a type of precautionary warning that has been in
3 other labels when there was a positive
4 photocarcinogenicity study seen.

5 Next slide.

6 The next few slides discuss the dermal
7 carcinogenicity study, and this slide shows the
8 objective of this study, which is to determine in a
9 mouse model if daily dermal test article application
10 can cause the formation of tumors at any organ site
11 after two years of application.

12 Next slide.

13 The first significant finding that was
14 noted as there was high levels of mortality exhibited
15 in .3, one, and three percent tacrolimus ointment dose
16 groups. Actually these dose groups had to be deleted
17 from analysis in the study due to high levels of
18 mortality.

19 The first significant finding was there
20 was a statistically significant elevation in the
21 incidence of pleomorphic lymphoma, which was noted in
22 the .1 percent tacrolimus ointment treated male and

1 female treated animals compared to vehicle controlled
2 male and female animals.

3 Next slide.

4 The second significant finding was that
5 there was a statistically significant elevation in the
6 incidence of undifferentiated lymphoma noted in the .1
7 tacrolimus ointment treated female animals compared to
8 vehicle control female animals.

9 Next slide.

10 On this slide shows in tabular formation
11 the incidence of pleomorphic and undifferentiated
12 lymphoma, and this is just to show that the incidence
13 for the .1 percent male and the .1 percent female at
14 approximately 50 percent was significantly higher than
15 that seen in the vehicle, which ranged from four to 12
16 percent, and that there was also a significant
17 increase in incidence of undifferentiated lymphoma of
18 26 percent compared to the vehicle female with two
19 percent.

20 It's important to note that also there was
21 a relatively high mortality noted in the .1 percent
22 dose group.

1 Next slide.

2 The multiples of human systemic exposure
3 levels ranged from nine to 26-fold if the highest mean
4 adult human 24-hour AUC value for the .1 percent
5 tacrolimus ointment is used for the calculation. And
6 on the bottom half of this slide, I show some values
7 that were used to come up with these fold factors.

8 The first is that the highest mean adult
9 human AUC 24-hour value for the .1 percent tacrolimus
10 ointment is 20.4 nanogram mLs per hour. This is
11 derived from a European study, and it was the highest
12 mean value that was noted, and the details of this
13 study will be discussed further in the next
14 presentation.

15 The next line shows the value for the
16 mouse study for the 24-hour AUC value of the NOAEL
17 dose, which is identified as the no observed adverse
18 effect level, which in this case is also defined as
19 the dose where no lymphomas were noted.

20 And this AUC level in the mouse study was
21 189 nanogram mLs per hour. The next line shows the
22 24-hour AUC mouse value at a dose where lymphomas were

1 noted, and this AUC value is 534 nanograms per mL per
2 hour.

3 So to calculate the range of multiples of
4 systemic exposure levels for the NOAEL does, it would
5 be the 189 divided by 20.4 to give a value of nine,
6 and at the dose where lymphomas were noted, it would
7 be the 534 divided by the 20.4, to give you a value of
8 26.

9 This range from nine to 26-fold could
10 provide a certain comfort level on the next slide.
11 However, the multiples of human systemic exposure
12 levels are much less, ranging from three to nine-fold,
13 if the highest obtained adult human 24-hour AUC value
14 for the .1 percent tacrolimus ointment is used for the
15 calculation. Once again, on the bottom half of the
16 slide are the values used for this calculation, and
17 this value, which is the highest adult human 24-hour
18 AUC value for the .1 percent tacrolimus ointment is
19 61.9 nanogram mL per hour, was once again observed in
20 the European study.

21 And if you use this value in the final
22 calculations for the NOAEL dose, you come up with the

1 value of three, and for the dose at which lymphomas
2 were noted, you come up with a value of nine.

3 So, therefore, your range for the
4 multiples of human systemic exposure levels range from
5 three to ninefold, which presents you with a much less
6 comfortable safety margin.

7 Next slide, please.

8 Some conclusions that can be derived from
9 the results of the dermal carcinogenicity study are
10 that the estimates of human systemic exposure data are
11 highly variable and are dependent on the maximum body
12 surface area that is treated in the atopic dermatitis
13 patient.

14 And at this point in time, it is unclear
15 with the ratio of mouse to human systemic exposure
16 levels would be for pediatric patients since adequate
17 AUC data are not available at this time.

18 I want to clarify that by adequate AUC
19 data I mean under maximum use conditions.

20 Next slide, please.

21 Another conclusion that can be drawn from
22 this study is that the biologic plausibility of

1 lymphoma formation in local lymph nodes -- what I mean
2 by this is the regional lymph nodes that drain from
3 the site of application -- cannot be ruled out at this
4 time.

5 However, it is acknowledged that
6 demonstrating this effect could be technically
7 challenging.

8 Next slide, please.

9 The first summary slide shows that the
10 results of the photocarcinogenicity study suggests
11 that tacrolimus ointment combined with simulated
12 sunlight exposure shortens the time to skin tumor
13 formation compared to simulated sunlight exposure
14 alone.

15 Next slide.

16 And the results from the dermal carc.
17 study summary are on this next slide, and the first
18 point is that lymphomas were noted at the .1 percent
19 tacrolimus ointment dose in the dermal mouse
20 carcinogenicity study. The multiples of human
21 systemic exposure range from three to 26-fold.

22 The important point is that the multiples

1 of human systemic exposure calculation is highly
2 variable and is dependent on the systemic exposure
3 level noted in humans.

4 I'd like to emphasize that the best
5 estimate would be obtained from maximum exposure
6 conditions in pediatric patients.

7 That concludes my presentation, and the
8 next presentation will be by Dr. Tandon.

9 DR. TANDON: I'm Veneeta Tandon, the
10 pharmacokinetics reviewer for Protopic. I'll be
11 giving a brief overview of the systemic exposure of
12 topically applied tacrolimus.

13 Next slide.

14 The sponsor has conducted pharmacokinetic
15 studies in both adults, as well as pediatrics, in
16 support of their application for Protopic. In adults,
17 I'll be talking about the adult studies first.

18 In adults the study has been conducted in
19 12 healthy volunteers using single and multiple
20 topical doses of .03, .1 and .3 percent tacrolimus
21 ointment. Point, oh, three and .1 percent ointment
22 are the two remarketed strains of tacrolimus ointment.

1 The rest, .3 percent, was an investigational
2 formulation which is three to tenfold higher than the
3 two remarketed formulation.

4 The systemic exposure of tacrolimus has
5 also been evaluated in 49 adult patients using single
6 and multiple doses of .1 percent tacrolimus ointment
7 in two different studies, and in 35 adult patients
8 with atopic dermatitis using the investigational
9 formulation of .3 percent.

10 The duration of the studies with the 0.1
11 percent Protopic was b.i.d. dosing for 13 days. The
12 biggest sampling was done on day one, four, and 14,
13 and b.i.d. dosing for seven days, where the biggest
14 sampling was done on day one and seven.

15 For the biggest study with seven days'
16 duration, the blood sampling was not (unintelligible)
17 enough to enable calculation of the area under the
18 curve.

19 The range of the total body surface area
20 treated was between 11 and 60 percent. The number of
21 patients with more than 50 percent body surface area
22 treated was eight.

1 The systemic exposure of .1 percent
2 Protopic from PICA (phonetic) studies in adult was
3 highly variable, as can be seen on this slide. The
4 AUC zero to 24 ranged from being not calculable to a
5 value of 61.9 nanograms an hour per mL.

6 The AUC was not calculable because the
7 blood concentrations of tacrolimus were either below
8 the limit of quantitation or were sporadically seen in
9 few sample points.

10 What I would like to point out here, that
11 when there was more than 50 percent of the total body
12 surface area treated, all blood levels had detectable
13 -- all blood samples had detectable levels of
14 tacrolimus.

15 The Cmax was less than five nanograms per
16 mL in most patients. However, there were four
17 exceptions to this, four patients who had blood
18 concentrations higher than five nanograms per mL.

19 In one subject a level of 5.5 nanograms
20 was seen on day 14 at zero hour, and the same patient,
21 a value of 5.3 on day 14 at 24 hours. This person was
22 -- 18 percent of the body surface area was treated

1 with .1 percent Protopic in this patient.

2 In a second patient, a value of 9.8
3 nanograms per mL on day four was observed at zero
4 hour, and 15 nanograms per mL on day 14 at 48 hours,
5 and 29 percent of the body surface area was treated
6 with .1 percent Protopic in this patient.

7 There were two other patients that had
8 values of 20 nanograms per mL on day one at six hours
9 and at day two, respectively. Body surface area
10 information on this patient was not available.
11 However, this patient was treated with ten grams of
12 ointment as opposed to four and seven grams in the
13 previous two patients.

14 I would just like to remind you here that
15 the target trough concentrations for transplant
16 patients is between five and 20 nanograms per mL.

17 The sponsor has also evaluated the blood
18 concentrations of tacrolimus from clinical trials in
19 adults by random sampling. From these clinical trials
20 in adults there were 25 patients that had blood
21 concentrations higher than five nanogram per mL, and
22 these values are shown on the table here.

1 For patients being treated with .03
2 percent tacrolimus, there were two patients that had
3 a value of 5.82 and 8.13 at week one, and the
4 tacrolimus blood concentrations in these patients were
5 transient as can be seen. The white blocks there show
6 what their levels were on week three and week 12.
7 They were lower than five nanograms per mL or they
8 were below the limit of quantitation.

9 The surface percentage, body surface area
10 treated of these patients were 56 and 27 percent,
11 respectively.

12 In another patient, a value of 5.3 was
13 observed at week three, and the percentage body
14 surface area treated in this patient was 58, and a
15 value of 5.75 was observed in another patient whose
16 body surface area was also 58 percentage.

17 From Study FJ-111, there were 21 subjects
18 who had blood concentrations between five and 40
19 nanograms per mL observed between day three and week
20 26, and the highest observed concentration from the
21 study was 40 nanograms per mL.

22 And I would like to make a point here that

1 these concentrations do not necessarily represent the
2 highest achievable blood concentrations of tacrolimus
3 under clinical use conditions because that would
4 depend on the day and the time of sampling.

5 In addition to the study using the .1
6 percent tacrolimus ointment, the sponsor had also
7 conducted a study using the investigation formulation
8 of 0.3 percent tacrolimus ointment in adults. I would
9 like to highlight the key findings from the study.

10 The face and the neck lesions in the
11 adults were more permeable than the lesions on the
12 trunk and the limbs, leading to four and seven times
13 higher exposure of tacrolimus. There was a tendency
14 for lower concentrations of tacrolimus on day eight,
15 and the exception was face and neck regions of
16 treatment. In this case the tacrolimus blood
17 concentrations on day eight were similar to that of
18 day one.

19 Now, coming to the pediatric PICA studies
20 in the NDA, the systemic exposure was evaluated in 20
21 pediatric patients using single and multiple doses of
22 .1 percent tacrolimus ointment. The ages of these

1 patients were between six and 12. No PICA study was
2 conducted in the age range two to five years using the
3 0.1 percent ointment.

4 In addition to this study, another study
5 was conducted using the .3 percent ointment in eight
6 pediatric patients between the ages five and 11.
7 There were four patients between the ages five and
8 six, and another four between the age of seven and 11.

9 Next slide.

10 The duration of the study using the .1
11 percent Protopic was b.i.d. dosing for three days.
12 There were three subjects between the age of six and
13 seven, eight subjects between the ages eight and nine,
14 six subjects between the ages ten and 11, and three
15 subjects between the ages 12 and 13.

16 The range of total body surface area
17 treated was 17 to 83 percentage. The number of
18 patients with more than 50 percent body surface area
19 treated was eight.

20 The systemic exposure of 0.1 percent
21 Protopic from PICA studies in pediatrics was also
22 highly variable. The AUC zero to 24 ranged from being

1 not calculable to a value of 27 nanograms R per mL.

2 The Cmax in the pediatric patient was less
3 than 1.6 nanograms per mL in all the patients.

4 In addition to the study of the to be
5 marketed strength of Protopic, the sponsor had
6 conducted a study using the investigational
7 formulation of .3 percent tacrolimus ointment in
8 pediatrics. I would again report the key findings
9 from the study.

10 The younger patients aged between five and
11 six years tended to have higher systemic exposure of
12 tacrolimus compared to the older children ages seven
13 to 11 years.

14 The older children tended to have higher
15 systemic exposure on day eight as compared to day one.

16 As I had mentioned earlier, the sponsor
17 has not conducted any PK study in children between the
18 ages two and five. However, this information was
19 obtained from clinical trials where the sponsor had
20 looked at tacrolimus blood concentrations between the
21 ages two and six based on random sampling, and the
22 highest concentrations of tacrolimus observed from

1 these clinical trials in the pediatric patients is
2 shown on this table.

3 Out of 27 pediatric patients who were
4 treated with .03 percent tacrolimus ointment, the
5 highest observed blood concentration was 1.19 at week
6 one in a two year old, and the percentage body surface
7 area treated at the beginning of the study was 94
8 percentage for this child, and the value at week three
9 was 0.70 and was below the limit of quantitation by
10 week 12.

11 And out of 31 children treated with 0.1
12 percent tacrolimus ointment, the highest observed
13 concentration was 9.58 on day four in a three year
14 old, and the body surface area treated was 97
15 percentage at the beginning of the treatment. The
16 week three value was 1.92, and by week 12 the
17 tacrolimus blood concentration was 1.63.

18 Once again, these concentrations do not
19 necessarily represent the true Cmax, which would,
20 again, depend on the time and the day of sampling, and
21 usually it was observed at higher concentrations were
22 seen within the first week of the treatment.

1 Now, this is an overall comparison of the
2 systemic absorption of topical tacrolimus compared to
3 oral tacrolimus in various patient populations, and
4 the AUC multiples obtained there are reported on the
5 last column.

6 Comparing the pediatric population, the
7 atopic dermatitis patients had a 32-fold lower area
8 under the curve as compared to the liver transplant
9 patients. Similarly, comparing the adult patients,
10 the atopic dermatitis adult patients had about a 25-
11 fold lower area under the curve compared to the kidney
12 and liver transplant adults.

13 Next slide.

14 With this, I will come to the conclusions
15 from the adult and pediatric PK studies. In adults
16 the systemic absorption of tacrolimus after topical
17 application of .1 percent is lower than the exposure
18 generated from oral dosing for transplant rejection.

19 Pediatrics, an insufficient number of
20 subjects were enrolled in the PK studies to assess the
21 systemic absorption of tacrolimus in pediatric
22 patients below the age of five under maximal use

1 conditions. Further work in this target population
2 should be considered.

3 ACTING CHAIRMAN STERN: Thank you very
4 much.

5 It's 12:25, and it's time for lunch. We
6 will resume promptly at two for the open public
7 meeting because I guess that has to happen on
8 schedule, is my understanding, and then after that
9 we'll have the final presentation from the FDA and
10 questions for all three FDA speakers.

11 Thank you.

12 (Whereupon, at 12:26 p.m., the meeting was
13 recessed for lunch, to reconvene at 2:00 p.m., the
14 same day.)

15

16

17

18

19

20

21

22

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (2:02 p.m.)

3 ACTING CHAIRMAN STERN: Good afternoon,
4 everyone. We're about to start the afternoon session
5 of the Dermatology and Ophthalmologic Drugs Advisory
6 Committee meeting number 54.

7 And this is the time that is reserved for
8 open public comment, and we have a number of speakers.
9 And I'd ask each one to please strictly adhere to a
10 maximum of five minutes. If you go much over that,
11 we'll have to ask you to stop.

12 Thank you.

13 MR. HENRIQUEZ: Okay. Just basically some
14 ground rules that we have, and that's with respect to
15 other participants. We ask that in the interest of
16 fairness that you address any current or previous
17 financial involvement with Fujisawa Healthcare.

18 And our first participant is Susan Toftes.

19 ACTING CHAIRMAN STERN: Yes.

20 MS. TOFTES: I have no financial interest
21 with Fujisawa. That's what I need to say here.

22 My name is Susan Toftes. I'm a registered

1 nurse working at the Oregon Health Sciences University
2 in Portland, Oregon.

3 I'm here representing the National Eczema
4 Association for Science and Education, as chair of the
5 board of directors. I also serve on the Dermatology
6 Nurses Association board.

7 I've worked in a clinical setting with
8 patients who have atopic dermatitis for over 15 years
9 and have been able to see first hand the impact that
10 this disease has on patients and their families.

11 We recently published a study in the
12 October issue of the Journal of the American Academy
13 of Dermatology showing that in the United States at
14 least seven percent and up to 17 percent of school
15 children have atopic dermatitis. The chronic pruritus
16 causes sleep disruptions impairing patients' ability
17 to concentrate at work and at school. We have many
18 patients in whom this disease has become disabling,
19 affecting virtually every aspect of their life.

20 Patients use systemic and topical
21 corticosteroids because it's all they have, but it is
22 seldom satisfactory. New treatment options are needed

1 for this disease because of the long-term side effects
2 of chronic corticosteroids.

3 Having been involved in clinical trials
4 using Protopic since 1995, I've seen the improvement
5 it has made in the quality of life for patients with
6 this disease, and I'd like to briefly share some words
7 from a letter that a mother of a two year old sent me.

8 Actually I have two letters, but the first
9 letter I'll read some excerpts from this. The
10 mother's son was two years old when he started using
11 Protopic in an open trial.

12 "I want to thank the both of you for
13 allowing my son Tucker to be a part of the tacrolimus
14 ointment study. He is doing so much better, 99.9
15 percent better. Before this miracle medicine --
16 that's what it's called at our house -- I cried many
17 days and nights praying for relief for my son. I know
18 his misery was indescribable and unimaginable. He
19 would scratch himself to sleep at night, and he and
20 his bed would be covered in blood the next morning.
21 He had socks tied to his hands and feet every night,
22 and sometimes all day. He couldn't wear shorts or

1 short sleeved shirts. He couldn't play outside like
2 other children when it was hot. It was a constant
3 fight to help him stop scratching, and I had to watch
4 him constantly.

5 "I can't believe the change in him. He is
6 a totally different child today than he was a year
7 ago. I thank God every day for leading us to you and
8 our miracle medicine. He is happy, active, eats well,
9 sleeps well, and plays well. I cannot explain all the
10 changes that this medicine has made in the lives or
11 our family and especially our son."

12 And then another little girl. Claire is
13 a five year old. So I'll take the first slide. This
14 is Claire. It's not a terrific lighting in here, but
15 Claire has atopic dermatitis, severe atopic dermatitis
16 actually, and she was diagnosed with AD before the age
17 of one year.

18 And then the next slide, please.

19 This is Claire with her twin sister who
20 does not have atopic dermatitis, illustrating the
21 growth impairment that can be due to atopic dermatitis
22 or the chronic steroid use to treat it.

1 And this is a brief excerpt from the
2 letter her mother sent to us after Claire had been on
3 the open Protopic trial.

4 "I wonder if you realize what an impact
5 you have had on Claire's life and mine and Pat's as
6 well. We are so grateful to you for Claire's improved
7 condition."

8 I just urge you as a panel to carefully
9 weigh the information that's been given to you today.
10 Protopic appears to offer a treatment option that is
11 safer, more stable therapy for a serious inflammatory
12 skin disease affecting millions of adults and
13 children.

14 Thank you.

15 MR. HENRIQUEZ: Our next speaker is Ben
16 Shaberman.

17 MR. SHABERMAN: Thank you.

18 That's Ben Shaberman.

19 I appreciate you giving me a moment to
20 talk about my experience. I'm a 39 year old person.
21 I've been suffering from eczema for virtually all of
22 my life.

1 If you want to turn to some of the slides
2 that I have.

3 (Laughter.)

4 MR. SHABERMAN: See, it started early.

5 (Laughter.)

6 MR. SHABERMAN: I heard you guys refer to
7 the fact that there are a lot of patients who have
8 moderate to severe eczema throughout their entire
9 bodies or over their entire bodies, and that is true
10 for me. It was my ophthalmologist that was the
11 photographer in this case and took this picture and
12 another one about a year ago.

13 My experience with eczema has been so
14 significant that I wrote an article that was featured
15 in the Washington Post in July called "The Further
16 Adventures of Eczema Boy."

17 And Eczema Boy, the concept came about
18 because I was defined by my eczema. That was the
19 primary focus that I had on myself, and unfortunately
20 the rest of the world had on me, and as you see these
21 pictures, you can see why.

22 I've been through every type of treatment,

1 from herbs to acupuncture, to psychotherapy, to a
2 variety of steroids, antibiotics. I've been what I
3 say juggling steroids and antibiotics my entire life.

4 I have to say I'm very impressed with the
5 thoroughness of which the Fujisawa people have
6 conducted the study of tacrolimus. I'm very impressed
7 with the advocacy that the FDA is trying to do to
8 advocate for what I believe is the American public and
9 the people that suffer with eczema.

10 Tacrolimus has been nothing short of a
11 miracle for me. Short of the gash on my head from my
12 car door, I am almost completely clear.

13 I understand from the discussions as much
14 as I can from the scientific evidence that tacrolimus
15 is not risk free. I don't get the indication that
16 there's this huge risk, but I'll tell you right now I
17 would take a hell of a lot more risk than I see from
18 tacrolimus right now to stop using it.

19 It's nothing short of a miracle. It has
20 changed my life. If I don't have tacrolimus as a
21 therapy, what do I use? Steroids? Antibiotics?
22 PUVA? Cyclosporin, which I've tried and got very sick

1 on, or something like interferon gamma, which is
2 highly toxic.

3 I have no alternative right now, and I beg
4 you to advocate for people like myself who have had
5 severe -- and I say "had" -- severe atopic dermatitis
6 and consider what I've said and what other people are
7 experience with tacrolimus when you make your
8 decision.

9 I appreciate your time. Thanks.

10 MR. HENRIQUEZ: Thank you.

11 Our next speaker is Paula Parsons.

12 MS. PARSONS: Good afternoon. My name is
13 Paula Parsons, and I'm here on behalf of my son
14 Joseph. He's currently 11 years old. He's in the
15 sixth grade.

16 He was diagnosed with atopic dermatitis
17 shortly after birth. We have gone through
18 dermatologists, physicians, all who have as of
19 February of this year gave up on us.

20 He's been through corticosteroids, oral,
21 topical. We've done the herbal baths. We've done the
22 oatmeal. We've done everything.

1 My son's nickname at school was "Lizard
2 Boy." He has suffered emotionally and physically.
3 He's gone without sleep. He's gone without friends.
4 This drug has changed our lives. It's changed my
5 entire family's life.

6 He no longer scratches in his sleep. My
7 other son can now sleep because he's not making the
8 scratching noises.

9 He's got friends at school. In a matter
10 of six weeks, he has developed friends. He even came
11 to me and asked to go school clothes shopping, the
12 first time in his entire life. It has changed our
13 lives.

14 And he would like to tell you how much it
15 has changed his life.

16 MR. PARSONS: This medication was -- made
17 me feel a lot better, and in just one week I felt a
18 lot better. It's been something I've been praying for
19 all my life.

20 MS. PARSONS: Thank you.

21 MR. HENRIQUEZ: Thank you.

22 Our next speaker is LaDonna Williams.

1 MS. WILLIAMS: Good afternoon, and that
2 sure is a hard act to follow.

3 (Laughter.)

4 MS. WILLIAMS: I was speaking to him
5 earlier, and I've really enjoyed getting to know him.

6 My name is LaDonna Williams, and I am the
7 co-chair of the Coalition of Patient Advocates for
8 Skin Disease Research. I am also on the board of the
9 National Eczema Association, and I'm working with and
10 help developing other skin groups that offer patient
11 advocacy.

12 But today I'm here as a mom. I'm here as
13 a parent of three children, two of which suffer from
14 full body eczema. My daughter is 23 and my son is 15.
15 They have spent their whole life with eczema. They
16 have spent their whole life itching and scratching and
17 oozing and rashing and crusting, and it becomes
18 unbearable.

19 As many of you who are parents know, when
20 you have an infant or a young child, even toddler
21 maybe, you're not expected to sleep through the night.
22 Well, my son was seven years old before he slept

1 through the night. The scratching was unbearable for
2 him.

3 And when they are clear, it's because
4 they're on steroids, oral or topical steroids, and we
5 all know what that can do and what type of side
6 effects that can cause.

7 So I'm here to plead for my children who
8 are not on these studies and who need a medication
9 that can help him and provide them a little comfort
10 because it doesn't just affect the children in the
11 household or an adult. If you have this disease, it's
12 a disease that affects the whole family.

13 So I'm here with a positive for this new
14 medication. It's been referred to as a miracle, and
15 as Joey -- when I asked Joseph what he thought of it,
16 if he could sum it up in one word how would he sum it
17 up, and his word was "weird."

18 So be it weird or be it a miracle, I hope
19 to pray my children will have an opportunity to use
20 it.

21 Thank you.

22 DR. HENRIQUEZ: Thank you.

1 Our last speaker will be Dr. Robert Stern,
2 who will be reading excerpts from a letter from Dr.
3 Elias.

4 ACTING CHAIRMAN STERN: The members of the
5 committee and the FDA were all sent letters from -- a
6 letter from Dr. Peter Elias, who's a professor of
7 dermatology at UCSF, and at lunch we thought it might
8 be appropriate to put some sections into the public
9 record since he's not here, and I'd like to start with
10 this is, first of all, excerpted, but I'd like to
11 start first of all with his conflict of interest
12 disclaimer.

13 And it says, "Please note that Dr. Elias
14 is a consultant for cosmetic companies that have
15 developed or are developing emollients as potential
16 alternative safe therapy for atopic dermatitis."

17 Let me by reading a few paragraphs
18 summarize his letter to us.

19 "I am among those clinicians eagerly
20 awaiting the addition of topical tacrolimus to the
21 therapeutic armamentarium because it seems clear that
22 this drug will be particularly useful to induce

1 remissions in severe, recalcitrant atopic dermatitis.
2 Both in severely affected children and adults with AD,
3 tacrolimus appears to produce rapid clearing in the
4 majority of patients.

5 "However, two recent articles of the
6 Archives of Dermatology and other recent publications
7 elsewhere raise serious concerns that tacrolimus will
8 be prescribed more widely than is appropriate. Thus,
9 it is very important that the labeling for the drug
10 clearly indicate its proper intended use, and that all
11 known and potential risks be clearly communicated."

12 I'll skip his description of these reports
13 and go on.

14 "In the first report, the title and study
15 design themselves suggest (a) that tacrolimus is
16 appropriate for the long-term therapy of adult AD; (b)
17 that tacrolimus is appropriate not only for severe AD,
18 but also for moderate disease, i.e., disease which
19 presumably is also responsive to standard therapy; and
20 (c) that tacrolimus is an effective form of
21 maintenance therapy for AD, and that tacrolimus use
22 long term is safe and safer than standard therapy."

1 And I'll just close with the end of his
2 letter, which says, "How can we be sure that very low
3 levels of tacrolimus percolating in the skin alone
4 won't increase the risks of either T cell lymphoma
5 and/or an increased propensity for skin cancers in
6 locally UVA irradiated immunosuppressed skin?

7 "Finally, do we know that local
8 immunosuppression does not increase susceptibility to
9 skin colonization by microbial pathogens, such as
10 Staph. aureus?

11 "In summary, I share the excitement that
12 a new therapeutic dimension brings to our capabilities
13 to help suffering patients. Yet I am alarmed at what
14 appears to be a potentially cavalier and uncritical
15 attitude about the indications and long-term safety of
16 topical tacrolimus. Isn't this current situation
17 reminiscent of the wave of enthusiasm that accompanied
18 the initial release of isotretinoin that also leads to
19 excessive and inappropriate prescribing?

20 "Sincerely, Peter M. Elias."

21 I apologize to Fujisawa Healthcare in my
22 pronunciation. As one of you had difficulty with one

1 of my words, I've always had difficulty with
2 pronouncing the name of your drug.

3 If there are no other public comments,
4 we'll close this part of the meeting, and Dr. Okun
5 will present -- oh, I'm sorry. Please identify
6 yourself and any potential conflict of interest.

7 MR. KENNEDY: Good afternoon. My name is
8 Anthony Kennedy. I have no conflicts of interest
9 here.

10 I'm a 47 year old atopic dermatitis
11 patient who's currently a study patient. I was pretty
12 much over 75 percent of my body, although fortunately
13 I'm like "Eczema Boy." It's didn't affect my face.

14 Like many other patients, I was
15 unsuccessful in planning an effective treatment until
16 the study drug. The study drug has been effective.
17 I've been using it for about six months, and I hope it
18 gets approved, and I've noticed no ill effects from
19 it.

20 So thank you.

21 ACTING CHAIRMAN STERN: Thank you.

22 Are there any other public comments?

1 (No response.)

2 ACTING CHAIRMAN STERN: If not, we'll
3 close this part of the meeting now, and if Dr. Okun
4 could present the final part of the FDA presentation.

5 DR. OKUN: Good afternoon. It's not
6 customary for FDA speakers to interject personal
7 comments, but I would like to start off by saying how
8 much I was really moved by the testimonials of the
9 public speakers and how much I admire their courage in
10 speaking to us, and I am sure there are many others in
11 this room who feel the same way as I.

12 Given the most thorough presentation of
13 Drs. Fitzsimmons and Lawrence this morning, with your
14 forbearance I would like to and in the interest of
15 time I would like to avoid repeating some of the
16 information that has already been presented. So some
17 of the slides which we are going to go through, which
18 are essentially repetitions of what you've seen
19 before, we'll just spin through very quickly.

20 Next slide.

21 Protopic ointment has been studied in
22 adult and pediatric patients for a variety of time

1 periods, three weeks, 12 weeks, six months and 12
2 months, and in a variety of ointment strengths, .03
3 percent, .1 percent and .3 percent. At present the
4 .03 percent and the .1 percent ointment are being
5 considered for marketing.

6 Next slide.

7 With respect to safety, Dr. Lawrence's
8 presentation focused on the five core Phase III
9 studies, and in our review of the entire Protopic
10 safety database, we are going to be focusing on the
11 serious adverse events and adverse events that led to
12 discontinuation from study, and also focus on adverse
13 events consistent with systemic immunosuppression,
14 such as, for example, lymphadenopathy, lymphoma
15 reactivation or primary infection with VZV and HSV.

16 Next slide.

17 So we've broken this down both by adult
18 and pediatric patients, looking at the variety of
19 time intervals. Looking among adults treated short
20 term, you can see that serious adverse events and
21 adverse events that led to a discontinuation from
22 study were relatively rare.

1 Next slide.

2 And over a three-week treatment period,
3 the reactivation of VZV infections and HSV infections
4 were noted in a relatively small percent of subjects.
5 These patients here were confirmed according to the
6 case records as having zoster rather than primary
7 infections.

8 The incidence obviously is very low, and
9 in many cases there's no vehicle on here. So we can't
10 really compare it to what the background rate is, but
11 certainly the percentage is very low.

12 Next slide.

13 For intermediate term studies of 12 weeks
14 in duration, the percent of patients who experienced
15 adverse events that were sufficient to trigger
16 discontinuation from the studies were somewhat higher
17 than what was seen in the three-week study. Most of
18 these adverse events were local effects, such as
19 burning, stinging, erythema, pruritus.

20 And interestingly, there's no notable
21 difference in the incidence of adverse events that led
22 to discontinuation in comparing subjects in the .03

1 percent and the .1 percent arm.

2 Next slide.

3 Again, looking in the adults at the 12-
4 week study, the incidence of HSV reactivation was low,
5 but not zero, ranging between two and three percent,
6 and it included some patients who developed Kaposi's
7 varicelliform eruption or eczema herpeticum.

8 Next slide.

9 In long-term studies in adults, this slide
10 indicates that, in general, medication was relatively
11 low tolerated with a comparatively small percentage of
12 patients experiencing serious AEs or AEs that led to
13 discontinuation.

14 Next slide.

15 Some adult patients in the long-term study
16 did progress to VZV infections. It is unclear,
17 however, from these case reports if these VZV
18 infections, Varicella Zoster Virus infections, were
19 primary infections, zoster, or disseminated zoster.
20 Details in the case reports do not permit us to tease
21 out how they should specifically be classified.

22 About 67 percent of the patients

1 experienced HSV reactivation, and there were numerous
2 Kaposi's Varicelliform Eruption cases reported as
3 well.

4 Next slide.

5 Now, I'd like to turn to the pediatric
6 database, looking first at the interval for three
7 weeks' studies. Up to 13 percent of these patients
8 discontinued due to adverse events when treated with
9 the .03 percent strength, and interestingly, the rate
10 of discontinuation, secondary adverse events, was
11 actually lower for the higher strength ointment.

12 Next slide.

13 Both VZV infections in patients in the
14 short-term study were identified in the case reports
15 as primary infections, not zoster.

16 Next slide.

17 Looking at the 12-week study, the pattern
18 and extent of serious adverse events and adverse
19 events that led to discontinuation is quite similar
20 compared to what was seen in the adult studies of
21 comparable duration. The numbers almost match.

22 Next slide.

1 Now, in the 12-week study in pediatric age
2 group, all the VZV infections were clearly identified
3 as chicken pox, in other words, primary infections,
4 not zoster, and noteworthy adverse events included
5 several cases of Kaposi's Varicelliform Eruption.

6 Next slide.

7 Looking at the one-year studies in
8 pediatric age groups, they've been conducted with
9 three tacrolimus strengths on multiple pediatric age
10 group ranges. So I apologize that this chart is
11 somewhat or this table, rather, is somewhat busy, but
12 the take home message is that the adverse event
13 profile is very similar to what was seen in the one-
14 year study in adults.

15 Next slide.

16 Now, let's turn to the one-year study of
17 the .1 percent ointment in pediatric patients.
18 Seventeen patients in this group, which works out to
19 two percent of the population had evidence of
20 Varicella Zoster Virus infection.

21 According to the case reports, there's one
22 definite case of herpes zoster, seven cases of

1 definite chicken pox, and the other nine cases, it is
2 not possible to tease out from the descriptions
3 precisely what type of infection they had.

4 Now, if we assume that none of the other
5 nine had herpes zoster, then one zoster case in the
6 pediatric age group out of about 800 patients is
7 within reasonable expectations for a normal
8 population.

9 Of course, if more of those, if several of
10 those other nine cases turned out to be zoster, that
11 would be higher than, I think, what would be expected
12 in a normal population group.

13 Next slide.

14 Now let's turn to the reports of
15 lymphadenopathy in clinical studies. Now, we're
16 looking at all patients exposed, both adults and
17 children, for a variety of durations and a variety of
18 strengths, all in this one slide.

19 There's a total of 33 cases have been
20 reported.

21 Next slide.

22 Let's talk about the etiology of these

1 lymphadenopathies. Two case reports bear special
2 mention. One is a 68 year old male who developed a
3 parotid lymphoma. According to our reading, it's
4 uncertain if this lymphoma was a preexisting condition
5 or not.

6 If I refer to the patient summary of that
7 patient's description, he initially enrolled in the
8 12-week study in January of '98, and his history and
9 physical exam was apparently unremarkable according to
10 the case report form enrolling him in that study in
11 January.

12 Completed the study in March, and then he
13 enrolled into a longer term follow-up study in March
14 of '98.

15 On December of '98, he was seen by an ENT
16 specialist for the pre-auricular mass on the right
17 side, which he reported as being present for about a
18 year, and this was a mass that was described as a 1.5
19 centimeters, firm, slightly mobile and nonpainful.

20 And he subsequently underwent surgical
21 removal of the mass. The final surgical path. report
22 was malignant lymphoma, small cleaved cell type with

1 focal sclerosis.

2 So, you know, clearly the patient claims
3 based on his summary that he thought it was present
4 for about a year, but we don't have hard, objective
5 data to support that.

6 Okay. We also have another case of
7 lymphoma, a 59 year old male who, I think, Dr.
8 Lawrence described in detail earlier. Was diagnosed
9 with cutaneous T cell lymphoma during the one-year
10 study.

11 Turning away from the lymphomas and
12 towards other lymphadenopathies, there were six cases
13 with no clear etiology, four of which result
14 spontaneously during continued treatment. Two
15 patients discontinued therapy with the lymphadenopathy
16 and were lost to follow-up.

17 Eleven cases it seems pretty clear are
18 related to skin infections. Nine of those cases
19 resolved on antibiotics. Three discontinued therapy,
20 but had resolution with their lymphadenopathy. Twelve
21 other cases were related to upper respiratory
22 infections which resolved on antibiotics, and two

1 cases were related to tooth infections.

2 So the majority of the lymphadenopathy
3 cases we feel fairly comfortable in ascribing a clear
4 etiology, but can't necessarily do that for every one
5 of those lymphadenopathy cases that have been recorded
6 in the safety database.

7 Next slide.

8 Now, turning to more common adverse
9 events, looking across all three 12-week studies, it's
10 difficult to compare the adverse event rates across
11 vehicle and active treatment arms because, as Dr.
12 Lawrence mentioned this morning, a significant
13 fraction of patients, more than 50 percent of the
14 patients in the vehicle arm, discontinued treatment.

15 Having said that, the application site
16 adverse events, such as burning, pruritus, erythema,
17 treatment site infection, the rates were higher in
18 patients treated with the .03 percent ointment and the
19 .1 percent ointment than the patients treated with
20 vehicle.

21 There is no obvious increase in local,
22 common adverse events as concentration increases.

1 Next slide.

2 Looking at common adverse events in the
3 one-year studies with the .1 percent ointment, which
4 includes both pediatric and adult studies, 54 percent
5 of the pediatric patients in the one-year study and 78
6 percent of adult patients in the one-year study
7 reported application site reactions. The majority of
8 these were not severe, and the prevalence of skin
9 burning declined to less than ten percent by week four
10 of the long-term studies.

11 Next slide.

12 So in conclusion in a review of the safety
13 database, most patients tolerated the .03 percent or
14 .1 percent ointment concentrations, with ointment use
15 being associated with application site reactions in
16 about 75 percent of patients. Most such reactions
17 were mild and transient.

18 Tacrolimus, .03 percent and .1 percent
19 ointments had similar adverse event profiles.

20 Next slide.

21 The possibility of an increased incidence
22 of herpes zoster, herpes simplex, Kaposi's

1 Varicelliform Eruption may exist. Two patients
2 developed lymphoma during study conduct.

3 No inference is being made here with
4 respect to causality.

5 Six patients developed lymphadenopathy
6 with no obvious etiology.

7 Next slide.

8 Now, I'd like to turn to the efficacy
9 database, and it is principally built upon three
10 identically designed, multi-centered, double blind,
11 randomized studies that have been described in great
12 detail earlier this morning. So we can skip to the
13 next slide.

14 This slide summarizes the characteristics
15 of the study patients who were enrolled, and again,
16 Dr. Lawrence has covered this. So I think we can skip
17 to the next slide.

18 This describes a few aspects of the study
19 protocol, which again has been described. I'll just
20 add that the prespecified treatment success at week
21 12, which was complete clearance or greater than or
22 equal to 90 percent improvement, was agreed upon prior

1 to conduct of the pivotal studies between FDA and
2 Fujisawa.

3 And while this was the agreed upon primary
4 endpoint in 1996 prior to commencement of these
5 studies, currently we prefer to have an efficacy
6 endpoint where the outcome is not dependent on
7 investigators recalling baseline status from 12 weeks
8 prior to the assessment at end of treatment.

9 Next slide.

10 And here's just a tabular presentation of
11 the same data that Dr. Lawrence presented earlier
12 graphically. Clearly for all three studies both
13 treatment arms had markedly superior outcomes compared
14 to the vehicle arm.

15 Next slide.

16 In all three studies the .1 percent and
17 0.3 percent were statistically significantly superior
18 to vehicle. The .1 percent ointment was numerically
19 but not statistically superior to the .03 percent
20 ointment, with the treatment differences of six and 12
21 percentage points in the 035 and 036 studies, the two
22 adult studies, and a treatment difference of five

1 percentage points in the 037 studies.

2 Now, the lack of statistical superiority
3 between the .1 and the .03 percent ointment may be due
4 to at least two factors, one possibility or two
5 possibilities rather. One possibility would be that
6 the two drug concentrations actually have equal
7 efficacy. The other alternative is that the studies
8 have a Type II error, that they might have been under
9 powered to detect a statistically significant
10 difference, of course, the active treatment arms.

11 Next slide.

12 And clinical studies may not have been
13 adequately powered to detect statistically
14 significant, clinically relevant differences in
15 treatment effect between .03 percent and .1 percent
16 ointments.

17 Each individual study, 035, 036, 037, is
18 powered to about .18 to detect a ten percentage point
19 difference, which we would consider a clinically
20 relevant difference, and the power of about .8 to
21 detect an 18 percent point difference, which is quite
22 a marked clinical difference.

1 Next slide.

2 Arguing in favor of the notion that the .1
3 percent ointment is not statistically superior to the
4 .03 percent because of this Type II error is the
5 observation that .1 percent is numerically superior to
6 the .03 percent in numerous population subsets, and as
7 Dr. Stern mentioned earlier, this is obviously --
8 these are analyses that are being conducted in a post
9 hoc manner, but I think it's instructive to see that,
10 of course, a lot of different population subsets, .1
11 percent is consistently numerically superior, in males
12 and in females, in Caucasians, in African Americans
13 and Asian Americans, in patients with baseline
14 moderate disease and patients with baseline severe
15 disease, in patients who are older than 65 and who are
16 younger than 65.

17 Next slide.

18 Similarly, in looking at the pediatric
19 efficacy database, the .1 percent is numerically
20 superior to the .03 percent in Caucasians, in African
21 Americans, in Asian Americans, also in patients with
22 moderate baseline disease and with severe baseline

1 disease, in patients aged two to six years old, and in
2 males.

3 Next slide.

4 The .03 percent is numerically superior to
5 the .1 percent in two of these population subsets,
6 ages seven to 15 and in females, and I think you can
7 see from the slide that the differences are quite
8 minute.

9 Next slide.

10 Looking at some clinically relevant
11 secondary efficacy endpoints, comparing outcomes
12 between the .03 and the .1 percent, in all three
13 studies, all three pivotal studies, the .1 percent
14 ointment was numerically superior to .03 percent with
15 respect to the percent of patients with complete
16 clearing at end of treatment, the reduction in percent
17 body surface area involvement at end of treatment, the
18 percent of patients with greater than or equal to 50
19 percent improvement at week one.

20 Looking at the patient's assessment of
21 pruritus, the .1 percent ointment was numerically
22 superior in one study, numerically inferior in

1 another, and equal in the pediatric study.

2 Next slide.

3 So efficacy conclusions are that both
4 tacrolimus concentrations were statistically
5 significantly efficacious over vehicle. The
6 tacrolimus .01 percent ointment was numerically
7 efficacious over the .03 percent ointment with respect
8 to the overall primary efficacy variable. Most
9 clinically relevant population subsets, most clinical
10 relevant secondary efficacy variables.

11 Next slide.

12 The clinical studies may have been under
13 powered to detect clinically relevant, statistically
14 significant differences in treatment outcomes between
15 tacrolimus 0.1 percent and 0.3 percent ointments.

16 Next slide.

17 Now I'd like to turn to a discussion of
18 the potential risk associated with use of this
19 ointment. Dr. Tandon this morning indicated that
20 tacrolimus blood concentrations are detectable in
21 subjects using tacrolimus ointment for treatment of
22 atopic dermatitis.

1 So the question turns to: what is the
2 potential risk of systemic immunosuppression induced
3 by percutaneous absorption of tacrolimus? And, more
4 specifically, what is the lowest amount of tacrolimus
5 percutaneous penetration at which clinically relevant
6 systemic immunosuppression occurs?

7 I think we really need to answer these
8 questions to get a firmer grip on the issue of the
9 potential risk associated with this treatment.

10 A manifestation of systemic
11 immunosuppression in organ transplant recipients, some
12 of the patients who have been exposed to systemic
13 tacrolimus, is lymphoproliferative disease, which is
14 often associated with aggressive immunosuppression.
15 It's frequently associated with acute or past
16 infection with Epstein-Barr virus and may progress to
17 lymphoma or death.

18 Next slide.

19 Lymphoproliferative disease in adults, the
20 prevalence is estimated at about 0.8 percent in
21 transplant patients. The spectrum of disease ranges
22 from polymorphic, polyclonal B cell proliferation to

1 frank lymphoma. It is most often associated with
2 aggressive immunosuppression and EBV infection.

3 Treatment includes reduction in
4 immunosuppression, antiviral therapy, debulking of
5 tumor, chemotherapy, and radiotherapy.

6 Spontaneous regression can occur, but
7 mortality is over 50 percent.

8 Next slide.

9 In children, the same disorder. Its
10 incidence is estimated to be from four to eight
11 percent in transplant patients. The three major risk
12 factors are allograft type, EBV infection or
13 reactivation and immunosuppression.

14 It can affect any organ system and can be
15 diffuse or focal. Abdominal disease is most frequent.

16 Treatment involves reduction in
17 immunosuppression, treatment with antivirals, and
18 conventional antineoplastic therapy.

19 Regression after withdrawing
20 immunosuppression ranges from 23 percent to 65
21 percent. Mortality is lower than in adults,
22 approximately 20 to 50 percent.

1 Next slide.

2 It takes a mean time of about 12 months of
3 continuous immunosuppression with oral tacrolimus for
4 patients to develop lymphoproliferative disease, and
5 we have here a comparison with the same time span for
6 cyclosporin and also time span for tacrolimus rescue,
7 where patients are initially treated with cyclosporin
8 A but rescued with high dose tacrolimus secondary to
9 graft rejection.

10 Next slide.

11 The trough blood levels of tacrolimus
12 correlate with systemic immunosuppression, and
13 following organ transplant -- I think this has been
14 mentioned earlier -- the goal is generally in the
15 first month post transplant to maintain trough blood
16 levels of about 15 to 15 nanograms per mL, plus
17 transplant months one to three maintain about ten
18 nanograms per mL, and post transplant months three to
19 six, five to ten nanograms per mL. After post
20 transplant month six in selected patients, less than
21 five nanograms per mL may be adequate if graft
22 function is stable, but immunosuppression is highly

1 variable at that level.

2 Next slide.

3 In the pivotal controlled studies for this
4 NDA, blood samples were collected at weeks one, three,
5 and end of treatment in some of the patients to
6 measure tacrolimus blood levels, and it's important to
7 mention that the blood samples were collected at
8 random with respect to time of last tacrolimus
9 application, i.e., these are not trough levels.

10 Next slide.

11 And this is a table which shows the
12 distribution of tacrolimus blood concentrations for
13 pediatric patients aged two to six, and for pediatric
14 patients aged seven to 15.

15 Now, the majority of patients in the two
16 to six year old group never had blood levels above the
17 lower limit of detection. A higher percentage of
18 patients in the tacrolimus 0.1 percent arm did have
19 detectable levels, and those that's here adds up to 9
20 percent. Their detectable levels, in general, were
21 more likely to be higher than the patients in the
22 tacrolimus .03 percent arm, where only 12 percent of

1 the patients had levels that were detectable, and in
2 general they trend towards lower concentrations.

3 In the patient ages seven to 15, the
4 numbers are small, but all of them had no detectable
5 amounts of tacrolimus in their circulation.

6 Next slide.

7 Comparable results are seen in blood
8 samples collected in the adult studies with two
9 interesting differences. First of all, here some of
10 the blood samples contain greater than five nanograms
11 per mL, and although the trend in the patients in the
12 .03 percent arm was to have lower blood
13 concentrations, in fact, the two highest
14 concentrations were noted in the patients with the .03
15 arm. That was an observation of 8.13 nanograms per mL
16 and 5.82 nanograms per mL.

17 Next slide.

18 So what accounts for these isolated
19 elevated tacrolimus blood levels? And specifically,
20 why the two highest levels detected in the .03 percent
21 arm even though the trend is for more patients in the
22 .1 percent arm to have levels above the lower limit of

1 detection?

2 We don't have a definitive explanation.
3 We have some possible explanations. Isolated patients
4 in the .03 percent arm may absorb more tacrolimus
5 systemically because of less efficacy associated with
6 treatment with that concentration.

7 There may be another covariate completely
8 unrelated to treatment arm that explains these
9 results.

10 There may be variability in the tacrolimus
11 assay, and of course, another explanation is just a
12 chance finding.

13 Next slide.

14 Let's look at the clinical outcomes of the
15 patients who experienced these blood level spikes, and
16 I think Dr. Tandon presented some of this data
17 earlier. I wanted to show this to show you the
18 correlation between the blood levels and the clinical
19 evaluations contemporaneously.

20 In study number 035, the patient enrolled
21 by week one had an elevated level and, corresponding
22 to that, had a clinical evaluation showing only slight

1 improvement. By week three when that patient had
2 reached marked improvement, the blood level had fallen
3 from 5.82 to .5. It was still detectable at end of
4 treatment, level of two, but clearly was lower than at
5 the first week of treatment.

6 Similarly, the analogous pattern or the
7 similar pattern is seen in the next row, in study
8 number 036. The patient at week one had a very, you
9 know, clearly detectable level of tacrolimus, 8.13
10 nanograms per mL, and corresponding to that had a
11 clinical evaluation of showing only slight
12 improvement.

13 Later on in the clinical study when he had
14 experienced moderate improvement, the level fell below
15 the limit of the quantification.

16 Apparently it's a little different in the
17 third row where here the highest level, 5.3 nanograms
18 per mL, is seen at week three rather than at week one,
19 and this patient was reported as being markedly
20 improved. When he progressed on to being cleared at
21 the end of treatment, his blood tacrolimus
22 concentration had fallen.

1 Next slide.

2 Interestingly, in comparing the percentage
3 of patients with moderate improvement or better by
4 week one of these pivotal studies, of course, all
5 three of the pivotal studies, a higher percentage of
6 patients in the 0.1 percent ointment arm had moderate
7 improvement or better by week one compared to patients
8 in the .03 percent arm, which creates the possibility
9 that by improving patients more quickly, it is
10 possible that the 0.1 percent ointment may reduce the
11 percentage of patients who are susceptible to
12 absorbing high levels of tacrolimus early in their
13 treatment course.

14 Next slide.

15 Looking at -- this is a very busy graph,
16 and it shows the traces, of course, a one-year study
17 of each individual's tacrolimus blood levels, and what
18 you can see, I think, and the general pattern is that
19 over time in the 52-week study, the concentration does
20 tend to decline.

21 However, there is marked variability noted
22 throughout the study, and there are some patients even

1 out to 52 weeks who do have detectable levels of
2 tacrolimus in their blood.

3 Next slide.

4 In fact, 60 percent of subjects had
5 persistently elevated above the limit of detection
6 tacrolimus blood concentrations. This percentage of
7 60 percent refers to subjects who did not have a
8 specimen measuring below the limit of quantification
9 within any of the following time periods: week one,
10 week two, months one, three, six, and 12.

11 It's important to note in evaluating the
12 significance of this percentage is that not all
13 subjects had measurements within each of these
14 periods. So some of these so-called persistently
15 elevated subjects may have had only a couple of
16 readings each of which was elevated.

17 Next slide.

18 Of the 48 subjects who did have six
19 specimens collected across this long-term time period,
20 54 percent had detectable tacrolimus concentrations in
21 all specimens.

22 Next slide.

1 This slide summarizes the potential risk
2 of systemic immunosuppression following topical versus
3 systemic exposure to tacrolimus. The risk associated
4 with systemic tacrolimus is that it is usually
5 lifetime exposure with at least six months of greater
6 than five nanograms per mL serum trough levels. I'm
7 sorry. That should be blood trough levels.

8 The topical exposure is intermittent in
9 nature. The levels are for most patients only
10 sporadically above the limit of quantification, but it
11 is unknown at the present time whether tacrolimus
12 levels at or near the application site are higher than
13 systemic levels.

14 It is not inconceivable that if we were to
15 be able to assay tacrolimus levels in lymph nodes that
16 are draining the treated area skin or in the
17 interstitial fluid immediately under these treated
18 areas, that the tacrolimus levels might be higher than
19 what we've observed in looking at whole blood.

20 Next slide.

21 So unresolved issues then. The minimum
22 systemic exposure at which there is clinically

1 relevant tacrolimus ointment induced immunosuppression
2 is unknown, and the possibility of regional
3 immunosuppression induced by topical application with
4 tacrolimus cannot be excluded.

5 Next slide.

6 In evaluating the .03 percent versus the
7 .1 percent ointment, looking at the .03 percent, the
8 advantages are that you may have fewer patients with
9 detectable blood levels, and most patients with
10 detectable blood levels seem to have lower levels.

11 The disadvantages are that it may have
12 inferior efficacy compared to the 0.1 percent
13 ointment, and it may be more associated with transient
14 elevations above five nanograms per mL early in
15 treatment.

16 Next slide.

17 Looking at the .1 percent ointment, the
18 advantages of the .1 percent ointment compared to the
19 .03 is that it may have superior efficacy, and there's
20 no evidence of an adverse event signal suggesting
21 greater toxicity with the .1 percent compared to the
22 .03 percent ointment.

1 The disadvantage is that most of the
2 patients treated with the .1 percent ointment who had
3 detectable blood levels seem to have higher levels
4 than what's seen with the .03 percent ointment.

5 And that concludes my talk.

6 ACTING CHAIRMAN STERN: Thank you very
7 much, Dr. Okun. I'd like to thank you especially for
8 showing us that the issue of safety of .03 versus .1
9 is even more complex when you look at what happens
10 over time in these individuals, and it was very
11 helpful to me.

12 I'm open for questions. Dr. Bigby.

13 DR. BIGBY: I just want to ask two
14 questions.

15 I'd also like to invite Dr. Lawrence to
16 respond if he so chooses.

17 The first one is: what is the total
18 number of patients who were actually exposed to
19 tacrolimus for more than a year in the material that
20 you were given?

21 DR. OKUN: In other words, adults plus
22 pediatric patients?

1 DR. BIGBY: Yeah, yeah, yeah.

2 DR. OKUN: Please give me a few minutes.

3 DR. BIGBY: Yes, sir.

4 DR. LAWRENCE: I may be able to help you
5 also, Dr. Okun.

6 DR. OKUN: Okay.

7 DR. LAWRENCE: And believe me, I know what
8 it's like to go through those slides.

9 (Laughter.)

10 DR. LAWRENCE: In the concentration of .1
11 percent, Dr. Bigby, 676 patients total.

12 DR. BIGBY: For the full one year?

13 DR. LAWRENCE: Yeah, greater than 12
14 months treatment duration. I have it broken down at
15 greater than six months. It's 971.

16 DR. BIGBY: And then -- 600 and what?

17 DR. LAWRENCE: Six hundred and seventy-six
18 at 12 months and greater and 971 at six months or
19 greater.

20 ACTING CHAIRMAN STERN: That's duration
21 from onset of therapy, but didn't you say that there
22 were about 230, 240 days per year of actual treatment

1 use?

2 DR. LAWRENCE: These are patients that
3 were on the study and then followed for the --

4 ACTING CHAIRMAN STERN: Right, right.

5 DR. LAWRENCE: But on average, yes, the
6 number of days, treatment days, was about 279 days.

7 ACTING CHAIRMAN STERN: Oh, sorry.

8 DR. LAWRENCE: Yeah. This is very
9 confusing. I apologize.

10 DR. OKUN: You know, I agree with Dr.
11 Lawrence. I'm not sure I can give you a precise
12 number because several of these patients obviously
13 discontinued during the course of treatment. Several
14 of these patients used treatment intermittently.

15 I would say roughly looking across all
16 concentrations, adults and pediatrics, about 1,000
17 patients in the adults and about 1,000 patients in the
18 pediatrics, and that includes folks exposed to .03,
19 .1, and .3 percent. A total of about 2,000.

20 DR. LAWRENCE: Yeah, I was going to say
21 the difference isn't clear. He's also capturing some
22 of those patients in the .03, which I'm not telling

1 you, and I didn't include the .3 at all because we're
2 not pursuing that one.

3 But I apologize. Certainly you are
4 correct. There were even closer to 2,000 total
5 exposed.

6 DR. BIGBY: Okay. Then the other question
7 is what difference between vehicle and control was the
8 original study powered to detect.

9 DR. LAWRENCE: Let me ask Mr. Satoi to
10 answer that because I truly don't remember the
11 difference between vehicle and the active treatment
12 arms.

13 DR. SATOI: Original protocol have stated
14 to detect (unintelligible) difference between vehicle
15 and active group for the calculation of statistical
16 power.

17 DR. TANG: You'll notice that your success
18 rate ranges from the treatment group to the vehicle
19 group, ranges from 20 to 30 percent. Also the
20 discontinuation rate in the vehicle group is 40
21 percent higher than the treatment group.

22 I wondered have you done a sensitivity

1 analysis, a robustness analysis to see how your
2 analysis can adjust for the patients who are actually
3 on therapy, the duration of the patients who were on
4 therapy.

5 DR. LAWRENCE: Again, I'll ask Mr. Satoi.
6 I have a very quick tendency to defer --

7 DR. TANG: Yeah, this way though it will
8 support your study.

9 DR. SATOI: I'm sorry. Could you repeat
10 your question, please?

11 DR. TANG: In the vehicle group, the 64
12 percent of the patients discontinued. Have you done
13 a secondary analysis to adjust for that? Would
14 significant results still hold or the significance
15 would be somehow attenuated?

16 DR. SATOI: Slide 892, please.

17 Actually for the primary analysis, as Dr.
18 Lawrence mentioned, the last observation carried
19 forward measure was used for the success analysis

20 DR. TANG: Yes, but by the time the
21 patients were being treated is shorter on the vehicle.
22 If the patients were, you know, persevering, you know,

1 for those with the (unintelligible) mouse you may have
2 a little bit different result.

3 DR. SATOI: This slide shows we did some
4 additional analysis to confirm the primary result, and
5 this slide shows that one of those results, the
6 success for three double blind studies using patient
7 on treatment at least 21 days. It means three weeks.

8 So even using this criteria, we can see
9 clear different between vehicle and true consideration
10 for each studies, and also, this one is similar
11 analysis using patient on treatment at least six
12 weeks. So you can see still clear difference between
13 the grand active groups.

14 So from those confirmatory analyses, we
15 could conclude that the result of primary analysis is
16 very robust.

17 DR. TANG: Okay. So, therefore, there is
18 a consistent 20 to 30 percent difference throughout
19 the treatment course.

20 DR. LAWRENCE: Yes, that is correct.
21 Thank you.

22 ACTING CHAIRMAN STERN: Other questions?

1 Michael.

2 DR. BIGBY: This one is, again, for Dr.
3 Okun.

4 So, you know, the clinically significant
5 detectable difference between tacrolimus and vehicle
6 that was considered significant is 30 percent, and you
7 made the statement that a ten percent difference
8 between .03 and .1 percent would be clinically
9 significant. Why?

10 DR. OKUN: I chose a ten percentage
11 difference merely for illustrative purposes. The
12 purpose of that slide was to point out, as you said,
13 that the study would only be powered to about .18 to
14 detect a ten percentage point difference.

15 Clearly, if you regard a difference lower
16 than that as still clinically significant, and I would
17 venture to say that there are a lot of patients who
18 suffer from atopic dermatitis who would feel that, you
19 know, a difference less than ten percentage points
20 would be clinically significant; the power would
21 decrease accordingly.

22 So even setting ten percentage points as

1 a minimal standard or as a high hurdle, it's clearly
2 not powered to detect a difference like that. In
3 short, it was picked somewhat arbitrarily.

4 ACTING CHAIRMAN STERN: Other questions
5 from the panel?

6 I have a couple. One is, and I address
7 this to Dr. Okun, I found -- two parts to this
8 question -- one is I found your subgroup analysis
9 where I think out of about 16 or 17 comparisons you
10 did, basically all but two of them went in one way.
11 So if you're just looking at it as a coin toss, it
12 comes out quite significant in terms of it almost
13 always came out better for the subgroups however you
14 slides and diced them, which is another way of kind of
15 looking at the differences that persist.

16 So I found that actually in some ways a
17 more persuasive argument for higher efficacy.

18 The second is I think you made quite
19 clearly the point that there seem to be fairly clear
20 evidence, especially in more severe people, that you
21 got better faster with the higher concentration. Has
22 there been any thought either by the company or by the

1 FDA to, in fact, have a differentiation in terms of
2 initial usage for more severe and limitations on the
3 stronger?

4 Because I think we've heard throughout
5 that it takes less in the long run in terms of
6 absorption, but you may, in fact, have less total
7 absorption if you can take that period of very bad,
8 extensive atopic eczema and shorten it. Has there
9 been any thought to those issues?

10 DR. WILKIN: I think that could well be
11 captured in the committee's deliberation on Question
12 4, and essentially it's the notion that if you start
13 with the higher concentration, you literally close the
14 door to percutaneous penetration more rapidly.

15 ACTING CHAIRMAN STERN: You've said it
16 much better than I did. Yes, that was my question.

17 And then I had an informational question.
18 There was a fair amount of data on zoster and on
19 eczema herpeticum, and I don't know what the
20 background rates of especially either zoster in people
21 under 17. One out of 800 cases in less than a year of
22 exposure to me seems like a lot for kids under 17, and

1 you pointed out that there were, I think, nine cases
2 that we don't know whether they had simple chicken pox
3 or zoster.

4 So I didn't know whether you thought --
5 you seemed to indicate that you didn't think that was
6 a lot, and I understand one case is never a lot in any
7 sample, but what was your opinion about that? Are
8 there any data?

9 And, similarly, and I'd look really more
10 to Dr. Paller and others for experience about eczema
11 herpeticum as baseline in this kind of population.
12 Are there data? Does this seem like a lot or a
13 little?

14 DR. LAWRENCE: Okay. If we could have
15 slide 1348, please.

16 If we're working together, we want to make
17 sure we're on the same page. I think that's important
18 for us.

19 These are our calculations of these
20 events, the pediatric and adult, again, the long-term
21 trials, Dr. Stern, and you see here eczema herpeticum.
22 As I said, it's actually .8 percent, one percent;

1 adults, two percent.

2 The literature suggests a rate of about
3 six percent, and, again, in zoster, three percent;
4 again, chicken pox, less than one percent of adults,
5 and the literature suggests about seven percent.

6 I will say the zoster literature, I
7 believe, is a pediatric study for the zoster.

8 ACTING CHAIRMAN STERN: When you say
9 incidence, you're saying that's seven cases per 100-
10 person years? Is that what you mean by percentage?

11 I mean, to me incidence has to have a
12 numerator and a denominator usually spoken as person-
13 years or some other time. So are these all
14 standardized by time of exposure or --

15 DR. LAWRENCE: These are studies basically
16 where the literature was looking at the frequency of
17 these events in a particular clinic experience, for
18 example.

19 ACTING CHAIRMAN STERN: Yeah. So they may
20 not have been standardized to time of exposure and may
21 not be comparable.

22 DR. OKUN: If I may follow up on that, you

1 know, I suspect that any estimate of the baseline
2 incidence of this is going to be quite variable from
3 study to study, and your intimation that I was
4 reasonably comfortable with, the rate that appeared
5 for the definite herpes zoster, it was based on a
6 recent article by Hope-Simpson which described a
7 baseline incidence of about .74 cases per 1,000 per
8 year in normal population.

9 We don't have any information that speaks
10 to the baseline incidence in patients with moderate or
11 severe atopic dermatitis, who quite conceivably could
12 have a different baseline.

13 ACTING CHAIRMAN STERN: But is that a
14 study of all herpes zoster in children? Because --

15 DR. OKUN: Yeah. That's in children.

16 ACTING CHAIRMAN STERN: In children.
17 Really? Okay. Thank you.

18 DR. LAWRENCE: Would it be helpful to have
19 Dr. Paller comment on that?

20 Okay. Thank you.

21 ACTING CHAIRMAN STERN: Any other
22 questions on the part of the panel before we go to the

1 questions?

2 (No response.)

3 ACTING CHAIRMAN STERN: Dr. Wilkin, would
4 you like to formally -- might it be sensible to go
5 through and do one question at a time, or would that
6 be the best way?

7 DR. WILKIN: And actually, you know, I
8 went through the questions; you went through the
9 questions. The sponsor at the beginning of their
10 presentation went through the questions, and at the
11 end of the presentation, they went through the
12 questions.

13 (Laughter.)

14 DR. WILKIN: And so if repetition is the
15 mother of learning, we all know the questions. So I'm
16 not sure at this stage whether I need to read them to
17 you.

18 ACTING CHAIRMAN STERN: Well, I think the
19 first question perhaps. Is there any discussion about
20 the first question, which is is there sufficient
21 evidence for effectiveness of Protopic -- thank you
22 for using that phrase -- .03 percent in the treatment

1 of atopic dermatitis?

2 Any questions about that? I think that's
3 pretty much a slam dunk, as is sometimes said.

4 And do we hear a motion for a committee
5 vote on, I guess, that, that there is sufficient
6 evidence for the effectiveness of .03 percent protopic
7 in the treatment of atopic dermatitis? Would someone
8 like to move that?

9 DR. LIM: So moved.

10 ACTING CHAIRMAN STERN: Second?

11 DR. TANG: Second.

12 ACTING CHAIRMAN STERN: All those in favor
13 on the committee who believe that to be the case?

14 (Show of hands.)

15 ACTING CHAIRMAN STERN: I take that that's
16 everyone.

17 Okay. Now I think we come to data that
18 when I read the materials before the meeting I thought
19 was pretty straightforward, and now I think is more
20 complicated, and perhaps we should come into some
21 other parameters and perhaps if there's ambiguity
22 about this as a total question, perhaps we might move

1 it as something else in Question 4 when we're talking
2 about different durations of therapy.

3 So the second question: is there
4 sufficient evidence for superior effectiveness of
5 Protopic .1 percent compared to .03 percent, first in
6 adults, then in children?

7 Comments?

8 DR. BIGBY: I would say the answer to that
9 is definitely not in both groups of people. I mean,
10 I think the thing you have to decide is how much of a
11 difference is important.

12 ACTING CHAIRMAN STERN: I'm sorry. I
13 misunderstood. You think there's evidence in both
14 groups of people?

15 DR. BIGBY: That there is no difference.

16 ACTING CHAIRMAN STERN: There is no
17 difference.

18 DR. BIGBY: Yeah. I mean so how much is
19 a clinically important difference, I think, is the
20 major issue to raise.

21 ACTING CHAIRMAN STERN: Okay. And?

22 DR. BIGBY: The data presented in adults,

1 I mean, I don't think that they want to make an
2 argument about children. I mean, I think their
3 conclusion about children is correct that there isn't
4 any difference.

5 The magnitude of the difference that was
6 demonstrated in adults was somewhere between five
7 percent and ten percent. So if it's five percent,
8 that means you have to treat 20 extra patients with .1
9 percent versus .03 to get one additional greater than
10 90 percent cure.

11 And then I think the largest difference
12 demonstrated was nine, which means you have to treat
13 about 11 patients. I mean, is that a significant
14 difference? I mean, does that make it worthwhile?

15 And then the other part to that equation
16 is what does -- is there an added risk difference in
17 terms of .03 and .1 percent treatment?

18 ACTING CHAIRMAN STERN: I think those are
19 exactly the -- the second part combined with the first
20 are exactly the issues.

21 Other comments by the committee about
22 whether or not there's a feeling that .1 in either

1 adults and/or children is superior to .03 in terms of
2 efficacy, that the evidence supports that?

3 DR. ABEL: Well, one point was made that
4 if you start with .1 and they have rapid improvement
5 that first week, then there is going to be -- and
6 then, say, switch to the .03 or the .3, then perhaps
7 that there would be less toxicity or less absorption,
8 and the atopic dermatitis would be improved. So
9 there's less body area being treated, and you might be
10 able to switch to the lower concentration.

11 ACTING CHAIRMAN STERN: I think that's
12 inherently appealing, but the data to support that are
13 extraordinarily limited. Having put forward that
14 hypothesis, I should say that's wishing as hoping as
15 opposed to data based.

16 But I think we should probably at this
17 point really think about what the data support and
18 then think about the inferences in clinical judgment
19 when we get down the line.

20 So does anyone want to discuss Question 2
21 any further? Any other comments?

22 Do I hear a motion that there is or is not

1 sufficient evidence for superior effectiveness of .1
2 to .03 percent in adults?

3 DR. MINDEL: I'd like to make a motion
4 that we not make a motion, that we just vote. This
5 isn't parliamentary.

6 ACTING CHAIRMAN STERN: Okay.

7 DR. MINDEL: Is that all right? Just
8 we'll have a vote.

9 DR. ABEL: What is "significant"?

10 ACTING CHAIRMAN STERN: Well, I think
11 "significant" we're now using in a statistical sense,
12 that we believe there's robust data that says, yes,
13 this stuff in this group of patients as broadly
14 defined, that there's good evidence that it's better
15 than the other stuff at a lower concentration. That's
16 how I'm taking significant as opposed to substantial
17 or clinically important or whatever. I'm taking it in
18 a formal definition.

19 How do other people feel about seeing that
20 we're not going to address that directly; it's not key
21 for us to vote on it and to go on?

22 DR. LIM: I guess my question on this is

1 that I think Mike did mention that the data is not
2 probably as strong as it could be, but there are some
3 differences. The question is what is the level of
4 difference that we would consider to be significant.

5 What is the implication of these? You
6 know, are you looking from the FDA point of view for
7 us to say one way or the other so that only one
8 strength would be approved or it would be both
9 strengths?

10 Because I'm looking maybe just a few steps
11 ahead down the road. If, indeed, there is a result of
12 this discussion and our saying one way or the other
13 would limit the availability of the concentration that
14 is in the market, that may be potentially a disservice
15 to some patients.

16 So I'm not quite clear what would the
17 implication be on this part of the discussion.

18 DR. WILKIN: Well, the answer to Dr. Lim's
19 question is yes, but --

20 (Laughter.)

21 DR. WILKIN: -- a longer explanation for
22 the yes is that we really are interested not so much

1 in the safety element at this point. We're interested
2 in is your sense -- and this is bringing, you know,
3 science and clinical values, and you've heard the data
4 set. Do you believe there is a superior effectiveness
5 with the 0.1? Is there an effectiveness advantage to
6 the 0.1 percent?

7 We're not defining for you what clinical
8 significance would be. We're asking you to -- you've
9 seen the data. We're asking you for your assessment
10 of that.

11 ACTING CHAIRMAN STERN: My own opinion is
12 that the weight of the evidence in adults is that if
13 you look at all of the different parameters and you
14 weight them, the various ways you can look at data,
15 that the .1 wins often enough that even though it only
16 makes it for one subgroup individually, that I think
17 it is probably significantly more effective when you
18 look at time to improvement, when you look at the
19 number of subgroups where there is on a binary level
20 superiority among adults. I think it is much more
21 likely than not that it is significantly more
22 effective according to the endpoints that were used in

1 the analysis, 90 percent or more clearing.

2 I don't think that the data to me in
3 children is as persuasive as making me feel that there
4 is sufficient evidence at this point. It doesn't mean
5 it's not the case, but maybe they do want us to vote
6 on that.

7 Because I think part of all of this is
8 really what do we think we know, and what might there
9 be additional information to formally address it
10 before you might want to have the product labeled in
11 that way. So I think this is really somewhat -- if I
12 understood you, it's kind of information gathering.
13 What are we comfortable with? Yes, we have enough
14 data to answer this question, and we're really quite
15 comfortable about it, or, no; maybe yes, maybe not,
16 but we're not comfortable with the amount of
17 information and telling you, yeah, we think it's
18 almost certainly this way or the other way.

19 Is that correct?

20 DR. WILKIN: Yes. I mean, in essence, the
21 art of any science, and that would include
22 dermatologic clinical pharmacology is to try to get

1 the right answer with imperfect data, and saying
2 imperfect data is not -- I'm not saying anything
3 negative about what the sponsor has done.

4 (Laughter.)

5 DR. WILKIN: It's that all data coming
6 from biological type experiments are imperfect to one
7 extent or another.

8 DR. BIGBY: Please, just a point of
9 clarification. What is the meaning of the vote? I
10 mean, you know, so you vote. It's like majority rule,
11 and who is actually eligible to vote?

12 ACTING CHAIRMAN STERN: That's a good
13 point because I'm not sure you're eligible, Michael.

14 (Laughter.)

15 ACTING CHAIRMAN STERN: But we could get
16 a clarification.

17 In fact, I know you're not eligible, and
18 I'm sorry, but I think in the past at these hearings
19 there's often differences of opinion, and really what
20 we're looking for is not as any legal body, but an
21 opinion body, and it's a stronger opinion if everybody
22 on the panel believes something to be the case than if

1 it's divided.

2 So it's just a matter of sort of putting
3 weight to the opinion, if not how do you say what the
4 opinion of the panel is since there's in many issues
5 a diversity and you'll never come to closure if it had
6 to be unanimous on every vote.

7 So it really has absolutely, as I
8 understand it, absolutely no regulatory or other
9 things. It's sort of are we shouting with one voice
10 or are there people shouting with very different
11 voices, and it's just a way of getting on in the
12 process.

13 So it really doesn't mean much if that's
14 what you're worried about in terms of --

15 (Laughter.)

16 ACTING CHAIRMAN STERN: I'm joking. I'm
17 joking. I know you're going to say that's not the
18 case.

19 DR. WILKIN: Okay. That's not the case.

20 As it turns out, we really do like the
21 vote at the end because it gives sort of a crisp
22 summary to everything, but I would assure you that my

1 colleagues and I, and they're behind me; they can
2 definitely attest to this; that we don't just look at
3 how the votes went at the end of the meeting, you
4 know, eight to two or that sort of thing. We
5 rigorously go over the transcripts, and we spend hours
6 looking at all of the comments and the thinking that
7 goes into this.

8 And you know, you are our advisors,
9 consultants. Industry can hire their own, talk to
10 them away from an open setting, but this is the only
11 way we get to talk to consultants, experts, and so we
12 rely a lot on the discussion part for insights and
13 things that that, you know, you pick out with the
14 data.

15 ACTING CHAIRMAN STERN: With that said,
16 I'd like to make one comment independent of whatever
17 the outcome of these votes are, that I guess I would
18 like to introduce one third thing, is it would be very
19 interesting to me at least to have some data about the
20 relative absorption safety profiles of short course of
21 higher concentration followed by lower concentration
22 versus lower concentration only, to see if there's a