

1 What you have in these two columns is a comparison in folks
2 who had a clinical diagnosis of dementia as compared to
3 those who did not have it. You have the N's over there in
4 terms of point prevalences of individual NPI disturbances.
5 In the left column you can see how frequent they are in
6 dementia, and you can see that 61 percent had any one
7 disturbance in this population panel.

8 You can also see the background rates of some of
9 the points that Dr. Tariot was raising this morning. So,
10 for example, the background rate of irritability is about 4
11 percent in the population; elation, 0.3 and so forth. So,
12 we now have some estimate of the background rates and,
13 clearly, the background rates of these perhaps manic
14 symptoms are higher than the population in the dementia
15 patients.

16 Two points that are not on the slide -- we
17 compared frequencies in individuals with vascular dementia
18 of Alzheimer's disease based on clinical diagnosis.
19 Delusions were significantly more frequent in Alzheimer's
20 disease. Depression was significantly more frequent in
21 vascular dementia than in Alzheimer's disease -- for those
22 of you who recall the pathophysiology that goes along with
23 the regions that are believed to be associated with the two
24 diseases.

25 The second point in terms of disease severity,

1 there is an instrument called the Clinical Dementia Rating,
2 which is a functional cognitive rating of severity which we
3 used to compare stages of dementia in relationship to
4 frequency of disturbances. Only some of these disturbances
5 were more frequent in advanced stages. I am not going to
6 show you those numbers but you will have to take my word for
7 it. It was agitation and aberrant motor behavior which were
8 the most frequent. These numbers will be out in press and
9 in about a month you can see them there.

10 We did not have in this study sleep or appetite
11 ratings on the NPI because we had an earlier version of the
12 NPI. So, we will find that out in the Cardiovascular Health
13 Study.

14 [Slide]

15 Moving on to this slide, my second point is that
16 these disturbances can be identified reliably. Our belief
17 is that the first step to reliable identification is a
18 structured psychiatric examination. We believe that the
19 present state exam and its current version, called the
20 Schedules for Clinical Assessment in Neuropsychiatry, is
21 probably the most suited instrument for this purpose because
22 it takes a broad approach to developing syndromes rather
23 than having a priori criteria, such as in the DSM, that are
24 checked for their presence or absence.

25 The other point I want to make has not been made

1 earlier but should be made. The test-retest reliability and
2 inter-observer reliability of delusions and psychosis, if
3 one looks at the NPI or the BEHAVE-AD for the psychosis side
4 specifically, they are very high. The internal consistency
5 ratings are higher. The percent agreement from some of the
6 published data in many cases approximate 100 percent.

7 So, the inter-observer reliability of ascertaining
8 these types of symptoms is very, very high and the
9 relationship between the two major scales, the BEHAVE-AD and
10 the Neuropsychiatric Inventory that are commonly used. If
11 you look at the relationships between their psychosis items,
12 what is published suggests that the correlations are very
13 high.

14 [Slide]

15 Further, it is possible to distinguish these
16 disturbances in the context of dementia from the other
17 common disturbances in the elderly that are psychiatric,
18 particularly with regard to psychotic symptoms. They might
19 occur in the context of delirium, schizophrenia, psychotic
20 disorders, and so forth. It is possible to distinguish them
21 from cognitive disorders.

22 [Slide]

23 This slide is an analysis done by Jackson, Rabins
24 and others of the National Medical Expenditure Survey. The
25 second column shows what the major predictors in a logistic

1 regression are of delusions and hallucinations. Now, these
2 are independent predictors. So, cognitive impairment is
3 one; schizophrenia is the second; and other psychosis is the
4 third.

5 So, in this large nursing home sample the presence
6 of delusions or hallucinations can be independently found to
7 be related to all those three conditions, and can be
8 separated out as being due to one or the other.

9 [Slide]

10 Let me move on to talk about the third point that
11 I am making, which is the clustering of these symptoms.
12 From the Cache County study we looked at how the individual
13 symptoms on the NPI overlap.

14 [Slide]

15 This slide is a very busy slide which really makes
16 a simple point. You have on both axes here individual NPI
17 symptoms if you cross-tabulate them against each other, and
18 in the bottom lower left of this graph you can discover what
19 the odds ratios are for one symptom occurring if a second
20 symptom is present. If you look at this cross-tabulation,
21 the bolded boxes which seem a little darker will suggest
22 where you have statistically significant associations. You
23 find that almost all the boxes are bold. It is very
24 uncommon for individual symptoms to occur without others.
25 If you look specifically at agitation, there is only one box

1 which is not bolded and that is hallucinations.

2 [Slide]

3 So, in that context it is hard to talk about
4 individual symptoms but, rather, we took a different
5 approach, called the latent-class analysis which is a
6 statistical technique we applied to this population to see
7 whether our group -- and now we are talking about grouping
8 individuals as opposed to grouping symptoms -- to see
9 whether our group can be distinguished into subgroups based
10 on their profiles of behavior disturbance.

11 I am going to run over time a little bit, Dr.
12 Tamminga but I will try to get this point in quickly. This
13 is an iterative approach where you see whether your whole
14 population clusters out into one, two, three, four, five or
15 whatever numbers of subgroups you want. So, we tested
16 models that had two, three, four, five subgroups with regard
17 to behavior disturbance and we found that the most coherent
18 statistical model was for the three subgroups.

19 The three subgroups are one which we called Class
20 A which is minimally symptomatic, which was 60 percent of
21 the sample. These were individuals who, for the most part,
22 had no individual disturbances or, at most, had one or two,
23 and most of those disturbances were milder.

24 Class B was what we called the affective group,
25 which was about 27 percent. All of this group had either

1 depression, anxiety or irritability. They tended to have a
2 larger mean number of symptoms and a broader range.

3 The third group, which is what we called the
4 psychotic group, all had either delusions or hallucinations,
5 and in this group they also had a wide range of other
6 symptoms.

7 [Slide]

8 The final slide that I have really lays out the
9 behavior symptom profile of these three groups in a little
10 bit more detail. Unfortunately, the printing has not shown
11 very well the individual disturbances -- hallucinations,
12 delusions, irritability, and so forth. On the Y axis is
13 percent within each class who had a given symptom. You can
14 see at the bottom that below 10 is Class A. Individuals
15 have minimal symptoms but even some of those individuals
16 have NPI-defined delusions, which is an interesting finding.
17 Then we have Class B, which is the dotted line, which are
18 the affective individuals. Then we have the straight line,
19 which are the psychotic individuals.

20 So, the point that this makes more than anything
21 is that delusions and hallucinations can occur in the
22 context of what appears to be a primarily affective
23 disturbance, but also affective symptoms occur in the
24 presence of what appears to be a primarily psychotic
25 disturbance. So, we maybe want to discuss these later on.

1 I appreciate that I ran out of time. So, I am going to wrap
2 up at this point, and thank you very much.

3 [Applause]

4 DR. TAMMINGA: Thank you, Dr. Lyketsos. Any
5 comments or questions?

6 DR. SCHNEIDER: A quick question and then a
7 comment. What did you use as the cut-off for the presence
8 of an NPI symptom? A score of 1?

9 DR. LYKETSOS: We used a score of 1 or 2 or 4, and
10 it didn't make a difference.

11 DR. SCHNEIDER: And the data you are showing us is
12 with a cut-off score of --?

13 DR. LYKETSOS: Score of 1, 1 or none.

14 DR. SCHNEIDER: One thing that does make a
15 difference is that a score of 1 is arguably or probably not
16 clinically significant certainly in terms of severity.

17 The comment is this is, of course, cross-sectional
18 data and what you don't know is duration of symptoms or
19 duration in which individual people stay within their class.

20 DR. LYKETSOS: Correct, and we hope to know that
21 in the follow-up study. The point that you raise is
22 important about the presence/absence but you really get the
23 same clusters, the three same groups, if your threshold for
24 cut-off is 2 or 4. So, even if you raise it to the
25 clinically significant level it doesn't change the final

1 model in terms of how the classes lay out.

2 DR. TAMMINGA: Dr. Reisberg?

3 DR. REISBERG: I very much enjoyed the
4 presentation and the data. One very interesting aspect of
5 the data was your findings with regard to elation. You
6 showed that in the dementia patient group, with over 300
7 subjects, you only found elation in 1 percent of subjects,
8 which I think is very interesting also in light of some of
9 the other things we heard today. I wonder if you would care
10 to comment on that.

11 DR. LYKETSOS: Well, I think you are absolutely
12 correct, that is an important observation. Elation is very
13 rare. On the other hand, irritability is quite common, and
14 to pick up on that comment and to go back to what Dr. Tariot
15 said earlier today, my hunch is that we are all agreeing
16 that there are about two or three subgroups of behavior
17 disturbance or psychiatric disturbance. And, one of these
18 groups that I might perhaps call affective disorder or Dr.
19 Tariot might have alluded to as being a kind of a bipolar or
20 secondary mania and Dr. Cummings called an agitation, I
21 think is the same group and we are all arriving at it from a
22 different direction and using a different method. So, I
23 think the psychotic group is the piece I am most confident
24 about because that is where most of the literature outside
25 our research has gone. Then, the affective group is the

1 piece that needs a little bit more development.

2 DR. TAMMINGA: I would like to ask a question
3 about the composition of the group. It was very interesting
4 and useful to see data from an epidemiological sample. Was
5 this entirely a community sample, or do you have people
6 included here who were either hospitalized or in a nursing
7 home?

8 DR. LYKETSOS: Both. It was driven by HCFA
9 records. So, whoever didn't have a HCFA record was
10 excluded, but if they had a HCFA record with a registered
11 address in the Cache County area, and that address might
12 have been in a nursing home or assisted living, they were
13 included. If they happened to be in the hospital at the
14 time that the study was occurring, they were also included.
15 Their address had to be in Cache County. So, it was a
16 rather broadly defined population.

17 DR. TAMMINGA: If the committee doesn't have any
18 additional questions, we will thank you for your
19 presentation, Dr. Lyketsos, and ask for the next public
20 speaker, Dr. Devanand, from the American Association of
21 Geriatric Psychiatry, to come and make a presentation. Dr.
22 Devanand?

23 **American Association of Geriatric Psychiatry**

24 DR. DEVANAND: Thank you. First of all, I would
25 like to say I have had consulting relationships with

1 industry involved in these proceedings.

2 [Slide]

3 In terms of the presentation, I would like to
4 start by talking a little bit about some data and then make
5 some broader points. This is a study funded by the National
6 Institute of Aging which was really looking at the course of
7 patients with Alzheimer's disease, and these patients were
8 all recruited at the stage where they had mild or early
9 disease, at least clinically in terms of high Mini-Mental
10 Status scores, and were followed prospectively every six
11 months indefinitely or until death. There was a total of
12 236 patients in this group.

13 [Slide]

14 Focusing on the issue of psychosis, we used a
15 scale called the CUSPAD, which was really developed at our
16 site, and the purpose of this scale was not to use it for
17 clinical trials; it was really to establish phenomenology --
18 what symptoms patients did or did not have.

19 [Slide]

20 So, this bar chart represents point prevalence.
21 On the X axis -- I don't think you can see it from the back
22 -- it says 00.51 all the way up to 3. Patients were seen
23 every 6 months up to 3 years of follow up. On the Y axis is
24 0-100 percent. The point prevalence of paranoid delusions
25 is around 10-20 percent, and it doesn't change that much at

1 least during the first 3 years of follow up when patients
2 are going from mild Alzheimer's to, say, moderate to severe,
3 in that zone, by the third year of follow up.

4 [Slide]

5 Using the same instrument, we combined agitation
6 or wandering, and if you separate two agitation is still
7 pretty high; wandering drops off. In terms of clinical
8 experience with these patients, this is actually the biggest
9 problem in terms of management, more than psychotic
10 features, just because it is more common although, as we
11 have discussed here, it is somewhat more difficult to
12 define.

13 [Slide]

14 Next, we looked at the issue of what happens over
15 time, and used a Markov analysis which looks at switches
16 from time point to time point as to whether a person has the
17 symptom or does not have the symptom. Again, we made no
18 effort to quantify severity; it is just whether the symptom
19 is present or not. In these Markov analyses if a patient
20 had the symptom at one point in time, the likelihood that it
21 would persist, which is the far right column, six months
22 later is what is represented. So, for paranoid delusions if
23 somebody had the symptom there is a 45 percent chance that
24 it will still be there six months later. For hallucinations
25 it is around 51 percent.

1 In terms of incidence, which is the column that
2 says "onset," that is, somebody does not have a symptom,
3 then what is the likelihood that seen 6 months later the
4 patient will have the symptom? It is obviously much less,
5 in the region of 10 percent or so. And, in concordance with
6 the point prevalence data, for agitation the numbers are
7 much higher.

8 [Slide]

9 This study using our instrument, and we have heard
10 today that there are other instruments -- the BEHAVE-AD, the
11 NPI, a bunch of other instruments, but the interesting thing
12 in this slide is that these are data from Clyde Ballard and
13 the group from Newcastle Upon Thyne, and they found that
14 about half of their patients were non-psychotic a year
15 later, using a completely different instrument but, again,
16 looking at the issue of whether or not psychotic features
17 were present. So, these are fairly common in the incidence
18 but they tend to wax and wane over time, and the consistency
19 between different studies is really quite striking even
20 though different instruments are used.

21 [Slide]

22 One of the other issues that has come up is this
23 business of symptom overlap because these patients, as we
24 all know, very rarely have pure psychosis or pure this
25 symptom or that symptom. They often have a bunch of

1 different symptoms. We looked at the issue of diagnosis in
2 DMS-IV and looked at common situations where patients have
3 more than one psychiatric diagnosis, all within Axis I --
4 let's leave out Axis II for the sake of this discussion. In
5 making a diagnosis of anxiety disorder in somebody who has
6 major depression, the way DSM-IV does it is to way if the
7 symptoms and criteria for anxiety disorder are met while the
8 patient has major depression you cannot call it an anxiety
9 disorder.

10 On the other hand, for somebody who has symptoms
11 of major depression in the context of schizophrenia there is
12 no reference in terms of timing whether it is critical or
13 not. I think it is important to recognize that the time
14 course is not really sufficient to define a syndrome and
15 what we really need are phenomenologic criteria. So
16 although these symptoms do change over time, that is not the
17 critical issue. The issue is what are the symptoms by which
18 we can make the diagnosis.

19 [Slide]

20 There is also, obviously, the problem of
21 heterogeneity, whether we are talking about agitation or
22 even psychosis within Alzheimer's disease. We like to think
23 of major depression as a very homogeneous entity. For a
24 variety of reasons that is useful. Heuristically it is
25 useful. But in reality it is pretty heterogeneous and even

1 after we toss out psychotic depression, melancholia or
2 bereavement and try to focus on non-melancholic major
3 depression we all know these patients are heterogeneous.
4 So, the issue is really, in terms of good diagnosis and
5 treatment or even FDA approval, what degree of heterogeneity
6 is acceptable because we all know that psychosis and
7 dementia is heterogeneous -- if we are talking about
8 agitation, whatever it is, these are all very heterogeneous
9 of you are talking about agitation or whatever it is, these
10 are all very heterogeneous entities.

11 [Slide]

12 The other issue, actually, which has not been
13 discussed at all here today for some reason, is that in
14 patients with dementia, Alzheimer's disease most commonly,
15 beyond the mid-stage of Alzheimer's disease a lot of these
16 symptoms are more reported by observers, caregivers, other
17 observers in nursing homes than actually reported by the
18 patient. This is something which we sort of all try to get
19 around while we are doing these various studies, but I think
20 it is an issue worth discussing.

21 However, this is not unique to dementia. We do
22 see that in psychotic patients, acutely psychotic
23 schizophrenic patients, manic patients, where the patient
24 will not say yes to a number of items but, in terms of the
25 behavior, it is very obvious that they are having these

1 symptoms. So, I don't think it is unique but it is
2 something to keep in mind.

3 [Slide]

4 This gets back to the discussion earlier which Dr.
5 Laughren had talked about and with reference to one of the
6 speakers -- I forget who exactly it was -- this issue of
7 separating the syndrome from a list of symptoms or a score
8 on a scale which we would track in a clinical trial, and the
9 DMS system basically focuses on qualitative criteria -- Does
10 the symptom exist or not? I am not sure about all the DSM
11 categories, but for the major ones nobody has to say is this
12 a severe symptom, or a moderate symptom, or a mild symptom.
13 You have it, you get the criteria. If you don't have it,
14 you don't get the criteria. But in clinical trials that is
15 a somewhat separate issue because we have to try and see
16 whether we are treating severity because, as Dr. Cummings
17 pointed out earlier, with the treatments that we have it is
18 very unusual for a symptom to totally disappear because of
19 our treatment. They go from severe to moderate, moderate to
20 mild, that sort of thing.

21 In terms of defining the syndrome as to who enters
22 a trial versus how you will monitor treatment course during
23 the trial, I think we are left with the situation where we
24 really need two separate ways of looking at it. One is what
25 is the syndrome and second is how do we monitor treatment or

1 just phenomenologic progression.

2 [Slide]

3 Touching on depression, one of the difficulties I
4 think in depression, in the context of patients with
5 Alzheimer's disease or other types of dementia, is that many
6 of these symptoms just overlap. We don't know if somebody
7 has some insomnia or poor concentration but they have no
8 depressed mood at all, do they really have a depression?
9 What is this? And, in DSM-IV there are two ways in which
10 you have contingent criteria for major depression. One is
11 depressed mood, which is obvious. The second is lack of
12 interest. So, even if you don't have depressed mood but you
13 have lack of interest and then you have some of the other
14 symptoms which accumulate enough to give you the threshold
15 for major depression, you meet the criterion for major
16 depression.

17 Our thinking at this point is that perhaps this
18 would not be sufficient in the context of Alzheimer's
19 disease because lack of interest is fairly pervasive beyond
20 a certain point in patients with dementia. Depressed mood
21 might be necessary and the alternative criterion of lack of
22 interest by itself may not be sufficient.

23 [Slide]

24 In terms of pharmacotherapy in dementia, we know
25 historically that the use of conventional antipsychotics at

1 high doses led to considerable neurologic toxicity. There
2 is a bunch of studies since then which have shown that low
3 doses of antipsychotics can be efficacious, do cause some
4 side effects, but clearly it is a lot better using these
5 doses than the 10-20 mg a day of haloperidol or 400-800 mg
6 of chlorpromazine which were used in patients with dementia,
7 say, 15, 10 years ago.

8 I think it is important to recognize that
9 physicians have altered their clinical practice based on
10 maybe these studies, maybe their own clinical experience,
11 and that the field is sort of moving forward to some extent
12 even independent of the labeling process. Very low doses
13 with a narrow therapeutic window are indicated and because
14 this is the case, as Dr. Jeste pointed out, it does support
15 the uniqueness of psychosis in dementia. This is not like
16 treating schizophrenia.

17 [Slide]

18 So in summary, I mean, there is an aging
19 population. There is a clinical need. Patients are being
20 treated anyway, and will continue to be treated independent
21 of the process of labeling, and I think, as Dr. Mintzer
22 pointed out, it is crucial that physicians have proper
23 guidelines in terms of studies, in terms of what is the best
24 efficacy and side effects, and there is obviously the
25 concern that such efforts will diminish and then we will be

1 sort of at this stage where we know something but we have a
2 lot more to learn. Thank you.

3 [Applause]

4 DR. TAMMINGA: Thank you, Dr. Devanand. Any
5 comments or questions from the committee? Dr. Reisberg?

6 DR. REISBERG: There was one thing, if not more,
7 that you mentioned that no one else here alluded to and I
8 think it deserves a little bit of amplification. That is,
9 the studies which have been conducted to date, for the most
10 part, have emphasized the extent to which caregivers or
11 others are reporting symptomatology but it is important also
12 to look at the patient directly. Caregivers who are at the
13 end of their rope may over-report, and caregivers who want
14 to show their confidence may under-report symptoms. So it
15 is important to also look at symptoms directly. I wonder if
16 you concur, but I do believe that future trials should
17 incorporate direct observation as well as reporter-based
18 scales.

19 DR. DEVANAND: Obviously, I would totally agree
20 with that. At least in terms of the data, we know that for
21 depression there is a lot of discrepancy in terms of what
22 caregivers report versus what the clinician observes in the
23 patient. That has been shown in several studies. I am not
24 aware that that has actually been shown looking at psychosis
25 but that is obviously a critical issue, and I would agree

1 totally.

2 DR. TAMMINGA: Thank you very much, Dr. Devanand.
3 Next we will hear from Dr. Rick Martinez, from Janssen
4 Pharmaceutica. Dr. Martinez?

5 **Janssen Pharmaceutica**

6 DR. MARTINEZ: Thank you. I am Rick Martinez, and
7 I am a medical director at Janssen Pharmaceutica in
8 Titusville, New Jersey. I have been at Janssen for about
9 two years, and I have been fortunate to be able to continue
10 my interest in Alzheimer's disease, which started as a
11 research fellow at the NIMH, and later as chief of the
12 geriatric psychiatry research program at NIMH. Janssen is
13 proud to be part of this conversation. This is a very
14 important topic, and we look forward to the deliberations
15 this afternoon.

16 Several weeks ago the agency released its issue
17 paper describing the purpose for today's talk. The agency
18 opened up the possibility for a discussion on agitation and
19 psychosis in Alzheimer's disease. Janssen supports the move
20 towards a consensus on diagnostic criteria that identify
21 psychosis in Alzheimer's disease, believing, as some have
22 already said, that this is a specific clinical entity
23 appropriate for medication development.

24 Janssen has a long-standing interest in developing
25 treatments for mental disorders and, as a result, has

1 accumulated a large database in Alzheimer's disease
2 patients. This database allows us to make certain
3 information available regarding the persistence, the
4 prevalence and the incidence of psychosis in Alzheimer's
5 disease. We have performed a data review as a result of
6 today's discussion and we came to the following conclusions:

7 [Slide]

8 In our data review we have identified that
9 psychosis in dementia is distressful and disturbing to
10 patients. Psychosis can be identified. It is common. It
11 is persistent. Across the spectrum of the dementia, the
12 characteristics of delusions and hallucinations are similar.

13 [Slide]

14 This slide describes how we arrived at these
15 conclusions. As I said, Janssen has accumulated a fairly
16 substantial database in Alzheimer's disease with 1603
17 patients. These databases contain information from two
18 studies, a study of mild to moderate Alzheimer's disease in
19 patients who are mostly community-dwelling and patients with
20 severe dementia who are mostly nursing home residents.

21 In order to get a more naturalistic view of the
22 course of psychoses, but also the prevalence, the
23 persistence and the incidence of psychoses over time, we
24 reviewed the data or the patient assessments of those
25 individuals randomized to the placebo arms of these two

1 studies. There were 285 subjects in the placebo arm of the
2 community-based study and there were 162 individuals
3 randomized to the placebo arm of the nursing home study.

4 We also engaged experts in the field of geriatric
5 mental health and dementia to understand the clinical
6 meaningfulness of the patient assessments performed in these
7 trials, and to correlate their clinical experience with the
8 items on those scales, specifically delusions and
9 hallucinations sub-scales from the Neuropsychiatric
10 Inventory, which was used to assess patients in the
11 community-based sample, and the BEHAVE-AD, which was used to
12 assess patients in the nursing home sample. As I said, we
13 asked these experts to correlate their clinical experience
14 with the items and the scores on these items.

15 An NPI score of 4 or greater identified patients
16 with delusions or characteristics of patients with delusions
17 and hallucinations that were disabling and distressing to
18 the patient. A score of 2 or greater on the BEHAVE-AD
19 identified characteristics of delusions and hallucinations
20 that were clearly identified by verbal information or
21 physical symptoms of the patient. In fact, a score of 3 on
22 the BEHAVE-AD indicates violence or threats of violence in
23 patients experiencing characteristic symptoms of delusions
24 and hallucinations. So, we believe that these criteria are
25 clinically meaningful based on these experts' experience.

1 [Slide]

2 To give you a picture of these patients, these are
3 the demographics of the patients in the placebo arm of our
4 studies. Of the 285 subjects randomized to the placebo arm
5 of the community-based study with mild to moderate
6 Alzheimer's disease, 62 percent of these individuals were
7 women. The mean age was 77 years. The mean Mini-Mental
8 Status score was 18, and 100 percent of these patients had
9 Alzheimer's disease.

10 The objective of this trial was to study the
11 efficacy and safety of galantamine. So, all of these
12 patients had Alzheimer's disease. These patients were also
13 mostly independent for their ADL functions, that is bathing
14 and hygiene. Those types of problems did not require much
15 supervision, however, these patients had impairments that
16 may have required supervision for independent activities of
17 daily living, such as dialing a telephone or remembering
18 appointments.

19 The patients in the placebo arm of the nursing
20 home study with severe dementia entered this trial because
21 they had at baseline a host or range of neuropsychiatric
22 symptoms. This study evaluated the safety and efficacy of
23 risperidone in patients with a range of neuropsychiatric
24 symptoms at baseline. The majority of these patients, 67
25 percent, were women. The mean age was 83 years. As

1 indicated by the single digit Mini-Mental score of 7, these
2 were patients who had severe cognitive problems and 84
3 percent of these patients had Alzheimer's disease.

4 [Slide]

5 This is an overall pictorial that describes the
6 prevalence and incidence of the characteristics of psychoses
7 in these two groups, and 2/10 patients who were community-
8 dwelling with Alzheimer's disease had psychoses during the
9 study. Again, these were patients who were randomized into
10 this trial not because they had behavioral symptoms but
11 because they had mild cognitive impairment. And, 7/10
12 nursing home residents had psychoses during the study.

13 [Slide]

14 We derived these data from the following
15 frequencies. This is the prevalence, persistence and
16 incidence data from the two trials. Of the 285 subjects,
17 again, in the placebo arm of this community-dwelling study
18 in patients with mild to moderate dementia, 12 percent of
19 these patients had psychoses, clinically significant
20 psychoses at baseline. Two-thirds of these patients had
21 psychoses that persisted for at least one month. One-third
22 had symptoms that persisted for at least five months after
23 entry into the trial. Another 12 percent, over the course
24 of this 5-month trial, developed a psychosis. Again, this
25 is longitudinal data.

1 In the nursing home sample, of the 162 residents
2 who were randomized to the placebo arm, 64 percent had
3 psychosis at baseline. Again, the objective of this trial
4 was to assess the effects of risperidone in patients with a
5 range of neuropsychiatric symptoms and 64 percent of these
6 patients had psychosis at baseline. Two-thirds had symptoms
7 that persisted for at least two weeks after entry into the
8 trial. Another one-half had symptoms that persisted for as
9 long as one month after entry into the trial. Of those
10 patients who did not have psychosis at baseline, an
11 additional 17 percent developed a psychosis over the course
12 of the three months of this study.

13 [Slide]

14 We believe that these data build upon the
15 consensus opinion that psychosis in Alzheimer's disease is a
16 legitimate or is a specific clinical entity, and that it
17 builds upon the validity criteria described by the FDA,
18 especially the second validity criteria, that they be able
19 to operationally define a sample of patients.

20 We believe that psychosis and dementia that is
21 distressful, disturbing and disabling in patients can be
22 identified with instruments that are accepted by the field;
23 that these symptoms are common; that they are persistent;
24 and that across the spectrum of the dementia, that is in
25 mild to moderate dementia as well as in severe dementia, the

1 characteristics of delusions and hallucinations are similar.
2 Thank you for your attention.

3 [Applause]

4 DR. TAMMINGA: Thank you, Dr. Martinez. Any
5 questions from the committee? Dr. Jeste?

6 DR. JESTE: It is possible that you might have
7 underestimated the persistence of psychosis in this
8 population because although they were on placebo, they
9 received all the TLC and excellent evaluation. So, if one
10 were to look at this in a different sample the persistence
11 and development figures will be even higher.

12 DR. MARTINEZ: You are actually right. As I said,
13 the 12 percent prevalence rate that we reported in the
14 community-based sample was from a study of patients who were
15 entered into a trial to measure the effects of galantamine
16 on cognition. Behavioral symptoms were not inclusion
17 criteria for that trial. So, you are exactly right. That
18 figure may actually be an underestimation.

19 DR. TAMMINGA: Any additional questions?

20 DR. DOMINGUEZ: Yes, I am interested to know in
21 the community-based sample in what percentage of patients
22 did their psychosis remit over time, over that five-month
23 period of time?

24 DR. MARTINEZ: A proportion of patients did have
25 symptoms that lasted the entire duration of the trial. The

1 assessments were done at one, three and five months. So, I
2 can only give you crude estimates as an answer to that
3 question. Actually, I have to refer you to our document
4 that we submitted to the agency that describes the
5 persistence at one, three and five months to get a
6 perspective of who remitted. But we do see patients
7 changing. I mean, patients who have psychoses may have them
8 for two weeks, may have them for one month, but the rates
9 change over the course of those five months. It is not
10 consistent.

11 DR. TAMMINGA: Thank you for your comments, Dr.
12 Martinez. We will move on to the next presentation, which
13 will be made by Dr. Sanford Finkel. Dr. Finkel is from the
14 International Psychogeriatric Association.

15 **International Psychogeriatric Association**

16 DR. FINKEL: I would like to thank the FDA for
17 providing the International Psychogeriatric Association an
18 opportunity to address the Division today. Like many
19 others, I have also the opportunity to consult with many
20 pharmaceutical companies.

21 On a technical note, for those of you in the back,
22 I don't have any slides so if you can't see anything, it
23 doesn't have anything to do with your vision. I am going
24 without them today.

25 My presentation will be divided into four parts.

1 First of all, why is an international organization, such as
2 the IPA -- the only international organization here -- why
3 are we here today? What is IPA's interest in today's
4 proceedings?

5 Secondly, many of the speakers have referred to
6 the term behavioral and psychological symptoms of dementia,
7 a term that nobody had even thought of four years ago but
8 which emanated from a meeting convened by the IPA -- just
9 what is that term about, and how did it evolve?

10 Third, what are the applications or usefulness of
11 that, and also what are its limitations? Fourth, what does
12 all this have to do with clinical trials, anyway?

13 The IPA is the largest international organization
14 dedicated to the mental health of the elderly. Obviously,
15 just like in the United States, worldwide there are many
16 people that have Alzheimer's disease and have what has
17 become referred to as behavioral disturbances dementia, and
18 many of them need treatment and deserve treatment. So, we
19 are interested in people in those 62 countries who comprise
20 IPA as well.

21 IPA includes psychiatrists, neurologists,
22 geriatricians, internists, family practitioners and many
23 others. Now, we got interested in this area for the reasons
24 I explained in 1993 because, first of all, up until that
25 time there was very little activity in clinical drug trials.

1 Lon Schneider described his meta-analysis of seven studies
2 in the worldwide literature as of 1990, looking at placebo-
3 controlled studies in antipsychotics for demented elderly
4 with what was then called BVD. Yet, in 1993 it was clear
5 that new studies were coming up, that more studies were
6 going to be happening. Industry was interested; NIMH was
7 interested. And, this was an area that, back a few years
8 ago, it became clear was going to grow.

9 Secondly, in 1985 there were no measurements or
10 scales specifically to look at, again, what was called BVD,
11 none at all. All the scales that were used in clinical
12 trials had to do with scales that were developed for people
13 with schizophrenia or bipolar illness. Yet, by 1995 there
14 were 18 scales that have been developed and, here, five
15 years later between 30 and 40, each scale having somewhat of
16 a different orientation, and we are beginning to feel like
17 there was a bit of a Tower of Babel -- just what was it that
18 we were talking about?

19 So, in 1996 IPA convened an international
20 consensus conference where there was participation on the
21 part of 60 experts from 16 countries, and the goals were
22 threefold: One, to update where we were in this whole field,
23 which we did. Secondly, to take a look at the nomenclature
24 of what we were talking about and figure out just what it
25 was we were talking about. Third was to set a research

1 agenda.

2 The first and third worked out very well. The
3 second was a bit of a problem. We were calling the field
4 behavioral disturbances of dementia. That is what we were
5 referring to, all these diverse symptoms that we saw --
6 agitation, psychosis, etc., and the field felt, certainly
7 the experts felt that we needed an umbrella term. We needed
8 a term that encompassed all of the diverse symptoms that we
9 had in the field.

10 Now, if you can imagine 60 experts getting
11 together to come up with a term that would be an umbrella
12 term, that was a real hoot. There were many very good terms
13 that were proposed that had been used in the literature --
14 non-cognitive symptoms, neuropsychiatric symptoms,
15 behavioral psychopathology of dementia -- and after much
16 debate, it was determined that the consensus term would be
17 behavioral and psychological symptoms of dementia --
18 actually, at the time behavioral and psychological signs and
19 symptoms of dementia and then shortened to behavioral and
20 psychological systems of dementia, "a term used to describe
21 a heterogeneous range of psychological reactions,
22 psychiatric symptoms and behaviors occurring in people with
23 dementia." Now, this wasn't a unanimously approved term.
24 However, it was a term for which there was a consensus. It
25 was not an IPA-created term; it was an umbrella term created

1 by the experts in the field.

2 Now, we knew even at the time that BPSD was not
3 going to be something that was going to be studied as a
4 clear indication for a drug trial. We knew that it was
5 going to be all-inclusive, and what we were interested in
6 doing, and part of the research agenda we set up in 1996,
7 was to try to look at clusters of symptoms to determine
8 whether or not there were specific syndromes that we could
9 identify. Indeed, when we had a second international
10 conference, in 1999, almost a year ago now, indeed, it
11 looked like there were a number of discrete syndromes.
12 Dilip has described the syndrome of psychosis, but other
13 researchers have also looked at the syndromes of Alzheimer's
14 disease psychosis, depression and otherwise -- Tony Hope and
15 his group from Oxford and McShane. So, this idea was
16 absolutely catching up. But it certainly wasn't the intent
17 of IPA to say drug treatment should be specifically for
18 BPSD, but it was the intent to try to look at clusters of
19 symptoms and, indeed, that is what has happened.

20 So, what is the relevance of all this? Well, we
21 certainly have seen the psychosis of Alzheimer's disease
22 described here, and it has been very exciting this morning
23 to listen to professional groups, industry and individual
24 researchers talk about the fact that it appears that there
25 is a psychosis of Alzheimer's disease that is worthy of

1 study. Indeed, the IPA supports this strongly, and also is
2 appreciative of Jeff Cummings' comments in elaborating the
3 operational component of the psychosis of Alzheimer's
4 disease.

5 There are a couple of other aspects of this that
6 IPA wanted me to bring out today. First, as has already
7 been heard, the majority of people with Alzheimer's disease
8 do develop psychosis over the course of the illness, and if
9 you look at psychotic symptoms, perhaps two-thirds or more
10 develop psychotic symptoms over the course of the illness.
11 Yet, without labeling, many of the patients that receive
12 medication receive it on an ad hoc basis. Physicians do not
13 have guidelines on when and when not to prescribe these
14 medications, which ones are associated with greater or fewer
15 risks in this vulnerable population, and what dosing can be
16 expected to be the most effective and safest dose.

17 In addition to that, other countries have
18 regulatory bodies, regulatory agencies, and are looking at
19 what happens in the United States to facilitate development
20 of their own policies within their own respective countries.

21 So in conclusion, this has been a very refreshing
22 morning and the FDA is to be congratulated on attempting to
23 move the whole field forward. I am reminded of what Samuel
24 Johnson once wrote, and I quote, nothing will ever be
25 attempted if all possible objections must be first overcome

1 -- nothing will ever be attempted if all possible objections
2 must first be overcome. We have to begin somewhere, and
3 this feels like it has been a wonderful beginning. Thank
4 you.

5 [Applause]

6 DR. TAMMINGA: Thank you, Dr. Finkel. Anybody on
7 the committee have a question or comment for Dr. Finkel?

8 [No response]

9 Well, thank you very much for your comments. Our
10 next speaker in the morning will be Dr. Alan Breier. Dr.
11 Breier is from Eli Lilly Company, in Indianapolis. Dr.
12 Breier?

13 **Eli Lilly**

14 DR. BRIER: Thank you, Carol. I too will disclose
15 that I have received financial support from one
16 pharmaceutical company.

17 [Laughter]

18 [Slide]

19 I also just want to commend this group for
20 tackling an extremely important issue, and we are delighted
21 to participate in this very important public discussion.

22 [Slide]

23 What I think is very, very important, and where I
24 want to begin, and it is almost stating the obvious but I
25 think it is terribly important that we not lose sight of the

1 human face of this illness, the impact that this illness is
2 having on our society. You can go through the numbers -- we
3 all know them all. We have heard allusions to them already,
4 but when it comes down to the individual person suffering
5 with these disorders, the impact is very, very significant.
6 I think as we deliberate and think through a course of
7 action keeping this in the foreground becomes very, very
8 important. A very large number of U.S. citizens have
9 Alzheimer's disease, with dramatically rising numbers with
10 the aging population, massive human suffering for both
11 patients and families. It is a very, very expensive
12 disorder, ranking third of all illnesses in the United
13 States with substantial costs for families.

14 Getting more to the topic of today's presentation
15 is that the psychosis and behavioral disturbances are very
16 common. What is very important about these disturbances is
17 that they are often the precipitants which leads a person
18 residing in the community, perhaps being cared for by their
19 family members, to become institutionalized, such that if we
20 can improve the state of treatment of psychosis and
21 behavioral disturbances, then we will have more patients
22 living in the community. That is going to impact very, very
23 dramatically on the cost of the illness and lead to much
24 higher quality of life. So, again, it is a very, very
25 important mission.

1 Neuroleptics are commonly used off-label. There
2 is currently insufficient FDA direction to prescribers,
3 which we have noted and, again, I applaud the FDA for
4 bringing this group together to make progress in this area
5 and, as we all know and have heard from the other speakers,
6 there is a high rate of adverse events.

7 [Slide]

8 This is the use of antipsychotic drugs in the
9 elderly, defined as 65 years or older, in a year in the U.S.
10 The bottom line is that there 50 million days of therapy of
11 antipsychotic drugs -- a huge number of individuals being
12 treated with antipsychotic drugs, 14.7 million in the
13 dementias alone. So, there is a huge amount of use, and the
14 importance of labeling is to provide guidance to assure
15 safety and efficacy. As we all know, many professionals
16 prescribing these agents are not psychiatrists. They may be
17 primary care physicians. They may be less familiar with
18 antipsychotic drugs, and the label and the direction becomes
19 their guide post in the appropriate use of these agents.

20 [Slide]

21 So, that really brings us to the critical
22 challenge for this group. That is, we have a spirit of
23 urgency, that is, there is an urgent need to establish clear
24 guidelines for drug approval. Pharmaceutical companies like
25 Eli Lilly & Co. need a clear path to registration, and we

1 need to do that in terms of the public good in the most
2 expedition way as possible. Each month there are 20,000
3 more cases of Alzheimer's disease with a substantial cost.
4 So, in some ways I would argue that we can't afford to
5 delay; that we can't afford a prolonged suspension in drug
6 development. On the other hand, should we rush forward with
7 criteria or methods that are inappropriate of scientifically
8 unsound? Of course, not. But it is really striking the
9 balance between the appropriate clinical nosology and
10 accelerating drug development to meet this national need.

11 Just in terms of the drug development piece, if we
12 all agreed on criteria today, if we locked the door and we
13 are not leaving until we have all agreed on the criteria and
14 it was decided that that criteria then became the standard,
15 and we would need to use that criteria from this day
16 forward, we are still talking about a three to four year
17 period in which new registration trials and approval occur,
18 and that is a relatively aggressive time line for all of the
19 steps in developing a protocol, entering subjects, analyzing
20 the data and the review process.

21 So, what we hope is that there is a way to
22 leverage or use existing clinical trial databases, and there
23 are those, that are under way now, that are available, and
24 is there an attempt or an approach in which we can use
25 nosological criteria that is scientifically sound and apply

1 them to existing clinical databases which could then
2 accelerate the availability of perhaps promising new
3 treatments.

4 [Slide]

5 This area I think is well worn, and it seems like
6 there is unanimous agreement that the psychosis associated
7 with Alzheimer's is, indeed, a distinct clinical entity and
8 warrants its own unique indication. We have supported that
9 in our position paper, and I think that this group will
10 likely come to that conclusion as well based on the other
11 opinion papers and based on the presentations. So, I will
12 not go through that data; it has been done quite elegantly.

13 [Slide]

14 However, what I think is the important point is
15 that what we do agree on is that there are essential
16 diagnostic criteria to move forward. We agree that
17 psychosis deserves its own indication. The next challenge
18 is how do you categorize these individuals? How do you
19 diagnose them?

20 Having gone through this process or a similar
21 process in schizophrenia and trying to develop diagnostic
22 criteria, at one level it looks like should be fairly simple
23 and straightforward but when you actually get down to doing
24 it, it can be a rather laborious process, and there are no
25 optimal criteria at the end of the road. It is an

1 evolutionary process that changes over time as more data
2 become available. So, as opposed to looking for the
3 absolute, finite, perfect criteria, I suggest that we agree
4 on essential diagnostic criteria and then allow those to be
5 applied to clinical trials data.

6 Those essential criteria really fall into three
7 groups: that the diagnosis of dementia of Alzheimer's type
8 be present; that there be prominent delusions and/or
9 hallucinations, and that they meet the test of severity and
10 persistence; and that the psychotic symptoms not be due to
11 other medical conditions -- delirium or Axis I disorders.
12 If those criteria are met, then we are probably on the right
13 road to having the kind of diagnostic criteria we need for
14 clinical trials.

15 [Slide]

16 I would suggest that there is an approach
17 available now. Again, if you agree that there is a degree
18 of some urgency to move forward, then I would suggest that
19 DSM-IV offers an approach, a diagnostic approach that could
20 be used now. Is it perfect? No. Would it benefit from
21 more elaboration, more operationalization? Yes, it would.
22 But could it be used as it is now and meet a standard for
23 capturing a diagnosis? I would suggest that that may be
24 the case. When one looks at the DSM-IV criteria patients
25 must meet diagnostic criteria for Alzheimer's; the psychotic

1 symptoms are not due to other medical conditions; the
2 delusions are prominent; the hallucinations are prominent
3 with coding, again, allowing sort of a generalizability of
4 the findings, something that is familiar to clinicians, and
5 this then could be augmented with validated rating scales
6 which could further flesh out phenomenology, severity, etc.
7 So, the combination of the existing DSM-IV criteria and a
8 validated rating scale could be a combination that could be
9 applied diagnostically. This is, again, not to say that
10 further evolution should not take place, and we hope that it
11 will and that over time this criteria become better and
12 stronger.

13 So just in conclusion, we support the indication
14 of psychosis. We feel that there is an urgency in moving
15 forward because of the public health need. And, we think
16 there are essential criteria that can be captured and can be
17 utilized now.

18 [Slide]

19 Just moving quickly to the area of behavioral
20 disturbances, there are many. This is a short list. There
21 are obviously more that have been talked about. We would
22 suggest that this group as an undifferentiated mass is too
23 broad for an indication; that one must look at each one of
24 these one at a time, and look at them for their own merit
25 and then make decisions based on the data and the qualities

1 of each one of these behavioral disturbances. We would
2 suggest that one reasonable target for drug development is
3 acute agitation.

4 [Slide]

5 Acute agitation is a common feature across a
6 number of disease states. It is a behavioral syndrome that
7 has both verbal and motor components, comprising hostility,
8 tension, uncooperativeness, poor impulse control and
9 excitement. There is reasonable strong face validity and
10 reliability to the concept of acute agitation. Physicians
11 tend to know it when they see it. There are numerous
12 instruments, which have been mentioned, that have been
13 proven metrically to be valid and reliable. Acute agitation
14 causes significant adverse impact on patients, again, a
15 common precipitant which may lead a patient to go from care
16 in their home to an institutional setting, and commonly
17 warrant pharmacological intervention.

18 [Slide]

19 We would suggest that acute agitation would stand
20 best as a broad indication; that, in fact, acute agitation
21 is not unique or specific to Alzheimer's disease; that there
22 are core clinical characteristics that are relatively common
23 across disease states, not necessarily precisely but if you
24 use the pain model and you look at pain, pain occurs in
25 different forms, different characteristics, but there is a

1 similarity to the characteristics of pain and, indeed, acute
2 agitation so that they are somewhat consistent with the pain
3 and fever model. The indication, therefore, should be
4 supported with data from a number of different disease
5 states.

6 [Slide]

7 An assessment approach for acute agitation could
8 be as follows, based largely on clinical judgment; that
9 there be abrupt onset of agitation with the key features
10 that we have talked about; that there be sufficient
11 intensity and severity of the agitation so that it requires
12 treatment; and/or results in impairment and distress.
13 Again, which is a very common approach in clinical trials,
14 one can use that clinical judgment, the clinical phenomena,
15 and then enhance or augment the capture of the material
16 through validated rating instruments which are available and
17 that can further characterize severity and phenomenology.

18 [Slide]

19 There are, as mentioned, a number of different
20 rating scales. The PANNS excitation component might be one
21 measure that one could use across many different disease
22 states; strong face validity; strong reliability; capturing
23 some of the key components of agitation. It could then be
24 used and compared across a number of disease states. Then,
25 this could be complemented with perhaps other validated

1 ratings scales for unique populations -- the Cohen-Mansfeld,
2 for example for dementia; the Corrigan which has been used
3 in schizophrenia. So, the package of one, two or perhaps
4 rating scales might be sufficient in terms of our knowledge.

5 [Slide]

6 Finally, I will just conclude by summing up and
7 saying, again, an urgent need to develop new therapies for
8 Alzheimer's disease patients with psychoses and behavioral
9 disturbances. The psychosis associated with AD warrants a
10 distinct indication. Diagnostic approaches available now
11 for registration trials of psychosis associated with AD and,
12 lastly, acute agitation is a non-specific behavioral
13 syndrome that warrants a broad indication across many
14 disease states. Thank you very much for your attention.

15 [Applause]

16 DR. TAMMINGA: Thank you, Dr. Breier. Anybody
17 from the committee -- yes, Dr. Tariot?

18 DR. TARIOT: Alan, I liked a lot of what you said.
19 Why the emphasis though with agitation on abrupt onset or
20 acuteness? If I can elaborate on why I am puzzled by that,
21 as I listened to Jiska Cohen-Mansfeld's data and Dr.
22 Devanand's, and I think Jeff Cummings has data that he
23 didn't talk about, it suggests that agitation actually
24 emerges not exactly monotonically over time as dementia
25 progresses but something like that, and Hope and McShane

1 data suggest that it persists until death once it appears.

2 DR. BREIER: Yes, I think that is a good point. I
3 think that we wouldn't rule out the value of looking at a
4 chronic or more persistent form of agitation but, again, we
5 are focusing on agitation across a number of different
6 disease states, not just the agitation in Alzheimer's. When
7 one looks across disease states the acute form of agitation
8 is perhaps a little more conservative. I think one might
9 argue that perhaps psychosis is a little bit further along
10 than some of the other behavioral disturbances. So by
11 limiting it that way, acute agitation has some
12 characteristics that perhaps are a little bit more commonly
13 recognizable across schizophrenia, bipolar, dementia, ICUs,
14 etc. So, that would have that broader appeal or recognition
15 across.

16 Obviously, there is another form of agitation in
17 Alzheimer's disease that is more persistent and chronic, and
18 the data is very compelling. We are not ruling that out.
19 We are just suggesting that acute agitation may be a bit
20 more of a conservative path that one could pursue at this
21 point.

22 DR. TARIOT: I understand the distinction you are
23 making and I appreciate it. Just as a clinical cautionary
24 note though, I think most of us in geriatric medicine would
25 view the acute onset of agitation as a red flag for delirium

1 or an environmental stressor and not necessarily first off
2 the object of pharmacotherapy.

3 DR. BREIER: Fair enough.

4 DR. TAMMINGA: Dr. Schneider?

5 DR. SCHNEIDER: Alan, this is a comment I made
6 earlier but it seems that much of the agitation that you are
7 describing actually, it seems to me, to be aggression. I am
8 wondering if you are trying to make a distinction between
9 aggression and agitation when you are arguing for a broader-
10 based claim across disease entities.

11 DR. BREIER: I think that is a good point and a
12 good debate. I think that aggression can be a component of
13 agitation but agitation exists without aggression. So if
14 you look at the PANNS, for example, hostility implies the
15 aggressive component but there are clear forms of agitation
16 that are not directed in an aggressive way outwardly or
17 inwardly that would constitute agitation. For example, the
18 person who is pulling the IV tubes out of their arm and
19 needs to be pharmacologically controlled, that would not be
20 an aggressive or hostile act but, yet, it would be clearly
21 agitation.

22 DR. TAMMINGA: Dr. Lebowitz?

23 DR. LEBOWITZ: Unlike the psychosis issue where
24 there are fragments of data that may or may not contribute
25 to a whole story on a neurobiological substrate, you don't

1 mention any kind of neurobiology of your agitation
2 recommendation.

3 DR. BREIER: Yes.

4 DR. LEBOWITZ: Do you see that as a part of the
5 picture leading toward satisfying your recommendation?

6 DR. BREIER: We addressed this in our opinion
7 paper for both psychosis and agitation, and I have to kind
8 of humbly admit that the field has probably not progressed
9 far enough where one can talk about distinct or common
10 neurobiological mechanisms. The knowledge has just not
11 evolved to that point. So, it relies really on
12 phenomenology, clinical judgment, the characteristics that
13 one sees, and the characteristics one would see in the
14 clinic to make these distinctions. It would be lovely to
15 have a neurobiological substrate for both psychosis and
16 agitation and it would in some ways make our work a lot
17 easier but the state of knowledge is not there, in our view.
18 So, one then does rely on validated rating scales and
19 psychometric properties.

20 DR. TAMMINGA: A short last question from Dr.
21 Schneider.

22 DR. SCHNEIDER: Alan, just as a follow up, would
23 it be fair to say that you or Lilly are asking for a claim
24 for agitation or aggression in, for instance, specifically
25 depression or schizophrenia or other disorders?

1 DR. BREIER: A broad claim. In other words, we
2 would suggest that agitation, like pain or like fever, is
3 probably best viewed across a number of different disease
4 states and, therefore, the claim should be broad as opposed
5 to specific and only unique to dementia. The
6 characteristics of agitation that we are describing here,
7 particularly acute agitation, we think are relatively
8 similar -- the acute agitation, the symptoms, the
9 presentation are relatively -- not exactly but relatively
10 similar in an acutely agitated manic, in an acutely agitated
11 schizophrenic patient, etc.

12 Now, where are the limits of that breadth? I
13 think there probably are limits, and one would have to then
14 work with that in the context of labeling, etc., to
15 determine perhaps, just like pain and just like fever, that
16 there can be, in fact, some limits to the breadth. But what
17 we are doing is taking this out of something very unique and
18 specific, dementia of Alzheimer's disease, and saying that
19 psychosis, yes; agitation, probably not.

20 DR. CUMMINGS: Carol, can I ask a follow-up
21 question to that?

22 DR. TAMMINGA: Yes, short.

23 DR. CUMMINGS: This is a question for Tom. It is
24 ambiguous in the White Paper how many disorders are enough
25 to establish the claim for extending to other disorders.

1 So, if Lilly has studied depression, psychosis and mania and
2 treated agitation, is that enough for them to claim that it
3 also treats agitation in AD?

4 DR. LAUGHREN: There isn't any absolute rule.
5 Across the agency, if you look at other divisions that have
6 approved these non-specific claims, they generally look at
7 three models.

8 Just as a little bit of background here, I should
9 explain a little bit about how this notion came up because
10 FDA did have some role in this. It might help in
11 understanding the context. Several years ago several
12 companies expressed an interest in developing intramuscular
13 forms of antipsychotics without any particular intention of
14 doing any kind of efficacy studies with those new
15 formulations, and that is where they ran into a roadblock
16 from us because we are not willing to make the assumption
17 that the time-concentration profile, which is different with
18 an IM formulation, doesn't make a difference. So, we were
19 all struggling with trying to figure out how one would look
20 at efficacy for this new formulation, an intramuscular
21 formulation. It occurred to us, if you think about how
22 these drugs are used, say, in a setting of schizophrenia,
23 they are not really used to treat psychosis per se. They
24 are used early on in managing an agitated person. Then the
25 switch to oral medication is made fairly quickly.

1 So, that is how we ended up working with companies
2 in trying to focus on something like agitation, and it
3 occurred to us at the time that the non-specific model, sort
4 of the pain model as Alan talked about, perhaps made some
5 sense. That was then. Now, after having all this
6 discussion about agitation in the context of dementia, it is
7 not so clear to us anymore that that is the right way to
8 proceed. And, I hope that this issue gets a lot of
9 discussion this afternoon. It is a very important one.
10 Again, this is all very important because whatever decisions
11 we make, whatever precedents we set at this point, we want
12 them to be ones that we can live with. So, I hope this
13 issue gets a good bit of discussion. But that gives you a
14 little bit of background as to how we arrived at that sort
15 of model of agitation. But, like anything else, it has to
16 be defined. You have to know what it is that you are
17 talking about.

18 DR. TAMMINGA: Thank you, Dr. Laughren, and thank
19 you, Dr. Breier, for your presentation. It was highly
20 informative. Our next presentation will be from Dr. Judith
21 Saxton, from the University of Pittsburgh Medical Center.
22 Dr. Saxton?

23 **University of Pittsburgh Medical Center**

24 DR. SAXTON: Thank you. Good morning. We can
25 have the lights up. I don't have slides; I am not going to

1 be presenting data this morning.

2 I want to thank the committee for inviting me to
3 come and present to you today, and to let you know that I am
4 a neuropsychologist from the Alzheimer's Disease Research
5 Center at the University of Pittsburgh. The only disclosure
6 that I need to make is that I do receive royalties for a
7 cognitive test that we developed at the ADRC to assess
8 severe dementia, which is the topic that I want to address
9 the committee on today.

10 It is my goal to talk about a related topic, not
11 specifically about the psychiatric and behavioral
12 disturbances but the fact that these disturbances vary not
13 only across disease states but along the disease continuum,
14 as well as across states, and to make the committee aware
15 that if we do not include individuals with more advanced
16 dementia we will not know the full extent of these
17 psychiatric and behavioral disturbances. Indeed, we won't
18 know how these symptoms covary with the cognitive decline of
19 Alzheimer's disease.

20 I want to pick up on a topic that has been
21 mentioned this morning, and I do agree with Dr. Cummings, I
22 am one of the individuals that does experience memory
23 problems in everyday life. I have lost my keys and I have
24 lost my car in the parking lot. I think that perhaps the
25 reason that I don't jump to the conclusion that somebody has

1 stolen my keys or my car is that, although I have a memory
2 problem, I hope I still have fairly functional frontal
3 lobes. So, instead of jumping to the immediate conclusion,
4 I start to think of alternative reasons why I might have
5 lost these items. I suspect that patients with Alzheimer's
6 disease have both a memory impairment and an impairment in
7 their frontal ability, their executive abilities so they are
8 unable to consider alternative reasons.

9 I am not suggesting that these two cognitive
10 deficits explain the disorder of delusions or the false
11 belief, but they may be necessary but not sufficient to
12 explain. But if we don't have the cognitive assessment
13 tools available to look at advanced dementia we won't be
14 able to investigate these areas.

15 Very early on in the Alzheimer's Disease Research
16 Center when we were bringing patients back for longitudinal
17 evaluation, we identified that the current cognitive tools
18 that are available are insufficient, and we noted that
19 within two years of doing longitudinal follow-up individuals
20 were unable to complete these standardized tests that are
21 available, and we were unable to get good profiles to look
22 at not only overall mental status but to look at these
23 relationships between semantic memory and other types of
24 cognitive disorders because the tools were not there.

25 We did start to develop an assessment scale, which

1 is the one that I have discussed with you. I quickly found
2 out, as soon as I put this tool out on the market, that what
3 I called severe dementia in a research setting was not, in
4 fact, what individuals in nursing homes called severe
5 dementia. I found that as I went out to nursing homes and I
6 was talking about individuals with Mini-Mentals of below 10,
7 let's say, but still able to complete the Mini-Mental scale,
8 in nursing homes when people were trying to use my scale
9 they were talking about individuals with Mini-Mentals of 0.

10 When I started to use the scale more in the
11 nursing home populations, I also realized, what I had known
12 before but now was much more clear to me, that even though
13 individuals can score 0 on some of our tests, it is not to
14 imply that they are without cognition or are untestable. It
15 is simply that our scales are not sensitive enough at the
16 lower end of the range and, in fact, individuals in nursing
17 homes even with Mini-Mentals of 0 can quickly find the
18 fastest way out of a building and over the fence. It is a
19 big problem. They can quickly identify where the restaurant
20 is, where the cafeteria is, where lunch is going to be
21 served. They can also develop relationships with specific
22 aides and seek out that specific aide. Indeed, not only
23 with specific aides but with other residents, which also
24 causes problems sometimes. So, these individuals are able
25 to perform on cognitive tests if we only lower the

1 sensitivity, lower the range of our cognitive tests down to
2 be more sensitive at that end of the range.

3 Just to belabor the point a little bit, when we
4 look at most of the research studies, there are a few. And,
5 I think Janssen was wonderful in including the nursing home
6 group in their study but the majority of research studies
7 include only mildly to moderately impaired patients. By
8 mildly impaired patients, we typically mean individuals
9 scoring 20 or higher on the Min-Mental. While we may
10 identify patients with higher than 24 as having Alzheimer's
11 disease on an individual clinical basis, for most research
12 studies we use 24 as a cut-off to ensure that we have
13 individuals who are demented. So, mild dementia often
14 ranges from, say, 19 or 20 on the Mini-Mental to 24.
15 Moderate dementia may range from, let's say, 10 or 11 on the
16 Mini-Mental up to 18 or 19. We know that the decline in the
17 Mini-Mental is typically about three points per year,
18 suggesting that the mild range may last only one or two
19 years and the moderate range may last only two or three
20 years, maybe four years.

21 We also know that individuals with Alzheimer's
22 disease are now living significantly longer than they used
23 to live, certainly nine, ten years and in many cases the
24 individuals that we have been following over almost twenty
25 years in the University of Pittsburgh, up to twenty years

1 with the diagnosis of Alzheimer's disease. That means that
2 a great deal of the time that these individuals have
3 Alzheimer's disease will be spent within this stage of
4 severe dementia, and they will be excluded from the majority
5 of research studies.

6 I am asking the committee today to not only
7 identify and define different disease states or clinical
8 entities, but also to consider defining levels of dementia.
9 By doing so, you will encourage researchers and
10 neuropsychologists to develop adequate scales which we will
11 be able to then use to encourage individuals to incorporate
12 these more advanced cases of dementia within their research
13 and, therefore, for us to be able to look at the
14 relationship between cognitive decline and psychiatric
15 symptoms in this group. Thank you very much.

16 [Applause]

17 DR. TAMMINGA: Questions or comments for Dr.
18 Saxton? Yes, Dr. Reisberg?

19 DR. REISBERG: I want to first of all strongly
20 endorse your major points. I think they are very, very
21 important. I think it is very important to understand the
22 behavioral symptoms, the BPSD symptoms in association with
23 cognition as the disease evolves. There is a paper in press
24 from a multi-center study conducted by the NIA on the SCU
25 units, which is Tracy, Holmes et al., which indicates that

1 approximately 25 percent of patients in nursing homes -- and
2 there are a million and a half persons in nursing homes so
3 we are speaking of a great number of persons -- have Mini-
4 Mental State scores of 0. So, we are speaking about
5 hundreds of thousands of people with illness. If we think
6 for a moment that there are only about 700,000 people in all
7 hospitals at any given time, hundreds of thousands of people
8 with Mini-Mental State scores of 0 is a very large number.

9 We, as you know, have also been involved in this
10 area at a somewhat lower range than you have addressed, and
11 we have also tried to develop measures. We have one
12 measure, the MOSPD, the Modified Ordinal Scales of
13 Psychological Development, which can measure cognition for
14 about 6 years after the Mini-Mental is 0. So, I strongly
15 endorse what you are saying.

16 DR. SAXTON: Thank you. I know that there are a
17 number of people looking at this area, and I am encouraged
18 by that but I think that this is an opportunity to draw
19 awareness to this issue and to include these individuals in
20 research.

21 DR. TAMMINGA: Thank you, Dr. Saxton.

22 DR. SAXTON: Thank you.

23 DR. TAMMINGA: We will go on to our next speaker,
24 Dr. May Sano. Dr. Sano is from Columbia University.

25 **Columbia University**

1 DR. SANO: Thank you. Yes, I am from Columbia
2 University but I am presenting data to you today from the
3 Alzheimer's Disease Cooperative Study.

4 [Slide]

5 The information I will be telling you about was
6 summarized by several members of the ADCS, including, in
7 addition to myself, statistician Julie Berg, Lon Schneider,
8 Paul Aisen, Ruth Mulnard and Leon Thal. The ADCS is an NIA-
9 funded project that has been running clinical trials in
10 Alzheimer's disease for nearly ten years.

11 What I will present to you is a summary of data
12 collected from clinical trials conducted in well-
13 characterized patients with Alzheimer's disease. The
14 specific position that we believe this data supports is that
15 psychosis, which can be identified in Alzheimer's disease
16 using traditional descriptive terms, has a relatively
17 predictable rate of occurrence; is typically treated
18 pharmacologically, yet continues to persist across a wide
19 range of dementia severities even in relatively healthy
20 patients with Alzheimer's disease.

21 Now, the nature of this data that I am about to
22 describe to you consists primarily of adverse event reports
23 made throughout clinical trials conducted by the ACDS,
24 specifically three long trials. The first study recruited
25 341 moderately impaired patients with Alzheimer's disease,

1 following them for 2 years. The second, 138 mild to
2 moderately impaired patients with Alzheimer's disease,
3 followed for 1 year. The third recruited 120 mild patients,
4 followed for 14 months.

5 In all cases, inclusion criteria for the studies
6 were designed to select relatively medically stable
7 individuals who were outpatients, living in the community,
8 who had no significant psychopathology that would require
9 medication, and who could be expected to cooperate with the
10 study for the entire length of the study period, one year or
11 greater.

12 Now, adverse events in these clinical trials are
13 reported through unstructured reports, and they represent
14 significant symptoms noted by caregivers and by clinicians.
15 Unlike assessments of standardized instruments that we have
16 heard about, this technique captures only those events that
17 are perceived as problematic by relevant observers.

18 The methodological approach that we used was to
19 assess the adverse events which are reported in text fields,
20 using key terms to identify those things that would fall
21 into the category of psychosis. In the particular word of
22 psychosis the key words that were used were delusions,
23 hallucinations, paranoia and psychosis. This method was
24 tested in the first study that I described of 341 patients
25 to ensure that it captured all of those patients who

1 appeared to have psychosis and did not capture individuals
2 whom we would not want included. These terms were, as I
3 mentioned, validated by such a review.

4 For each adverse event the clinician rated
5 severity and the date of onset, the day that the event
6 ceased. He also recorded an action taken, including the use
7 of concurrent medications to treat that symptom. The number
8 of patients who ever experienced such an adverse event was
9 recorded. So, if an individual experienced this adverse
10 event repeatedly, they were only counted once in this
11 analysis.

12 Across these three studies, which we summarized in
13 the form of a meta-analysis, the overall rate of psychosis
14 as an adverse event as I have described in these trials was
15 9.6 percent, with individual rates ranging from 7 percent to
16 10 percent, and being related to the entry level Mini-Mental
17 State of the specific trials. The mean time to the first
18 psychotic event across the three studies was approximately
19 200 days. Approximately two-thirds of the patients with
20 these psychotic symptoms were rated as having them at a
21 degree of severity of moderate or severe.

22 [Slide]

23 In addition, we examined the co-occurrence of
24 psychosis with the adverse event category of agitation, and
25 in the case of agitation the key words that we were used

1 were agitation, combativeness, wandering, confusion,
2 behavioral disturbance, irritability, anxiety, angry or some
3 derivation of that word, and outbursts. Now, across the
4 three studies the overall rate of agitation in these three
5 studies was about 40 percent. Across these three studies,
6 about 60 percent of those with psychosis also reported
7 agitation, and this is a significant correlation.

8 [Slide]

9 In terms of the resolution and the persistence of
10 the symptom of psychosis, 70 percent of those with psychosis
11 demonstrated persistence for more than three months, and
12 about one-third of those with psychosis reported symptoms
13 that had resolved by the end of the study, and two-thirds
14 had not resolved by the end of the study.

15 [Slide]

16 We also attempted to examine the overall incidence
17 in terms of when the onset was occurring. of those who had
18 some psychotic event as described, in 45 percent it occurred
19 within the first 6 months and about 82 percent within the
20 first year. The cumulative incidence by this method is
21 about 8 percent per year.

22 Let me just review some of the points that I think
23 are important here. First of all, among those who had
24 psychosis, 54 percent did receive medication for this
25 specific indication. Now, others may have received

1 medication for other symptoms, such as agitation or
2 depression, which were not recorded as treatments for the
3 psychosis. So, this number may actually be higher. Despite
4 medication use, as I mentioned earlier, 70 percent of the
5 patients demonstrated persistence for more than 100 days.

6 Now, we think that the present review of the data
7 illustrates that, even among relatively healthy patients
8 with Alzheimer's disease, psychosis of sufficient severity
9 to be described as an adverse event occurs in nearly 10
10 percent of the population. A standard criterion for
11 participation in these clinical trials is the likelihood of
12 being able to follow the protocol for the entire duration of
13 the study, as I mentioned, one to two years, without needing
14 psychotic treatment. Regular use of antipsychotic
15 medication is an exclusion in these trials and, in fact,
16 this increases the likelihood that we have selected a very
17 specific and healthy population. So, with this in mind, I
18 think it is reasonable to suggest that this data is an
19 under-representation of the total prevalence or incidence of
20 psychosis in the population of individuals who have
21 Alzheimer's disease.

22 I think there are several advantages to these data
23 sets. First, the analysis includes data collected from more
24 than 25 sites around the U.S., and reports of the psychosis
25 were not captured on a structured format yet the data is

1 consistent or relatively consistent across trials and with
2 other numbers that you have heard today. This suggests that
3 the terminology and the phenomenology was commonly
4 recognized, and possibly we could even state that it was
5 valid terminology.

6 Finally, while the patients in the clinical trials
7 are generally recognized as relatively homogeneous, these
8 three studies actually represent a wide range of disease
9 severities. Taken together, the findings do suggest that
10 the phenomenon of psychosis in Alzheimer's disease may be
11 universally observed.

12 [Slide]

13 So, in summary, I think the eligibility criteria
14 in this specific study select against those most likely to
15 have psychosis, giving us a more conservative estimate. In
16 addition, since we are not using a formal elicitation of
17 symptoms, we are likely also to have a more conservative
18 estimate. As I mentioned, the treatment of other conditions
19 may even reduce the likelihood of observing psychosis.

20 [Slide]

21 So, in summary, I would just like to simply repeat
22 that it appears to us that this is a recognizable phenomenon
23 -- psychosis in Alzheimer's disease. It has a predictable
24 frequency. It has significant morbidity, and it is
25 relatively persistent amongst a wide range of individuals.

1 [Applause]

2 DR. TAMMINGA: Thank you, Dr. Sano. Any questions
3 or comments?

4 DR. GRUNDMAN: Mary, I agree that psychosis
5 occurred in about 10 percent of patients. One of the things
6 that you mentioned very quickly was that agitation seemed to
7 occur in 40 percent of patients.

8 DR. SANO: Right.

9 DR. GRUNDMAN: So, even though psychosis is sort
10 of easier to define, agitation is probably a more prevalent
11 and important adverse event which is brought up by
12 caregivers on a more regular basis.

13 DR. SANO: I think that is absolutely the case.
14 The one thing to keep in mind is that we don't know the time
15 course of which symptom occurred first and which symptom
16 occurred second. Perhaps, as has been suggested, the
17 agitation is an outcome of the psychosis.

18 DR. GRUNDMAN: Except that it occurred in 40
19 percent of the patients --

20 DR. SANO: That is correct, but in addition you
21 will notice it is a wider range of key words that have been
22 used. We haven't done the same refining of agitation. It
23 cuts across a wide domain of phenomena I think.

24 DR. TAMMINGA: Dr. Katz?

25 DR. KATZ: What did you say the range of

1 severities of Alzheimer's disease was in this cohort?

2 DR. SANO: The range is from mild to moderate by
3 overall definition. In most cases there is little or no
4 upper limit on the MMS. The lower limit was also not
5 established in the first trial that was described of 341.
6 There was no MMS requirement. So it could go as low as 0.
7 The mean scores of the one trial that was ranging closer to
8 7 percent than 10 percent was about 2 points higher on the
9 MMS.

10 DR. KATZ: Why did you choose to focus on these
11 events as defined by a relevant observer as opposed to
12 patient reports as being severe or problematic.

13 DR. SANO: I absolutely admit this is a post hoc
14 analysis of the adverse event -- I think adverse event is an
15 unusual word to use; perhaps inter-current event is the
16 correct term to use. So, in the context of the trial, when
17 a person reports that their patient had a significant
18 adverse event the broad question is have there been any
19 problems, and the caregiver may describe them or in the
20 interview the clinician may observe them. That is the broad
21 category.

22 DR. KATZ: Right, what I am trying to get at is
23 that these were events that were defined as being
24 problematic by someone other than the patient.

25 DR. SANO: Right.

1 DR. KATZ: Right, and I am asking why you chose to
2 rely on those reports as opposed to patient reports, if you
3 will.

4 DR. SANO: What I am saying is that this was the
5 collection of adverse event and for regulatory purposes and
6 the typical procedure is for the clinician to determine the
7 severity of the event using all information. He can either
8 observe the patient or use the caregiver.

9 DR. TAMMINGA: Dr. Tariot?

10 DR. TARIOT: But, Mary, is it not correct that if
11 a patient said I am in terrible distress that would be
12 picked up also?

13 DR. SANO: Oh, absolutely. Absolutely. I am
14 sorry, what I meant to suggest is it was both observed as
15 well as reported.

16 DR. KATZ: Let me then just ask a follow up, if I
17 can, do you have any sense of how many of these were
18 observed by the patients or reported by the patients as
19 opposed to how many of these were observed by someone else?

20 DR. SANO: Right, my impression is it is highly
21 representative of the patient state, primarily because the
22 clinician evaluates it in the context of the patient's
23 experience.

24 DR. TAMMINGA: Dr. Grundman?

25 DR. GRUNDMAN: One other point is that if the

1 patients have delusions and hallucinations they might not be
2 the most likely people to complain about it.

3 DR. TAMMINGA: Dr. Schneider?

4 DR. SCHNEIDER: Just, Dr. Katz, a point maybe of
5 clarification, two of these data sets are essentially
6 typical of patients who are in cholinesterase inhibitor
7 studies or in galantamine, and I think a reason we wanted to
8 look at this was similar to the reason Janssen looked at
9 their galantamine data to try to get an appreciation of
10 Alzheimer's patients in the community who volunteer for
11 these studies and who really do not have significant
12 behavioral problems to the extent that would cause
13 interference in the studies. We were just trying to get a
14 sense of what the incidence of psychosis was in this group,
15 and I think Mary reported a fairly predictable incidence.

16 DR. SANO: Right. Let me reiterate the fact that
17 most of this is reflective of the patient's experience. The
18 possibility that it is reflective of a report is based on
19 the fact that in advanced patients the information could
20 have been collected without observing the patient. That
21 represents a relatively small number across this whole
22 study.

23 DR. CUMMINGS: I think it is worth making the
24 point, Dr. Katz, that it is common for patients who have
25 psychosis to not be psychotic during the 30 minutes that

1 they are observed in the evaluation in the clinic and,
2 therefore, if it was strictly patient-based in terms of
3 observation that would greatly underestimate the prevalence
4 of psychosis in these populations. So, we always do depend
5 on the caregiver for the report in addition to patient
6 observation.

7 DR. TAMMINGA: We have a comment from Dr.
8 Reisberg.

9 DR. REISBERG: We have actually investigated and
10 published the relationship between observations in actually
11 a little bit less than 30 minutes, approximately 20 minutes
12 on average and reporting of symptomatology by knowledgeable
13 observers over the previous two-week interval, and if one
14 correlates actually rating scales that are similar and
15 comparable with respect to the issues -- we have looked at 6
16 of the seven BEHAVE-AD categories because, for example,
17 sleep disturbance could not be observed in that 20-minute
18 interval. So, we excluded that. We get a 0.54 correlation
19 overall, which is very highly statistically significant.
20 So, actually, there is a very strong relationship when one
21 actually does begin to observe patients in a systematic way
22 between what one can observe over a brief 20-minute interval
23 and what is reported by caregivers. We did this on a
24 routine basis and it is absolutely remarkable how much one
25 can actually see if one observes the patient. This includes

1 things like delusions. So, for example, patients will tell
2 you about their delusions in a few minutes just the way
3 caregivers will report it, and one can go on and on in that
4 regard.

5 DR. TAMMINGA: These issues will be picked up this
6 afternoon, if I could ask you, Dr. Hamer, to hold your
7 comment until this afternoon. And, I want to thank Dr. Sano
8 and thank all of the people who presented during the open
9 public hearing. We will now break for lunch -- oh, yes, Dr.
10 Jeste needs a minute.

11 DR. JESTE: Yes, this is my fourth FDA meeting and
12 I want to publicly disclose that I too have worked as a
13 consultant to several pharmaceutical companies.

14 DR. TAMMINGA: We are breaking for lunch now and
15 perhaps even a little walk outside on a beautiful Washington
16 day. The committee, both the guests and the committee
17 itself has a separate room to eat in. So, if you would kind
18 of keep your eyes out for that. We will come back and 1:45,
19 please, 60 minutes from now. Thank you.

20 [Whereupon, at 12:45 p.m., the proceedings were
21 recessed for lunch, to be resumed at 1:50 p.m.]

A F T E R N O O N S E S S I O N**Committee Discussion**

1 DR. TAMMINGA: I would like to reopen this
2
3
4 afternoon's meeting. What I would like to do is attempt to
5 bring a touch of focus to at least the beginning of the
6 discussion in the afternoon by turning back to Dr.
7 Laughren's presentation this morning. The FDA is interested
8 in hearing from the psychopharm. committee and from its
9 invited experts answers to some of the questions and
10 dilemmas posed by Dr. Laughren this morning.

11 The overall topic of our afternoon is psychiatric
12 and behavioral disturbances associated with dementia, and
13 the practical question is really for us as a committee to
14 pull together our advice, our best advice for the FDA on the
15 issues of their legal requirements -- efficacy, safety and
16 acceptable labeling.

17 Now, going back again to what Dr. Laughren
18 articulated this morning, what is required for a particular
19 clinical entity to be considered an acceptable indication
20 for treatment is, number one, accepted in the clinical and
21 academic community; number two, operationally definable;
22 and, number three, a reasonably homogeneous patient group.

23 From the things that we have already talked about
24 this morning, we have an awful lot of data that people have
25 presented, although not extensively discussed, around those

1 points. As an example, perhaps to help us as a committee
2 get started in our discussion, Dr. Laughren reviewed what
3 approaches have already been proposed for addressing the
4 psychiatric behavioral aspects of dementia, and proposed --
5 didn't exactly propose but suggested that what that has been
6 evolving into lately is something more specific, like
7 psychosis associated with Alzheimer's disease.

8 So, I would like us to see, using the data that
9 was presented this morning and reviewing what we know from
10 our clinical work, what we as a group might recommend to the
11 FDA about these various syndromes, the psychiatric and
12 behavioral disturbances associated with dementia, first of
13 all, the exact syndromes and then also I think that it would
14 be useful to have a discussion about the non-specific
15 psychiatric signs and symptoms, particularly about agitation
16 since that has been a topic that has been raised before.

17 There is one topic that Dr. Laughren raised this
18 morning that I thought was particularly important and that I
19 would like us to address, not initially but before the end
20 of the afternoon, and that is the safety of these drugs in
21 the elderly and whether or not there might be some need for
22 a policy for evaluating risk of psychotropic drug treatment
23 in this population.

24 So, I would like to open the floor for some
25 discussion amongst the committee and its invited experts on

1 these topics of psychiatric and behavioral disturbances
2 associated with dementia.

3 DR. WHITEHOUSE: Let me address your topic. Let
4 me first take the opportunity to make my conflict of
5 interest statement since I didn't give an address but will
6 be making some comments. As the facilitator of the
7 International Working Group for the Harmonization of
8 Dementia Drug Guidelines, our institution has received
9 grants from over twenty-five different companies, and I am
10 interested in extending my conflict of interest and will be
11 happy to talk to you about our activities.

12 [Laughter]

13 I have also served as a personal consultant to
14 some of the companies as well.

15 I should say that the International Working Group
16 identifies that in Europe and in Japan the importance of
17 behavioral symptoms has been recognized by the regulatory
18 bodies, but they have not taken any particular actions with
19 regards to open discussion or coming to some concrete
20 closure on this. So, again, I thank the FDA for having this
21 meeting and taking us in that direction.

22 I am also an academic, which is a conflict of
23 interest because we tend to promote our own interests -- in
24 my case that happens to be quality of life -- and also tend
25 to make statements to make sure that research is never-

1 ending. But I think I agree with Carol, it is time to focus
2 the discussions because we want to have more than an ongoing
3 and richer discussion; we need to take on specific actions
4 and recommendations.

5 I should say that the other committee of the FDA
6 that has addressed this topic in the past is the Central and
7 Peripheral Nervous System Committee, which was the committee
8 in which antidementia drug guidelines, focusing particularly
9 on drugs to improve cognition, were developed in parallel as
10 some of the cholinesterase inhibitors were evaluated by that
11 committee. Again, different committees have different
12 styles and we appreciate being guests here. That committee
13 actually passed a unanimous motion that the FDA should
14 develop guidelines which, in fact, is the language you used
15 several years ago.

16 Now, I am not being critical of the FDA for not
17 taking that forward, nor am I suggesting here that we should
18 take votes, nor am I suggesting that guidelines are exactly
19 the appropriate way that we should go forward. I think
20 operational criteria and recognizing what I think we have
21 heard this morning, that psychosis is clearly an area where
22 there is a lot of agreement; depression very close -- we
23 have heard some small minority voices around topics we
24 haven't had time to discuss, like sleep-wake cycles and,
25 clearly, agitation is the messier area. But I do think we

1 have clearly heard this morning a considerable amount of
2 consensus and I would just like to make sure that we do
3 identify that explicitly as the afternoon's discussions go
4 on.

5 DR. TAMMINGA: Thank you, Dr. Whitehouse. One of
6 the things that seemed apparent to me this morning is that
7 some of the discussions about the psychiatric and behavioral
8 disturbances associated with dementia really came up around
9 the issue of psychosis and around the issue of the use of
10 antipsychotic drugs in dementia. In fact, the category of
11 psychosis associated with Alzheimer's disease or the entity
12 that you made criteria for, Dilip, may be a model for some
13 of the ways to conceptualize these sub-areas.

14 So, maybe I would like to ask you, Dr. Jeste, to
15 really reformulate what you talked about this morning and
16 have us listen to you and see to what extent there is
17 agreement amongst the committee and to see on which points
18 people have differences of opinion.

19 DR. JESTE: Thank you, Carol. The criteria that
20 Sandy and I proposed are modeled after the DSM-IV criteria
21 for schizophrenia, and those are meant for clinical
22 diagnosis. As Tom pointed out, in a clinical trial one will
23 have to first use the clinical criteria and then use some
24 additional criteria for a specific clinical trial.

25 So, we could talk about the clinical criteria

1 themselves, although we will have to realize that they are
2 not necessarily criteria for a specific clinical trial. In
3 the criteria, I think the main aspects will be having
4 characteristic symptoms which I think will have to be either
5 delusions or hallucinations with a certain minimum duration,
6 say, of one month; certain severity, that is, to cause
7 functional disruption; and exclusion of other possible
8 causes of psychosis in these patients.

9 I thought there was some liberality about the
10 chronology, whether the symptoms of dementia should
11 necessarily precede the symptoms of psychosis. The general
12 consensus I thought is that, yes, they should although, as
13 Eric pointed out quite rightly, once we know more about the
14 idiopathology of Alzheimer's and are able to diagnose
15 Alzheimer's even before dementia is manifest, then we may be
16 able to associate earlier developing psychosis with
17 dementia.

18 I thought one other area where there was some
19 discussion was about the type of hallucinations or
20 delusions. Jeff pointed out that there should probably be
21 specific types of hallucinations/delusions. My feeling is
22 probably it may be better to leave it at that stage right
23 now for a clinical diagnosis and just say
24 delusions/hallucinations and then, depending on the specific
25 clinical trial, one might want to expand that further.

1 The last point on which there was some discussion,
2 an important point, was functional disruption for whom --
3 the patient or the caregiver. And, I think we all agree
4 that it has to be functional disruption for the patient.
5 The only question that comes up is whether we make that
6 determination strictly based on the patient report or we
7 take the caregiver report into account. Just as with
8 schizophrenia, if you ask the patients, the patients will
9 say they have no problems even when they are severely
10 agitated, aggressive and so on. So, I think taking into
11 account the caregiver's input is critical. Actually, if you
12 look at both BEHAVE-AD and NPI, they do depend on the
13 caregivers' reports.

14 So, I think there is large consensus in terms of
15 clinical criteria. We may have to think a little bit about
16 specific clinical trials and what specifications we use for
17 that.

18 DR. TAMMINGA: Bob?

19 DR. HAMER: Actually, I would like to back off
20 just one second in a couple of ways. The first thing is
21 that the title of Dr. Laughren's talk was regulatory issues
22 in the development of drug treatments for various
23 psychiatric and behavioral disturbances associated with
24 dementia. But, in fact, the huge bulk of what we talked
25 about this morning was dementias of the Alzheimer's type. I

1 think that it ought to be clear that, at least in terms of
2 what we have discussed, thus far, we haven't talked about
3 vascular dementias, we haven't talked about Lewy body
4 disease, we haven't talked about Parkinson's disease, and
5 any of the other host of things that can cause dementias.
6 And, from the data we have been presented so far, the scope
7 of our discussion probably ought to be limited to
8 Alzheimer's disease. We have no business whatsoever in
9 generalizing or attempting to persuade the FDA to generalize
10 indications to any dementias beyond that.

11 DR. TAMMINGA: Dr. Caine?

12 DR. CAINE: I very much agree and I think that is
13 why it is really important, again, to use the term dementia
14 due to Alzheimer's disease, psychosis due to Alzheimer's
15 disease -- put your money where your data are.

16 I want to follow up on what Dilip says, and I
17 think that really, clearly, there is a very straightforward
18 process, it seems to me, that can unfold expeditiously.
19 Actually, the coding for the DSM has officially been changed
20 because the National Center for Health Statistics changed
21 the coding on dementia more than a year ago. So, the codes
22 of 294, dementia "due to," is already in place. The .10,
23 .11 won't be in place until this October. In fact, the DMS-
24 IV categories with simple criteria for psychosis due to
25 Alzheimer's disease, mood disorder due to Alzheimer's

1 disease -- those have been in existence throughout this past
2 decade.

3 Thus, really the question is not are those
4 diagnostic constructs available but how are they used, and
5 then really I think Dilip has given an example, an
6 illustration of their use of bringing to life, if you would,
7 or bringing to greater degree of specificity. So, in fact,
8 as a number of us have foreseen, sort of getting rid of the
9 subtypes, the simplifying of the rules, is really just the
10 next step. What we would then hope to see fall into place
11 are much more specific criteria sets. This is an excellent
12 model. One should rapidly be able to follow for mood
13 disorder. There certainly would then be, after that, I
14 would hope in the future, things around personality change,
15 anxiety and sleep-wake disruption, all of which have been
16 found to be in elevated prevalence in Alzheimer's disease,
17 some of which, of course, are targets for
18 pharmacotherapeutic intervention but others aren't at this
19 point in time.

20 Obviously, the field has been driven to a great
21 extent by the needs of industry and the needs of
22 investigators working with industry. But I think that the
23 outlines and the constructs are already in place. This
24 isn't something that has to be legislated and is new. This
25 is really presently available and it is really the question,

1 to me, today of are the model criteria with some modest
2 modification useful for giving clinical substance or
3 specificity to what is already in the book? Then, beyond
4 that, how would one want to measure in a standardized,
5 reproducible fashion, these syndromes or disorders, however
6 you want to describe it, and are the tools there? I think
7 the answer to that is at least yes for some of them. And,
8 are they acceptable at a level of scientific rigor which
9 would allow for further investigations?

10 DR. TAMMINGA: Eric, I thought we were having this
11 discussion because what we are talking about isn't in the
12 book yet; that what Dilip is proposing for dementia with
13 Alzheimer's disease and psychosis actually isn't in DSM-III.

14 DR. CAINE: Well, it is DSM-IV. III was 1980,
15 III-R was 1987. Dilip's criteria or the Jeste-Finkel
16 criteria are not in DSM-IV. What is in DSM-IV is an
17 unpublished change by the National Center for Health
18 Statistics, but in terms of what record rooms do the
19 discarding of the 290.-whatever diagnosis was discarded
20 actually over a year ago, and the 294 base, dementia due to
21 a specified medical problem is the current standard that the
22 United States government applies to diagnosis of dementia.

23 The diagnosis of mood disorder due to Alzheimer's
24 disease is already in DSM-IV. There is a chapter called
25 Mental Disorders Due to General Medical Problems. The

1 criteria, as I said this morning, for how do you decide "due
2 to" were modeled on the Bradford-Hill criteria. They are
3 not called criteria. They are really just written
4 guidelines. They are not enumerated but they are really
5 embedded there, and they were modeled on the Bradford-Hill
6 material that was first published in the mid-1960s and then
7 in his textbook in 1971. So, that base work is already
8 done, and this really is, as I view it, just the next
9 logical step, which is to give specificity to what was a
10 rather vague category.

11 DR. TAMMINGA: Dr. Cummings has some remarks.

12 DR. CUMMINGS: Just a few responses, one with
13 regard to diagnosis. I don't know why we would need to
14 restrict just to AD. I think the process we are trying to
15 decide on is how does one define a syndrome, operationalize
16 it, use it in a clinical trial for legitimate drug
17 development, and I think that the rules that emerge today
18 could be used for vascular dementia or Alzheimer's disease,
19 or dementia with Lewy bodies as long as the specific
20 dementia was defined in each type. So, I think the process
21 we are engaged in here could be more widely applicable than
22 just to Alzheimer's disease.

23 DR. HAMER: Could I just respond to that for a
24 second? The response is that, yes, you are absolutely
25 right. What I was trying to say, however, was that if we

1 decide to do clinical trials of psychosis in Alzheimer's
2 disease we should not attempt to generalize from those
3 clinical trials to psychosis in any of the other dementias.

4 DR. CUMMINGS: I agree with that completely.
5 Then, in terms of the chronology issue, it seems to me that
6 it would be legitimate to say that if the psychosis appears
7 coincident with the diagnosis of AD or following the
8 diagnosis of AD -- I think the worry here is we can't
9 diagnose a patient who comes in just with psychosis as
10 having AD at this point. Maybe at some future point we will
11 be able to but we can't at this point.

12 Then, the final worry that I have, and the reason
13 that I was struggling with operationalizing the idea of
14 delusion and hallucination is my continued fear that
15 patients will be misidentified as having delusions when we
16 are really looking at some other process in these patients.
17 We know that trialists are motivated to liberalize the
18 criteria as much as possible, and these will be used in
19 trials even if that is not exactly how we intend them. So,
20 I am still struggling with the idea of operationalization,
21 and I wonder is there any other way to operationalize these
22 criteria more specifically.

23 DR. TAMMINGA: Could you be more specific about in
24 what kind of ways people could liberalize the current
25 criteria and get down which wrong track?

1 DR. CUMMINGS: Yes, actually Barry gave a very
2 nice example of a misidentification syndrome in which the
3 patient says, no, this is not my mother, so now she is in a
4 clinical trial, when that misidentification might well be
5 prosopagnosia or a memory defect in which he remembers,
6 let's say, his wife as being twenty years younger than she
7 actually is now. So, that kind of patient I think we are
8 trying to keep out of a trial of antipsychotics who is real
9 open to misinterpretation and inclusion in a trial.

10 DR. TAMMINGA: But in the example that you used
11 the person wouldn't be having a true delusion. You wouldn't
12 call that a delusion. So, delusions and hallucinations
13 would be still a fair way --

14 DR. CUMMINGS: Well, I think that some people
15 might call that a delusion, and that is what I am worrying
16 about because it is a belief held in spite of evidence to
17 the contrary and, therefore, sort of meets general criteria
18 for delusions. So, I think it is open to misinterpretation
19 unless we operationalize it more carefully. That is my
20 worry.

21 DR. TAMMINGA: Dr. Reisberg?

22 DR. REISBERG: Yes, just to endorse Jeff's points
23 and to expand on the need, the problem is that, of course, a
24 delusion is defined classically as a fixed false belief and
25 in Alzheimer's disease the delusions are not so fixed, in

1 the schizophrenic sense of the word. Even though they tend
2 to be there when you assess it some months later, they are
3 not always there when you assess them a few hours later.
4 So, I think there is a need to operationalize these kinds of
5 symptoms really with respect to the entity that we are
6 speaking of in terms of entry into clinical trials.

7 DR. TAMMINGA: Dilip?

8 DR. JESTE: I agree with that entirely, and I
9 don't think there is any disagreement amongst us. I think
10 we can take the DSM example. What the DSM does -- for
11 example, there are specific criteria for schizophrenia, and
12 then there is a more detailed description of each of the
13 factors. What I think Jeff and Barry are talking about is
14 detailed description along those lines, and I really think
15 we are on the same page there.

16 DR. SCHNEIDER: I think what Jeff and Barry are
17 talking about are very important heuristic issues in
18 teaching physicians and others how to recognize delusions,
19 how to recognize hallucinations, how to recognize
20 depression, sleep disorder, etc. We should probably move
21 off this though if we have agreement that there is a
22 psychosis associated with Alzheimer's disease. The
23 operationalization is very important when you get to the
24 actual design of clinical trials.

25 But I suppose I am looking towards the FDA and

1 what I think the FDA wants to get out of this, and what we
2 may all want to get out of that, and that is labeling. I
3 mean, can we live with the concept of psychosis of
4 Alzheimer's disease or depression of Alzheimer's disease.

5 Then just one last comment, remember that when you
6 look at the criteria for dementia, whether it is the McCann
7 criteria or the DMS-IV, very similar criteria, all the
8 criteria are saying is that there is memory disorder and
9 there is disorder in a number of other functions. It
10 doesn't go so far as to describe precisely what the memory
11 disorder is, or precisely what the other cortical functions
12 are. It is presenting a concept, and I think we ought to
13 try to do the same.

14 DR. TARIOT: I wonder if another way to say this
15 is to back up to DSM and the kind of terminology Eric was
16 advocating before. Perhaps if a patient with dementia of
17 the Alzheimer type presented with this belief that somebody
18 who was dead who was actually alive, and that was it, it
19 seems to me that that wouldn't reach syndromal criteria and
20 we would not call that psychosis. We could fuss over
21 whether we wanted to call it a delusion or just cognitive
22 impairment but, because it is not intense enough to
23 interfere with social, occupational or other functioning, we
24 wouldn't use the term psychosis due to Alzheimer's disease;
25 we would just call it one of the symptoms that this demented

1 person is experiencing. So, it is really a question of
2 whether you think that is a helpful way to conceptualize it,
3 Jeff.

4 DR. TAMMINGA: Dr. Whitehouse?

5 DR. WHITEHOUSE: I agree with that. I also agree
6 with Lon. I think going back not only to the issue of
7 misidentification and that being a matter of either further
8 specification for a particular trial or just training people
9 to make sure they are aware of the difference between
10 misidentification, hallucination -- all the things that
11 Dilip so nicely summarized when you asked him to start --
12 the issue of whether we ask caregivers, the issue of the
13 temporal relationships. I don't think there is much
14 disagreement in this group on any of the number of issues
15 that were identified as areas to consider and to
16 operationalize further but basically going back to Lon's
17 point that agreement exists that psychosis and dementia is a
18 problem and is an appropriate target for therapy.

19 DR. CAINE: I think there are a couple of points.
20 I want to underscore, obviously, what Pierre said, which is
21 that inherent to the DSM is the notion that this is a
22 disorder which rises to a threshold of social, occupational
23 or other interference of function. So, there is a threshold
24 requirement in every psychiatric diagnosis, and that is what
25 separates something like this from a symptom rating scale.

1 Jeff and I used to do stuff on Tourette syndrome
2 and I would write about the difference between obsessive-
3 compulsive symptoms in Tourette and obsessive-compulsive
4 disorder, the two being quite distinctive in the sense that
5 one is functionally impairing and the other isn't. So, it
6 is very clear that very much the thrust of getting rid of
7 delusions or hallucinations with depression sub-codes is
8 part of the confusion. Some people put it in when it wasn't
9 functionally significant; other people put it in when it was
10 functionally significant. It is very clear that text is
11 often used as a clarifier and certainly that is a common
12 thing to do.

13 I think the issue of something like
14 misidentifications is really a studiable point, and I think
15 the way to handle it is not to say you include it or exclude
16 it arbitrarily but say, hey, we will either choose to
17 include or choose to exclude people who have substantial
18 misidentification, look at that quality, understand how it
19 responds to medication. Many of these people are profoundly
20 distressed. We would say, to use an old language, that
21 their degree of distress and dysfunction rose to the level
22 of a psychotic disorder, in the old sense that psychotic
23 meant severe, and was severely disruptive.

24 But, quite frankly, you may be right that those
25 are different and they may respond less well to medication.

1 So, trialists may, in fact, want to exclude some of those
2 folks, not necessarily include some of those folks. But, in
3 any case, I think that is really a dotting an "i" or
4 crossing a "t" phenomenon. The fundamental concept is does
5 psychosis exist, yes/no, and then do you want to include in
6 this particular trial someone who has characterized by
7 misidentifications? That can be a yes/no too and can be
8 just an inclusion or exclusion criterion.

9 DR. TAMMINGA: Dr. Cohen-Mansfeld?

10 DR. COHEN-MANSFELD: I realize that nearly
11 everybody used the term psychotic today, so it is somewhat
12 out of line to go from a different point of view but I would
13 like to suggest that most of the delusions that we see are,
14 in fact, misinterpretations of reality accompanied by
15 emotional and behavioral disturbances. Now, whether that
16 can change the term or not, I don't know. Whether it makes
17 a difference in terms of drug studies is also another
18 question. This is a question to the panel in general.

19 I think other categories to consider when that
20 final definition is made are issues -- for example, in
21 hallucinations there are a number of studies that all show
22 that severe visual impairments are associated with visual
23 hallucinations. Are these included or is this an exclusion?
24 Similarly, there are issues with reality, especially when
25 you speak with these people, some of whom verge between

1 communicating and not communicating. Like theft -- I spoke
2 about that. There is a lot of theft, say, in nursing homes
3 that seems to occur and those are reality issues that need
4 to be taken into account when making this definition.

5 DR. CAINE: Actually, I think Dr. Cohen-Mansfeld
6 has just made a very eloquent argument for why psychosis in
7 Alzheimer's disease is different from schizophrenia. So,
8 rather than view this as a problem -- the word delusion is
9 an umbrella word. I mean, there are many types of delusions
10 in psychopathology. So, I think you have just made a very,
11 very convincing case that in describing these people they
12 don't have idiopathic schizophrenia; they have Alzheimer's
13 disease which is causing this kind of -- I would use the
14 word psychotic disorder but I understand that the quality of
15 the psychotic disorder is not identical to the people who
16 have idiopathic disease. So, I think that really we are
17 almost into semantics now, but I think your point is well
18 taken but I don't think it is really in contradiction to the
19 other points. I think we are just using words slightly
20 differently.

21 DR. TAMMINGA: Dr. Reisberg?

22 DR. REISBERG: I do agree that certainly there are
23 environmental influences on what we will call the psychosis
24 of AD, and those environmental influences would include the
25 physiologic environment as well as the social environment.

1 So, for example, a patient who is isolated and living alone
2 is more likely to show paranoid symptomatology. I think
3 that there are environmental influences in all mental
4 disorders. Certainly, somebody who has just lost a loved
5 one is more susceptible to depression, as all of us know.
6 Also, the psychosis of schizophrenia is very, very
7 susceptible to environmental influences. I was describing
8 earlier that where I trained, at Metropolitan Hospital,
9 patients were very violent with the psychosis of
10 schizophrenia. When I visited acute care settings in New
11 Delhi where the family members lived with the patients and
12 there was not this, if you will, culture of violence I
13 didn't see this at all, even with low doses of medication.
14 So, I think that these issues are not really foreign to
15 other mental illnesses, just as they are not foreign to this
16 one.

17 DR. TAMMINGA: Dr. Cummings?

18 DR. CUMMINGS: If it is possible to hear from Tom
19 or Russ about whether or not they are satisfied with the
20 consensus about psychosis in AD, then we could move on to
21 something else if they think that they have heard enough
22 here.

23 DR. LAUGHREN: In terms of what would go into
24 labeling, it is always difficult for us to try and figure
25 out how much information, including a definition or

1 description of a diagnostic entity, to put into labeling.
2 Usually the rule that we try and use is the importance of
3 the information. If a particular distinction is felt to be
4 clinically important, for example if it were important to
5 distinguish between certain kinds of delusions or
6 hallucinations from a clinical perspective, we would be more
7 inclined to include that; if not, we would not. So, there
8 is no clear-cut answer to that.

9 The question again, from our standpoint is, is
10 there enough agreement that there is such a thing as
11 psychosis in Alzheimer's disease that makes it stand alone
12 from other psychotic disorders to justify studying it as an
13 entity. That is really the issue from our standpoint.

14 DR. TAMMINGA: We haven't heard either from Dr.
15 Lebowitz or Dr. Grundman, if you would like to contribute.

16 DR. LEBOWITZ: Sure. My contribution is really
17 very straightforward, and that is going back to Tom
18 Laughren's introduction remarks, transition to more specific
19 indications, which is the policy direction for the agency,
20 doesn't necessarily mean different or higher standards of
21 proof. It means only what it says, which is more specific
22 indications.

23 I think much of the discussion in the last few
24 minutes has been just how much higher the bar needs to be in
25 terms of specificity, and it seems to me to be exactly of

1 the sort that now Tom Laughren has just clarified for us,
2 which is a distinction doesn't make a difference unless it
3 makes a difference, to quote our statistician friend who
4 probably would have said it because statisticians have
5 always said that better than I just have. So, it sounds
6 like we are exactly on the right track here.

7 DR. TAMMINGA: Dr. Grundman?

8 DR. GRUNDMAN: I would agree. I think, you know,
9 it has been pointed out before that psychotic symptoms are
10 present in about 20 percent of individuals and maybe up to
11 50 percent over the course of the disease. If these are
12 interfering with people's function and assessment of
13 reality, and interfering with their ability to function at
14 home, I think we should put this forward as a target for
15 treatment.

16 DR. TAMMINGA: Dr. Winokur?

17 DR. WINOKUR: I have a comment and a question
18 which several people might respond to, and this is from the
19 perspective of someone who really doesn't work in this area.
20 It really does sound like the psychosis of AD has some
21 potential to meet a lot of the criteria that Dr. Laughren
22 had laid out this morning, and sounds important and of
23 interest. I think the issue of the extent to which this
24 psychosis is different than others, particularly in
25 schizophrenia, and even the comment that Dr. Cummings made

1 about the different neural environment is very fascinating.

2 Something that I heard from data from several
3 people, Dr. Martinez, Dr. Sano, and I think a couple of
4 others which surprised me from my different clinical
5 experience is the number of people with persistent psychotic
6 symptoms who are not getting treatment. I was wondering
7 whether that related more to Dr. Caine's comment about the
8 symptoms also being functionally important, or is that going
9 to present some either operational or interpretation
10 challenges in terms of treatment studies? In other words,
11 why are people who are apparently having distinct and
12 readily identifiable persistent symptoms at this point not
13 being treated?

14 In Dr. Martinez' trial that he reviewed it was a
15 different point. What interested me there was that people
16 were on placebo trials for five or six months with
17 persistent psychotic symptoms, whereas in other studies that
18 I have been involved with that might have been problematic.
19 So, as someone not familiar, I would be interested in some
20 thoughts about what that is about.

21 DR. SCHNEIDER: The very quick answer to that is
22 that these were clinical trials of cholinesterase
23 inhibitors. So, the goal was to keep patients on the
24 placebo or the cholinesterase inhibitors as long as possible
25 and, perhaps understandably, try not to treat other things

1 to affect the validity of the study. Similarly, in the
2 nursing home part of the Janssen presentation there was
3 randomization to risperidone or placebo and the goal was to
4 try to maintain even the placebo patients for twelve weeks.
5 In the Sano group of studies, these were patients who didn't
6 obviously have behavioral problems. In part though, the
7 type of setting -- academic, largely neurological Alzheimer
8 geriatric centers -- perhaps was not quite as sensitive to
9 some of the behavioral problems as we might ordinarily be if
10 we were operating in a psychiatric clinic.

11 DR. WINOKUR: Just to follow up, the scientific
12 rationale for trying to keep the integrity of the study
13 going is queer in the context of studies that I have
14 primarily been involved in. For a variety of reasons that
15 would not have been possible for that period of time as in
16 the case here.

17 DR. TARIOT: I would say it slightly differently.
18 I think if those patients met syndromal criteria for
19 psychosis they would have been treated. But, again, that is
20 this difference between signs and symptoms that may not
21 reach a certain threshold and those that do. Carol, you
22 said, you know, what are we having a discussion about, I
23 think that is a key cleavage line. If we accept the notion
24 that a certain threshold is necessary, it is a very
25 clarifying concept but one that the field hadn't been using

1 -- hadn't even been using this terminology until fairly
2 recently even though it is already in the textbooks.

3 DR. TAMMINGA: This is a threshold for diagnosis
4 and for treatment, or just a threshold, once the diagnosis
5 has been made, for treatment?

6 DR. TARIOT: I would say for both.

7 DR. WHITEHOUSE: Just to elaborate on that, I
8 think in the field there is a threshold for treatment which
9 is different than diagnosis, and the traditional teaching is
10 that if somebody is developing these symptoms you do try
11 behavioral or non-pharmacological approaches to treating the
12 different behavioral disturbances, and I think it gets to
13 the topic that Carol mentioned, that we need to be sure to
14 address, which is the interest in developing policies about
15 risk for therapy. So, because there is this perception that
16 the non-pharmacological treatments may be better for milder
17 patients and less harmful, these patients will probably be
18 treated that way in part.

19 DR. TAMMINGA: Dr. Katz?

20 DR. KATZ: Yes, I would like to raise an issue
21 about one specific criterion of the syndrome of psychosis in
22 Alzheimer's disease that has been alluded to but hasn't
23 really been discussed in great detail yet, and I think it is
24 a very important one. Dr. Cummings, in his remarks,
25 suggested that he would make some modifications to the Jeste

1 and Finkel criteria along the lines that the symptoms have
2 to be distressing to the patient, and other people have
3 talked about it has to interfere with the patient's
4 functioning, or words along those lines.

5 But, these are patients obviously who, in many
6 cases, are incapable of articulating any particular distress
7 or exactly what they mean when they are engaging in a
8 particular behavior, and they engage in a whole host of
9 behaviors that certainly have the potential to be
10 unpleasant, disruptive to others, however you want to
11 characterize it, but which may not be causing them any
12 particular distress. So, I would like to hear people talk
13 about how they know or how we know with any degree of
14 certainty that a particular behavior that a patient is
15 engaging in that is obnoxious or uncomfortable for others
16 is, in fact, distressing to them because I think that is an
17 important point to get at.

18 DR. JESTE: Just one quick point, this situation
19 is not really unique to psychosis of Alzheimer's. It is
20 very common in people with schizophrenia. We can interview
21 a person with schizophrenia who has obvious psychotic
22 symptoms and is severely sick and the patient says he is
23 fine; he has no problems and, yet, the caregivers talk about
24 all the disruption that the patient is causing and also how
25 poor the quality of the patient's life is. So, what you

1 raised is a very good point but all I am saying is that it
2 also applies to schizophrenia.

3 DR. REISBERG: I think if we add to the word
4 distressing "dangerous" or, perhaps in parentheses,
5 dangerous or harmful to the patient it is a true way out of
6 this dilemma. So, for example, if a patient is staying up
7 all night and not sleeping, at a certain point that can be
8 judged as dangerous or harmful to the patient. If a patient
9 is constantly asking the spouse -- I mean, not only to
10 mention the psychotic symptoms -- the same question over and
11 over again, and again, and the spouse says I can't take it
12 anymore; I can't live with them; I am leaving, then at a
13 certain point, if the spouse leaves, that becomes a very
14 dangerous situation for the patient. When the spouse says I
15 can't take it anymore; I am going to put them away, then
16 that becomes a deleterious situation for the patient. I
17 think we can go on and on in that light, but I think we need
18 to recognize that if a patient is asking their roommate in
19 the nursing home the same question over and over again and
20 the roommate is responding by hitting the patient, then even
21 though that is not necessarily directly distressing for the
22 patient, it is potentially dangerous and harmful for the
23 patient. I think if we look at it in that broader light, it
24 is a way out of this.

25 DR. KATZ: You know, that is a part of what

1 concerns me. I think if we said it has to be limited to
2 patients who are dangerous to themselves or others, in a
3 common sense understanding we could all agree with that.
4 But as you described, which is sort of my concern, you can
5 probably take any of these behaviors and ultimately define
6 them as being dangerous. I mean, if a patient is in a
7 nursing home and they get fed at noon because that is the
8 schedule but they are not hungry and they run into their
9 room screaming because they don't want to eat, ultimately
10 that is going to become dangerous because they are not going
11 to eat, as you suggest, over time and the nursing home is
12 not going to change their schedule; it is unwieldy and it is
13 undoable. So, that behavior becomes, by definition,
14 dangerous to the patient. I think you can define any
15 behavior ultimately that is disruptive or unpleasant to
16 others as dangerous to the patient. If there can be
17 agreement on a definition of a syndrome in psychosis and
18 dementia that drugs get approved for, what concerns me very
19 much is how would you write labeling to prevent the
20 inappropriate drugging, if you will, of patients who really
21 are not distressed and are not really causing any direct
22 physical threat to others?

23 DR. CAINE: You are touching on one of the core
24 problems of making a diagnosis in somebody where you don't
25 have a defined disease state. Now, I want to step back for

1 a couple of minutes because it is very clear that using
2 subjective distress is a faulty criterion -- no offense,
3 Jeff. So, for example, in someone who has major depression
4 who is in great pain and psychological distress and finally
5 decides that he is going to kill himself and his stress goes
6 down, and he feels peace of mind and he looks calm, and then
7 in the next 48 hours he kills himself, we wouldn't
8 necessarily want to use distress as a good indicator that he
9 needs help in that 48-hour interval. That is an absurd
10 example but it is actually true in life and we see it.

11 Let me back up though, if someone had a well-
12 defined genetic disorder that was already expressing its
13 genetic abnormality at a molecular level but had no clear
14 symptoms as yet, but we had a treatment for that genetic
15 abnormality and the patient had no distress and no
16 manifestation whatsoever, we would treat that person if we
17 knew that this was going to unfold into a deleterious
18 process. So, in making a diagnosis we really talk about two
19 things. One is the disease state which has some deleterious
20 process, if we can define it. The other is, when we can't,
21 we try to define some threshold of dysfunction. DSM used
22 social and occupational and personal function as the
23 criterion. The Europeans use the concept of handicap which
24 they see as interference in life and that kind of thing.

25 Those are purposefully not defined as being either

1 defined by the individual or the social surround, but really
2 being subject to the sound judgment of clinicians in terms
3 of how to best capture the handicap or the dysfunction.
4 Obviously, in the dementia-land there are all the issues of
5 misuse of chemical restrains and that sort of thing.
6 Clearly, one wouldn't want to advocate that, but one
7 wouldn't want to go to the opposite direction of taking
8 someone who has no insight into his or her situation and
9 using that either.

10 So, when we try to struggle around these
11 diagnostic constructs we are using the notions of handicap
12 or functional disruption with the notion that this is a
13 disease state that is potentially remediable or worthy of
14 treatment. So, it is an imperfect solution until the day
15 that we have the genetic tests and treat the fundamental
16 biologic abnormality.

17 DR. TAMMINGA: Dr. Katz?

18 DR. KATZ: Well, I think there are some
19 distinctions here between some of the scenarios you give as
20 examples. If we had a genetic test for a condition which
21 hadn't become symptomatic yet, the person, in general, would
22 be able to say, okay, I know that information now; I want to
23 take treatment for it or I don't want to take treatment for
24 it. Patients with Alzheimer's disease who are already
25 impaired, their functioning is impaired -- we have

1 treatments for Alzheimer's disease, good or bad, for the
2 disease itself. We are now talking about ancillary symptoms
3 which are, I recognize, part of the condition. I mean, the
4 view is that it is part of the condition. But I still have
5 concerns that there are going to be people who are going to
6 be engaging in behaviors that are unpleasant and I don't
7 know how we know that they are distressing to the patient.
8 I guess that is what I am saying. I think people interpret
9 certain behaviors of patients who can't tell you what they
10 mean. I would like to know how we know what we know, if you
11 will -- how we know that those interpretations are correct
12 and we are not just treating the environment, if you will.

13 DR. CAINE: Let me come back to a couple of things
14 that you said. I know Dr. Cohen-Mansfeld wants to chime in
15 on this as well. I disagree with what you said a moment
16 ago. We are not treating Alzheimer's disease. We have
17 symptomatic treatments for dementia which is a manifestation
18 of Alzheimer's disease. The question now is will we develop
19 symptomatic treatments of other manifestations of
20 Alzheimer's disease, and are those, like dementia,
21 disruptive and impairing of function? Are they such that,
22 if they were treated, someone would be able to function more
23 effectively, more optimally in their environment?

24 The question you are bringing up is how do we
25 avoid abuse, and abuse of treatment, to me, is not so much

1 the question of is the drug effective or not effective, is
2 it used on target or not on target but, rather, what are the
3 quality assurance mechanisms that we have for the
4 appropriate use of medications once they have been developed
5 for specific indications? That is somewhat different. I
6 don't understand the scope of your agency, not exactly, but
7 I know that in the department that I run and in the part of
8 the hospital that I run, it isn't a question of do the drugs
9 have a specific indication or not; it is what are the
10 quality assurance, peer review and other utilization
11 processes that we have to make sure that they are adequately
12 and appropriately prescribed?

13 DR. TAMMINGA: Dr. Cohen-Mansfeld?

14 DR. COHEN-MANSFELD: I think that some of your
15 comments have to do with the fact that both the occurrence
16 of the behaviors and their definition has to do with the
17 interplay between an environment and person which you spoke
18 to. Although I agree with you, Peter, that behavioral
19 approaches, change in the environment and improving the
20 environment first is what we pay lip service to, and what is
21 in the regulation, in fact it is not reimbursed, unlike
22 medications, and most of the time it is not done and,
23 therefore, I disagree with your word "abuse" here because I
24 think there is too much of a grey area to let it loose.
25 Even without the intention of abuse because of all the

1 restrictions on the system, it is easy to apply those
2 diagnoses where probably they should not be applied. I
3 think it is a real concern.

4 DR. REISBERG: I think a full answer to the
5 concerns which Dr. Katz and others are properly expressing,
6 that is to say the chemical straightjacket and how do we
7 avoid abuse, really requires the risk of jumping ahead but I
8 did allude to this to some extent in my talk, and that is
9 that I think when we examine these kinds of medications we
10 really need to very carefully look not only at BPSD
11 disturbances and the psychosis per se, but also to look at
12 separately, in terms of covarying and in terms of issues of
13 side effects and issues of risk, the effects of substances
14 on cognition and also the effects of substances on
15 functioning.

16 DR. TAMMINGA: Dr. Cummings?

17 DR. CUMMINGS: I think also we have shifted here a
18 little bit from thinking about psychosis to the problem of
19 agitation, and I think you can tell when a psychotic patient
20 is upset by the delusion or hallucination because they are
21 fearful, they are really upset that this person is there. I
22 don't think that there is nearly as much of a clinical issue
23 surrounding the distress of the patient who is specifically
24 psychotic versus this much more ill-defined entity of
25 agitation. So, I think we could come to consensus on

1 distress associated with psychosis as long as we stay with
2 that particular syndrome.

3 DR. KATZ: I would just like to know if there is
4 general agreement about that, and are there some sorts of
5 psychotic episodes that it can reliably be told as a
6 manifestation of distress or upset worthy of treatment, or
7 are some delusions acceptable? I mean, if a patient is
8 just walking around, talking to someone who isn't there, is
9 that worthy of treatment? I am concerned about ultimately,
10 as I say, if a syndrome is defined and felt to be
11 appropriate for drug development how you would label it.
12 How you would tell people these sorts of things are okay to
13 treat, these sorts of things are not okay to treat? And, I
14 don't know if it is a question of abuse or a question of the
15 grey areas, but I think there is large room here for lots of
16 people to get treatment who maybe don't need treatment. I
17 would just like to know what the sense of the group is about
18 these things.

19 DR. TAMMINGA: Dr. Laughren, do you want to
20 continue?

21 DR. LAUGHREN: Just to follow up on that, again
22 getting very specific, if you look at the criteria that have
23 been proposed by Drs. Jeste and Finkel and then by Dr.
24 Cummings, your criteria, Jeff, make it clear that it has to
25 be a disruption of the patient's functioning or being

1 distressing to the patient. The other criteria say
2 either/or. If there is agreement that psychotic symptoms in
3 a patient can be detected as being distressing to a patient,
4 could the group agree with that as a diagnostic element
5 rather than this broader sweep, or not?

6 DR. TAMMINGA: Dr. Caine?

7 DR. CAINE: Well, two perspectives. Let me
8 address what Dr. Laughren just brought up. Some of us would
9 have disagreed with the Jeste-Finkel criteria in the sense
10 of caregiver distress being a target for treatment of the
11 patient. That is certainly a consideration when you start
12 to evaluate a psychosocial situation but often treating the
13 caregiver isn't done -- I mean, that is like the old joke of
14 giving the medication for the nursing staff. That is not an
15 appropriate use; it is not an appropriate indication. So,
16 in that sense I would agree with Jeff but I wouldn't use the
17 word subjective distress; I would use the word impairment of
18 function in the patient.

19 The issue of environmental interaction with
20 psychiatric symptoms, of course, has no limit on Alzheimer's
21 disease. This is really across the board in all of
22 psychiatry, whether it is schizophrenia, depression, bipolar
23 disorder. I mean, forty or fifty years ago at the beginning
24 of empirical research in the 1960s it was very clear that
25 mild manic symptoms would get someone hospitalized much