

FOOD AND DRUG ADMINISTRATION

NINETY-FIFTH MEETING OF THE  
CARDIOVASCULAR AND RENAL DRUGS ADVISORY COMMITTEE

8:30 a.m.

Thursday, January 17, 2002

Kennedy Ballroom  
Holiday Inn  
8777 Georgia Avenue, N.W.  
Washington, D.C.

## ATTENDEES

## COMMITTEE MEMBERS:

JEFFREY BORER, M.D., Chairman  
Director, Division of Pathophysiology  
Weill Medical College  
Cornell University  
525 East 68th Street, Room F467  
New York, New York 10021

JAIME HENRIQUEZ, Executive Secretary  
Advisors and Consultants Staff  
Center for Drug Evaluation and Research  
Food and Drug Administration  
5630 Fishers Lane  
Rockville, Maryland 20857

MICHAEL F. ARTMAN, M.D.  
Professor of Pediatrics  
Pediatric Cardiology  
New York University Medical Center  
530 First Avenue, FPO Suite 9-V  
New York, New York 10016

BLASE A. CARABELLO, M.D.  
Chief, Medical Service  
Veterans Affairs Medical Center  
Medical Service (111)  
2002 Holcombe Boulevard  
Houston, Texas 77030

SUSANNA LEE CUNNINGHAM, PH.D.  
Professor, Department of Biobehavioral  
Nursing & Health Systems  
School of Nursing, Box 357266  
Seattle, Washington 98195-7286

THOMAS FLEMING, PH.D.  
Professor and Chair  
Department of Biostatistics  
University of Washington  
Box 357232  
Seattle, Washington 98195-7232

## ATTENDEES (Continued)

## COMMITTEE MEMBERS: (Continued)

ALAN T. HIRSCH, M.D.  
Associate Professor of Medicine and Radiology  
Minnesota Vascular Diseases Center  
University of Minnesota Medical School  
420 Delaware Street, S.E., Box 508 FUMC  
Minneapolis, Minnesota 55455

JOANN LINDENFELD, M.D.  
Professor of Medicine  
Division of Cardiology  
University of Colorado Health Science Center  
4200 East Ninth Avenue, B-130  
Denver, Colorado 80262

BEVERLY H. LORELL, M.D.  
Professor of Medicine  
Beth Israel Deaconess Medical Center  
Cardiovascular Division  
330 Brookline Avenue  
Boston, Massachusetts 02215

STEVEN NISSEN, M.D., F.A.C.C.  
Vice Chairman, Department of Cardiology  
Professor of Medicine  
Ohio State University  
The Cleveland Clinic Foundation  
9500 Euclid Avenue, F15  
Cleveland, Ohio 44195

## SPECIAL GOVERNMENT EMPLOYEES:

JEFFREY KOPP, M.D.  
Kidney Disease Section  
Building 10, Room 3N118  
National Institute on Diabetes,  
Digestive and Kidney  
National Institutes of Health  
Bethesda, Maryland 20892-1268

ANDREW S. BREM, M.D.  
Division of Pediatric Nephrology  
Rhode Island Hospital  
593 Eddy Street  
Providence, Rhode Island 02902

## ATTENDEES (Continued)

## FOOD AND DRUG ADMINISTRATION STAFF:

RAYMOND LIPICKY, M.D.  
ROBERT TEMPLE, M.D.  
JUAN CARLOS PELAYO, M.D.

## SPONSOR REPRESENTATIVES:

MELISA COOPER, M.D., M.S.  
BRIAN F. DANIELS, M.D.  
LLOYD D. FISHER, PH.D.  
JULIA A. BREYER LEWIS, M.D.  
EDMUND J. LEWIS, M.D.  
KANNAN NATARAJAN, PH.D.  
HANS-HENRIK PARVING, M.D., DMSc  
MARC A. PFEFFER, M.D., PH.D.  
GEORGE WILLIAMS

## C O N T E N T S

NDA 20-757/S-021, Avapro (irbesartan)  
Sanofi-Synthelabo (c/o Bristol-Myers Squibb)  
for the Treatment of Hypertensive Patients  
with Type 2 Diabetic Renal Disease

\* \* \*

| AGENDA ITEM  | PAGE |
|--|------|
| CONFLICT OF INTEREST STATEMENT<br>By Jaime Henriquez     | 6    |
| SPONSOR PRESENTATION                                     |      |
| Introduction<br>By Dr. Brian Daniels                     | 7    |
| Disease Background<br>By Dr. Edmund Lewis                | 10   |
| IDNT Efficacy and Safety<br>By Dr. Melisa Cooper         | 54   |
| IRMA 2 Efficacy and Safety<br>By Dr. Hans-Henrik Parving | 159  |
| Benefit/Risk Assessment<br>By Dr. Edmund Lewis           | 201  |
| OPEN PUBLIC HEARING                                      | 134  |
| COMMITTEE DISCUSSION OF QUESTIONS PRESENTED              | 212  |

## P R O C E E D I N G S

(8:30 a.m.)

1  
2  
3 DR. BORER: I'd like to welcome you and begin  
4 the 95th meeting of the Cardiovascular and Renal Drugs  
5 Advisory Committee of the FDA.

6 Before we begin the formal presentations, we'll  
7 hear the conflict of interest statement by Jaime Henriquez,  
8 the Executive Secretary of the committee.

9 MR. HENRIQUEZ: The conflict of interest  
10 statement. The following announcement addresses the issue  
11 of conflict of interest with regard to this meeting and is  
12 made a part of the record to preclude even the appearance  
13 of such at this meeting.

14 Based on the submitted agenda for the meeting  
15 and all the financial interests reported by the committee  
16 participants, it has been determined that all interests in  
17 firms regulated by the Center for Drug Evaluation and  
18 Research present no potential for an appearance of conflict  
19 of interest at this meeting with the following exceptions.

20 In accordance with 18 U.S.C. 208(b)(3), a full  
21 waiver has been granted to Dr. JoAnne Lindenfeld for her  
22 unrelated consulting for one of the sponsors. She received  
23 less than \$10,000 a year. And to Dr. Alan Hirsch for  
24 unrelated speaking for the sponsor. He received between  
25 \$5,000 and \$10,000 a year.

1           A copy of the waiver statements may be obtained  
2 by submitting a written request to the agency's Freedom of  
3 Information Office, room 12A-30 in the Parklawn Building.

4           In the event that the discussions involve any  
5 other products or firms not already on the agenda for which  
6 FDA participants have a financial interest, the  
7 participants are aware of the need to exclude themselves  
8 from such involvement and their exclusion will be noted for  
9 the record.

10           With respect to all other participants, we ask  
11 in the interest of fairness that they address any current  
12 or previous financial involvement with any firms whose  
13 products they may wish to comment upon.

14           DR. BORER: Thank you.

15           We'll begin then. This morning we're going to  
16 consider an NDA related to irbesartan, Avapro, for the  
17 treatment of patients with hypertension and type 2 diabetic  
18 renal disease. I think the presentation will be introduced  
19 by Dr. Daniels.

20           DR. DANIELS: Thank you and good morning,  
21 members of the advisory panel. It's a pleasure to be here  
22 today to discuss information about Avapro and its use in  
23 the treatment of type 2 diabetic renal disease. I'm Brian  
24 Daniels, the Vice President for the Pharmaceutical Research  
25 Institute at Bristol-Myers Squibb.

1           Briefly let me review our agenda and speakers.  
2    After my introduction, Dr. Ed Lewis, a Muehrcke Professor  
3    of Nephropathy and Director of the Nephropathy Section at  
4    the Rush Medical College, will present important background  
5    information on type 2 diabetic nephropathy with an emphasis  
6    on the endpoints used in our renal studies. Dr. Lewis was  
7    a principal investigator for the IDNT study.

8           Then Dr. Melisa Cooper, a nephrologist and a  
9    vice president in the Pharmaceutical Research Institute at  
10   Bristol-Myers Squibb, will give the efficacy and safety  
11   data for IDNT.

12           Then Hans-Henrik Parving, Professor and Chief  
13   Physician at the Steno Diabetes Center, Denmark, will  
14   present the efficacy and safety data for IRMA 2. Dr.  
15   Parving was an investigator for the IRMA 2 trial.

16           Finally, Dr. Lewis will then return to discuss  
17   the overall risk-benefit profile of irbesartan in the  
18   treatment of type 2 diabetic renal disease.

19           We have some additional consultants that the  
20   panel can use to answer their questions. Dr. Julia Breyer  
21   Lewis is a Professor of Medicine at Vanderbilt University.  
22   Dr. Lloyd Fisher is a Professor Emeritus in biostatistics  
23   at the University of Washington, and Dr. Marc Pfeffer is a  
24   Professor of Medicine in the Cardiovascular Division at  
25   Brigham and Women's Hospital. All three were involved with



1 the conduct of the IDNT trial.

2           Just to remind everyone that Avapro is an  
3 angiotensin II receptor blocker, active at the AT1 receptor  
4 subtype. It's current indication is for the treatment of  
5 hypertension. It's available in over 79 countries, with  
6 over 3.6 million patient-years of experience worldwide.  
7 It's recommended starting dose for hypertension is 150  
8 milligrams daily with a maximum dose of 300 milligrams  
9 daily.

10           Two complementary trials constitute the Avapro  
11 development program in type 2 diabetic renal disease.  
12 Together they studied over 2,300 patients along the  
13 continuum of type 2 diabetic renal disease. These trials  
14 were designed as specific renal studies using endpoints  
15 appropriate for the severity of renal disease being  
16 investigated.

17           The irbesartan diabetic nephropathy trial, or  
18 IDNT, investigated renoprotection in 1,715 hypertensive  
19 patients with type 2 diabetic nephropathy, defined as overt  
20 proteinuria. Irbesartan microalbuminuria in type 2  
21 diabetic subjects, or IRMA 2, studied 590 hypertensive  
22 patients at an earlier point along their disease continuum,  
23 specifically those patients with microalbuminuria.

24           The proposed indication for Avapro for patients  
25 with hypertension and type 2 diabetic renal disease:

1 Avapro is indicated for the treatment of type 2 diabetic  
2 renal disease.

3                   Now I would like to introduce Dr. Ed Lewis who  
4 will give important information on the background of type 2  
5 diabetic renal disease. Dr. Lewis?

6                   DR. EDMUND LEWIS: Thank you. Good morning.

7                   Type 2 diabetic nephropathy is a growing  
8 problem worldwide. Type 2 diabetes is epidemic and  
9 approximately 40 percent of patients with type 2 diabetes  
10 will get nephropathy. Currently approximately 45 percent  
11 of patients entering our dialysis transplantation programs  
12 in this country are entering with the primary diagnosis of  
13 diabetic nephropathy, and the cost of this is enormous.

14                   The natural history of type 2 diabetic  
15 nephropathy does not differ greatly from that of type 1,  
16 and that is that there is an inexorable progression from  
17 early nephropathy to overt nephropathy with progressive  
18 structural and functional changes which ultimately lead to  
19 a decrease in the glomerular filtration rate and end-stage  
20 renal disease.

21                   Virtually all patients with type 2 diabetic  
22 nephropathy have hypertension. One difference between the  
23 course -- and there are many differences in terms of the  
24 patient populations -- of the patient with type 2 diabetic  
25 nephropathy as opposed to type 1 diabetic nephropathy is

1 that there is cardiovascular morbidity and mortality  
2 throughout the course which represents a second system  
3 involved, a competing endpoint, which has to be taken into  
4 consideration in the design of any trial.

5           We can define renal failure as a decrease in  
6 the ability of the kidney to carry out its primary function  
7 of filtering impurities in the blood, and this is measured  
8 by measuring either the glomerular filtration rate, the  
9 creatinine clearance being one approximation of that, or  
10 evidence of the retention of filterable molecules,  
11 particularly creatinine.

12           As you know, the creatinine clearance  
13 represents the estimation of the amount of blood cleared of  
14 a molecule in a unit time, so that the numerator in the  
15 formula is the concentration of that substance, creatinine,  
16 in the blood and the volume of urine per unit time, and the  
17 denominator is the serum creatinine so that when one plots  
18 the clearance of creatinine against the serum creatinine,  
19 you have a hyperbolic function.

20           Now, creatinine measures the glomerular  
21 filtration rate because it is freely filtered and it is not  
22 reabsorbed by the kidney. However, there is some secretion  
23 of creatinine so that it is not an ideal measure, but it is  
24 clinically the most convenient measure of the glomerular  
25 filtration rate. The ideal measure is a molecule that is

1 not secreted at all.

2                   Given the fact that we have a hyperbolic  
3 function, it's important to note that whenever the serum  
4 creatinine doubles along this curve, the creatinine  
5 clearance halves. Early then in the course of renal  
6 disease, a relatively large change in creatinine clearance  
7 is associated with a relatively small change in the serum  
8 creatinine. However, doubling means halving of the  
9 clearance. Late in the curve, relatively small changes in  
10 clearance are associated with large changes in the serum  
11 creatinine because it's a hyperbolic function.

12                   So, in designing a trial, the goal is to have  
13 an entry criterion where patients enter in an area where  
14 changes in the glomerular filtration rate are reflected by  
15 readily measurable changes in the serum. Later in the  
16 course of renal disease, small changes cause large changes  
17 in the serum creatinine, and so again in the design of a  
18 clinical trial, we're looking at the changes here being  
19 reflective of the phenomenon that we are measuring.

20                   A number of years ago, we, the collaborative  
21 study group, carried out the study of ACE inhibition with  
22 captopril in type 1 diabetic nephropathy, and we used, as  
23 an endpoint in that study, doubling of serum creatinine,  
24 meaning halving of the glomerular filtration rate relative  
25 to the baseline. When we compared the doubling of

1 creatinine to the clearances in those patients who had  
2 doubled, we looked at iothalamate clearance and creatinine  
3 clearance. Now, iothalamate happens to be a molecule that  
4 is ideal for measuring the glomerular filtration rate. It  
5 is freely filtered. It is not reabsorbed and it is not  
6 secreted. As you can see, among the patients who doubled  
7 their creatinine in that study, there was at least a  
8 halving of the glomerular filtration rate. So, we felt  
9 that that justified our use of doubling of serum creatinine  
10 for that definition of halving of the glomerular filtration  
11 rate.

12                   End-stage renal disease is the clinical  
13 requirement for renal replacement therapy. The Medicare  
14 definition of end-stage renal disease for patients with  
15 diabetic nephropathy is a serum creatinine of greater than  
16 6 or a creatinine clearance of less than 15 mls per minute,  
17 so that in order to use an objective definition and get  
18 away from variances in practice of nephrology in terms of  
19 the use of dialysis in patients with kidney disease. The  
20 objective definition of end-stage renal disease is taken as  
21 the federal Medicare definition of serum creatinine of  
22 greater than 6, and that again comes into the design of the  
23 study that you'll be hearing today.

24                   This relationship then between the creatinine  
25 clearance and the serum creatinine actually defines renal

1 function. Creatinine parameters are not surrogates; they  
2 are not substitutes. The creatinine parameters define the  
3 ability of the kidney to filter the blood.

4           To reflect further on the type 1 diabetic  
5 nephropathy trial which preceded our type 2 diabetic  
6 nephropathy trial, when we looked at the so-called hard  
7 endpoints of death, dialysis, or transplantation in the  
8 captopril trial of type 1 diabetic nephropathy patients,  
9 there was a risk reduction of 50 percent for that endpoint  
10 among the patients who received captopril as opposed to  
11 placebo.

12           When we looked at the Kaplan-Meier curve for  
13 doubling of serum creatinine, we had the same risk  
14 reduction and approximately the same curve. And the reason  
15 for that is that the median time from a halving of the  
16 glomerular filtration rate to end-stage renal disease was  
17 only 9 months so that a halving of the baseline glomerular  
18 filtration rate in diabetic nephropathy, with its  
19 inexorable downhill course, is a very important milestone.  
20 And as you will see, this is true in the type 2 patients  
21 as well. And that explains why a doubling of serum  
22 creatinine correlated so well with the hard endpoints in  
23 the previous study.

24           Now, I want to remind you about the structure  
25 of the glomerular filter because what we're really studying

1 is the function of the glomerular filter. What this  
2 graphic shows is that the glomerular capillary bed --  
3 glomerular capillaries having three layers basically,  
4 endothelial cell, basement membrane, and epithelial cells,  
5 so the filtration is going on here -- and it is built on an  
6 architectural structure of connective tissue which is  
7 known, here in pink, as the glomerular mesangium.

8           Now, in a normal glomerulus, the black here is  
9 silver staining of the glomerular basement membrane, and  
10 you can see these beautiful, graceful basement membranes of  
11 the capillary loops, and one can barely see the  
12 architectural structure on which these glomerular capillary  
13 loops lie.

14           This is the face of the enemy for a  
15 nephrologist. This happens to be from a biopsy that was  
16 taken during a pilot trial that the collaborative study  
17 group did prior to the irbesartan diabetic nephropathy  
18 trial that you'll be hearing about. In this pilot trial,  
19 we utilized entry criteria which approximated those which  
20 are used for the IDNT, and we did renal biopsies on these  
21 patients with type 2 diabetic nephropathy in order to  
22 determine the nature of the glomerular lesion which we  
23 would be studying.

24           This is quite typical of what we found. As you  
25 can see, typical of diabetic nephropathy, there is a marked

1 increase in the connective tissue of the glomerular  
2 mesangium, and it is the progression of that connective  
3 tissue which leads to scarring and obliteration of the  
4 glomerular capillaries which is ultimately responsible for  
5 renal failure in diabetic nephropathy.

6           In this case, as is true in the average case  
7 entering the IDNT, the patient had already lost 50 percent  
8 of their renal function so that in the irbesartan diabetic  
9 nephropathy trial, what we have are patients who have this  
10 advanced abnormality and what we're trying to do is prevent  
11 further progression of that abnormality.

12           In the design of a therapeutic program, given  
13 the goal of preventing progression of the established  
14 lesion, it would seem appropriate to not only try to tell  
15 doctors to try to prevent progression of that lesion, but  
16 it would appear appropriate to tell doctors to treat a  
17 patient so that they can prevent this advanced lesion from  
18 ever occurring. And for that reason, it is logical to  
19 study early diabetic nephropathy to see whether you can, in  
20 fact, tell a physician that they can treat a patient to  
21 prevent them from going on to advanced disease.

22           Now, there are a number of abnormalities that  
23 can be measured in early diabetic nephropathy.  
24 Structurally the abnormal connective tissue metabolism  
25 which occurs in diabetic nephropathy occurs very early so



1 that there is marked thickening of the glomerular basement  
2 membrane in patients who are biopsied very early with the  
3 first evidences of diabetic nephropathy and there is  
4 expansion of the glomerular mesangium, which you saw. If  
5 one wanted to look for the signal for connective tissue  
6 metabolism, say, mRNA for type IV collagen, you will find  
7 an increase in the signal very early, and I'll show you  
8 some data about that.

9           Structurally, functionally the earliest  
10 evidence of diabetic nephropathy is an alteration in the  
11 selective permeability characteristics of the glomerular  
12 capillary wall, which means that the normal permeability  
13 characteristics, which means exclusion of the filtration of  
14 macromolecules, begins to be breached, and from a clinical  
15 point of view, a reliable and reproducible way of measuring  
16 that is to measure small amounts of albumin which begin to  
17 appear in the urine at the earliest stages of diabetic  
18 nephropathy.

19           So, the first functional alterations are  
20 associated with increased filtration of albumin, and some  
21 of that is reabsorbed by the renal tubules. That which is  
22 not reabsorbed is excreted in the urine, and that is  
23 referred to by the term microalbuminuria.

24           Now, microalbuminuria is defined as a urinary  
25 excretion of abnormal quantities of urine, more than 20

1 micrograms per minute, which is approximately 30 milligrams  
2 per 24 hours, and less than 200 micrograms per minute,  
3 which is approximately 300 milligrams per day.

4           Now, the reason for the upper limit is it is  
5 somewhat artificial but it is the borderline between  
6 microalbuminuria and the definition of overt proteinuria or  
7 overt nephropathy. The reason that it's the borderline is  
8 in fact that is where the routine clinical tests that  
9 doctors use for proteinuria, the dip stick, turns positive.  
10 So, if you want to find out whether there is an  
11 abnormality in the selective permeability characteristics  
12 of the glomerular capillary earlier, you have to do  
13 specific tests for albumin. The clinical dip stick is  
14 negative. So, that's what defines overt nephropathy.

15           This is from a biopsy of a patient who has very  
16 early diabetic nephropathy and microalbuminuria, and as you  
17 can see, there is basement membrane thickening here, but  
18 there is a beginning of the increase in glomerular  
19 mesangial material which ultimately leads to the florid  
20 lesion that you've already seen.

21           Most studies of microalbuminuria or early  
22 nephropathy have been done in type 1 patients for a variety  
23 of reasons. I'll be glad to answer questions about that  
24 later. However, it has been consistent to find thickening  
25 of the glomerular basement membrane of the order of

1 magnitude similar to patients who have overt nephropathy in  
2 patients with early nephropathy, and there is a beginning,  
3 as you saw, of mesangial expansion in these patients.

4           In a study carried out by Sharon Adler, she  
5 looked at normal patients who were living related donors,  
6 biopsies from normal patients, biopsies from patients with  
7 diabetes who had normal albumin excretion, meaning less  
8 than 20 micrograms per minute, patients who had  
9 microalbuminuria, and patients with overt nephropathy. So,  
10 as you can see, among this group the serum creatinine would  
11 naturally be normal in normals, it's normal in patients  
12 with diabetes and no microalbuminuria, and it's normal in  
13 patients with microalbuminuria because early in the course  
14 of diabetes, you don't have changes in the ability of the  
15 filter to function. Of course, in overt nephropathy, the  
16 creatinine is going up because your glomerular filtration  
17 rate is going down, as you see here. The albumin excretion  
18 rate in the microalbuminuric patients is 56 and in overt  
19 nephropathy 4 grams.

20           And she measured the glomerular collagen mRNA  
21 for type IV. As you can see, the signal in  
22 microalbuminuric patients is elevated the same as in overt  
23 nephropathy, so that the biochemical abnormality for the  
24 development and continuation of diabetic nephropathy is  
25 there early, giving us good reason to intervene as early as

1 possible.

2                   If one were to study early diabetic nephropathy  
3 then, it is not practical to study the structural  
4 abnormalities which occur early. It would mean doing renal  
5 biopsies on hundreds, if not thousands, of patients, and  
6 these are not easy patients to biopsy. They are very  
7 obese.

8                   The functional abnormality of altered capillary  
9 wall perm selectivity is what we are left with in order to  
10 study early diabetic nephropathy. And as I've said, the  
11 macromolecule of clinical relevance which can be measured  
12 and is accurately measured and reproducibly measured is  
13 albumin.

14                   Now, the very quantity of microalbuminuria in a  
15 study could be measured and a conclusion made from the  
16 study, or a study can use as an endpoint the movement from  
17 the microalbuminuric state to the overt nephropathy state,  
18 meaning that the patient has crossed the border of 200  
19 micrograms per minute. So, they have progressed.

20                   The importance of that is that in this  
21 progression of diabetic nephropathy, that's what happens.  
22 Patients go from small amounts of albuminuria to clinically  
23 overt proteinuria/albuminuria and then the glomerular  
24 filtration rate starts going down. You cannot get a  
25 patient having decreased glomerular filtration rate going

1 to end-stage renal disease without them going through the  
2 period of overt proteinuria. So, in the design of a trial  
3 -- and the IRMA 2 trial is so designed -- what we are  
4 looking for is an endpoint which accurately measures the  
5 movement from microalbuminuria to overt nephropathy.

6           The rationale for the clinical development  
7 program then was to determine whether inhibition of the  
8 renin-angiotensin system is renoprotective in type 2  
9 diabetic nephropathy just as it is in type 1 diabetic  
10 nephropathy. And renoprotection is the term applied to the  
11 the effect of a drug in protecting the kidney from  
12 progressive renal disease which is independent of other  
13 systemic effects that that drug might have such as blood  
14 pressure lowering.

15           Now, there are several reasons why interruption  
16 of the renin-angiotensin system could be renoprotective in  
17 diabetic nephropathy. The glomerular capillary tuft is an  
18 arteriole portal system, meaning that the capillaries have  
19 an arteriole feeder and an arteriole drains the capillary  
20 tuft, so that the pressure within the glomerular capillary  
21 tuft is under the control of changes in the two arterioles.

22           In a normal kidney, there is autoregulation of  
23 the blood pressure within the capillaries, meaning that in  
24 a normal person, if your blood pressure goes up, there is  
25 constriction of the afferent arteriole so that the pressure

1 within the glomerular capillary tuft remains constant. In  
2 the diabetic state, which has been directly measured in  
3 animals, but of course not directly measured in humans,  
4 there is deficiency of that autoregulation meaning that any  
5 elevation in the systemic blood pressure is more directly  
6 transmitted to these capillaries and there is the  
7 barotrauma opportunity there. So, any drug that lowers the  
8 systemic blood pressure will lower glomerular capillary  
9 tuft pressure because of this deficient autoregulation.

10           More importantly in the diabetic state, there  
11 is constriction of the efferent arteriole for reasons that  
12 are not clear, and that is under the influence of  
13 angiotensin II. So, in the diabetic state, there is an  
14 increase in glomerular capillary tuft pressure directly  
15 measured by Dr. Brenner and associates many years ago  
16 because of this increase in the tone of the draining  
17 arteriole. So, inhibition of the renin-angiotensin system  
18 at that level relieves that pressure and diminishes  
19 barotrauma.

20           Furthermore, abnormal matrix metabolism -- and  
21 I've shown you histologic examples of that -- is under the  
22 control of angiotensin II modulation through TGF-beta which  
23 controls collagen type IV metabolism in the kidney, as well  
24 as other connective tissue proteins.

25           Lastly, there is an issue of whether increased

1 amounts of protein trafficking through the kidney is  
2 nephrotoxic and angiotensin II, in addition, does decrease  
3 glomerular filtration of macromolecules. So, it is  
4 possible that a decrease in proteinuria traffic is also a  
5 protective mechanism. So, there is good reason to believe  
6 that the therapeutic interruption of the renin-angiotensin  
7 system can be renoprotective in this disease.

8           So, we will be presenting studies of early  
9 diabetic nephropathy, the IRMA 2 study, the goal of which  
10 was to show whether one could stabilize the perm  
11 selectivity abnormality in the kidney so that the patient  
12 did not go on to overt proteinuria and nephropathy and the  
13 irbesartan diabetic nephropathy trial which looked at the  
14 therapy of the advanced lesion to see whether the  
15 progression of advanced nephropathy could be inhibited.

16           The goal then of the irbesartan diabetic  
17 nephropathy trial is to take patients whose substrate  
18 glomeruli looked like this and prevent the progression of  
19 this advanced lesion.

20           With that, I'm very pleased to introduce Dr.  
21 Melisa Cooper who will review with you the results of the  
22 irbesartan diabetic nephropathy trial. Thank you.

23           DR. BORER: Thank you very much, Dr. Lewis.

24           Before Dr. Cooper begins, I want to determine  
25 if there are any committee questions. We have some new

1 people on the committee and some guests, so I'd like to set  
2 some early ground rules and make a statement.

3           One of the over-arching issues we're facing  
4 here -- and I think you've outlined it really  
5 extraordinarily for us -- is to determine what the drug may  
6 do, once we hear the data, that causes clinical benefit,  
7 makes a patient feel better or live longer, versus what are  
8 pharmacological effects, that is, what makes the tests look  
9 better but may not have an impact in a significant way on  
10 making the patient feel better or live longer. So, that's  
11 an over-arching issue that we're going to have to consider  
12 because we really need to see some evidence of clinical  
13 benefit, which we may well.

14           With that in mind and with that lovely  
15 presentation having been given, I want to ask if there are  
16 questions of Dr. Lewis, and I'd like to structure that just  
17 a little bit. I want to begin with the committee reviewer,  
18 JoAnne Lindenfeld, and then we have two nephrologists who  
19 are ad hoc members of the committee today, Dr. Kopp and Dr.  
20 Brem, Dr. Kopp from the NIH and Dr. Brem from Rhode Island  
21 Hospital. So, after JoAnne, I'd like to hear from the two  
22 nephrologists and then we can ask if anybody else has any  
23 questions about the presentation of Dr. Lewis.

24           DR. LINDENFELD: I'd like to echo that was a  
25 lovely presentation. Thank you.



1                   Just some questions I have about clarification.  
2 One, could you tell us something about the progression of  
3 renal disease in diabetics in blacks and Hispanics compared  
4 to whites?

5                   DR. EDMUND LEWIS: Yes. Well, of course, in  
6 type 1 diabetic nephropathy, it's basically so few patients  
7 from those ethnic groups that we don't know a lot except  
8 that the few patients who are black, African Americans, who  
9 have type 1 diabetic nephropathy do progress faster than  
10 whites.

11                   In type 2 diabetic nephropathy, overall  
12 patients who are Hispanic certainly tend to have a more  
13 rapid progression than patients who are white, and I think  
14 that the relevant literature on this actually is Native  
15 American literature. It's the Pima Indian data because I  
16 think genetically the Hispanic problem for type 2 diabetic  
17 nephropathy is probably based there as far as we can tell  
18 from the course of that disease. So, it occurs earlier in  
19 patients who are Hispanic and it is certainly inexorably  
20 progressive.

21                   In terms of whether the absolute rate of  
22 progression is worse, that is not entirely clear.  
23 Reflecting on the Pima Indian data, the rate of progression  
24 of early, meaning microalbuminuria to overt proteinuria,  
25 actually approximates the white population and the rate of

1 progression of the disease itself also might be a little  
2 faster, but it also approximates the white population. So,  
3 it's a bigger health problem, but in terms of what one can  
4 expect from the course, other than its occurring earlier in  
5 the life of a patient, the courses aren't that dissimilar.

6           Again, there's less information about African  
7 Americans, but I think as a general statement one can say  
8 that kidney disease, not just diabetic kidney disease, but  
9 hypertensive kidney disease and the like, appears to be  
10 more progressive in the African American population and  
11 more refractory to any therapies.

12           DR. LINDENFELD: So, to just follow up on that,  
13 in a study that evaluates progression of renal disease, you  
14 would like to see those groups, blacks, Hispanics, be equal  
15 in all treatment groups.

16           DR. EDMUND LEWIS: Well, I suppose that you  
17 would like to see that, but the problem is that you have to  
18 find these patients. I think it would be a more accurate  
19 reflection of what I would say is that in a study of type 2  
20 diabetic nephropathy, it would be appropriate to make every  
21 effort to get minority groups in the sample. There's no  
22 doubt about that.

23           To get parity I think would be extremely  
24 difficult. I think that as you will see in the IDNT, when  
25 you start talking about multinational studies and so forth,

1 the representation, for example, among the blacks in the  
2 United States in that study was certainly equivalent to the  
3 relative population of blacks and so forth, but then when  
4 you start to get European involvement, there are no blacks.

5 So, it's harder to construct a study where you have parity  
6 there. I don't know exactly how you would come to that.

7 DR. LINDENFELD: I guess my question relates to  
8 whether or not one would design the study for stratify for  
9 race, for instance, to make sure that different races were  
10 equally represented among the groups.

11 DR. EDMUND LEWIS: Yes, I don't know. I think  
12 conceivably one of the biostatisticians in the group might  
13 want to address that. I think that the pre-stratification  
14 of a clinical trial such as this I think brings in certain  
15 complexities, not the least of which is you expand your  
16 sample size tremendously and make the study even harder to  
17 do.

18 There are other issues about bringing  
19 minorities into clinical trials which also are a little  
20 difficult, and I think the AASK trial at the NIH showed  
21 that it's hard sometimes to get minority populations into  
22 clinical trials such as this.

23 DR. LINDENFELD: Right. I'm not just talking  
24 about recruiting, but rather making sure that the minority  
25 groups are equally represented among the treatment groups.

1 DR. EDMUND LEWIS: Oh, yes. No, I agree with  
2 that. Absolutely.

3 DR. LINDENFELD: And I think that's going to be  
4 an important point. At least the literature would suggest  
5 there is an increased rate of progression of diabetic renal  
6 disease in minority groups, suggesting that you'd want  
7 those to be equal.

8 Just a second point just for my own  
9 understanding. Can you tell us if there are any commonly  
10 used drugs -- and we use a lot more drugs in these patients  
11 now than we did when the captopril study was done -- that  
12 affect the secretion of creatinine or the absorption of  
13 albumin?

14 DR. EDMUND LEWIS: In this trial -- actually it  
15 was true in the captopril trial too -- in order to control  
16 blood pressure, in addition to the coded medications, at  
17 least three antihypertensives and diuretics were used. So,  
18 the treatment of hypertension, which of course, actually  
19 both from a cardiovascular and a renal point of view, is  
20 terribly important in this patient population, is a  
21 polypharmacy issue and that is a very relevant question.

22 None of the antihypertensives -- actually we  
23 had this data in the type 1 study because it was a much  
24 smaller study. We had iothalamate clearances in those  
25 patients, so we were able to determine whether drugs

1 altered creatinine secretion better because we had both the  
2 creatinine clearance and the iothalamate GFR. We can  
3 compare those.

4           The antihypertensive agents generally used,  
5 which is what was used in the study, and the diuretics  
6 generally used would not alter significantly the creatinine  
7 secretion course. And I think this sort of goes back to  
8 your first question. The randomization of these patients  
9 and the fact that all of these drugs were being used in all  
10 patients would sort of cancel things out if there was a  
11 minor difference, but to our knowledge there is no  
12 difference.

13           And in terms of albumin excretion, I think all  
14 that you can say about that is that there is certainly a  
15 relationship between the variance in albumin excretion and  
16 the systemic blood pressure so that if you lower the  
17 systemic blood pressure, you will have less albumin  
18 excretion over a very broad range of albumin excretion.  
19 Therefore, in designing a study where one's endpoints are  
20 albumin excretion, you have to account for the blood  
21 pressure lowering effect.

22           DR. LINDENFELD: And then just one final  
23 question. In the type of patient that was entered in the  
24 IDNT trial, a patient with gross albuminuria and elevated  
25 creatinine, how much would you expect the initiation of

1 diuretics to change the serum creatinine?

2 DR. EDMUND LEWIS: I wouldn't. I think what we  
3 found is that -- you see, it's hard for me to answer this  
4 question for the trial because in a very complex group of  
5 patients like this, physicians were using more and less  
6 diuretics according to how much edema the patient had. We  
7 were really all over these doctors in terms of controlling  
8 blood pressure and stuff. So, there were variances in  
9 dosing even of diuretics.

10 But the only direct answer that I can give you  
11 about that is that we did have a protocol about elevation  
12 of the serum creatinine early because what we were  
13 concerned about was whether, using an agent like  
14 irbesartan, something that interrupted the renin-  
15 angiotensin system, a patient with bilateral renal artery  
16 stenosis would go into acute renal failure.

17 Now, as it turned out, that didn't happen  
18 during the study, but there were patients who raised their  
19 serum creatinine early in the study because they suddenly  
20 had their blood pressure controlled, and you will see the  
21 data on that. Most of the patients coming into the study  
22 were way out of control relative to any standards, and once  
23 they had their blood pressure controlled, which included  
24 diuretics, there would be a bump in creatinine in a number  
25 of these patients, and we at the clinical coordinating

1 center of the collaborative study group would be advised  
2 about these patients I think generally, usually. Certainly  
3 if they doubled their serum creatinine, we would, but if  
4 they raised it by 25 percent, we would be advised about  
5 that, and we would talk through the clinical problem and  
6 invariably the creatinines came back to normal once  
7 diuretic therapy was modulated. So, these are very complex  
8 patients.

9 I think that we had the appropriate feedback to  
10 figure out that this was happening, and it was not a study-  
11 long issue. It was an issue that would occur early in the  
12 study when these patients were getting their blood pressure  
13 controlled.

14 DR. BORER: Dr. Kopp?

15 DR. KOPP: Thank you. I'd like to echo a  
16 second time that that was an excellent presentation of a  
17 complicated topic.

18 I'd like to get your thoughts about a topic  
19 that I'm sure will come up again which is the role of  
20 macroproteinuria as a surrogate endpoint for both diabetic  
21 nephropathy and in the future nondiabetic nephropathy. We  
22 know that the level of proteinuria represents a graded  
23 spectrum of risk for rates of progression. Do we know  
24 quantitatively what level of reduction in proteinuria is  
25 clinically significant, and is there data in terms of a

1 similar quantitative reduction in risk of progression?

2 DR. EDMUND LEWIS: Well, you know, I think  
3 nephrologists are on the same wavelength on this issue, and  
4 I think that the wavelength that we're on is that I think  
5 we're all beginning to understand that the more proteinuria  
6 you have, the worse your course will be. I think that we  
7 can all agree with that.

8 I think the other thing that we have to say for  
9 certain is that no clinical trial has been designed to test  
10 the answer to your question. You can tell me if I'm wrong  
11 on this, but I think that it would require a design where  
12 you're actually shooting for two different levels of  
13 reduction of proteinuria, for example, and that hasn't  
14 happened. So, all of the data that we're working with is  
15 post hoc.

16 Having said that, I think that when one looks  
17 at a given disease like diabetic nephropathy, given the  
18 problem of constraints of how long you're actually going to  
19 be able to follow these patients in a clinical trial, I  
20 think the best that we can say is that one group did or  
21 didn't progress in terms of their proteinuria more than the  
22 other, implying that the patients who had greater  
23 progression of proteinuria are at greater risk of  
24 continuing renal damage.

25 I think that almost for certain any study of



1 kidney disease where the patient does well, well being  
2 progression or regression of renal disease, the proteinuria  
3 goes down. And any patient who does poorly, that is, their  
4 GFR keeps going down, the proteinuria is likely to go up.  
5 But it becomes a chicken and egg thing then because is the  
6 proteinuria going up or down because you're treating the  
7 glomerulus or is it going up or down because proteinuria is  
8 a determinant of nephrotoxicity let's say. And I don't  
9 think that any of these trials, including the ones you'll  
10 be hearing today, necessarily -- Dr. Parving might have  
11 different feelings about this, but I don't think they  
12 necessarily help in terms of answering your question.

13 DR. KOPP: So, I guess I hear you saying  
14 perhaps it's not quite time to begin to use proteinuria as  
15 an endpoint in and of itself. Is that the implication?

16 DR. EDMUND LEWIS: No, I'm not saying that  
17 because what I'm saying is that I think that -- well, the  
18 first thing that I have to say is that -- and I think again  
19 nephrologists understand this in general together well.  
20 When you're studying a filtration system, there are so many  
21 things you can study, and it doesn't matter whether you're  
22 an industrial engineer or a bioengineer studying dialysis  
23 membranes or a renal physiologist. What you can study is  
24 either the capacity of the membrane -- and in terms of the  
25 kidney, it's the glomerular filtration rate -- or the

1 selective permeability characteristics of the membrane,  
2 which in glomerular disease is proteinuria.

3           So, I think that it is time for us to recognize  
4 that if one prevents going from low amounts of protein  
5 excretion to high amounts of protein excretion, certainly  
6 we have enough correlations there to be able to say that  
7 that is progression of the renal disease. So, I would  
8 argue that a study, the goal of which was to show that you  
9 didn't go from one stage of the disease to the next stage  
10 of the disease, more proteinuria, is a valid study of the  
11 intervention in the course of renal disease. But that's  
12 just my opinion.

13           DR. KOPP: Thank you.

14           DR. BORER: Dr. Brem?

15           DR. BREM: I'd like to ask again a question  
16 about glycemic control. One of the things that people have  
17 stressed in the past is adequate glycemic control for  
18 patients and that that is a major factor in progression of  
19 disease. Yet, there wasn't any discussion about that in  
20 your presentation. I was wondering if you might comment a  
21 bit about that and perhaps how it may affect outcome.

22           DR. EDMUND LEWIS: Well, glycemic control in  
23 either the type 1 or type 2 patients is certainly not easy,  
24 but in the type 2 patient, it is extremely difficult  
25 because of the fact that you can't just give them insulin

1 and get the response you want. I think we have the UK PDS,  
2 for example, which says that a glycemc control is  
3 important.

4 In the IDNT, there was a tremendous range in  
5 terms of hemoglobin A1C's which narrowed over the course of  
6 the disease. However, there was still a range. These  
7 people are extremely hard to control.

8 One of the investigators who was on our  
9 executive committee, Dr. Rudy Bilous of Great Britain,  
10 who's I think a well-respected diabetologist worldwide,  
11 looked at our hemoglobin A1C data relative to data that  
12 they had gotten in the United Kingdom of control of type 2  
13 diabetes and found that basically the distribution of our  
14 hemoglobin A1C's was exactly what was the case in the  
15 general population of type 2 diabetic patients.

16 More important to your question is that  
17 irrespective of how difficult it is to control hemoglobin  
18 A1C's, the level of hemoglobin A1C throughout the study in  
19 all three treatment groups was equal.

20 DR. BREM: Right. Well, I guess the question I  
21 was asking is if the hemoglobin A1C were in the lower  
22 range, did those patients progress more slowly in all the  
23 different categories of treatments from these different  
24 studies, sort of an analysis of variance.

25 DR. EDMUND LEWIS: I think that neither the

1 collaborative study group nor Bristol-Myers Squibb has  
2 looked at quartiles or quintiles of hemoglobin A1C and the  
3 rate of progression. I think we just haven't looked at  
4 that. I think that it is an interesting question, but I  
5 think that for us the two really burning issues were: one,  
6 was our glucose control what is seen in patients in the  
7 wild, which was true; and two, was it equivalent in all  
8 three groups. Of the many, many analyses that we've done  
9 through, I'm sorry to say we haven't done the one that  
10 would satisfy you for that question.

11 DR. BREM: The other was a minor thing I guess  
12 in terms of the creatinine doubling. That I guess is  
13 assuming that the creatinine in most people is 1. As a  
14 pediatric nephrologist, I would point out that many  
15 children have creatinines considerably below 1 and perhaps  
16 small adults have creatinines that are below 1 as well, as  
17 creatinine reflects muscle mass. If the creatinine is  
18 below 1, for instance, and doubles, it may go into what's  
19 still considered a normal range and yet be doubled and, in  
20 fact, probably represents a 50 percent reduction in renal  
21 function. Does that 9 months apply to those patients?

22 DR. EDMUND LEWIS: Yes. No, I agree with that  
23 although I just want to expand on that for non-  
24 nephrologists who don't think about creatinine clearance on  
25 an hourly basis during the course of the week. The

1 hyperbolic curve that I showed you, that particular curve  
2 would have been 100 over the serum creatinine. In very  
3 muscular people, the daily creatinine production would be  
4 much higher, which would shift the entire curve to the  
5 left, but it remains a hyperbolic curve with the same shape  
6 and so forth. In small people, children, very elderly  
7 people and so forth, the curve might be shifted to the left  
8 rather than the right because they're making much less  
9 creatinine but it's still a hyperbolic curve.

10           In our study -- and you'll be hearing more  
11 about this -- the creatinine entry was such that you could  
12 not double your serum creatinine and remain in the normal  
13 range. If you doubled your serum creatinine, you were in  
14 the high 2's or 3's. I think a woman could have a lower  
15 creatinine and come into the study, but still, when they  
16 doubled, their creatinine was quite elevated. So, we don't  
17 have information about patients who doubled their serum  
18 creatinine and it's still in the normal range.

19           I think because of the hyperbolic curve, which  
20 would be much steeper in a child, it would be much harder  
21 to know exactly where you've doubled and halved your  
22 creatinine clearance because you're really on that down  
23 slope which is why, in the design of the clinical trial,  
24 we're going to the linear part of the hyperbolic curve not  
25 up the vertical axis.

1 DR. BREM: So, those patients probably already  
2 had evidence of significant renal disease or impairment at  
3 the start perhaps of their study.

4 DR. EDMUND LEWIS: Yes. The mean GFR coming  
5 into our study was 50 and the mean urine protein was 900  
6 milligrams. The glomerulus that I showed you was not the  
7 worst glomerulus that I picked out of 30 renal biopsies.  
8 We really were studying advanced disease.

9 But I think that you hit upon the issue which  
10 we just discussed, and that is the patient with early  
11 diabetic nephropathy, which is where you really want to  
12 intervene, is in many ways analogous to the patient who is  
13 a child. That is, you start to get evidence of renal  
14 disease, but you can't actually measure it accurately by  
15 measuring the glomerular filtration rate. So, all that is  
16 left for us is measuring the other parameter of filter  
17 function which is permeability. I mean, that's all that's  
18 left.

19 DR. BORER: Before we go on to other questions,  
20 let me ask Bristol-Myers Squibb to sort of make a bookmark  
21 because you may have some data which we haven't heard yet,  
22 so I don't want an explanation now, relevant to Dr. Brem's  
23 question. My recollection is you did Cox model analyses on  
24 these data, so you could at least tell Dr. Brem and the  
25 rest of us whether the effect on proteinuria and the other

1 endpoints is independent of the effect on glycemic control  
2 or on glucose or on hemoglobin A1C even though you may not  
3 be able to give specific data. Don't tell us now but when  
4 you present the data.

5                   Are there any other questions from people  
6 around the table about the pathophysiology of renal  
7 disease? Ray, you had a question?

8                   DR. LIPICKY: I guess I'd like to just clarify  
9 something as a non-nephrologist. I think I heard you  
10 saying two things, and maybe you did and maybe you didn't.  
11 But you're saying, I think, that if you understand kidney  
12 disease, the creatinine is not a surrogate measure of  
13 anything. It is a measure of disappearance of functional  
14 glomeruli, and consequently although patients don't feel  
15 anything and there is no morbid/mortal consequence that is  
16 associated with any creatinine, it is a direct measure of  
17 how many functional nephrons you have and that may be just  
18 an exaggeration. So, that's part one.

19                   Then part two is that although progression from  
20 microalbuminuria to overt proteinuria again is not a  
21 symptom, that if you understand the nature of the disease,  
22 that is a sure sign that something has happened to the  
23 glomeruli, and if you do not see that happen, then that's a  
24 sign that nothing has happened to the glomeruli. Did I say  
25 that in the right way?

1 DR. EDMUND LEWIS: Let me think about it for a  
2 second.

3 Now, one thing you should understand, Dr.  
4 Lipicky, is that in the profession we consider you a  
5 nephrologist.

6 (Laughter.)

7 DR. EDMUND LEWIS: So, I just want to make that  
8 clear.

9 In terms of the creatinine parameter, yes, I  
10 think that you state it correctly, and that is in terms of  
11 kidney diseases, in terms of the fact that there's disease  
12 going on in the kidney, not just type 2 diabetes, but a  
13 whole variety of kidney diseases are silent. And if you  
14 are trying to measure the progression of renal disease, you  
15 are left with measuring the functional ability of the  
16 kidney as a filter, and creatinine is a direct measure of  
17 that filter. That's why I say it's not a surrogate because  
18 it is measuring the function.

19 And in terms of the proteinuria question, yes,  
20 once again, I think that in proteinuria studies you have to  
21 be careful because there are, on a day-to-day basis, many  
22 factors which can alter the excretion of protein, including  
23 if you run up to the top floor of this hotel and run back  
24 down, you will excrete more albumin than if you were just  
25 walking around here. You have to be careful about that



1 because there are alterations in the tendency of that  
2 filter to leak protein under a variety of conditions, chain  
3 smoking cigarettes for a while, running around, running  
4 marathons, and so forth. But when you get fixed increases  
5 in the level of protein excreted and you start crossing  
6 borders, like the border into overt dip stick positive  
7 proteinuria, you are then talking about changes which  
8 reflect the early changes in the course of disease.

9 DR. BORER: Any other questions? I think Alan  
10 and Steve each had a concern. Alan?

11 DR. HIRSCH: My question is again a follow-up  
12 to Dr. Kopp's and my nephrology colleague's question. When  
13 we talk about surrogate markers, obviously, we have to  
14 place some kind of value on the surrogate, and later today  
15 we'll be talking about combined endpoints and value to the  
16 patients. I want to come back one more time.

17 With some surrogate markers, there's a percent  
18 reduction in LDL cholesterol. I know pretty well what that  
19 does to the patient in terms of any cardiovascular risk.  
20 There must be some threshold below which intraocular  
21 pressure decrease will prevent blindness, some nadir wedge  
22 pressure change which alters shortness of breath and  
23 mortality.

24 What I struggle with as a non-nephrologist is  
25 what level of microalbuminuria change has any impact down

1 the road in some time frame on a clinical outcome. Do we  
2 have any information, or is it merely at this point a  
3 qualitative improvement in the natural history?

4 DR. EDMUND LEWIS: Tell me if this is adequate  
5 or not. What I would like to do, since Dr. Parving is  
6 probably the most logical person in the world to discuss  
7 this topic, I really want you to hear his opinion about  
8 this.

9 But to just go back to my answer to Dr.  
10 Lipicky, I think the problem is the goal being early  
11 intervention. I think that your confidence about measuring  
12 something that doesn't have a symptom and that is a point  
13 in time during the course of a disease is dependent upon  
14 how much information you have about the natural course of  
15 this disease. One of the things that you can be sure of in  
16 diabetic nephropathy is that the course is inexorable, so  
17 that when you start to see increases in urine protein  
18 excretion, you can be certain that that will progress if  
19 there is not an effective intervention. I think we know  
20 enough about the course of diabetic nephropathy to be able  
21 to say that, but to a certain extent, I'd like to defer to  
22 Dr. Parving who's done I think most of the really truly  
23 valid publishable studies in this area.

24 DR. BORER: Maybe we can hold that for Dr.  
25 Parving's presentation.

1 Final question, Steve?

2 DR. NISSEN: The cause of mortality in these  
3 patients of diabetic hypertensive disease, if I'm correct,  
4 isn't about 80 percent of the mortality cardiovascular?

5 DR. EDMUND LEWIS: Yes.

6 DR. NISSEN: Myocardial infarction and stroke  
7 being the most common.

8 DR. EDMUND LEWIS: Yes.

9 DR. NISSEN: So, would not one test of this  
10 surrogate of doubling creatinine be the relationship  
11 between the ability to affect the doubling of creatinine  
12 and the ability to affect cardiovascular mortality, death,  
13 myocardial infarction, nonfatal infarct, stroke, et cetera?

14 DR. EDMUND LEWIS: See, I think it's not a one  
15 to one. It's a relative increase. And we're talking about  
16 populations now.

17 You get into a very interesting, complex issue,  
18 and these are interesting and complex patients let me tell  
19 you. There's no pleasure to do a clinical trial with this  
20 group of patients.

21 Microalbuminuria in the nondiabetic population  
22 -- let's say the hypertensive population -- is a marker of  
23 cardiovascular disease. People, for example, with  
24 hypertension who have microalbuminuria have a much worse  
25 prognosis over the next 10 years in terms of myocardial

1 infarction, cardiovascular death and so forth than people  
2 with hypertension who don't have microalbuminuria. And we  
3 don't know why that is. We don't know what the vascular  
4 issue is that explains that.

5           But I think that at this point I have to say  
6 that because there are a number of clinical states  
7 associated with decreased perm selectivity and  
8 microalbuminuria, it doesn't negate the importance of that  
9 parameter in diabetic nephropathy just as decreased  
10 glomerular filtration rate is seen in many diseases, it  
11 doesn't mean that studying that in diabetic nephropathy is  
12 not valid.

13           So, the microalbuminuria means that, indeed,  
14 that is a population of patients who have increased  
15 cardiovascular risk. Obviously, type 2 diabetic patients  
16 have increased cardiovascular risk. But I don't think that  
17 one can draw the conclusion that you can use a renal  
18 parameter in patients with overt or even latent diabetic  
19 nephropathy with a cardiovascular index and say the  
20 cardiovascular event is the hard endpoint even though the  
21 albumin is the renal parameters --

22           DR. NISSEN: But if that's what happens, if  
23 your renal function gets worse, you ultimately go on and  
24 die a cardiovascular death, then wouldn't one want to see  
25 that a drug that slows the development of end-stage renal

1 disease would have a beneficial effect on the hard  
2 cardiovascular endpoints? What I'm getting at is, as a way  
3 of validating the surrogate, whether or not we ought to see  
4 such a relationship.

5 DR. EDMUND LEWIS: You know, you're undermining  
6 my concluding statements.

7 (Laughter.)

8 DR. EDMUND LEWIS: The thing is that if you  
9 look at the cardiovascular course of patients with type 2  
10 diabetic nephropathy, certainly there are excess  
11 cardiovascular events throughout nephropathy and those  
12 patients with type 2 diabetes who have proteinuria have  
13 many more cardiovascular events than those who don't, and  
14 those who have a decrease in GFR have more still than those  
15 who don't. And when they go on dialysis programs, the  
16 cardiovascular events go way up. Of course, that is why,  
17 in terms of preventing cardiovascular events, the one  
18 dramatic thing that we can do is prevent them from going on  
19 to end-stage renal failure.

20 But I think that in this patient population,  
21 what I've come to see is that the cardiovascular disease in  
22 patients with advanced renal disease -- so, we're talking  
23 about the IDNT patients -- is so advanced when you start to  
24 study those patients that I don't think that you can use a  
25 cardiac endpoint to indicate that you've done something as

1 far as -- you know, that altering the progression of kidney  
2 disease can alter that. I think that that's what it comes  
3 down to.

4 DR. BORER: I made a misstatement. We have one  
5 final question from the far side of the table there.

6 DR. TEMPLE: The previous discussions are  
7 interesting. They go to the heart of surrogacy and all  
8 kinds of things. I would say we've certainly accepted the  
9 idea that creatinine doubling is an anatomical finding that  
10 has something to do with whether you're going to have renal  
11 failure. That's not a big stretch in many ways for reasons  
12 you just gave.

13 It would be true, though, that something that  
14 had a physiologic effect or a pharmacologic effect on  
15 creatinine might not be very persuasive because what you're  
16 saying is when you see a creatinine doubling, that's really  
17 an anatomic effect. You're describing the state of the  
18 glomeruli. So, something that had a transient effect  
19 wouldn't be nearly as persuasive. You wouldn't know what  
20 to make of that. JoAnn was sort of asking about that  
21 before.

22 My question goes to the microalbuminuria. Do  
23 we know whether any of the drugs being studied here might  
24 have a sort of physiologic effect -- I'm not sure what that  
25 would be -- that would decrease the amount of albumin but

1 not really reflect the state of the kidney?

2                   Just by analogy when people wanted to say that  
3 use of ACE inhibitors at the time of an infarction would  
4 prevent remodeling, we always said, well, that's nice but  
5 just showing a change in ejection fraction while still on  
6 drug is not very impressive because that just may be that  
7 you're a vasodilator. So, that doesn't prove anything.  
8 Take the drug away and show us that you still have an  
9 impact on ejection fraction. That would be convincing.

10                   So, my question is how, does that apply to the  
11 microalbuminuria findings here? Is there anything these  
12 drugs might do that could be fooling us about whether  
13 they're really making an anatomic change or just sort of  
14 changing the hemodynamics in the kidney to alter protein  
15 excretion? What's known about that?

16                   DR. EDMUND LEWIS: I think that that's the  
17 important question for you. It's the important question  
18 for us when we're designing trials, and in a way it is  
19 very, very difficult to come up with a concrete answer  
20 unless you follow these patients for 10-15 years. So, we  
21 do have the constraint of coming up with a parameter within  
22 the period of some reasonable clinical trial.

23                   I think that Dr. Parving will address this  
24 because in the IRMA 2 trial, the higher dose angiotensin  
25 receptor blocker actually was associated with continued

1 decrease of urine albumin excretion even after the drug is  
2 stopped. And I think that that's probably the best that  
3 you can ask for if you want to say it's physiological.  
4 It's not physiological.

5 I think in terms of the preamble to your  
6 question, there's very, very little known about tubular  
7 reabsorption of albumin, and I think that you will see in  
8 the IRMA 2 trial data with two doses of ARBs and so forth.  
9 I don't think that there is a reason to believe that  
10 decreased albumin excretion is because the same amount has  
11 been filtered and more is being reabsorbed. That certainly  
12 does occur for sure with lowering the blood pressure and  
13 that has been accounted for in this trial. So, I think my  
14 goal here is to get off this podium.

15 (Laughter.)

16 DR. EDMUND LEWIS: After Dr. Parving's talk, I  
17 hope you will grill him about this.

18 (Laughter.)

19 DR. BORER: This is really the final question.  
20 Tom?

21 DR. FLEMING: Well, I think my colleagues have  
22 asked a lot of the key issues here, as I've been thinking  
23 about it, but I think Dr. Temple just got at something that  
24 I've been thinking about as I've been listening to you.

25 You had mentioned creatinine clearance is, in



1 essence, not a surrogate. It is truly the clinical event  
2 of interest. And listening to your presentation, it  
3 strikes me that what would be the truer measure would be  
4 something that's fundamentally structural progression,  
5 structural abnormality versus functional abnormality. I'm  
6 motivated to ask the question by Bob's question because it  
7 seems as though there are more factors that could influence  
8 the functional abnormalities. Wouldn't we best be served,  
9 although it may not be so achievable, to be looking at  
10 something that is directly structural progression,  
11 structural abnormalities?

12 DR. EDMUND LEWIS: Well, no. I think in an  
13 ideal world -- and I think it's not unreasonable to make  
14 that demand. You know, this is coming out of a life where  
15 my focus has not been diabetes. It's been lupus actually.  
16 So, we're more interested in structural and functional  
17 issues there.

18 First of all, I think that is to me not  
19 conceivable that one could do a study of multiple biopsies  
20 in this patient population. This happens to be a dangerous  
21 population for renal biopsies, and I think we were very  
22 fortunate in many ways that we did the pilot trial and that  
23 was fine because this is a very obese population of  
24 patients and they have hypertension. So, their risk with  
25 renal biopsy is greater than the usual patients whom we

1 biopsy.

2                   The ethics of doing multiple biopsies I am not  
3 sure that any IRB would approve of, but I can't speak for  
4 IRBs in the future and so forth. And I know that comment  
5 probably doesn't mean anything in terms of what's going on  
6 here, but that is my opinion.

7                   The other thing about that -- and we've  
8 certainly seen this in doing multiple biopsies in other  
9 diseases like lupus -- is that there is a sampling issue so  
10 that if you want to find a difference between two biopsies,  
11 certainly there are morphometric ways of measuring things,  
12 but in the end, even though it sounds like that might be  
13 the gold standard, the fact of the matter is that the  
14 accurate and reproducible way of studying renal function is  
15 the functional issue which is the ability of the kidney to  
16 filter and not the morphologic issue which in this case,  
17 especially with the advanced disease, would mean that you  
18 would be trying to show stability. That would become a  
19 real statistical issue in terms of morphology.

20                   So, in answer to your question, I think that  
21 ideally certainly at the bench with experimental animals,  
22 that's what you do, but in terms of our ability to actually  
23 study clinically patients with type 2 diabetes, I don't  
24 think we could do it.

25                   DR. FLEMING: Well, I can readily be persuaded

1 with what you've said, that measuring these functional  
2 abnormalities may be more measurable and even potentially  
3 more reproducible. My concern is more uncertainty about  
4 what is the magnitude of effect, duration of effect, and  
5 other factors that could influence those functional  
6 abnormalities that aren't necessarily integral to what it  
7 is that we're trying to do here.

8 DR. EDMUND LEWIS: Well, let me just ask you,  
9 are you referring to the perm selectivity issue, which is  
10 the proteinuria issue, or are you referring to the  
11 filtration issue?

12 DR. FLEMING: Actually my concerns would apply  
13 to any of these markers.

14 DR. EDMUND LEWIS: I guess the key term here  
15 with type 2 diabetic nephropathy is "inexorable." As you  
16 will see, using the serum creatinine as a direct measure of  
17 renal function, you can expect progression, you can expect  
18 doubling, indicating having the glomerular filtration rate.

19 Shortly after that, you can expect the patient to get to a  
20 level of renal function where they require dialysis and  
21 transplantation, and that is progressive, and I think that  
22 you will see in our data that that in fact is what happens.

23 So, if one uses doubling of serum creatinine,  
24 as we have, as the index of significant loss of renal  
25 function, those patients invariably progress to the hard

1 endpoint, if you will, which is requiring end-stage renal  
2 failure management. And it's there where it's undeniable  
3 that you've actually got a clinical event.

4                   So, we are not talking about a measure. We're  
5 not talking about creatinine as a surrogate any longer;  
6 we're talking about it as a measure. But we're not talking  
7 about it as a measure that doesn't have serious clinical  
8 significance; we're talking about it as a measure that  
9 ultimately we can expect a hard endpoint, if in fact we  
10 were to follow the patient long enough.

11                   DR. BORER: JoAnn?

12                   DR. LINDENFELD: Just one final question. You  
13 showed very nice data in the captopril trial that  
14 creatinine clearance and iothalamate clearance were exactly  
15 equal. Do we have any data at all like that in this type  
16 of patients before the institution of therapy and after the  
17 institution of therapy?

18                   DR. EDMUND LEWIS: No. Well, I'm not sure I  
19 get your question.

20                   DR. LINDENFELD: To be sure that secretion is  
21 not an issue.

22                   DR. EDMUND LEWIS: No, we don't have --

23                   DR. LINDENFELD: It seems like that's a  
24 physiologic measure that would help us understand that, as  
25 Dr. Temple brought up, we're not seeing sort of a

1 physiologic change that's not reversible. So, that kind of  
2 measurement would be enormously helpful to show that,  
3 before and then after treatment, those two things don't  
4 change.

5 DR. EDMUND LEWIS: Yes. Again, I want to  
6 emphasize that patients entering the IDNT were patients who  
7 had really advanced disease. As you will see, their blood  
8 pressures were high even on antihypertensive medication  
9 before they got to us, and this is not a clinical situation  
10 where we can just stop drugs and do clearances. I don't  
11 think that it's something that is a practical thing in this  
12 patient population. I don't believe that one can get the  
13 data that you're asking for, which is creatinine dynamics  
14 off the drugs that these patients are going to have to be  
15 on. So, it's a problem there. What I'm saying is I don't  
16 think there's an answer to your question.

17 DR. BORER: Dr. Lewis, I want to thank you very  
18 much. I must say I wish you had been speaking about this  
19 to my class when I was in medical school.

20 (Laughter.)

21 DR. BORER: We'll go on and while we're doing  
22 that, I want clarification that requires only a yes or a no  
23 from Dr. Cooper or maybe from Dr. Daniels. Is it true that  
24 the proposed indication is for the treatment of patients  
25 with type 2 diabetic renal disease, not for the patients

1 with hypertension and type 2 diabetic renal disease? Is  
2 that correct?

3 DR. COOPER: With hypertension.

4 DR. BORER: Because that's not what was given  
5 to us. So, we have to make that clarification.

6 DR. COOPER: In both studies all the patients  
7 had hypertension.

8 DR. BORER: I know they did, but the proposed  
9 indication, your slide A-6, doesn't say that. That's why  
10 I'm asking. But now you've clarified it. You're asking  
11 for approval for treatment of patients who have  
12 hypertension and type 2 diabetic renal disease.

13 Having clarified that, let's move on. Dr.  
14 Cooper?

15 DR. COOPER: Good morning, Chairman, members of  
16 the advisory committee and the FDA and invited participants  
17 from the academic community. I have been involved with the  
18 irbesartan diabetic nephropathy trial since its inception  
19 working with Dr. Lewis and the collaborative study group to  
20 design this trial between 1993 and 1995. I am here today  
21 to share the results with you.

22 The presentation is divided into four segments:  
23 the study design and conduct, the demographic and baseline  
24 data, the efficacy results, and the safety.

25 The irbesartan diabetic nephropathy trial, or

1 IDNT, was designed as a single trial that tested two  
2 hypotheses. Does interruption of the renin-angiotensin  
3 system with the angiotensin II receptor antagonist  
4 irbesartan provide renoprotection in subjects with type 2  
5 diabetic nephropathy independent of blood pressure  
6 lowering? Specifically, would irbesartan be superior to  
7 placebo in the primary comparison and would irbesartan be  
8 superior to amlodipine in the secondary comparison?

9           The primary endpoint was a composite of  
10 doubling of baseline serum creatinine, end-stage renal  
11 disease, or death. The design of the study was carried out  
12 according to the principles that the collaborative study  
13 group had established in the type 1 diabetic nephropathy  
14 study with captopril. An irreversible doubling of serum  
15 creatinine is a direct measure of the decline in the  
16 kidney's ability to filter blood and corresponds to the  
17 loss of 50 percent of renal function. When a subject  
18 reached doubling of serum creatinine as an endpoint, coded  
19 medication was stopped to allow the study investigator to  
20 treat the subject outside of protocol. Verification of  
21 doubling of serum creatinine as an endpoint required  
22 submission of two consecutive samples for measurement of  
23 serum creatinine by the central laboratory at Rush  
24 Presbyterian Hospital after all corrective actions defined  
25 by the protocol had been undertaken to confirm there were

1 not reversible causes.

2                   End-stage renal disease was defined as renal  
3 transplantation, the need for dialysis, or a serum  
4 creatinine equal to or greater than 6.0 milligrams percent.

5   This threshold for serum creatinine was selected because  
6 it is the trigger for initiating dialysis in diabetics as  
7 endorsed by Medicare.

8                   All-cause mortality was included in the primary  
9 composite endpoint due to the competing risk of  
10 cardiovascular disease in these type 2 diabetic subjects.

11                   The secondary endpoint involved cardiovascular  
12 events that affect these subjects: cardiovascular death,  
13 nonfatal myocardial infarction, hospitalization for heart  
14 failure, permanent neurological deficit attributed to  
15 stroke, and amputation.

16                   All primary and secondary outcome measures were  
17 adjudicated by the outcome confirmation and classification  
18 committee or the mortality committee without knowledge of  
19 coded medication assignment. These committees were  
20 independent, non-BMS entities.

21                   In order to qualify for study entry, subjects  
22 had to be 30 to 70 years old with type 2 diabetes,  
23 hypertension, as defined here, and a urine protein  
24 excretion exceeding 900 milligrams. Serum creatinine was  
25 between 1.0 and 3.0 milligrams percent in women and 1.2 and



1 3.0 milligrams percent in men to assure that renal function  
2 was on the linear slope of decline.

3           Subjects from 209 sites located in 27 countries  
4 were randomized to one of three treatments: placebo,  
5 irbesartan, or the calcium channel blocker amlodipine.

6           When the trial was first designed, the relative  
7 importance of blood pressure lowering alone versus unique  
8 benefits of antihypertensives with mechanisms of action,  
9 other than interruption of the renin-angiotensin system, in  
10 type 2 diabetic nephropathy remained to be determined.

11 Published reports of studies in experimental models and in  
12 patients with either microalbuminuric or proteinuric  
13 diabetic renal disease suggested that administration of  
14 calcium channel blockers could be renoprotective.

15 Furthermore, amlodipine at that time was the most  
16 frequently prescribed antihypertensive used in diabetics.

17           In order to test the two study hypotheses,  
18 aggressive management of blood pressure control was  
19 essential. Multiple antihypertensives, with the exception  
20 of those disallowed by the protocol, angiotensin II  
21 receptor antagonists, ACE inhibitors, and calcium channel  
22 blockers, were added for all subjects to ensure that the  
23 target blood pressure level, 135 over 85 millimeters of  
24 mercury, was reached. An independent committee of  
25 physicians, the clinical management committee, reviewed

1 data periodically in a blinded manner to ensure a blood  
2 pressure lowering to target levels for each subject and  
3 across the three treatment groups.

4           Subjects were followed for an average of 2.9  
5 years and were seen every 3 months until the end of the  
6 study. A data safety monitoring committee reviewed  
7 unblinded safety and efficacy results periodically  
8 throughout the study.

9           1,715 subjects were randomized to one of the  
10 three treatment groups and all were included in the intent-  
11 to-treat analysis. 16 subjects did not receive study drug.  
12 All of the 1,699 subjects who received at least one dose  
13 of study drug were included in the safety analysis.

14           408 subjects discontinued study drug early. Of  
15 these subjects, 161 reached one of the endpoints and 121  
16 were followed until study closure without an endpoint. 118  
17 subjects were missing measurement of serum creatinine at  
18 study closure. Dialysis, transplantation and mortality  
19 status was known in 89 of these subjects. Mortality status  
20 was known in the remainder. 8 subjects were lost to  
21 follow-up. The remaining 1,291 subjects completed double-  
22 blind therapy as defined by the protocol.

23           The incidence of discontinuation of study drug  
24 was similar across the three treatment groups.

25           The baseline characteristics of all randomized

1 subjects is demonstrated here. It was similar across the  
2 three treatment groups. Subjects were close to 60 years of  
3 age, predominantly male and caucasian, with type 2 diabetes  
4 for an average of 15 years. In response to one of the  
5 earlier questions, distribution of the races across the  
6 three treatment groups was similar.

7                   Consistent with the natural history of the  
8 disease and the duration of known diabetes, subjects had  
9 mild to moderate renal insufficiency with a mean serum  
10 creatinine of 1.7 milligrams percent, and notice here the  
11 creatinine clearance at baseline was 57 to 59 milliliters  
12 per minute. Normal creatinine clearance in this population  
13 would be considerably higher.

14                   The mean urine protein excretion was close to  
15 the nephrotic range.

16                   Blood pressure measurements at baseline were  
17 also similar across all treatment groups.

18                   Here are the mean systolic and diastolic blood  
19 pressures plotted over time. Reductions in systolic and  
20 diastolic blood pressure from baseline were observed in all  
21 three treatment groups. The attained blood pressure levels  
22 were clinically indistinguishable in the irbesartan group,  
23 which is in yellow, and the amlodipine group in blue.  
24 There was a 3.9 millimeter of mercury and a 2.7 millimeter  
25 of mercury difference observed between the irbesartan group

1 and the placebo group in pink in the mean systolic and  
2 diastolic blood pressures, respectively. While these  
3 differences are statistically significant, analyses of the  
4 primary efficacy endpoint, to be shared with you shortly,  
5 confirm that these differences are not clinically  
6 meaningful in this study.

7           On average, two to four antihypertensives were  
8 required to achieve this level of blood pressure control.  
9 The most frequently prescribed antihypertensives were beta-  
10 adrenergic blockers, central adrenergic agonists, and  
11 peripheral adrenergic blockers. The use of all classes of  
12 agents was slightly more common in the placebo group. The  
13 majority of subjects used either thiazide diuretics or, as  
14 renal disease progressed, loop diuretics.

15           As you recall, the primary efficacy measure is  
16 the time to the composite endpoint of doubling of serum  
17 creatinine, ESRD, or death. This slide shares the primary  
18 results of the study. As seen here, irbesartan in yellow  
19 significantly increased the time to the primary composite  
20 endpoint when compared to placebo in pink, demonstrating a  
21 20 percent relative risk reduction, with a p value of  
22 0.023. The treatment benefit was apparent as early as 18  
23 months and was maintained throughout the study.

24           In the secondary comparison with amlodipine in  
25 blue, a 23 percent relative risk reduction was observed.

1 Again, this difference was statistically significant with a  
2 p value of 0.006. This treatment effect was seen in the  
3 setting of clinically indistinguishable blood pressure  
4 levels.

5 To confirm that the blood pressure differences  
6 between irbesartan and the placebo groups were not  
7 clinically meaningful, the primary analysis was adjusted  
8 using blood pressure levels as a time dependent covariate  
9 in the Cox regression model. The results for the primary  
10 efficacy endpoint were similar with a relative risk  
11 reduction of 19 percent and a p value of 0.035.

12 A similar analysis, adjusting for the levels of  
13 hemoglobin A1C, was also conducted, and once again, the  
14 results for the primary composite endpoint were similar.

15 Lastly, the amlodipine group behaved similarly  
16 to the placebo group with no observed benefit in the  
17 primary composite endpoint.

18 This slide displays the Kaplan-Meier curves for  
19 the renal outcomes, a predefined endpoint consisting of  
20 doubling of serum creatinine or ESRD. Treatment with  
21 irbesartan in yellow significantly delays the progression  
22 of diabetic nephropathy compared with placebo in pink with  
23 an observed relative risk reduction of 26 percent. This  
24 was statistically significant with a p value of 0.012.

25 For the secondary treatment comparison with

1 respect to amlodipine in blue, a 34 percent relative risk  
2 reduction in favor of irbesartan was observed. This again  
3 was statistically significant with a p value less than  
4 0.001.

5           The Kaplan-Meier curves suggest that the  
6 treatment benefit was observed as early as 18 months and  
7 was maintained for the duration of the study. The Cox  
8 regression analysis confirmed that the observed renal  
9 benefit of irbesartan was independent of blood pressure  
10 lowering.

11           Together, these results prove that blockade of  
12 the renin-angiotensin system with irbesartan delays the  
13 progression of diabetic nephropathy and that these benefits  
14 were in addition to blood pressure reduction alone.

15           Data on the next two slides provides insight  
16 into the relationship between doubling of serum creatinine  
17 and ESRD.

18           Patients with proteinuria who double their  
19 serum creatinine have advanced to the stage of the disease  
20 characterized by progressive and irreversible loss of renal  
21 function. This is evident in this analysis showing the  
22 cumulative rate of reaching ESRD for subjects who have  
23 doubled their serum creatinine. The median time to ESRD,  
24 defined as renal transplantation or the need for dialysis  
25 or serum creatinine of at least 6.0 milligrams percent,

1 once halving of the GFR has occurred, was 9.8 months and is  
2 similar to that observed in the captopril trial of type 1  
3 diabetics, which was 9.3 months.

4           The relationship between serum creatinine and  
5 ESRD is further defined on this slide showing dialysis and  
6 transplantation events that occurred in subjects after  
7 doubling of serum creatinine or in subjects who experienced  
8 ESRD as defined by a serum creatinine of at least 6.0  
9 milligrams percent as a first event. Of the 322 subjects  
10 who doubled their serum creatinine, 133, or 41 percent of  
11 subjects, underwent dialysis or transplantation during the  
12 period of follow-up. In contrast, only 5 percent of  
13 subjects who never experienced a serum creatinine event  
14 reached ESRD. These results indicate that progressive  
15 decline in renal function increases the risk of subsequent  
16 outcomes.

17           Of the 71 subjects whose first event was ESRD,  
18 as defined by the serum creatinine, the overwhelming  
19 majority, 59 or 83 percent of subjects, went on to dialysis  
20 or transplantation, and this occurred in a relatively short  
21 time frame. The mean time until dialysis was initiated in  
22 these subjects was only 2.5 months.

23           Based on these results, it is reasonable to  
24 conclude that with longer follow-up, all subjects who  
25 doubled their serum creatinine would reach ESRD unless

1 death intervened. Furthermore, these results mirror  
2 practice in the nephrology community. The standard  
3 approach to the treatment of diabetics with advanced  
4 nephropathy is to periodically monitor serum creatinine and  
5 initiate dialysis once the serum creatinine reaches 6.

6           The next series of slides portray the results  
7 for the components of the primary composite endpoint and  
8 the secondary endpoint analysis, cardiovascular morbidity  
9 and mortality.

10           This slide displays the relative risk  
11 reductions of the primary composite endpoint and the  
12 individual components. In order to assess the impact of  
13 treatment on the individual components, all occurrences of  
14 that component event were included in the time-to-event  
15 analyses. When a subject reached doubling of serum  
16 creatinine as an endpoint, coded medication was stopped to  
17 allow the study investigator to treat the subject outside  
18 of protocol. Thus, the intent-to-treat analyses presented  
19 for each of the components include events which occurred in  
20 subjects who were no longer on coded medication.

21           The first panel displays the risk reductions  
22 for the comparison of irbesartan and placebo and the second  
23 panel for the comparison between irbesartan and amlodipine.  
24 The observed benefit of irbesartan, when compared to  
25 placebo, was driven primarily by the two renal outcomes,



1 doubling of serum creatinine and ESRD. The consistency of  
2 the results are apparent in the comparison with the second  
3 control group. The relative risk reduction of 23 percent  
4 was also driven by the renal outcomes, doubling of serum  
5 creatinine and ESRD.

6 For all-cause mortality, the point estimates  
7 are close to 1 for each comparison, suggesting that  
8 treatment with irbesartan had no adverse effect on subject  
9 safety.

10 As you'll recall, the secondary composite  
11 measure was time to cardiovascular morbidity and mortality  
12 and it was evaluated to assess potential risk in the type 2  
13 subjects given the competing risk of cardiovascular disease  
14 and to exclude evidence of harm. There was no difference  
15 observed between any of the treatment groups.

16 The sample size here, less than 600 subjects  
17 per arm, was smaller than has been typically required to  
18 detect differences in cardiovascular events due to blood  
19 pressure lowering using drugs with different mechanisms of  
20 action. These results reinforce the benefits of optimizing  
21 blood pressure control.

22 The next slide displays the relative risk  
23 reductions of the secondary composite endpoint and the  
24 individual components for the comparisons between  
25 irbesartan and placebo and irbesartan and amlodipine.

1 Cardiovascular events which occurred in subjects who were  
2 no longer on coded medication were included in these  
3 intent-to-treat analyses. Furthermore, by protocol,  
4 cardiovascular events that occurred after ESRD was reached  
5 were not captured because the initiation of dialysis and  
6 other therapeutic interventions are known to influence  
7 cardiovascular risk factors.

8                   There were no statistically significant  
9 differences in the comparisons between irbesartan and  
10 placebo for any of the individual events, indicating that  
11 there was no overall increased cardiovascular risk  
12 associated with treatment with irbesartan.

13                   In the comparisons between irbesartan and  
14 amlodipine, the result for hospitalization for heart  
15 failure favored treatment with irbesartan. The point  
16 estimates indicate directional trends for cardiovascular  
17 death, nonfatal myocardial infarction, and stroke in favor  
18 of amlodipine treatment. However, the confidence intervals  
19 for these risk reductions overlap 1 and did not reach  
20 statistical significance.

21                   In view of these results, a post hoc analysis  
22 combining the renal and cardiovascular endpoints was  
23 conducted to assess the overall benefit/risk of therapy.  
24 This combined composite endpoint provides equal weight to  
25 both the renal and the cardiovascular events and assesses

1 the time to the first occurrence of any detrimental  
2 outcome, whether it be renal, cardiovascular morbidity, or  
3 all-cause mortality. In this analysis in which the  
4 cumulative event rate approached 80 percent, irbesartan  
5 retains its treatment effect compared with either placebo  
6 or amlodipine, a 19 percent relative risk reduction  
7 compared to placebo and a 21 percent relative risk  
8 reduction compared to amlodipine, thus suggesting that the  
9 overall benefit of treatment with irbesartan is preserved.

10           The final segment of this presentation will  
11 focus on irbesartan's safety profile. In general,  
12 treatment with irbesartan in this patient population was  
13 safe and well-tolerated and resulted in few  
14 discontinuations. This table presents the incidents of  
15 adverse events, serious adverse events, discontinuations  
16 due to any adverse event, and death. There were no  
17 substantial differences between any of the treatment groups  
18 in these important safety measures.

19           Just to address one of the earlier questions,  
20 there were approximately 260 deaths reported in the study.  
21 Slightly greater than 50 percent of them were due to  
22 cardiovascular events.

23           The next slide includes those adverse events of  
24 special interest that are likely to occur in subjects with  
25 renal disease which resulted in discontinuation of study

1 drug: hyperkalemia, inability to control blood pressure,  
2 edema, orthostatic symptoms, and the early rise in serum  
3 creatinine.

4           It is well known that agents that interfere  
5 with the renin-angiotensin system increase the risk of  
6 hyperkalemia due to hypoaldosteronism. Although the  
7 incidence of hyperkalemia due to these agents is infrequent  
8 in patients with normal serum creatinine, in patients with  
9 impaired renal function that continues to worsen, the risk  
10 of hyperkalemia will increase.

11           As expected, subjects treated with irbesartan  
12 experienced a higher incidence of hyperkalemia compared  
13 with either placebo or amlodipine. This resulted in  
14 permanent discontinuation of study medication in 12 of the  
15 577 subjects. Periodic monitoring and appropriate  
16 intervention reduced the severity of this electrolyte  
17 disturbance. No subject with documented hyperkalemia  
18 attributed to treatment with irbesartan experienced death  
19 associated with hyperkalemia.

20           Inability to control blood pressure was a  
21 concern in this patient population because of the severity  
22 of the hypertension. Discontinuation of coded medication  
23 for this adverse event occurred more frequently in the  
24 placebo arm.

25           Edema, requiring discontinuation, occurred more

1 frequently in the amlodipine arm.

2           Orthostatic symptoms were also a concern  
3 because of autonomic neuropathy, and discontinuation of  
4 study drug due to orthostatic symptoms was similar across  
5 all three treatment groups.

6           Lastly early rise in serum creatinine, a well-  
7 documented risk in patients with bilateral renal artery  
8 stenosis treated with ACE inhibitors, only occurred in one  
9 placebo-treated subject.

10           In summary, irbesartan significantly reduced  
11 the time to progression of advanced diabetic nephropathy as  
12 demonstrated by the beneficial effects on the composite  
13 endpoints, renal outcomes and total mortality. There was a  
14 20 percent reduction in the primary endpoint compared with  
15 placebo and a 23 percent relative risk reduction with  
16 respect to amlodipine.

17           Importantly, renoprotective benefits of  
18 irbesartan were independent of blood pressure reduction.

19           Finally, in this patient population, irbesartan  
20 was generally safe and well-tolerated.

21           Before I introduce Dr. Parving, I guess I  
22 wanted to know if you had any questions.

23           (Laughter.)

24           DR. BORER: Yes, we will, and I don't think  
25 we'll be able to complete them all before the break that

1 I'm now told is mandatory for FDA people. Other parts of  
2 the Government sometimes tough it out.

3 (Laughter.)

4 DR. BORER: But I'm told that this group can't  
5 compete. In just about 5 minutes, we will take a break, so  
6 we'll have a few questions first. Then we'll complete  
7 after we come back from a 15-minute break.

8 But I would like to ask you a question now so  
9 that, since you may not have the answers readily available,  
10 during the break you can try to pull the relevant data  
11 together.

12 Granted that ESRD as the first event was  
13 relatively uncommon compared with doubling of the serum  
14 creatinine, nonetheless you show us an evaluation with ESRD  
15 as first event that suggests that this occurred earlier,  
16 not quite significantly earlier, but earlier, in patients  
17 who were not on irbesartan than in patients who were on  
18 irbesartan. Therefore, since all the patients who doubled  
19 their serum creatinine were allowed to receive drugs that  
20 are presumed to prevent the progression of renal disease,  
21 which I guess would in virtually all cases have included an  
22 ACE inhibitor or an AT1 receptor blocker -- you can correct  
23 me if I'm wrong about that -- I'd like to know, first of  
24 all, what drugs were they put on.

25 And secondly, what happened to the rate at

1 which ESRD developed in those patients who went from  
2 placebo to a presumably effective drug or from amlodipine  
3 to a presumably effective drug compared with the rate that  
4 was seen before the cut point in the patients who were  
5 still on randomized therapy at the time that they hit their  
6 first endpoint, being ESRD? That may be sort of  
7 complicated and maybe I didn't say it quite right, but I  
8 think you get the idea.

9                   If you don't have those data right now, that's  
10 fine, but I'd like to know what those results are after the  
11 break. Do you have any idea of that right now?

12                   DR. COOPER: No. I'd prefer to take a break  
13 and we will compile the data, to the best of our ability,  
14 to address your question.

15                   DR. BORER: Okay, that's great.

16                   I'll tell you what. Rather than have at you  
17 here, is it okay if we break 5 minutes earlier than you  
18 said? Yes, okay.

19                   Tom?

20                   DR. FLEMING: Given, Jeff, that you're putting  
21 on the table issues that we might discuss after the break  
22 so they have time to get it, one thing I'd like to see is  
23 the numbers of people who had dialysis or transplant, and  
24 so specifically two analyses: dialysis-free survival  
25 analysis and dialysis/transplant-free survival analysis.

1 I.e., the first being events are either death or dialysis;  
2 the second events being either death, transplantation, or  
3 dialysis.

4 DR. BORER: We'll take a break now and we will  
5 begin again precisely at 20 minutes of 11:00.

6 (Recess.)

7 DR. BORER: I assume that the requirement of  
8 the FDA that it get a break will also mean that people who  
9 work for the FDA want to have lunch. That's another thing  
10 we don't often do in other parts of the world. But to be  
11 able to stop in time to do that, we're going to have to  
12 start right now. So, let's sit down, get together, and  
13 begin the questioning of Dr. Cooper.

14 Where do we want to start here? Dr. Kopp?

15 DR. KOPP: Dr. Cooper, I had two questions.  
16 You may have mentioned this and I may have missed it. But  
17 were beta-blockers similarly used in all three groups, the  
18 issue being those also have an antirenin effect.

19 DR. COOPER: The use of all agents was slightly  
20 more common in the placebo group. So, the beta-blockage  
21 use in the placebo group was approximately 50 percent of  
22 the patients; in the irbesartan group, it was 43 percent;  
23 and it was a little bit less in the amlodipine group. So,  
24 it was slightly more common in the placebo group.

25 Your second question?



1 DR. KOPP: The second question actually --  
2 well, I guess I'll launch in -- is the issue that Tom  
3 Hostetter raised in the editorial in the New England  
4 Journal, which was the noncomparison with ACE inhibitors.  
5 I guess one of the issues here is that as a practitioner  
6 with a patient with type 2 diabetes, you can look back on  
7 the type 1 diabetic study and see that captopril had a 50  
8 percent reduction in doubling of creatinine, or you can  
9 look at this agent with a roughly 25 to 30 percent  
10 reduction, and you have to choose. Do you go with an agent  
11 that might potentially be more potent or go with the agent  
12 that has been used in the particular subset that you're  
13 looking at, type 2 diabetes?

14 So, the question would be you must have given  
15 thought to the use of ACE inhibitors. Any comment about  
16 why that arm was not used?

17 DR. COOPER: Yes. Can I please have subtalk  
18 2.4?

19 There's no data in type 2 diabetics with renal  
20 disease as to what class of drug, whether or not it  
21 interrupts the renin-angiotensin system, could be  
22 effective. This was the first trial conducted in this  
23 patient population. There was much discussion, especially  
24 because we were doing this study in collaboration with an  
25 academic group, about the choice of the comparator.

1                   For using a calcium channel blocker as a  
2 comparator, there were three points. The first was we  
3 wanted to evaluate blood pressure lowering due to a  
4 different mechanism of action other than interruption of  
5 the renin-angiotensin system. The second point suggested  
6 that calcium channel blockers could possibly be  
7 renoprotective, and at the time that the study was  
8 designed, between 1993 and 1995, there was a fair amount of  
9 literature and much discussion about calcium antagonists in  
10 all patients with renal disease. And lastly, because  
11 amlodipine was the agent of choice for this patient  
12 population, we wanted to assess whether or not this drug  
13 could be renoprotective.

14                   Specifically addressing your question about why  
15 we did not select an ACE inhibitor as a comparator, there  
16 were three points. The first was that we would be testing  
17 a mechanism of action that's similar. It's similar but  
18 it's not the same. With irbesartan, which is an  
19 angiotensin II receptor antagonist, you have complete  
20 blockade of the angiotensin I receptor. With an  
21 angiotensin converting enzyme inhibitor, you have other  
22 pharmacological activity, and specifically you have an  
23 entire series leading to potentiation of such things as  
24 bradykinin that were not yet tested in this patient  
25 population. No one could make any assumptions without data

1 and without evidence that type 2 diabetics with this extent  
2 of renal disease would do well with an ACE inhibitor.

3           And lastly, we're just being very pragmatic.  
4 Should we have conducted a study with an ACE inhibitor, we  
5 would have had to conduct a non-inferiority study, and the  
6 sample size would have been prohibitive.

7           DR. BORER: Let's keep on around this side of  
8 the table here. Bev?

9           DR. LORELL: I'd like to hear a bit more  
10 information about the actual strategies that were used in  
11 the trial when an increase in creatinine, albeit later  
12 found to be transient, occurred. Clearly in the real  
13 world, certainly in treating heart failure, the major  
14 reason for stopping an ACE inhibitor and probably also ARBs  
15 in patients for whom ACE inhibitors clearly reduce  
16 mortality is seeing transient rises in creatinine. That  
17 will impact the use of your drug in the real world.

18           What strategies were actually used and what was  
19 the mean and median absolute magnitude of transient bumps  
20 in creatinine that were addressed and reversed?

21           DR. COOPER: In answer to your last point, we  
22 do not have specific data about mean or median transient  
23 increases in serum creatinine. What I can share with you  
24 is the protocol that was used.

25           There were approximately five reasons that were

1 identified that could lead to reversible changes in renal  
2 function. The investigators were all instructed to first  
3 repeat serum creatinine measurements and determine whether  
4 or not any of these five reasons could be contributing to a  
5 transient increase in serum creatinine. They then needed  
6 to wait an additional 4 weeks before sampling the blood  
7 again. If there was still a transient increase, the  
8 protocol actually allowed for dose reduction of study drug  
9 to determine if there was some dose-related effect. If the  
10 increase in serum creatinine was sustained, then  
11 measurements from the first aliquot and from the second  
12 aliquot were subsequently sent to the central laboratory  
13 for confirmation of the serum creatinine. So, on average,  
14 there were approximately 4 weeks between the first serum  
15 creatinine being drawn and the last serum creatinine being  
16 drawn to protect against the possibility that we weren't  
17 dealing with a situation which was reversible acute renal  
18 failure.

19 DR. LORELL: But during those 4 weeks, did the  
20 investigators embark on the protocol of interventions on  
21 those five potential factors?

22 DR. COOPER: Yes.

23 DR. LORELL: They did.

24 DR. COOPER: Yes.

25 DR. BORER: Blase?

1 DR. CARABELLO: Let me try to understand better  
2 what happened to patients who doubled their serum  
3 creatinine. At that point, coded drug was stopped, and  
4 they were treated openly and presumably aggressively. How  
5 were they then treated statistically? Were they censored  
6 from the initial group that they were in, or did they  
7 continue on in that group? What happened to them in terms  
8 of follow-up?

9 DR. COOPER: In order to address that question,  
10 I'm going to ask the statistician responsible for the  
11 results from Bristol-Myers Squibb, Dr. Natarajan.

12 DR. NATARAJAN: Hi. My name is Kannan  
13 Natarajan from Bristol-Myers Squibb. I'm in the  
14 Biostatistics Department.

15 To answer your question, we treated them as  
16 intent-to-treat, so we did not actually discard any events  
17 that might have happened after they stopped coded  
18 medication. All of these patients were analyzed as they  
19 were randomized.

20 DR. BORER: Steve?

21 DR. NISSEN: Yes. I want to come back to that  
22 in a minute.

23 But first, I wonder if you could put up your  
24 slide C-16. Is that possible?

25 DR. COOPER: Core slide C-16 please.

1 DR. NISSEN: There are a variety of endpoints  
2 listed there, and those of us in cardiovascular medicine  
3 tend to think of the hard cardiovascular endpoints as being  
4 the composite of cardiovascular death, MI, and stroke.  
5 Now, one interpretation of the data -- and I want to see if  
6 you concur with this -- is that in the comparison with  
7 amlodipine, you saw a 23 percent decrease in the risk of  
8 reaching your renal endpoint, but at the cost of, at the  
9 expense of, a 36 percent increase in the risk of  
10 cardiovascular death, a 51 percent increase in the risk of  
11 nonfatal MI, and an 86 percent increase in the risk of  
12 stroke.

13 Each of those point estimates overlap a  
14 relative risk of 1, but the hard cardiovascular endpoints,  
15 if you lump those together, my guess is -- and I actually  
16 did some statistics here myself and Tom probably could do  
17 it very quickly. I got a p value of around .01. So, it  
18 looks to me like there's actually stronger evidence for an  
19 increased risk of hard cardiovascular endpoints than there  
20 is evidence for a beneficial effect on the softer endpoint  
21 of an increase in creatinine. Is that an accurate  
22 reflection of the data?

23 DR. COOPER: Is Dr. Pfeffer here?

24 DR. JULIA LEWIS: The FDA has asked for a hold  
25 on the question. They're with Dr. Pfeffer right now.

1 Members of the FDA are with Dr. Pfeffer.

2 DR. COOPER: Okay. Can we come back to  
3 addressing your question when Dr. Pfeffer returns? Thank  
4 you.

5 DR. BORER: I wonder if you've had time to look  
6 for the data that I asked about earlier and that Tom asked  
7 about?

8 DR. COOPER: I'm going to begin with the second  
9 question that you asked specifically about transplantation  
10 and dialysis, with the caveat that in order to produce  
11 specific slides with time-to-event analyses, et cetera,  
12 we're actually putting those together now and we can share  
13 them with you probably after lunch. So, if we could start  
14 with subtalk 5.8.

15 The first slide displays the actual number of  
16 events that occurred within end-stage renal disease. So,  
17 you have the number of dialysis, transplant, and serum  
18 creatinine events. This is all events that occurred, not  
19 just those that were part of the initial composite  
20 component.

21 If you look at the irbesartan events, you'll  
22 see that 77, 73 plus 4, events occurred in the irbesartan  
23 group, and if you look at the placebo group, you'll see  
24 that 88 plus 6, or 94, events occurred in the placebo  
25 group. So that the incidence of occurrence of

1 transplantation or dialysis events was less in the  
2 irbesartan group than in the placebo group.

3 I do not have a risk reduction or a p value for  
4 that result, but I would be happy to remind you that in the  
5 FDA questions, the actual risk reduction of time to  
6 dialysis was included, and that's .8. Confidence intervals  
7 do overlap 1.

8 Given that in this study, the progression of  
9 renal disease, you would have needed to follow these  
10 patients for a continued length of time in order to observe  
11 a statistically significant result. Also, the composite  
12 endpoint was a composite, and none of the individual  
13 components were powered in order to achieve a significant  
14 result.

15 The next slide. This is the total incidence of  
16 the events, dialysis, transplantation. Of course, we  
17 include serum creatinine since it was part of the ESRD  
18 definition for the components. So, we have 77. This is  
19 the same slide. 77 and 94.

20 Let's move on to slide 361 which is the Kaplan-  
21 Meier curve of time to ESRD. For the Kaplan-Meier curve  
22 here, this is ESRD. This includes serum creatinine of 6.  
23 We're trying to pull together the other Kaplan-Meier curve  
24 now. The relative risk reduction for irbesartan versus  
25 placebo was 23 percent. This was not statistically



1 significant. It was .07, but it trends in the appropriate  
2 direction.

3           Once again, if you look at irbesartan and  
4 amlodipine curves -- excuse me -- if you look at the  
5 amlodipine and placebo curves, they're superimposed on each  
6 other, indicating that there's no difference in the event  
7 rate in those two groups.

8           DR. LINDENFELD: Could I just add something  
9 here? Correct me if I'm wrong about this, but in the  
10 captopril trial, there was a 50 percent reduction in end-  
11 stage renal disease, and they did not use a definition of  
12 creatinine greater than 6.

13           DR. COOPER: Right.

14           DR. LINDENFELD: In this trial, all of the  
15 difference in end-stage renal disease is in creatinine  
16 greater than 6. None of it is in transplants or dialysis.  
17 So, there would be no reduction in end-stage renal disease  
18 if one didn't use creatinine greater than 6. Is that  
19 correct? I believe it is.

20           DR. COOPER: No, I disagree with that. As I  
21 shared with you before, the incidence of transplant and  
22 dialysis events was lower in the irbesartan group, and at  
23 least for time to dialysis, there appears to be a relative  
24 risk reduction in favor of treatment with irbesartan. And  
25 that's your last point.

1           In the comparison of the data between the  
2   captopril and the irbesartan trials, there are a couple of  
3   points that I think are important to communicate. The  
4   first is the relative incidence of death in the type 1  
5   patients who, at the time of the study, were 35 years old  
6   and not 58 years old, was very, very different. We had  
7   just a handful of deaths, and that's one point.

8           The second point is in the discussion of the  
9   captopril study, in the communications with the FDA as we  
10  were designing the study, the feedback that we received at  
11  that time was that we needed to have as firm a definition  
12  of ESRD as possible.

13           When you consider that this trial was conducted  
14  in 27 countries and the number of investigators, all of  
15  whom need to make a decision about when to initiate  
16  dialysis, there is no standard in the nephrology community  
17  on when to initiate dialysis. We felt very strongly that  
18  by including a serum creatinine of 6 or greater as part of  
19  the definition of ESRD, we were making that endpoint less  
20  arbitrary, and it was a clear definition. That's  
21  reinforced by the results that I shared with you earlier in  
22  the presentation where the time to dialysis following a  
23  serum creatinine of 6 was only 2.5 months.

24           DR. LINDENFELD: I understand the reasons that  
25  you said, and other people may want to comment on this.

1 But in fact if you exclude the creatinine of 6 and use  
2 transplant or dialysis, it was 22 versus 24. So, there was  
3 not even a trend to a change. I'm just saying this is  
4 different.

5 DR. COOPER: That's incidence.

6 DR. LINDENFELD: Right.

7 DR. COOPER: Okay, we need to have the data for  
8 time to.

9 DR. LINDENFELD: Right.

10 DR. BORER: Tom?

11 DR. FLEMING: I think part of what you're  
12 saying, JoAnn, is my understanding, and I think what Dr.  
13 Cooper has said is in part my understanding as well. Let  
14 me just get that out and see if we have a consensus here.

15 In the captopril trial, they did specifically  
16 look only at transplantation, dialysis, survival. It did  
17 show a 50 percent reduction and p was .006. I'm still  
18 interested in knowing what the results of that endpoint  
19 would show in this trial, specifically what does  
20 transplant, dialysis, death, as a composite endpoint, show  
21 in this trial.

22 I agree with Dr. Cooper. My understanding is  
23 the contributions of the elements will be different. In  
24 the captopril trial, only 30 percent of those endpoints in  
25 the composites were death, although death did show a

1 reduction. There was a 43 percent reduction in the death  
2 rate, although it was less of the dominant contribution.  
3 There were proportionately more dialysis/transplantation  
4 events. Here we would see in the composite endpoint, which  
5 I'm still waiting -- we still haven't been shown it -- we  
6 will have more dominance by death.

7           My understanding is where you're right, JoAnn,  
8 when you look at time to the primary endpoint -- and  
9 dialysis is the first event -- there's no evidence of a  
10 reduction there, 24/22. But if you continue to follow  
11 people past creatinine increases and look at whether or not  
12 this translates into a reduction in dialysis -- I believe  
13 it's what we're seeing now, which is the data you're  
14 showing us -- there's evidence of a 20 percent reduction,  
15 but it's not significant.

16           But clearly when you get this composite  
17 endpoint of transplantation, dialysis, death, that relative  
18 risk reduction is going to be a fair amount less than 20  
19 percent and not at all close to the 50 percent reduction of  
20 captopril in that corresponding analysis. So, I'd still  
21 like to see that analysis.

22           I'd like to move on to a related point, but did  
23 you want to say any more about this?

24           DR. LINDENFELD: No. Go ahead.

25           DR. FLEMING: Steve brings up another very key

1 point and that is if we're looking at clinical endpoints  
2 looking at the aggregation of clinical endpoints, certainly  
3 it's appropriate to focus on those that are renal related.

4 Certainly it's appropriate to look at a  
5 transplantation/dialysis-free survival endpoint separately.

6 But it's also very clinically relevant to say,  
7 especially if we're going to compare to amlodipine, what is  
8 globally happening here that's really clinically important?

9 When we keep seeing these meta-analyses, we keep seeing  
10 the creatinine changes included in those, and of course,  
11 they continue to dominate.

12 There's no question there is a difference in  
13 time to doubling. There's no question, and amlodipine  
14 doesn't provide that benefit. But if we look at how that  
15 translates into true, tangible clinical outcomes, looking  
16 first with a focus toward renal, i.e., dialysis,  
17 transplantation, death, we haven't seen it yet, but my  
18 guesstimate is it's going to be a reduction of 10 to 12  
19 percent relative risk, compared to 50 percent with  
20 captopril.

21 We haven't at all yet seen an analysis that's,  
22 in essence, in the spirit of what Steve wants to see, which  
23 is let's look at all events that really matter. Let's look  
24 at transplantation, dialysis, survival, but then also  
25 factor in those important cardiovascular events, such as MI

1 and stroke, where it would appear that there's no longer an  
2 advantage over amlodipine. And in fact, it's not clear to  
3 me whether there's a disadvantage. It would certainly be  
4 important at some point soon to see those two composite  
5 analyses.

6 DR. COOPER: So, if I understand you correctly,  
7 Dr. Fleming, what you're requesting is the time to a  
8 combined composite endpoint, excluding the serum creatinine  
9 events, that focus just on dialysis, transplantation,  
10 cardiovascular events, and death.

11 DR. FLEMING: Indeed, because essentially what  
12 we're looking at here is a continuum. What we're looking  
13 at in the primary endpoint is an endpoint that is dominated  
14 by time to doubling of serum creatinine. We've seen,  
15 however, that there's only about a 9-month lag from that  
16 endpoint to end-stage renal disease, and in fact a large  
17 number of people have achieved end-stage renal disease  
18 endpoints. But those endpoints are still heavily  
19 influenced by having serum creatinines hitting 6. And  
20 we're told that that, in fact, is a trigger for  
21 intervention, although interestingly there is some lag in  
22 when that intervention occurs.

23 But if it's in fact a short lag, then we  
24 presumably should be fully adequately powered to see the  
25 tangible effects. Does this translate in tangible effects

1 in terms of reducing the renal-focused endpoint, which is  
2 transplantation, dialysis, death? So, let's look at that  
3 composite endpoint, numbers of people that had that  
4 endpoint, relative risk estimates.

5                   Then looking more globally, as Steve had  
6 pointed out, let's look at the more global clinical  
7 consequences, because we've acknowledged that in this  
8 setting cardiovascular events dominate what are the bad  
9 things that happen to people. So, at least I would like to  
10 know what is the relative outcomes in bad things. Take  
11 your secondary endpoints and add transplantation and  
12 dialysis or take Steve's three endpoints, which are stroke,  
13 MI, cardiovascular death, and add dialysis and  
14 transplantation, and let's see. There's a lot of data here  
15 on these clinical endpoints. Let's see what those results  
16 show.

17                   DR. COOPER: Dr. Fisher, would you like to  
18 comment?

19                   DR. FISHER: Yes, I'd like to make a few  
20 comments. I'm a little bit shocked, for example, to hear  
21 Dr. Fleming think that 50 percent in type 1 diabetics, who  
22 are not required to be hypertensive, by the way, for that  
23 trial, so that a substantial proportion were not -- so, the  
24 concurrent therapy was very different and anything you  
25 observed could, in part, be related to hypertension as well

1 because it was a placebo-controlled trial. And there were  
2 35 and this is 58. So, I don't really understand the  
3 relevance.

4 A second point I'd like to make -- and I'm not  
5 a clinician.

6 DR. FLEMING: Lloyd, the relevance of what?

7 DR. FISHER: The relevance of the captopril  
8 data in young type 1 diabetics to demonstrate nephropathy,  
9 everybody hypertensive type 2 diabetics. I mean, granted  
10 we are treating diabetes and things are somewhat --

11 DR. FLEMING: You can put captopril aside if  
12 you wish. The interest in looking at what are the direct  
13 clinical outcomes stands on its own as being intrinsically  
14 of interest.

15 DR. FISHER: Just a second. We've heard about  
16 the cardiovascular death, and I think that's very relevant.  
17 However, the overall death rate is essentially unity, if  
18 you take into account deaths from all causes, total  
19 mortality. So, I personally would focus on that. I don't  
20 think the patient is too concerned about why they died.  
21 Well, they're not concerned about why they died actually, I  
22 think it's fair to say. The patient survivors are probably  
23 not too concerned about why the patient died but whether in  
24 fact there is an excess risk. Of course, that point  
25 estimate, including everything, is there.



1                   There may be analyses that haven't been run,  
2 but certainly the fairly strong trend, when you look at --  
3 and I imagine there are curves. I can't remember. There  
4 are umpty-doodle backup slides. Does anybody know if the  
5 cardiologist speaker is going to be allowed to speak?

6                   DR. BORER: Not for a bit. There are some  
7 issues that have to be resolved first. So, we'll have to  
8 hold that.

9                   DR. FISHER: Okay, because that's very  
10 important. It's not as if nobody thought of these issues.  
11 There's a very nice presentation by a person involved in  
12 the classification of that, a card-carrying, well-known  
13 cardiologist, who indeed could address these issues and is  
14 prepared to address the issues.

15                   But one of the points he makes, in case this  
16 doesn't get through, is he was surprised, when they got  
17 done, that there were many more renal endpoints than  
18 cardiovascular endpoints. Both are very important. And if  
19 you put them together, this is a sick population.

20                   But I don't know if the sponsor has every  
21 analysis Tom would desire, but there are a number of  
22 analyses that can be presented with backup slides looking  
23 at those endpoints.

24                   I would only like to point out the study was  
25 not designed nor powered for longer-term follow-up. Maybe

1 it should have been. But I think it's a little unfair to  
2 say, well, gee, if you didn't reach the components that I  
3 personally like, then you know, it doesn't mean much.  
4 That's kind of a stretch to me. You may say, well, gee, it  
5 was a great trial, but unfortunately it didn't have the  
6 best endpoint for the state of the science at this point in  
7 time, and I could understand that and that would be  
8 somewhat defensible.

9           But all the additional evidence, while not  
10 totally persuasive at the same significance level with  
11 fewer events, points that everything does go on as you've  
12 seen. You can throw things together and it looks nice and  
13 so on and so forth.

14           DR. BORER: Ray?

15           DR. LIPICKY: Well, but I guess to my mind  
16 there is some relevance of the captopril trial in the sense  
17 that there's this elegant schema for understanding the  
18 progression of kidney disease, and that if you can look at  
19 the captopril trial, you see that there is a clinically  
20 relevant endpoint that is easily met, and you should accept  
21 the creatinine as not a surrogate but a real thing. And  
22 the trouble here is, it seems to me, that the clinically  
23 relevant stuff that was measured sort of undermines that  
24 basic philosophy. So that although FDA has said doubling  
25 creatinine is an endpoint that is okay, FDA may be wrong,

1 and perhaps one shouldn't accept that as a reasonable  
2 thing.

3 DR. COOPER: Can I just make a couple of  
4 comments?

5 We're going to do our best to collect all the  
6 data and be able to respond. But there are a couple other  
7 comments here that are pertinent to the conversation, and  
8 then I'd like Dr. Lewis to be able to comment as well.

9 The first comment is that, Dr. Lipicky, if you  
10 will recall the first advisory committee on captopril, most  
11 of the cardiologists at that time were concerned that all  
12 of the benefit for captopril was because of its effect on  
13 heart failure, and that was driving the results of the  
14 study, which is why we felt very much that it was critical  
15 to include heart failure in the analysis.

16 The second comment -- and I think Dr. Lewis  
17 will be able to address this -- is with respect to the  
18 delay between serum creatinine of 6 and initiating  
19 dialysis. There are clear explanations for why that,  
20 quote, apparent delay would occur.

21 Dr. Lewis?

22 DR. EDMUND LEWIS: Well, I've spent the last 8  
23 years discussing the captopril trial, so I don't see why  
24 today should be any different.

25 First of all, I want to make sure that we're

1 all on the same page as far as who was studied in the  
2 captopril trial and who is being studied here because the  
3 common denominator may be diabetes, but we're talking about  
4 two very different trials and two very different  
5 populations of patients. So, let me just establish that  
6 first and then we can go on from there.

7                   We have slide 10-1, please.

8                   So, as you can see, we have a population of  
9 patients that's 24 years older. They are obese, whereas  
10 the type 1's were slim. Their blood pressures were  
11 considerably higher, particularly the systolic. However, I  
12 also want to emphasize that in the trial that we're talking  
13 about, IDNT, 100 percent of the patients were hypertensive,  
14 and in the captopril trial, 75 percent of the patients were  
15 hypertensive. So, 25 percent of the patients in the  
16 captopril trial had a very different course, particularly  
17 the ones in the placebo group.

18                   In addition, the type 2 patients that we're  
19 studying had a significantly worse level of renal function  
20 with a mean serum creatinine of 1.7 compared to the  
21 patients in the captopril trial.

22                   So, we're talking about two different  
23 populations of patients here. They're older. They're  
24 obese. They all smoke. They have an enormous history of  
25 cardiovascular disease, as you can see, 45 percent having

1 had a cardiovascular event. You couldn't get into the  
2 captopril trial if you had a cardiovascular event. And  
3 their blood pressure is a problem over years. These  
4 patients have chronic hypertension compared to the type 1  
5 patients.

6 Can I have subtalk 48-6 please? Yes.

7 DR. LINDENFELD: Dr. Lewis, while you're on  
8 that subject, the levels of proteinuria were the same,  
9 though, between the two trials.

10 DR. EDMUND LEWIS: Well, actually those are the  
11 geometric means. So, we've had a little interaction here  
12 because, of course, I represent BMS today, but we are the  
13 collaborative study group, and if you look at our paper,  
14 our actual means, not geometrical, but the actual means of  
15 urine protein excretion in the irbesartan trial is  
16 considerably higher than it was in the captopril trial,  
17 just meaning that we had more patients with a lot more  
18 proteinuria which kind of evens out when you do geometric  
19 means. So, you'll have to take my word for it on this.  
20 The patients in the type 2 trial on average had higher  
21 proteinuria. We had more patients with massive proteinuria  
22 than in the type 1 trial.

23 Now, in terms of doubling of serum creatinine  
24 and ESRD, one thing that I do want to point out to the  
25 panel: times change, as well as issues about various

1 diseases. And the reason why our hard endpoint in the type  
2 1 study was death, dialysis, or transplantation -- and my  
3 recollection is there were 22 deaths in the type 1 study.  
4 It was 14 in the placebo group and 8 in the captopril  
5 group, which was not statistically significant, but it was  
6 that trend.

7           And the reason we bundled those in the type 1  
8 study was because when we designed the type 1 study, we  
9 included death with dialysis and transplantation because at  
10 that time it was very difficult for a patient with end-  
11 stage renal disease due to diabetic nephropathy to actually  
12 get on a dialysis program. So, we saw those deaths not  
13 being as cardiovascular deaths but as renal deaths, which  
14 is no longer an issue because, as I say, 45 percent of  
15 patients on our dialysis programs today have diabetes.

16           So, it was a different time, and that's why  
17 that design was put in. But I think that it points out  
18 that you can't really exactly take even definitions such as  
19 death as being identical between the two studies because  
20 we're talking about the 1980s as compared to now, and  
21 things have changed.

22           Now, if you look at doubling of serum  
23 creatinine, which I hope we have established as being a  
24 very important clinical event in this course, which  
25 presages end-stage renal disease -- I mean, this isn't an

1 episodic disease. This is a continuum here. So, if you  
2 look at that, you can see that in fact in the two arms  
3 here, we have a substantial decrease in the likelihood of  
4 reaching that milestone.

5                 Now, one of the things about this and the  
6 apparently stronger results in the captopril trial is that,  
7 first of all, we did not have cardiovascular death as a  
8 serious competing endpoint in that trial. People are dying  
9 during this trial before they ever have a chance to double  
10 or go into end-stage renal disease, for that matter. And  
11 in addition, the placebo group in the captopril trial was  
12 losing renal function at such a rate that it was easier to  
13 show a difference between the two groups because in those  
14 days blood pressure was not controlled as rigidly, and that  
15 group of patients, the placebo group, certainly was losing  
16 renal function faster.

17                 So, in terms of comparing the two trials, from  
18 my point of view, having been the PI for both of these  
19 trials, the only thing that I think that really can be said  
20 about the two trials is that the results for both trials  
21 are strongly in the same direction. To compare the numbers  
22 from the two trials I really personally don't think is  
23 valid.

24                 Now, as far as the end-stage renal disease or  
25 death issue, I think that to a certain extent, of course,

1 we've said that we had a composite endpoint. These people  
2 could have a renal endpoint or a cardiovascular endpoint,  
3 and the cardiovascular endpoints were not statistically  
4 significant. Looking at the published data in, say, just  
5 hypertensive populations, the blood pressure trialists  
6 collaborative meta-analysis, clearly irrespective of what  
7 agent you're going to use, it's whether you lower the blood  
8 pressure or not that's going to really determine what your  
9 cardiovascular events are. So, we're not too surprised  
10 about the cardiovascular deaths really determining this.

11                   And as far as the ESRD is concerned, I think  
12 that you can see from our data about the way people are  
13 moving, doubling serum creatinine, getting up to 6, going  
14 on to dialysis, that I think that we're really talking  
15 about in this trial an issue of length of follow-up. I  
16 can't imagine how we can be talking about end-stage renal  
17 disease -- our data not showing that we have a serious  
18 effect in altering the course of renal disease because  
19 we're altering the course in a very positive way with  
20 irbesartan all the way up to renal disease. The only  
21 reason we don't have a significant p value with that is  
22 because we didn't follow them quite long enough. I think  
23 that you can assume that everybody who doubles is  
24 ultimately going to reach 6, and then they'll go on  
25 dialysis in a very short period of time.



1                   So, in looking at the course of renal disease,  
2 not looking at the specific p value numbers at each stage,  
3 I believe that what we're showing here is not really  
4 different from the captopril trial when you take into  
5 consideration patient population and all of that kind of  
6 thing.

7                   DR. BORER: Dr. Lewis, before you sit down, can  
8 you clarify something for me? I know you didn't collect  
9 these data, they're not reported, and it's not going to be  
10 a primary basis for decision making, but just so I can  
11 understand. When somebody reaches a creatinine of 6, let's  
12 say he doesn't get dialyzed, are there lifestyle changes  
13 that we can infer would occur? For example, is the diet  
14 very restricted? Are there other limitations? Can you  
15 tell us something about that?

16                   DR. EDMUND LEWIS: Yes, I would be glad to  
17 address that.

18                   The reason actually for the Medicare definition  
19 of a creatinine of 6 in this population is that the goal of  
20 the nephrologist is to get the patient on dialysis before  
21 they have uremic complications because once they start  
22 having uremic complications, for example, just  
23 pericarditis, the road back is a long road. So, what we're  
24 trying to do is to prevent the adverse effects of uremia  
25 which are systemic by putting the patient on dialysis

1 before they get any of this.

2                   One of the things that has not come up, which I  
3 would point out to the committee, is that the Medicare  
4 criteria of a creatinine of at least 6 or a creatinine  
5 clearance of less than 15 mls per minute applies to the  
6 population of patients with diabetic nephropathy. What  
7 that means, which is important to the nephrologist, of  
8 course, is that Medicare has no problem paying for dialysis  
9 when people have reached that level. They have made the  
10 decision that that is an appropriate level. It prevents  
11 complications, hospitalizations, nausea and vomiting,  
12 further inanition or whatever is occurring because the  
13 patient is feeling sick, plus the anemia and all of that.  
14 They will pay for that.

15                   Now, if a patient does not have diabetic  
16 nephropathy and has advancing renal disease, the Medicare  
17 definition of end-stage renal failure is not the same. The  
18 Medicare definition of renal failure is now creatinine  
19 equal to or greater than 8 or a creatinine clearance equal  
20 or less than 10. And the reason for that is it is  
21 recognized in the community and by the federal government  
22 that patients with diabetic nephropathy are, in fact,  
23 sicker than patients with chronic renal failure due to  
24 other diseases and, therefore, deserve to be dialyzed  
25 earlier. I think that my last statement there probably

1 answers your question.

2 DR. JULIA LEWIS: Can I add a comment to that?

3 I have an advantage as a younger nephrologist. These are  
4 still all the patients in my clinic. At a creatinine of 6,  
5 the patients are fatigued. They've lost their sleep cycle,  
6 and very importantly, their serum albumin as a key marker  
7 of nutrition has begun to fall. The serum albumin is  
8 actually the single most important predictor of survival in  
9 a dialysis patient. So, they've already begun to have  
10 signs and symptoms that they complain of. Within 2 or 3  
11 weeks of initiating dialysis, most of my patients, both  
12 diabetic and nondiabetic, will say I feel better than I  
13 have felt in a year. So, they've had a gradual decline in  
14 energy level, nutritional status, and other factors.

15 DR. BORER: Thank you.

16 Bev?

17 DR. COOPER: May I just intercede here? One of  
18 the other observations has to do with hospitalizations, and  
19 Dr. Pfeffer is now available and can address some of the  
20 questions we have about the cardiovascular events.

21 DR. BORER: Let's just follow through on this  
22 idea. We'll come back to that. There are several  
23 interlocking issues here.

24 Bev?

25 DR. LORELL: I'd like to ask a question that

1 may be a segue to Dr. Pfeffer's comments. I am not a  
2 statistician, but I am a cardiologist that deals all the  
3 time with incidents of death and interventional cardiology  
4 trials in heart failure.

5 I guess I would like a comment from one of the  
6 card-carrying statisticians either on our panel or  
7 elsewhere. If you look at the incidence of cardiovascular  
8 death in this population, it is actually remarkably low.  
9 It's about 8 percent in the placebo group. It may be in  
10 part because they're being treated with antihypertensives  
11 and the cardioprotective class of drugs of beta-blockers.

12 So, looking at the incidence of cardiovascular  
13 death over a 57-month treatment period, if I were going to  
14 design a trial with the primary endpoint of reducing  
15 cardiovascular death, I would suspect that would be a trial  
16 that would need several thousand people in the treatment  
17 and placebo arms. And perhaps before Dr. Pfeffer,  
18 representing the company, speaks, we could hear a  
19 statistician's comment on that.

20 DR. BORER: Lloyd, Tom?

21 DR. FLEMING: If you're asking about whether it  
22 would take an enormous trial if one were focusing only on  
23 cardiovascular death --

24 DR. LORELL: That's my question.

25 DR. FLEMING: -- it would take a very large