

FOOD AND DRUG ADMINISTRATION

NINETY-FIFTH MEETING OF THE
CARDIOVASCULAR AND RENAL DRUGS ADVISORY COMMITTEE

8:30 a.m.

Friday, January 18, 2002

Kennedy Ballroom
Holiday Inn
8777 Georgia Avenue, N.W.
Washington, D.C.

ATTENDEES

COMMITTEE MEMBERS:

JEFFREY BORER, M.D., Chairman
Director, Division of Pathophysiology
Weill Medical College
Cornell University
525 East 68th Street, Room F467
New York, New York 10021

JAIME HENRIQUEZ, Executive Secretary
Advisors and Consultants Staff
Center for Drug Evaluation and Research
Food and Drug Administration
5630 Fishers Lane
Rockville, Maryland 20857

MICHAEL F. ARTMAN, M.D.
Professor of Pediatrics
Pediatric Cardiology
New York University Medical Center
530 First Avenue, FPO Suite 9-V
New York, New York 10016

BLASE A. CARABELLO, M.D.
Chief, Medical Service
Veterans Affairs Medical Center
Medical Service (111)
2002 Holcombe Boulevard
Houston, Texas 77030

SUSANNA LEE CUNNINGHAM, PH.D.
Professor, Department of Biobehavioral
Nursing & Health Systems
School of Nursing, Box 357266
Seattle, Washington 98195-7286

THOMAS FLEMING, PH.D.
Professor and Chair
Department of Biostatistics
University of Washington
Box 357232
Seattle, Washington 98195-7232

ATTENDEES (Continued)

COMMITTEE MEMBERS: (Continued)

ALAN T. HIRSCH, M.D.
Associate Professor of Medicine and Radiology
Minnesota Vascular Diseases Center
University of Minnesota Medical School
420 Delaware Street, S.E., Box 508 FUMC
Minneapolis, Minnesota 55455

BEVERLY H. LORELL, M.D.
Professor of Medicine
Beth Israel Deaconess Medical Center
Cardiovascular Division
330 Brookline Avenue
Boston, Massachusetts 02215

STEVEN NISSEN, M.D., F.A.C.C.
Vice Chairman, Department of Cardiology
Professor of Medicine
Ohio State University
The Cleveland Clinic Foundation
9500 Euclid Avenue, F15
Cleveland, Ohio 44195

SPECIAL GOVERNMENT EMPLOYEES:

ROBERT KREISBERG, M.D.
Interim Dean, School of Medicine
University of South Alabama
307 University Boulevard, Room 170 CSAB
Mobile, Alabama 36688

ATTENDEES (Continued)

GUESTS:

PAUL THOMPSON, M.D.
Hartford Hospital
Division of Cardiology
80 Seymour Street
Hartford, Connecticut 06102

TERJE PEDERSEN, M.D.
Aker University Hospital
Cardiology Department
N 0514 Oslo, Norway

FOOD AND DRUG ADMINISTRATION STAFF:

RAYMOND LIPICKY, M.D.
ROBERT TEMPLE, M.D.

SPONSOR REPRESENTATIVES:

RENE BELDER, M.D.
DONALD A. BERRY, PH.D.
FRED FIEDOREK, M.D.
CHARLES H. HENNEKENS, M.D.
THOMAS A. PEARSON, M.D., PH.D.
FRANK SACKS, M.D.
ANDREW TONKIN, M.D.

C O N T E N T S

NDA 21-387, Pravastatin/Aspirin
 Bristol-Myers Squibb, Co-package,
 for Long-term Management to Reduce the Risk of Death,
 Nonfatal Myocardial Infarction,
 Myocardial Revascularization Procedures,
 and Ischemic Stroke in Patients with
 Clinically Evident Coronary Heart Disease

* * *

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT By Jaime Henriquez	6
SPONSOR PRESENTATION	
Introduction	
By Dr. Fred Fiedorek	8
Efficacy and General Considerations	
By Dr. Rene Belder	17
Efficacy - Meta-analyses	
By Dr. Donald A. Berry	41
The Medical Need	
By Dr. Thomas A. Pearson	79
APPROPRIATE DOSE AND RELATIONSHIP TO CHOLESTEROL LOWERING	
By Dr. Terje Pedersen	114
COMMITTEE DISCUSSION OF QUESTIONS PRESENTED	127

P R O C E E D I N G S

(8:30 a.m.)

DR. BORER: Good morning. We'll begin now the second day of the 95th meeting of the Cardiovascular and Renal Drugs Advisory Committee.

This morning we'll be considering NDA 21-387 for the combination of pravastatin and aspirin. Before we begin, Jaime Henriquez will present the conflict of interest statement.

MR. HENRIQUEZ: Conflict of interest statement.

The following announcement addresses the issue of conflict of interest with regards to this meeting, and is made part of the record to preclude even the appearance of such at this meeting.

Based on the submitted agenda for the meeting and all the financial interests reported by the committee participants, it has been determined that all interests in firms regulated by the Center for Drug Evaluation and Research present no potential for an appearance of conflict of interest at this meeting, with the following exceptions.

In accordance with 18 U.S.C. 208(b)(3), a full waiver has been granted to Dr. Alan Hirsch for unrelated speaking for the sponsor. He received between \$5,000 and \$10,000 a year.

A copy of the waiver statement may be obtained

1 by submitting a written request to the agency's Freedom of
2 Information Office, Room 12A-30 of the Parklawn Building.

3 With respect to FDA's invited guests, there are
4 reported interests which we believe should be made public
5 to allow the participants to objectively evaluate their
6 comments.

7 Dr. Terje Pedersen would like to disclose that
8 he has lectured for and received speaking fees from
9 Bristol-Myers Squibb.

10 Dr. Paul Thompson would like to disclose that
11 one of his daughters, age 27, owns 200 shares of stock in
12 Bristol-Myers Squibb. He co-manages the account with her.

13 In addition, he has received grant research support from
14 Bristol-Myers Squibb.

15 In the event that the discussions involve any
16 other products or firms not already on the agenda for which
17 an FDA participant has a financial interest, the
18 participants are aware of the need to exclude themselves
19 from such involvement, and their exclusion will be noted
20 for the record. With respect to all other participants, we
21 ask in the interest of fairness that they address any
22 current or previous financial involvement with any firms
23 whose products they wish to comment upon.

24 DR. BORER: Okay. There's no comment about
25 that. We'll move on to the sponsor's presentation.

1 As I said, there's an application for approval
2 of the combination of pravastatin and aspirin to be co-
3 packaged, first in the same package, then in the same pill,
4 for long-term management to reduce the risk of death,
5 nonfatal myocardial infarction, myocardial
6 revascularization procedures, and ischemic stroke in
7 patients with clinically evident coronary heart disease.

8 The sponsor's presentation will be introduced
9 by Dr. Fiedorek.

10 DR. FIEDOREK: Good morning, ladies and
11 gentlemen, committee members, FDA, and everyone else who is
12 here today in Silver Spring. My name is Fred Fiedorek,
13 actually pronounced like the hat "fedora," with an EK
14 instead of an A, and it's my pleasure to be here to talk to
15 you about pravastatin/aspirin as an important product for
16 secondary prevention of cardiovascular disease.

17 I should add that during my formative years I
18 was also trained at Washington University in St. Louis and
19 the University of North Carolina as an endocrinologist, and
20 so doing research and treating patients primarily with type
21 2 diabetes makes me aware of the need for secondary
22 prevention in diabetics and other patients with similar
23 problems.

24 On behalf of my colleagues and our consultant
25 panels here today, I am going to discuss and review with

1 you an overview of what's going to be presented regarding
2 data, meta-analysis on these data, and the public health
3 and medical need for pravastatin/aspirin. I think we will
4 show you today that there's a strong rationale, based on
5 the best available evidence, to support such a combination
6 product.

7 However, before I begin, I do want to spend a
8 little bit of time on the scope of this problem in the
9 United States. As you can see in this slide, which
10 documents the top five causes for death in the United
11 States for both men and women, cardiovascular disease and
12 cancer certainly dominate. We're not going to be talking
13 about accidents and why men seem to suffer from accidents
14 and not women. We're focusing on the leading cause,
15 cardiovascular disease for both and strikingly for women,
16 and it's this condition that we're talking about in terms
17 of offering pravastatin/aspirin as a preventative product
18 for secondary prevention to prevent these deaths.

19 There has been progress in this area, and it's
20 been well documented over the last two decades. The green
21 line here shows a reduction in the age-adjusted mortality
22 rate that really occurs for a variety of reasons, including
23 improvements in acute coronary care, better diet and
24 exercise recommendations, better medicines, and all of this
25 has led to this reduction in age-related mortality.

1 However, you can see from the blue bars that
2 the overall number of deaths for the whole population,
3 admittedly a population that's increasing in size, has
4 remained constant. So, if you really put these two
5 together and sort of think implicitly about something
6 that's not on this figure, you'll realize that as more and
7 more patients survive acute events, have established
8 clinically evident coronary artery disease, there is a need
9 to prevent them from having recurrent events. In fact, in
10 patients such as myocardial infarction patients,
11 approximately 80 or 90 percent of those patients are the
12 ones that ultimately die from a subsequent cardiovascular
13 event.

14 So, to move on to the rationale of why we think
15 pravastatin/aspirin will be quite useful in this area of
16 secondary prevention, it's mainly three key points or
17 features that we think will address both the clinical and
18 the public health needs for secondary cardiac prevention.

19 The main features really in the first set of
20 bullets refer to adherence and accuracy in dosing. Clearly
21 pravastatin and aspirin are two of the core elements in the
22 guidelines for preventing cardiovascular disease in the
23 U.S. population, and this has been repeatedly encouraged
24 over the last several years.

25 In addition, the availability now of a

1 combination product, which is a prescription product, will
2 allow for both patients and health care providers the
3 assurance that they're getting correct doses for this
4 secondary prevention problem as well as the correct
5 product. In part of the talk you'll be hearing later, it's
6 actually quite striking that aspirin is recommended for
7 these patients but many times, given the availability of
8 aspirin and OTC substitutes for aspirin, such as Tylenol,
9 many patients actually end up on the incorrect product, not
10 appropriate for secondary prevention in this cardiovascular
11 disease state.

12 Finally, using primarily sort of a common sense
13 argument, the availability of having one combination
14 product with two core parts of the guidelines to prevent
15 cardiovascular disease, pravastatin and aspirin, does offer
16 a common sense way of reducing pill burden for patients and
17 hopefully enhancing the convenience. Admittedly, when we
18 all were in medical school, those of us who were
19 physicians, this sort of idea runs counter to traditional
20 teaching, where the importance of titrating and dosing
21 individual components separately was emphasized. However,
22 just recently I think it's been recognized that these sort
23 of patients with diabetes, hypertension, cardiovascular
24 disease, all require increasing medicines to manage their
25 problems, and so this should be one way of helping.

1 Now, if we actually look at the labels for
2 these two products, starting with aspirin approved by this
3 committee in these many different indications over the
4 years, aspirin is indicated for a set of both
5 cardiovascular and cerebrovascular prevention indications
6 in patients with clinically evident coronary heart disease.
7 You'll see that this includes evident heart disease,
8 including myocardial infarction, unstable angina, stable
9 angina, and even patients who've undergone
10 revascularization procedures.

11 For the prevention of cardiovascular disease,
12 those three or four bullet points at the beginning refer to
13 how aspirin can help in prevention. The fourth one is a
14 much more sort of acute preventative that's been recognized
15 as very important for aspirin.

16 And the final bullet point refers to how
17 aspirin is very critical in preventing cerebrovascular
18 disease.

19 If we move on to pravastatin, very similarly
20 pravastatin also possesses an array of indications as
21 secondary prevention for cardiovascular disease. It's
22 indicated to reduce the risk of a variety of subsequent
23 events in patients who have clinically evident coronary
24 heart disease.

25 So, if we consider what overlap exists for

1 these two labels -- and we offer you sort of for our
2 combined pravastatin/aspirin product an inner section
3 label, if you will, of both pravastatin and aspirin in a
4 combination tablet -- we're looking to see and provide
5 evidence to support how this co-tablet could be used in the
6 long-term management to reduce the risk of the following
7 cardiovascular events in patients with clinically evident
8 coronary heart disease. These events are death, nonfatal
9 myocardial infarction, revascularization procedures, and
10 ischemic stroke.

11 I'll add that these four events, in the large
12 pravastatin database that we'll be discussing today,
13 represent both the primary and secondary endpoints that
14 were actually a priori specified for these trials when they
15 were conducted. They're part of the prespecified
16 endpoints, and they're also the subject of the analysis of
17 the data and the meta-analysis of all these studies that we
18 will present today.

19 Moving on to the population that we want to
20 discuss, again, I've described the indications for
21 secondary cardiovascular disease prevention. And what
22 population in the United States does this entail?

23 You'll see that the potentially eligible
24 population is approximately 12.4 million subjects, and
25 given the indications described previously for aspirin and

1 pravastatin, it overlaps to a very large degree. Even if
2 you consider possible contraindications for both aspirin
3 and pravastatin due to the well recognized problems of GI
4 bleeding or aspirin sensitivity for aspirin, and other
5 contraindications for pravastatin, we're still left with a
6 population of approximately 10.4 million patients.

7 So, when these two therapies are combined, what
8 do we need in terms of the properties for a combination
9 product, either recommended properties or required
10 properties for a combination product?

11 This list is what we will discuss today, and we
12 will cover how obviously, as is well known to everybody
13 here, aspirin and pravastatin have different mechanisms of
14 action, one through platelet aggregation and platelet
15 effects, and one through lipid lowering and vessel wall
16 effects.

17 We will also demonstrate data on PK,
18 pharmacokinetics, and pharmacodynamics for these two
19 products when administered concurrently.

20 We will also review, in the large pravastatin
21 database of approximately 14,000 patients, how the safety
22 and tolerability of these agents do not magnify any of the
23 effects of the agents when given alone.

24 We will discuss in the recommended combination
25 doses for this product how these are appropriate doses for

1 pravastatin, given the clinical endpoint data that will be
2 presented, as well as the appropriate doses for aspirin,
3 given its cardiovascular and cerebrovascular prevention
4 indications.

5 A large part of our presentation today will
6 deal with efficacy, and really there are three core
7 components of this which I'll get to later, but clearly we
8 need to show for a combination product how pravastatin and
9 aspirin contribute ideally in an additive fashion to
10 efficacy, and you'll see this in the data we'll describe
11 today.

12 Finally, from the point of view of preventing a
13 leading cause of mortality in the United States for both
14 men and women, we think this product addresses an important
15 medical need, public health need, that is also impactful
16 for our discussion.

17 Helping today in this presentation are our
18 five-member consultant panel. The first two members, Dr.
19 Donald Berry and Dr. Thomas Pearson, will be speakers along
20 with me this morning. Dr. Berry is a biostatistician from
21 the M.D. Anderson Cancer Center and he will be presenting
22 data on the meta-analysis of our large pravastatin
23 database. Dr. Pearson, a preventive cardiologist from the
24 University of Rochester School of Medicine, will follow Dr.
25 Berry and discuss the medical need, both clinical need and

1 public health need, for this combination product.

2 Also here with us to answer any questions,
3 should they arise, are Dr. Charles Hennekens from the
4 University of Miami School of Medicine. Dr. Hennekens is
5 founding collaborator of the Antithrombotic Trialists
6 Collaboration and will certainly be well-placed to answer
7 any questions on aspirin.

8 Additionally here are Dr. Andrew Tonkin and Dr.
9 Frank Sacks. Dr. Tonkin and Sacks respectively were our
10 principal investigators for the large LIPID and CARE
11 pravastatin trials conducted over the last 10 years or so,
12 and they will be able to take any questions specific to
13 these trials or about medical practice for cardiovascular
14 prevention in general.

15 The agenda this morning essentially mirrors the
16 sort of recommended properties I described for a
17 combination product. My colleague, Dr. Rene Belder, will
18 lead off and talk about the first five bullet points. Dr.
19 Belder has been at Bristol-Myers Squibb for 14 years, and
20 has actually been, over the last several years, the main
21 clinical coordinator for all of the pravastatin clinical
22 trials. He's the glue, if you will, of the pravastatin
23 programs.

24 When Rene is finished with these five topics,
25 he will then hand over to Dr. Berry, again our

1 biostatistician consultant, who will deal with the efficacy
2 from the meta-analysis of all these pravastatin trials and
3 the database that it represents, and then also discuss how
4 the efficacy, as evidenced in these trials, particularly
5 ones that last five years or more, really provide evidence
6 of consistent and durable benefit for both pravastatin and
7 aspirin when administered concurrently.

8 Finally, Dr. Berry will turn over to Dr. Thomas
9 Pearson from the University of Rochester School of
10 Medicine. He will discuss medical need, both in terms of
11 the clinical need and public health need.

12 Our presentation is meant to last about an
13 hour, assuming no interruptions. If there are
14 interruptions -- you need to interrupt -- we'll certainly
15 be glad to take any questions, and if you do let us go
16 through, you can note that on the bottom of the slide in
17 the lower right-hand corner are numbers and letters that
18 can help you call us back up to, as needed, to answer any
19 specific questions.

20 With this overview, I now want to turn over to
21 Dr. Belder.

22 DR. BELDER: Good morning, ladies and
23 gentlemen. It's a pleasure to be here today to share with
24 you some of the results of the clinical development program
25 with pravastatin that spans well over 15 years.

1 As Dr. Fiedorek already mentioned, I will
2 address these five points with you, the mechanism of action
3 of the components, the possibility of a pharmacokinetic
4 interaction between pravastatin/aspirin, the safety and the
5 tolerability of the combination, the doses that we plan to
6 make available in this combination product, as well as the
7 efficacy based on individual trials.

8 Starting with the easiest part, every one of
9 you is aware, of course, that pravastatin and aspirin
10 reduce cardiovascular events by different mechanisms of
11 action. Aspirin is, of course, an inhibitor of platelet
12 aggregation. Pravastatin reduces cholesterol levels. One
13 would therefore expect that the benefits that these
14 compounds have on clinical events would be independent from
15 each other.

16 With respect to the pharmacokinetic
17 interaction, we did a single dose, three-way crossover
18 study in 30 healthy volunteers. I'll go over this slide
19 with you so that you'll understand the data on this slide.

20 The left-hand panel on this slide indicates
21 concentrations with respect to the Cmax. The right-hand
22 panel of this slide indicates the AUC, area under the
23 curve.

24 In the left two bars in each panel, you see the
25 pravastatin concentrations. In the right two bars in each

1 panel, you see the salicylate concentrations.

2 Every time you see a green bar, that means the
3 pravastatin and aspirin were dosed at the same time. When
4 you see a blue bar, only pravastatin was dosed. When you
5 see an orange bar, only aspirin was dosed.

6 Important for the interpretation of the results
7 for this study are the confidence intervals indicated here,
8 here, here and here, and these are the relative
9 concentrations. The confidence intervals indicate that the
10 concentrations were all well within the limits set by
11 regulatory guidelines to declare bioequivalence. So, the
12 conclusion is that there's no pharmacokinetic interaction
13 between pravastatin and aspirin.

14 With respect to the possibility of a
15 pharmacodynamic interaction between the two products, we
16 had some discussions with the agency before we submitted
17 the NDA. In light of the absence of a pharmacokinetic
18 interaction and in the light of the fact that the ultimate
19 endpoint that we are after is clinical event reduction, we
20 agreed that doing a pharmacodynamic interaction study would
21 not contribute valuable information. However, we are able
22 to show you the effect of pravastatin in the presence or
23 absence of aspirin with respect to the effects on several
24 lipid fractions.

25 You see the results from the CARE study in this

1 slide. The green bar again means that pravastatin and
2 aspirin were dosed. The blue bar indicates that only
3 pravastatin was dosed. You see here the lipid-lowering
4 efficacy with respect to total cholesterol, LDL
5 cholesterol, triglycerides, and HDL cholesterol. And it's
6 clear from this slide that aspirin does not influence the
7 cholesterol-lowering efficacy of pravastatin. So, with
8 respect to pharmacodynamic interaction, there is no
9 pharmacodynamic interaction between pravastatin and aspirin
10 with respect to the cholesterol-lowering efficacy of
11 pravastatin.

12 Before I discuss with you the safety findings
13 from the analysis that we did, I would like to briefly
14 introduce to you the clinical program that we did with
15 pravastatin.

16 The pravastatin atherosclerosis intervention
17 program consisted of seven placebo-controlled trials, all
18 randomized, 40 milligrams of pravastatin versus placebo.
19 Highlighted here on this slide it shows you the three
20 trials that contributed most of the data in this program.
21 Highlighted are the two secondary prevention trials that
22 are the topic of discussion for today. Those were the
23 long-term intervention with pravastatin in ischemic disease
24 study, the LIPID study, involving 9,000 subjects, the CARE
25 study involving 4,200 subjects.

1 Also part of this program was the primary
2 prevention study, the West of Scotland study, and again is
3 not a topic of discussion for today.

4 Also part of this program were four regression
5 of atherosclerosis trials. These trials had as the primary
6 endpoint the evaluation of pravastatin with respect to the
7 progression of atherosclerosis in coronary and carotid
8 arteries. The three trials that are highlighted were in a
9 secondary prevention population. These patients had all
10 evidence of coronary artery disease. The trial that is not
11 highlighted, the KAPS study, was a trial in patients who
12 did not have evidence of carotid or coronary disease and
13 was therefore a primary prevention trial. So, only these
14 studies are being discussed today.

15 To put these trials in perspective and the
16 contribution that they made to the database that we have,
17 we developed this schematic. You can see here that the
18 LIPID and the CARE study contributed 96 percent of the
19 total patient-years of follow-up in these trials, and that
20 the regression trials contributed about 4 percent of the
21 total exposure. In total, it's a very impressive 74,000
22 patient-years of exposure, so it provides a very robust
23 database to perform analysis on.

24 I should also emphasize here that the LIPID and
25 the CARE study were designed as clinical event studies, and

1 therefore complete follow-up of all subjects was attempted.

2 And indeed, in the LIPID and CARE, there was near complete
3 follow-up. Only one subject in the LIPID trial and one
4 subject in the CARE trial escaped the investigators, so the
5 final status of only two subjects was not known at the end
6 of the studies.

7 This database of 74,000 patient-years of
8 exposure forms the basis of the safety conclusions with
9 respect to the pravastatin/aspirin combination that you see
10 here on this slide. In the interest of time, I do not show
11 you the data that led us to these conclusions, but you can
12 see here the conclusions that we have with respect to some
13 of the events that may be of interest for either a statin
14 or for aspirin.

15 With respect to CK abnormalities, note that we
16 did not have any case of rhabdomyolysis in any of the
17 trials with pravastatin. So, we have looked at CK
18 abnormalities, liver function test abnormalities,
19 gastrointestinal bleeds, or hemorrhagic stroke. There was
20 no signal with respect to the combination of pravastatin
21 and aspirin, relative to pravastatin by itself or aspirin
22 by itself, that there was an increased incidence of any of
23 these events in the combination group. So, that leads us
24 to the conclusion that the combination of pravastatin and
25 aspirin is safe.

1 Since this large database was all based on a 40
2 milligram dose of pravastatin, it's appropriate to consider
3 only a 40 milligram dose in this combination product. 40
4 milligrams is the approved starting dose for pravastatin.
5 All prevention studies used the same pravastatin dose, 40
6 milligrams. This dose was extremely well tolerated and
7 very safe and in the trials there was no down titration
8 necessary for safety reasons. In addition, in a population
9 like the elderly, there is no need for a lower dose of
10 pravastatin.

11 In essence, pravastatin is only indicated at a
12 lower dose in patients requiring complex management, such
13 as patients with renal or hepatic impairment, of patients
14 who have undergone a cardiac transplant who are on
15 cyclosporine. We think that this combination product would
16 not be a good idea to be used in these complex management
17 situations.

18 With respect to aspirin, the label with respect
19 to the efficacy of aspirin is clear. It advises that
20 aspirin is effective anywhere between 75 and 325 milligrams
21 once daily, and that therapy should be continued
22 indefinitely.

23 The doses that we have chosen for this
24 combination product are 81 and 325 milligrams. 81
25 milligrams was chosen because this is the most widely used

1 dose for secondary prevention in the United States. The
2 325 milligram dose was chosen because this is the upper end
3 of the approved dose range.

4 The key question for today may very well be
5 whether or not we have data that show that pravastatin and
6 aspirin is more effective than each of its components.
7 This question can be broken down in two components. The
8 first part is, is pravastatin/aspirin more effective than
9 aspirin by itself? The other part is whether or not
10 pravastatin and aspirin is more effective than pravastatin
11 by itself.

12 For both of these questions we have evidence
13 from the two largest placebo-controlled randomized trials,
14 CARE and LIPID. I will address the first question on the
15 basis of the LIPID and the CARE study. Dr. Berry will
16 address the second part of the question, also on the basis
17 of the LIPID and the CARE study, but also on the basis of
18 the meta-analysis. In addition, Dr. Berry will address my
19 part of the question also on the basis of the meta-
20 analysis. So, in short, I will present to you the
21 investigation of efficacy of pravastatin in aspirin users
22 based on the data of the randomized controlled clinical
23 trials, CARE and LIPID.

24 So, how did we define aspirin users in these
25 trials? Aspirin users were defined as those subjects who

1 were using aspirin at baseline. Aspirin was proactively
2 collected as a concomitant medication in these trials, so
3 we know whether or not the patient was taking aspirin at
4 baseline. However, we did not rigorously collect the dose
5 level that they were using.

6 We do know that adherence to the pravastatin
7 regimen was very good. 97 percent of the patients who were
8 using aspirin at baseline were still using aspirin at the
9 end of the studies.

10 The endpoints that we evaluated for this
11 investigation are, of course, the primary endpoints for the
12 individual trials. For LIPID it was coronary mortality.
13 For CARE it was coronary mortality or nonfatal MI.

14 In addition, we considered several other
15 endpoints for this analysis. These endpoints are based on
16 the overlap of the pravastatin and aspirin labels, and
17 there are two endpoints that are relatively narrowly
18 defined, fatal and nonfatal MI, and ischemic stroke, and a
19 more broadly defined endpoint of coronary mortality,
20 nonfatal MI, revascularization procedures, or ischemic
21 stroke. Each of these endpoints were prospectively defined
22 as endpoints in all of the trials that we included in the
23 analyses.

24 Starting with the results of the LIPID study,
25 this is a brief overview. The LIPID trial was a trial in

1 9,000 subjects who qualified on the basis of either
2 myocardial infarction or unstable angina. The mean follow-
3 up was 6.1 years. As said before, the primary endpoint was
4 coronary mortality, and the patients were randomized to 40
5 milligrams of pravastatin or placebo. 83 percent of the
6 patients were using aspirin.

7 These are the results for all subjects for the
8 primary endpoint of coronary death. You can see here that
9 pravastatin reduced coronary mortality by 24 percent, which
10 was highly statistically significant, with a p value of
11 .001.

12 We now investigate the effect of pravastatin on
13 top of aspirin, so we're effectively investigating the
14 combination of pravastatin plus aspirin, versus aspirin by
15 itself. Here again, for the primary endpoint, coronary
16 mortality, we see a 28 percent risk reduction, which was
17 highly statistically significant.

18 For the other endpoints that we evaluated for
19 this analysis, fatal or nonfatal MI, ischemic stroke, and
20 the composite endpoint, again very similar risk reductions,
21 all of which were statistically significant.

22 Of note, I would like to point out that despite
23 aspirin use, almost 30 percent of these patients in the
24 placebo group, despite aspirin use, still had an event, and
25 adding pravastatin to the aspirin regimen cut that risk by

1 one-quarter.

2 Now going over to the CARE trial, the CARE
3 trial was a trial in 4,200 post-MI subjects. Mean follow-
4 up was 5 years. Patients all had normal cholesterol levels
5 in order to qualify for this trial, and the primary
6 endpoint was nonfatal MI or coronary mortality. Patients
7 were again randomized to placebo or 40 milligrams of
8 pravastatin. 84 percent of the patients were also taking
9 aspirin.

10 Again, we start with the primary endpoint in
11 all subjects. We see here that for the primary endpoint,
12 nonfatal MI or coronary heart disease death, a 24 percent
13 risk reduction, highly statistically significant.

14 Now let's investigate the combination of
15 pravastatin plus aspirin versus aspirin by itself. Again,
16 here for the primary endpoint of the CARE study, a 28
17 percent risk reduction that was highly statistically
18 significant. The other endpoints considered for this
19 analysis, you can see that for these three endpoints there
20 were similar risk reductions that were statistically
21 significant for two out of the three endpoints considered.

22 The conclusion from these analyses is that the
23 combination of pravastatin and aspirin is significantly
24 more effective than aspirin alone, as evidenced by the
25 randomized comparisons from secondary prevention trials,

1 LIPID and CARE.

2 The second part of the question, as I already
3 indicated, is whether or not pravastatin plus aspirin is
4 more effective than pravastatin alone. Ideally one would
5 like to have a database where aspirin therapy was
6 randomized. However, the aspirin trials were conducted
7 before the statins were used, so we couldn't look at these
8 databases. A placebo-controlled trial with aspirin is not
9 feasible because of ethical reasons. However, the
10 pravastatin database, with about 94,000 patient-years of
11 follow-up, provided the robust database to explore this
12 question. Hence, I would like to hand over now to Dr.
13 Berry, who has explored this question, to answer this part
14 of the question.

15 DR. BORER: Blase?

16 DR. CARABELLO: You indicated that aspirin was
17 safe. But we're talking now about buffered not enteric-
18 coated aspirin. Is that correct?

19 DR. BELDER: That's correct.

20 DR. CARABELLO: And I'm not certain of that.
21 I'd like to see the specific data that compares buffered
22 aspirin with enteric-coated aspirin in terms of safety.
23 So, I hope those data will be forthcoming.

24 DR. BELDER: Charlie, do you have any comments
25 on that?

1 DR. HENNEKENS: Well, we didn't specifically
2 study Bufferin against enteric-coated aspirin, but in the
3 Physicians Health Study of 22,071 men, who were randomized
4 to 325 milligrams of Bufferin or placebo on alternate days,
5 after 5 years of treatment and follow-up, the rates of GI
6 upset were virtually identical in the aspirin and placebo
7 groups, a small excess of the Bufferin over the placebo.
8 The rates of GI bleeding were only slightly higher in the
9 325 every other day versus the placebo, and finally there
10 was only one fatal GI hemorrhage and that was in the
11 placebo group.

12 Now, I think that while the formulation is
13 important, I think the data suggests that it's the dose of
14 aspirin that's more important with regard to the side
15 effects. The UK trial of TIA, which randomized patients to
16 placebo, 300 milligrams or 1,200 milligrams of aspirin,
17 found that the rate of GI side effects in the placebo group
18 was 24 percent. It was 29 percent in the 300 milligram a
19 day dose and 39 percent in the 1,200 milligram a day dose.

20 With regard to GI hemorrhages, the rate was 1.6
21 percent in the placebo group, 2.6 percent in the low dose
22 aspirin group, 300 a day, and 4.9 percent in the 1,200
23 milligram a day.

24 So, it's clear that the higher dose is
25 significantly greater than placebo and significantly

1 greater than the lower dose. So, I think that the doses
2 that are prescribed here in this combination are well
3 within the range where the rate of the side effects are
4 quite low, and I think it's the dose that's more important
5 than the formulation.

6 DR. BELDER: In the trials that we did
7 obviously we don't know which formulation of aspirin that
8 patients were using. Just aspirin as a concomitant
9 medication was collected, so it could have been any
10 formulation that's on the market.

11 DR. LORELL: Thank you for a very clear
12 presentation.

13 You presented very clear data from the LIPID
14 and CARE trials regarding efficacy on endpoints. However,
15 since those trials were done, there are now guidelines from
16 the ACC and American Heart Association that are followed
17 across the country, that for secondary prevention, each of
18 us should be trying to lower LDL cholesterol to a value of
19 less than 100. It would be very nice to see today what the
20 probability is of achieving that explicit goal, with the
21 use of Pravachol 40 milligrams in your data sets. I didn't
22 see that data clearly in either your presentation or the
23 next one, so perhaps that can be brought back to the
24 meeting a little later.

25 DR. BORER: Steve and then Susanna.

1 DR. NISSEN: I recognize that you don't have
2 specific information about aspirin dosages in the trials.
3 Do we have a range? For example, were any of the people
4 receiving, say, 650 milligrams of aspirin? Do we have any
5 information at all about the dose of aspirin that was used
6 in those trials? And I'm specifically interested in
7 whether there are significant numbers of patients who had
8 substantially higher doses of aspirin.

9 DR. BELDER: We don't have information about
10 that.

11 DR. CUNNINGHAM: I was noticing also that these
12 studies are predominantly male, somewhere in the range of
13 85 percent, 84, something like that. Do you have any data
14 on what happens with women?

15 DR. BELDER: Yes, we do have a subgroup
16 analysis in women. These are the numbers of patients in
17 the various groups, male and female. As you can see, the
18 split is indeed what you indicated.

19 Here you see the results for the expanded
20 endpoint. Here are men, pravastatin plus aspirin versus
21 aspirin by itself. These are the comparisons that we have
22 so far discussed. Dr. Berry will obviously discuss the
23 comparisons that you see here indicated in blue, which are
24 the observational comparisons.

25 The point here is that for both men and women

1 there were significant reductions.

2 DR. BORER: Bob?

3 DR. TEMPLE: Maybe I should save this for the
4 discussion, but I think one of the presumptions of this
5 whole thing is that aspirin is approved for these uses at
6 doses anywhere between 80 and 325. I don't think we're
7 primarily asking whether aspirin is effective or safe at
8 those doses. I mean, obviously there's some GI bleeding,
9 et cetera. The question here relates to putting them
10 together in what is essentially a fixed combination. So,
11 some of those things I'm not sure need to be revisited.

12 The other thought was, if some people took more
13 than 325 milligrams of aspirin and you still saw an added
14 effect of the pravastatin, that wouldn't undermine the
15 observation, the point they're trying to make, which is
16 that when you add to an effective dose of aspirin or even
17 maybe super-effective dose of aspirin you get a further
18 effect.

19 DR. BORER: Can I ask you how many people in
20 your data set were over 65 and how many were over 75? Just
21 a number. I don't need a slide.

22 DR. BELDER: I'll show you the slide because I
23 don't know it by heart. Above 65 you see the numbers here.

24 DR. BORER: And above 75?

25 DR. BELDER: I don't know. I believe none.

1 DR. BORER: None?

2 DR. BELDER: None.

3 DR. BORER: And we have a statement here that
4 says there is no need for lower doses in the elderly. How
5 many additional drugs were these patients over 65 and the 0
6 over 75 taking? How many other drugs were they taking?

7 DR. BELDER: I don't know it by heart.

8 DR. BORER: Well, I think we ought to know.
9 And what were those drugs? Do we know that? What pathway
10 of metabolism did those drugs use? Which ones interfered
11 with the CYP 450 system?

12 DR. FIEDOREK: Well, Rene, you might comment
13 about the PROSPER study, which we don't have finished.

14 DR. BELDER: We have currently in a study
15 ongoing -- actually a study we'll have last patient visits
16 in April. In 5,800 patients, on the age --

17 DR. BORER: But you have data now?

18 DR. BELDER: Let me answer one of the questions
19 that you raised, is the CYP 3A4 interaction. Pravastatin
20 is not metabolized by CYP 3A4, and therefore there's no
21 potential for interactions with inhibitors of 3A4.
22 pravastatin, with respect to drug-drug interaction
23 pravastatin is extremely clean. In that sense our current
24 label has a statement about the use of pravastatin in the
25 elderly, indicating that pravastatin is safe in the elderly

1 population.

2 DR. BORER: Okay. So, the statement here is
3 that we have sufficient data so that we know there will be
4 no drug-drug interaction, not only to alter the pravastatin
5 level, but to alter the level of other drugs that could be
6 concomitantly taken in the elderly. We know that.

7 DR. BELDER: And that was part of the original
8 application with pravastatin, to make sure that pravastatin
9 would not alter drugs like digoxin, warfarin.

10 DR. BORER: Right. And therefore, there's no
11 need to be able to titrate the dose of pravastatin in these
12 people.

13 DR. BELDER: In elderly, no.

14 DR. BORER: Is that a statement that the FDA is
15 in concordance with, can I ask?

16 DR. LIPICKY: I do not know. I cannot answer
17 that.

18 DR. BORER: Anybody here from metabolic and
19 endocrine?

20 DR. KREISBERG: It's my understanding that as
21 the drug is approved for utilization, there is no specific
22 statement that titration is unnecessary.

23 DR. BORER: Unnecessary.

24 DR. KREISBERG: That it is unnecessary. I
25 believe that the data that has been presented is impressive

1 data that deals with a fixed dose, but it does not address
2 the issue that was raised by my colleague down the table
3 here about how this fits in with the NCEP adult treatment
4 guidelines, and whether it avoids or perpetuates the idea
5 that the goals proposed by them are unnecessary.

6 DR. BORER: Yes. The efficacy issue is a very
7 important one. I'm concerned with the relation of safety
8 and efficacy here. Bob maybe can --

9 DR. TEMPLE: Well, I don't think anybody could
10 say there's never a reason to use a different dose. I
11 doubt the company would say that, and they've asked for and
12 gotten approval of an 80 milligram dose, so obviously there
13 are other doses that are useful.

14 Fixed combinations of this kind may very well
15 say -- that all depends on what you all think -- that the
16 fixed combination is appropriate only for people who need
17 those relevant doses.

18 Now, one of the concerns that I guess you'll
19 hear Ray talk about is that we don't want to have the
20 convenience of the formulation constrain people unduly.
21 So, as you see, there are two doses of aspirin because we
22 don't want the existence of the combination -- and we
23 talked to the company about this -- to mean everybody has
24 to get 80 or everybody has to get 325, when both doses are
25 currently recommended in labeling for aspirin.

1 And that's a fair question to ask about the
2 prava dose. If the enormous majority of people need 40
3 then you might think that's reasonable. If that really
4 keeps you from meeting some appropriate guideline because
5 you can't go high enough, then you might consider that
6 desirable, or you might handle that by saying the whole
7 idea's a bad idea, or by putting something in labeling that
8 says something. Those are all perfectly good things to
9 think about.

10 But one of the principles that we've enunciated
11 is that you shouldn't force people to use the wrong dose by
12 having a combination. And for any hypertensive
13 combinations, for example, we try to assure that there are
14 dosage forms that have appropriate levels of each of the
15 components. Not everyone necessarily, but a pretty good
16 range.

17 DR. BORER: Alan?

18 DR. HIRSCH: Let me follow u, Bob, on your
19 ideas a little bit. I'm going to ignore achieving
20 guideline goals that I'm sure we'll get to later, but I
21 just want to take a moment and stay on the safety issues.
22 I think when we package things together, we're assuming
23 obviously the patient should take them in that combination.

24 So far I think we were presented in slide B-4
25 with the pharmacokinetic crossover study, which looks very

1 clean. But let me just tease this a little bit further for
2 fun and interest.

3 We see no change in Cmax or area under the
4 curve for these doses in the small study. The question, I
5 guess, is, do we have any evidence in any way that prava
6 affects aspirin's effect on platelets? In other words, I
7 might hypothesize doing an aggregation study, and again
8 demonstrating either with blood from the patient or in
9 vitro that there is no effect on the platelet wall. Any
10 thoughts? Platelet activation.

11 DR. BELDER: Well, that's a hypothetical
12 possibility, and we think that is very unlikely. In
13 addition, in the analysis that we did, we see a treatment
14 effect of aspirin. Dr. Berry will, of course, go into
15 further detail on that. That is very similar to the
16 treatment effect of what one would have expected. So, in
17 that respect we don't think that there is any diminished
18 effect of aspirin.

19 With respect to the possibility of a
20 potentiated effect of aspirin, we are fairly encouraged by
21 the safety signals that we see. Perhaps we can show the
22 slide with the hemorrhagic strokes. This is the fatal and
23 nonfatal ischemic and hemorrhagic strokes. I haven't put
24 them on a slide to put them in perspective with respect to
25 how many hemorrhagic strokes we saw and how many ischemic

1 strokes we saw. But clearly in this part of the panel,
2 there's no evidence that the combination would lead to an
3 increased bleeding. We have a similar picture for
4 gastrointestinal bleeds.

5 You may think the fatal events look
6 differently, but -- I think we have the next slide, fatal
7 events. This is for fatal ischemic and hemorrhagic
8 strokes, and again, you don't see any evidence of a signal
9 here.

10 DR. HIRSCH: No, I agree. I've never seen, in
11 the data sets you've given, that evidence of clinical
12 signal, but I was looking for mechanistic interactions.

13 Let me take that another way as well, in vitro.
14 We're obviously implying with this that 40 milligrams is
15 the dose that should be used, but patients obviously don't
16 comply with our recommendations. Sometimes they take too
17 little, sometimes they take too much.

18 So, in these pharmacokinetic studies, again, do
19 we have a dose response? If patients did take 80, or if we
20 administered greater amounts of pravastatin, can we achieve
21 an interaction with differing doses? In other words, how
22 far have you tested the interaction between the two in a
23 dose-response manner?

24 DR. BELDER: From a pharmacokinetic
25 perspective?

1 DR. HIRSCH: Kinetic, and then --

2 DR. BELDER: We did it with a single dose. At
3 the point that we did the study, 40 milligrams was the
4 highest approved dose. We have not done a pharmacokinetic
5 interaction study with the 80 milligram dose. However,
6 based on the pharmacokinetic profile of pravastatin and
7 aspirin -- they're both very short-lived -- one would not
8 expect that at the 80 milligram dose the results would be
9 different.

10 DR. BORER: One final question before you move
11 on. This is really for Dr. Fiedorek, I guess. What data
12 set were you referring to when you said that patients
13 commonly take Tylenol rather than aspirin with a statin?

14 DR. FIEDOREK: Yes, I was actually
15 foreshadowing to the fourth talk. Dr. Pearson will talk
16 about that data. It's not in any data in the pravastatin
17 data set. It's a publication on consumer use. Dr. Pearson
18 can answer.

19 DR. BORER: Are we going to see numbers about
20 that?

21 DR. FIEDOREK: Actually I'll refer to Dr.
22 Hennekens, who actually did the study, even though Dr.
23 Pearson is going to talk about it. I'll let Dr. Hennekens
24 answer.

25 DR. HENNEKENS: Working with Nancy Cook at the

1 Brigham and Women's Hospital, we had the opportunity to
2 review a large national sample of people who had been
3 prescribed aspirin for secondary prevention. In that data
4 set that Dr. Pearson will speak about in detail later,
5 fully 15 percent of people who were told that they should
6 be taking aspirin by their health care provider were mis-
7 medicated. They were mis-medicated either with
8 acetaminophen or with nonsteroidal anti-inflammatory drugs.

9 The other point in that survey is only 51 percent of the
10 people who really should have been taking aspirin were
11 taking it. So, there was both under-utilization of aspirin
12 and mis-medication with aspirin in the very population for
13 which this indication is being sought.

14 DR. BORER: Charlie, do you know how many of
15 these people had statins prescribed concomitantly?

16 DR. HENNEKENS: No, but I can tell you -- I
17 don't want to be stealing Dr. Pearson's thunder here. I
18 think a major point is in recent databases suggesting maybe
19 that 77 percent of people are really taking aspirin in
20 secondary prevention who should be getting it, and only 37
21 percent are getting statins. So, if a combination product
22 did nothing more than achieve that 77 percent of people who
23 were on aspirin who needed the statin were also on the
24 statin, narrowing that treatment gap from 37 percent to 77
25 percent, that translates to probably over 5,000 premature

1 deaths prevented each year in the United States alone.

2 DR. BORER: Okay. Why don't we move along to
3 Dr. Berry.

4 DR. BERRY: Thank you. Good morning, ladies
5 and gentlemen. I'm a statistician and I work with cancer.

6 I'm especially interested in and passionate about breast
7 cancer, but I work on other diseases as well.

8 I'm interested in Bayesian statistics. The
9 Bayesian approach is particularly appropriate for synthesis
10 of information in the sense Bayesian analysis is meta-
11 analysis. However, I will be presenting standard
12 frequentist multivariate analyses and expanding the
13 assumptions, dropping assumptions, expanding the model to
14 consider Bayesian analyses as well.

15 Dr. Belder has addressed the question of
16 pravastatin on top of aspirin, a randomized comparison.
17 I'll address that comparison in the context of all five
18 secondary prevention studies, and I'll also address the
19 issue of aspirin use among those assigned to pravastatin,
20 and finally I'll address the question of the persistence of
21 the effect over time.

22 The possibilities. Pravastatin was randomized
23 with placebo in all the trials we'll be talking about.
24 Aspirin use and non-use was also measured, and so we have
25 four categories. The combination. We'll be comparing the

1 combination with placebo, the randomized comparison that
2 Dr. Belder talked about. We'll also be comparing the
3 combination with pravastatin alone, the observational
4 comparison.

5 Placebo seems left out of this, and indeed, in
6 most of the comparisons we'll be talking about the
7 combination on top of a single agent, but at least once in
8 the presentation I'll compare back to placebo. It's an
9 important benchmark.

10 The question is, is the combination more
11 effective than pravastatin alone. We have LIPID and CARE.

12 The event rates in LIPID and CARE suggest that indeed
13 that's the case, and you see that here. Both of these are
14 observational comparisons. This is with respect to the
15 primary endpoints in LIPID, which was coronary death, and
16 in CARE, coronary death or nonfatal MI. The rates here are
17 greater, but the effect of aspirin, the reduction among
18 those using aspirin is about 35 percent in both of these
19 studies.

20 Now, you're worried, of course, that the
21 patients who took aspirin had different characteristics
22 from those who didn't take aspirin. Perhaps they had
23 better prognoses, perhaps they had worse prognoses. An
24 approach to take into account the possibility that aspirin
25 use was differentially applied in these studies, that

1 patients took aspirin for a reason associated with the
2 extent of their disease is to adjust for the various
3 covariates, the patient characteristics.

4 You see here we adjust in the multivariate
5 models for age, gender, previous MI, smoking, baseline
6 lipids, baseline blood pressure. So, every analysis that I
7 do and every comparison that I do will be taking these into
8 consideration.

9 There are other variables that might affect
10 aspirin use. For example, you'll notice if you have looked
11 at the submission that among patients taking aspirin as
12 opposed to not, those taking aspirin had a slightly higher
13 incidence of revascularization procedures. So, that
14 suggests that we take into account other things that might
15 be used in assigning aspirin. Revascularization, diabetes,
16 obesity, these variables we had in the two principal
17 studies, in LIPID and CARE. We did not have them in the
18 other three, the smallest studies. We've done separate
19 analyses addressing specifically these, and also the use of
20 ACE inhibitors, and I can tell you about that if you're
21 interested.

22 The bottom line is that qualitatively there's
23 no difference in the conclusion within LIPID and CARE
24 considering these variables in addition to these as opposed
25 to just these. So, we can talk about that if you'd like,

1 but the rest of my presentation this morning will be
2 focusing on those.

3 Now, no multivariate analysis can turn an
4 observational comparison into a randomized comparison.
5 However, if we look at subsets and we see the same thing
6 from one subset to the next, which is in fact what we do
7 see and you saw an example of that with the breakdown by
8 gender, then that gives more confidence that in fact the
9 result is real.

10 These are the five studies. Dr. Belder has
11 talked about LIPID and CARE. LIPID and CARE consist of
12 approximately 90 percent of the population and you see that
13 here, 13,000 or so from the 14,500, the total being 14,600.
14 The percent of aspirin use varied, approximately 83-84
15 percent, as Dr. Belder indicated, in LIPID and CARE, but
16 somewhat less in the other studies varying down to 43
17 percent in PLAC II. Overall, about 80 percent of the
18 patients were taking aspirin at baseline.

19 Now, in two of the models that I'll be talking
20 about, we worry about the possibility that the trials are
21 heterogeneous, that there are different characteristics of
22 these trials somehow, even if we adjust for the covariates,
23 that there is an additional trial effect that could affect
24 the conclusions. So, we're going to allow for the
25 possibility of heterogeneity.

1 However, the trials, the five trials, had lots
2 of commonalities, and these are listed here: similar entry
3 criteria, similar types of patients, of course a
4 randomization of pravastatin versus placebo, long-term
5 follow up, endpoints. We'll consider particular endpoints
6 or others that you may be interested in and we can show
7 you. These endpoints were all measured in the trials, the
8 covariates recorded. The data analysis for each of these
9 trials was conducted independently of the sponsor, separate
10 from the sponsor. However, the sponsor has combined the
11 data into a single data set with all of the variables in
12 question to facilitate the meta-analysis.

13 These are the endpoints we're considering,
14 three: fatal and nonfatal MI, ischemic stroke, and then a
15 composite including these, but also including any coronary
16 death and the vascularization procedures.

17 The first model that I want to talk about is
18 the standard one, the one that is familiar to most of you,
19 I suspect. It is a multivariate Cox proportional hazards
20 model, which will include all of the covariates that I
21 talked about before. The patients are combined across the
22 trials. We're considering the single data set, but we also
23 consider trial as an effect, so trial is one of the
24 covariates that we are adjusting for in the model.

25 This is for fatal or nonfatal MI. This, the

1 yellow comparison is the one that Dr. Belder talked about.

2 It is the randomized comparison of pravastatin on top of
3 aspirin. So, this is restricted to the patients taking
4 aspirin. What is the benefit of adding prava? And you see
5 that it is a 31 percent for fatal or nonfatal MIs, a 31
6 percent reduction.

7 This is the observational comparison. Among
8 those patients who were randomized to pravastatin, 80
9 percent of them were taking aspirin. The benefit of
10 aspirin amongst these patients was about 26 percent. This
11 is the value 1. The fact that the confidence interval does
12 not include 1 means that it is statistically significant in
13 this multivariate analysis.

14 The next endpoint is ischemic stroke. The
15 confidence intervals are wider because there are fewer
16 events in ischemic stroke. Again, this is prava on top of
17 aspirin, a 29 percent reduction. This is aspirin on top of
18 prava, a 31 percent reduction. And again, statistically
19 significant.

20 The composite endpoint, of course more events,
21 smaller confidence intervals, the reduction due to
22 pravastatin on top of aspirin, 24 percent; 13 percent
23 aspirin on top of pravastatin. And again, statistically
24 significant.

25 Now one of the questions of interest to the FDA

1 is, is this one study? Is it two studies? And to address
2 that, we've broken out into LIPID and CARE separately. So,
3 the analyses that you've seen on the previous slide, I'll
4 repeat on the next two slides. This is the randomization.
5 See, all yellow? This is the randomization comparison,
6 the benefit of pravastatin on top of aspirin for LIPID and
7 CARE, LIPID and CARE, LIPID and CARE for the three
8 endpoints that we're talking about. This is the number 1,
9 so statistical significance if it overlaps the number 1 for
10 these studies separately.

11 So, for example, you see in LIPID about a 24
12 percent reduction in the composite events for pravastatin
13 on top of aspirin, about a 24 percent reduction, the same
14 for pravastatin. This is pravastatin on top of aspirin in
15 CARE and in LIPID.

16 The observational comparisons in blue, and the
17 composite endpoint of 14 percent reduction of aspirin on
18 top of pravastatin in LIPID, a 22 percent reduction for
19 aspirin on top of pravastatin in CARE. And again, both
20 statistically significant.

21 This is the second model I want to consider and
22 it is an extension in the following way. It's a Bayesian
23 hierarchical model. It allows for the possibility of
24 heterogeneity in the studies, in the various trials. It
25 treats really two experimental units. This is a

1 hierarchical model. There are two levels of experimental
2 unit. One is patient within trial, but trial itself is an
3 experimental unit. There is more information in a trial
4 with larger sample size, but the trial is counted as much
5 as any other trial of the same size.

6 Now I want to show you the comparisons here.
7 This is the cumulative proportion of events -- this is for
8 fatal or nonfatal MI -- out to 5 years for the randomized
9 comparison of the combination versus aspirin alone. So,
10 this is prava adding to those patients taking aspirin.
11 This is the 31 percent reduction out here at year 5. It's
12 easiest to see the 31 percent reduction in event rates, as
13 well as in hazard.

14 The other randomized comparison is for prava
15 for non-aspirin users, prava versus placebo. And here the
16 reduction -- actually we haven't shown you that -- is about
17 20 percent.

18 Any comparison of a dotted line with a solid
19 line is an observational comparison because it compares
20 aspirin versus not. I said I'd mention placebo. The
21 effect of aspirin alone is a reduction here of this extent.

22 The effect of prava alone is a reduction of this extent.
23 If you add those two together, you get something, I don't
24 know, about down here. What we're looking at in the
25 combination is something that is at least additive.

1 This is for ischemic stroke. Again the
2 randomized comparison of pravastatin on top of aspirin, and
3 this was I think a 29 percent reduction. This is the
4 randomized comparison for the non-aspirin users, and the
5 benefit here, I think it was like a 29 percent reduction in
6 risk for patients in comparison of aspirin alone versus
7 those who were taking pravastatin plus aspirin.

8 And the composite endpoints, I think similar.
9 This was like a 24 percent reduction, and this is like the
10 14 percent reduction that we saw a couple of slides ago.

11 So, the same thing is happening in model 2 as
12 model 1. The analyses that we did in model 2, allowing for
13 this study heterogeneity, reinforced the comparisons in
14 model 1. So, the combination provides an benefit for all
15 three endpoints, the benefits ranging from 24 percent to 34
16 percent comparing the combination to aspirin, and 13
17 percent to 31 percent comparing the combination to
18 pravastatin. The benefit was similar in models 1 and 2.
19 And this benefit was consistent within the studies, LIPID
20 and CARE, considered individually.

21 Now, a possibility that you might worry about
22 -- we're doing proportional hazards. And so these are
23 cumulative proportion of events for model 2, very similar
24 for model 1, and you see that these lines don't cross.
25 Roughly speaking the hazards are the derivatives of the

1 slopes of these lines. These are the hazards by year for
2 the first year, the second year, up to the fifth year. And
3 you see that these things are proportional for each of the
4 treatment groups. That is one of the assumptions of model
5 2 as well as model 1. You see a drop in hazard. In the
6 first year, all these have a higher hazard. Presumably the
7 mixture of patients is heterogeneous and the patients are
8 at high risk, at least some of the patients are at high
9 risk, in the first year. And they recur. When we go to
10 the second year, the hazard is calculated by redefining the
11 denominator so that we're looking only at at-risk patients.

12 The hazards drop and presumably start to increase with the
13 force of mortality. People are getting older.

14 And so we introduce model 2. And one of the
15 concerns that you might have is, well maybe one of these
16 agents, say aspirin, works early on and then doesn't work
17 anymore. And pravastatin works late on and doesn't work
18 early on. So, maybe you can take aspirin first, and then
19 after a few years convert to pravastatin. And so far,
20 we've not worried about that possibility. I want to worry
21 about that possibility. We want to extend model 2, all of
22 the multivariate modeling aspects of model 2, to allow for
23 the hazard ratios within treatment to vary over time.

24 This is the cumulative proportion of events
25 from model 3. These are estimates. I can tell you what

1 the probabilities are for comparing these curves at any of
2 these time points if you are interested.

3 These are the hazards. The hazards, now you
4 see there's a great deal more noise because we are modeling
5 these things individually. We're modeling the hazard in
6 year 1, separate from year 2, separate from year 3. So,
7 there's a good deal more variability and crossing here of
8 some of the hazard functions. For example, it happens in
9 year 3 that the hazard for aspirin alone is actually
10 slightly greater than placebo alone. You expect that sort
11 of thing because there's a good deal of noise here.

12 There are several amazing things about this
13 picture. One is that the combination is better in each one
14 of these years. The combination is better than any one of
15 the other treatment groups in every year. These are like
16 five separate studies. The events in this group in the
17 first year are distinct from the second year or distinct
18 from the third year, etc. So, we sort of start over again.

19 And when we start over again in the second year, again the
20 combination wins.

21 Now, I can quantify that for you if you like, I
22 can tell you what the probability is that in this
23 particular year the hazard is better for the combination
24 than, let's say, for aspirin alone. But the important
25 thing to me is that the hazard is better for the

1 combination group in each one of these years. It shows the
2 persistence of the effect.

3 Another interesting thing about this picture is
4 it shows what doesn't happen. I mean, one of the things
5 that you see in the first year is that the combination
6 lowers the hazard. What does it do? Does it extend the
7 period of time before the event occurs? So, does it push
8 it into the future a year or two? If that were the case
9 then you would expect this bump coming later. That doesn't
10 happen here.

11 So, the conclusion of the hazard analysis over
12 time, the benefit of the combination over aspirin was
13 present in each year of the 5-year duration of the trials
14 and the same is true for the combination over pravastatin.

15 The benefits estimated for model 1, the confidence
16 intervals in particular, were confirmed by the more general
17 models and fewer assumptions. When we dropped the
18 assumption of proportional hazards, for example, we
19 observed the same thing.

20 So, we've observed benefits in the meta-
21 analysis. We've observed the same benefits within the
22 studies considered separately. We allowed for
23 heterogeneity in a number of ways, but in fact these
24 studies are quite homogeneous with respect not only to the
25 baseline characteristics but also the results.

1 And so now I'd like to turn the podium over to
2 Dr. Tom Pearson who will discuss medical need.

3 DR. BORER: Are there any questions for Dr.
4 Berry? Ray.

5 DR. LIPICKY: I guess I missed it when I first
6 looked at the thing, but you actually think the analyses
7 suggest that there's a super-additive effect or a
8 synergistic effect between prava and aspirin and that you
9 could --

10 DR. BERRY: Dr. Lipicky, between you and me,
11 the answer is yes. I think there is a super-additivity.

12 DR. BORER: I'd like to extend the question I
13 asked earlier. You had 1,600 people who were over age 65.
14 3 percent of your total population had liver enzymes that
15 were at least three times the upper limit of normal or CK
16 at least four times greater than the pretherapy level. How
17 many in the above age 65 group had these abnormalities? Do
18 you have that breakdown?

19 DR. BERRY: One thing. Not in direct answer to
20 that question, but we have done a separate analysis of the
21 over 65 with respect to what I've shown, if you're
22 interested in seeing that. You don't care about that.

23 DR. BORER: I'm not because I believe you. And
24 I don't disbelieve anything I've heard. You know, we're
25 talking about a single dose to be mandated as part of a

1 combination that could conceivably alter practice patterns,
2 and I want to know about the safety of doing that relative
3 to the effectiveness which we're going to hear more about.

4 Bev has already raised that issue.

5 DR. BELDER: Perhaps I can tell you what
6 currently the pravastatin label states about geriatric use.

7 It says the following. Two secondary prevention trials
8 with pravastatin, CARE and LIPID, included a total of 6,593
9 subjects treated with pravastatin 40 milligrams for periods
10 ranging up to 6 years. Across these studies, 31 percent of
11 pravastatin subjects were age 65 or older and .8 were age
12 75 and older. The beneficial effects of pravastatin in
13 elderly subjects in reducing cardiovascular events and in
14 mollifying lipid profiles was similar to that seen in
15 younger subjects. The adverse event profile in the elderly
16 was similar to that in the overall population. Other
17 reported clinical experience has not identified differences
18 in responses to pravastatin between elderly and younger
19 patients.

20 DR. BORER: Okay. Do you have the numbers I
21 asked for, or not?

22 DR. BELDER: So, in the two trials there was -
23 we didn't do an analysis of CK by age, no.

24 DR. BORER: Okay. Or liver enzymes. No.

25 DR. BELDER: Well, with respect to liver

1 enzymes, the current pravastatin label does not require
2 liver enzymes to be measured after initiation of therapy,
3 and that applies to all ages.

4 DR. TONKIN: Perhaps if I could make some
5 comments about the safety database and also about the issue
6 around age. LIPID contributed 68 percent of the data that
7 you're seeing. In fact, at baseline there were 1,511
8 patients age 70 or over. They were followed for a mean of
9 6 years, and then in fact after that, we approached all
10 patients, including those who had been randomized to
11 placebo, to see whether they would be agreeable to go on to
12 open-label pravastatin, specifically to get more data about
13 safety, including the elderly, more data about cost
14 effectiveness. In fact, we have 95 percent of the initial
15 cohort who had survived who hadn't died who agreed to that
16 further follow-up. So, the safety danger in LIPID now goes
17 out to where patients may be 83 or so. We see no signals.

18 But the important point, I think, is that what
19 we did in LIPID was we said, what is the effect of
20 pravastatin in a dose of 40 milligrams against placebo
21 against the background of usual therapy. So, the
22 individual clinicians had to make the decision about
23 whether or not patients should be on aspirin. The trial
24 didn't mandate it. We left that decision to the clinician.
25 So, undoubtedly, a number of people who would not be

1 treated with aspirin are not getting into the data set.

2 With respect to the overall data set with
3 pravastatin, if one includes also the West of Scotland
4 study with LIPID and CARE, there is 112,000 person-years of
5 experience comparing pravastatin, a dose of 40 milligrams,
6 against placebo. In fact, there are many patients who
7 remained on pravastatin as remained on placebo at the end
8 of the study. Extraordinary tolerance. There was not a
9 single case of rhabdomyolysis in that 112,000 patient-years
10 of experience.

11 If you took those patients who had abnormal
12 liver function tests at baseline, there was no difference
13 between placebo and pravastatin on top of that in terms of
14 deterioration.

15 So, I think the experience with respect to
16 safety is extraordinary. What really this is about is
17 ensuring the patients would receive the dose that is proven
18 in the studies, that against what would be the position of
19 judgement, if you like, about usage of aspirin.

20 DR. BORER: Bev, did you have a comment? Or
21 Susanna?

22 DR. LORELL: I guess one of the comments in the
23 geriatric use paragraph that was read, in the next
24 paragraph there actually is a comment that mean AUCs were
25 slightly higher in elderly subjects.

1 DR. BELDER: That's correct. There is quite
2 some variability in the AUC levels of pravastatin.
3 However, as Dr. Temple indicated before, we have recently
4 gained approval of pravastatin at 80 milligrams. In
5 addition, we are collecting quite a substantial safety
6 database on pravastatin of 1,260 milligrams, and so far we
7 do not observe any safety signal with pravastatin.

8 Again, I don't think that the safety of
9 pravastatin in whatever population is an issue.
10 Pravastatin has been proven to be extremely safe in a
11 variety of patient populations.

12 DR. BORER: Okay. Tom.

13 DR. FLEMING: Don, I had a couple questions. I
14 appreciate and thank you for the very nice presentation of
15 these three models. Certainly they are very informative.

16 As you note, the major challenge here is really
17 trying to understand what aspirin adds to pravastatin in
18 the absence of randomized trials. These models make an
19 attempt to make adjustments for the imbalances that may
20 exist between those who elect to use aspirin versus those
21 who don't.

22 You have adjusted for a number of factors and I
23 think you've really acknowledged this. What concerns most
24 of us about observational data and analyses and models such
25 as this is that they are informative and helpful, but we

1 worry about whether we're adjusting for differences that
2 are the tip of the iceberg.

3 You have noted that demographics -- smoking,
4 revascularization procedures -- were important elements to
5 adjust for. I understand you have adjusted for all of
6 those in the model.

7 Some of the other things that we might think
8 about are, for example, differences in other interventions,
9 baseline treatments. We see, for example, in the FDA
10 briefing document on pages 37 and 38, we see differences in
11 beta blockers that are more frequently being used in those
12 who are choosing to use aspirin and those who are not.

13 How have you addressed the potential impact of
14 differences in concomitant meds between those electing to
15 use aspirin and those not?

16 DR. BERRY: We did an analysis within LIPID and
17 CARE separately for ACE inhibitors. I can't remember. Did
18 we also do beta-blockers? Can you bring those slides?

19 All of these are within model 1, that is, the
20 standard proportional hazards model. Model A is what we
21 talked about. Everything that you've seen is model A.
22 Model B includes these other issues of diabetes, the
23 revascularization procedures, BMI, obesity, stroke,
24 dyspnea, angina. Model C includes the same as model B, but
25 also beta-blockers and ACE inhibitors.

1 And this is CARE. The variables in CARE are
2 slightly different, as you see here. We didn't have some
3 of the same variables. There are additional variables in
4 LIPID as opposed to CARE. And so I'll show you these
5 things separately. These are separate models and it's
6 awfully busy. Let's see if we can focus on, say, the
7 composite endpoint.

8 This is the composite endpoint and we are now
9 talking about LIPID. And so in LIPID this is what you saw
10 before. This is somewhat different now because it doesn't
11 include all of the other studies. This is just LIPID
12 separately. There was a 24 percent reduction in
13 pravastatin on top of aspirin and a 14 percent reduction in
14 aspirin on top of pravastatin. That was model A. If we
15 incorporate the second tier of variables, we get something
16 which is comparable. If we go to model C, which also
17 includes the beta-blockers and the ACE inhibitors, we see
18 something that is very similar.

19 DR. FLEMING: While we're here then,
20 essentially model C is the direct answer to this specific
21 question.

22 DR. BERRY: Right.

23 DR. FLEMING: But also let's look at CARE.

24 DR. BERRY: CARE, in fact, gets even stronger.
25 The conclusion is even stronger.

1 DR. FLEMING: So, they go in a bit the opposite
2 direction?

3 DR. BERRY: Right.

4 DR. FLEMING: With CARE, adjusting for beta-
5 blockers and ACE inhibitors, there seems to be an enhanced
6 effect. With LIPID, there seems to be a somewhat
7 diminished affect.

8 DR. BERRY: Only slightly diminished. If you
9 go back, you'll see that it's not changed. It is slightly
10 diminished.

11 DR. FLEMING: 14 to 11 to 12 to 9.

12 DR. BERRY: Slightly diminished, 35 to 30.

13 DR. FLEMING: And this is using as covariates
14 beta-blockers and ACE inhibitors as reported at baseline.

15 DR. BERRY: That is correct.

16 DR. FLEMING: Second question. If we look at
17 the raw data, the conclusions, Don, that these analyses
18 have presented, not too surprisingly, are fairly consistent
19 with an impression you get when you just look at the raw
20 data. One place that that is presented is in the FDA
21 briefing document on pages 41 to 43 for each of the five
22 major endpoints that were considered. And it's really
23 worth perusing that data for a moment on pages 41 to 43
24 because it really shows an intriguing pattern.

25 What it does is it breaks the data out into

1 groups by pravastatin plus aspirin, pravastatin alone,
2 aspirin alone, and neither, which ideally we would have
3 liked to have had in a true factorial design. Of course,
4 what we know is that this is based on pravastatin to
5 placebo randomization where aspirin use is observational.

6 As you scan through these three pages and
7 you're looking at each of these endpoints, what you find,
8 which is somewhat similar, Don, to your comment a bit early
9 about there maybe being a positive synergy here, is for
10 each of these five endpoints, you find that when you add
11 aspirin to pravastatin, you get a much more vigorous or
12 substantial improvement and outcome than when you're adding
13 aspirin to control.

14 In a sense, that's reassuring because the
15 really relevant question here is, what does aspirin add to
16 pravastatin, not what does aspirin add to nothing. And
17 yet, what we're dealing with here, as you've acknowledged,
18 is we're out on the end of a limb here because we're really
19 trying to determine what the effect of aspirin is in
20 nonrandomized data. Where we do have randomized data is
21 looking at the effect of aspirin alone.

22 So, what concerns me is, when I look at these
23 five endpoints on pages 41 to 43, when I'm making the
24 comparison from aspirin against nothing, I'm seeing
25 essentially no effect on any of these five endpoints. Not

1 only is it less effect than what aspirin does in the
2 presence of pravastatin, but what aspirin does in the
3 absence of pravastatin in these data is essentially
4 nothing.

5 What concerns me is that's not consistent with
6 what we've seen from randomized trials looking at aspirin.

7 We do have evidence about what aspirin does in randomized
8 trials, but it's in the absence of pravastatin. So, now
9 that we're in this realm and we're using these data out on
10 the end of this limb to say what aspirin does in the
11 presence of pravastatin, and we look also at what these
12 data are saying about what aspirin does in the absence of
13 pravastatin, and we see an answer that's inconsistent with
14 the randomized trials, how do we reconcile this? In your
15 exploration of these data, can you tell us why aspirin
16 doesn't add anything in the absence of pravastatin?

17 DR. BERRY: Yes. First of all, the group that
18 you're looking at is the smallest group. It's the set of
19 patients who were not taking -- let me start over again.

20 DR. FLEMING: It actually is half of the group.

21 DR. BERRY: Yes, it's half of the group.
22 That's why I'm starting over again.

23 The effect of aspirin. If you looked at this
24 study and said -- I think we have a slide on this -- let's
25 look at aspirin alone, 80 percent versus 20 percent, what

1 is the effect of aspirin? The effect of aspirin alone is
2 the mixture of, or the average of the effect of aspirin for
3 those patients who were taking pravastatin plus the effect
4 of aspirin for those patients who were not taking
5 pravastatin.

6 And so you correctly say that the benefit --
7 let's think about the composite endpoint where the
8 comparison that you're making is most pronounced, and
9 actually why don't we show that slide, the one where the
10 composite endpoints, model 3. It's one of the late ones,
11 like C-20 or so.

12 So, this is what Dr. Fleming is talking about.

13 If you compare placebo and aspirin alone, there's very
14 little difference. In fact, I think it was like 3 percent
15 reduction due to aspirin. If you compare, however, the
16 pravastatin, the affect of aspirin here, it's -- I don't
17 know -- 13 percent or so. And so if you ask the question,
18 what is the overall benefit of aspirin in this study, it's
19 about a 10 or 11 percent reduction, 13 percent average with
20 3 percent, but with the greater weight on the other one.
21 As to why this is not different, I'd give it to small
22 sample size.

23 Let me say one other thing about that. In
24 terms of the composite endpoint, the composite endpoint
25 includes the revascularization procedures. And we have a

1 slide, which we can show for the various endpoints, which
2 indicates that in fact aspirin has no benefit on
3 revascularization procedures. In fact, if you took these
4 out, there would be a separation here.

5 DR. HENNEKENS: Can I make another point, Don?

6 DR. FLEMING: Oh, Charlie.

7 DR. HENNEKENS: I just want to make another
8 point on Tom's question because this issue was troubling to
9 me when I first looked at these data as well.

10 My own looking at it is as follows. If we look
11 at the randomized comparisons in the Physicians Health
12 Study, the time course to benefit, we began to see that
13 over 40 percent benefit within 6 months of taking the
14 aspirin. Then it persisted over the 5 years until the
15 trial was stopped because of the statistical extreme nature
16 of that finding, with more endpoints developing.

17 I think it's important to point out that in the
18 CARE study, the time of randomization was 10 months after
19 the event and the time to randomization in LIPID was 13
20 months after the event. So, I think one of the issues to
21 consider is that the major benefits that aspirin conferred
22 may have occurred already before these trials began.

23 DR. FLEMING: Charlie, are you suggesting then
24 that this might be true here, that after you've been on a
25 certain period of time, continued use of aspirin is not --

1 DR. HENNEKENS: No, I think that these data --
2 I think Rene was going to show that these data also show
3 benefits among the aspirin users compared with the non-
4 users. However, the ability to study this, I guess you'd
5 call it, interaction would be best, as you point out, in a
6 randomized, double-blind factorial trial where everyone is
7 assigned to the agents at the same time. And here we have
8 a disconnect because we have, in my view, predominantly
9 anti-atherogenic effects of the statin drug that takes some
10 delay until it occurs, and the predominantly antithrombotic
11 effects of the aspirin, and the time course of that large
12 benefit is within the first several months of starting it,
13 which would be at the time these people were started, I
14 think. It's just a methodologic point I wanted to add to
15 the discussion.

16 DR. FLEMING: Don, while you're speaking, could
17 you put that slide back on again that you just had?

18 DR. BERRY: Put it on again.

19 A bottom line that you can read from this is
20 that the only way to get a benefit from aspirin is to take
21 pravastatin with it.

22 DR. FLEMING: I like your color coding and your
23 interpretation before.

24 Basically, as I look at this, where at least I
25 feel most comfortable, I have to admit, is where I have

1 randomized comparative trials.

2 DR. BERRY: Sure, of course.

3 DR. FLEMING: And as you note, when we're
4 comparing these solid lines, and in particular the solid
5 orange against the solid green, it's answering an important
6 question and doing so in the context of a randomized trial.
7 What does pravastatin add to aspirin?

8 The other question that I find very interesting
9 is the dotted purple against the green, which is, what does
10 aspirin add, the dotted purple there, against the green.
11 Which is what does aspirin add to pravastatin?

12 What's encouraging, as you noted, is that that
13 seems to be greater than what the orange does against the
14 dotted red. If anything there is synergy here. And where
15 my discomforts is I know something about the orange against
16 the dotted red from sources that are much better than this,
17 from randomized trials, and they don't agree with this.
18 So, I'm just left with a sense that when I'm seeing
19 something that I do know about that doesn't agree with
20 this, then where I'm trying to use this, which is the
21 dotted purple against the green, it just makes me a little
22 uneasy.

23 The good news is, though, that this is
24 underestimating what the effect of aspirin is. So, if I
25 extrapolate that, then one might be willing to say that the

1 green versus the dotted purple is underestimating, that's
2 one positive way to look at it.

3 The negative way to look at it is, when I have
4 randomized trials and I have historical evidence or
5 observational evidence and they don't agree, then it makes
6 me more worried about being out on the end of that limb
7 when I'm having to use observational data for the green
8 against the purple.

9 DR. BELDER: Don, we did a couple of other
10 looks at the data, and perhaps a slide up.

11 This is all aspirin users and this is what Don
12 already alluded to, that basically the effects on the lines
13 that you see is an average of the aspirin users in
14 pravastatin-treated patients and non. And here you see the
15 effects on the various endpoints of all aspirin users
16 versus non-users, and the treatment effects are actually
17 quite consistent except for the composite endpoint that
18 includes CABG and PTCA. All the other endpoints are very
19 consistent with what you would have expected aspirin to do
20 in this population.

21 Now, the question may be, well, why doesn't it
22 show up in the previous slide that we had? And one has to
23 realize that we had the non-aspirin users, who were a
24 minority of the population, about 20 percent of the
25 population. In addition, those patients who were not on

1 aspirin at baseline, many of them started using aspirin as
2 the trials went on. So, particularly in that group we see
3 slowly a treatment effect of aspirin starting to occur.
4 But these data, we believe, present the true effectiveness
5 of aspirin in this population. That's the mix of the
6 pravastatin and placebo users.

7 DR. FLEMING: Don, I had one more question. I
8 don't know if this is getting at my answer or not. You had
9 given the analyses on three of the major endpoints. The
10 primary endpoint of LIPID was CHD death, and the primary of
11 CARE was CHD death, nonfatal MI. Did you also do your
12 analyses for those endpoints?

13 DR. BERRY: Yes.

14 DR. FLEMING: Can you just quickly show us?

15 DR. BERRY: Can we show those? CHD death, CHD
16 death including nonfatal MI.

17 DR. BORER: Just a yes or no answer while
18 you're waiting for that. Do you want to put a statement in
19 the label of this combined product that says it shouldn't
20 be used by people who've had a revascularization procedure?

21 DR. BERRY: No. You're going to answer this, I
22 know, Rene. But I want to distinguish between
23 revascularization procedures at baseline and what we're
24 talking about here. This is an endpoint revascularization
25 procedure. It is not a baseline.

1 DR. LORELL: A comment on that point. I think
2 that there's even another possibility to interpret that
3 data, if just for a minute we could go back to that slide
4 that broke out bypass surgery and angioplasty. If you're
5 doing an intervention of using aspirin and lipid-lowering
6 therapy that dramatically reduces the risk of acute
7 coronary syndrome, then by definition, in a large or small
8 population, you are going to be doing many fewer
9 interventions for that indication. And you have not shown
10 us that data but I think it would be highly likely that
11 that population of CABG and angioplasty events are enriched
12 by a group for whom the indication was chronic stable
13 angina and it was unenriched by loss of the population of
14 people who had acute coronary syndromes.

15 In fact, I think -- and maybe Charlie Hennekens
16 can correct me if I'm wrong -- but I don't think there is
17 data that demonstrates that aspirin use alone prevents that
18 piece of the indication for revascularization. In other
19 words, this may be actually a confounding effect on
20 actually changing the kind of pool of people compared to
21 the trial that Dr. Hennekens was discussing.

22 DR. BELDER: We have thought about this as
23 well, and actually we determined the endpoints that we were
24 looking at before we actually saw the results of the meta-
25 analysis. In retrospect, if we would define the endpoints

1 again, we probably would take out the revascularization
2 from the endpoint because it is clear that we do not pick
3 up a treatment effect of aspirin. And it's clear that
4 pravastatin has a treatment effect for these events. I
5 think that what you said is a very plausible explanation.

6 DR. BORER: We have Alan, Bob.

7 DR. BERRY: Do we have those slides yet for the
8 CHD death?

9 Okay. So, this is CHD death and nonfatal MI.
10 I guess we don't have it, Tom, combined. Oh, second from
11 the bottom, okay. These are broken out and so if you were
12 to combine these, it would show something similar. If
13 anything, it's a better comparison than including these
14 procedures.

15 DR. BORER: Bob?

16 DR. TEMPLE: Well, the only observation I
17 wanted to make is that the effect of aspirin in controlled
18 trials is not perfectly consistent either. What we believe
19 comes mostly from meta-analyses, as everybody probably
20 remembers, the largest secondary prevention trial went the
21 wrong way on survival and was almost dead even on most
22 other things. The results in the Physicians Health Study
23 are completely unmatched by what I consider a fairly
24 similar trial in primary prevention. So, with a small data
25 set, it's not entirely surprising that you might or might

1 not see something in one of the components.

2 DR. BORER: Paul?

3 DR. FLEMING: Before we leave that point, that
4 could be true. One could attribute this to the smallness
5 of the data set. I'm looking at two sources of
6 information. One is this data set here, which is 7,200
7 people, and then the 20,000 people that were reviewed in
8 the FDA briefing document from the randomized trials.

9 DR. TEMPLE: Not in the no-aspirin group. I
10 mean, most of the people here got aspirin. So, the
11 comparison with the no-aspirin group is pretty small.

12 DR. FLEMING: It's 5,800 versus 1,500, right,
13 in this study.

14 So, I'm saying that is a possible explanation,
15 but across all five endpoints there is, I think, a very
16 discernible difference in terms of lack of effect on any of
17 those five, compared to some of these endpoints that when
18 you look at it in randomized trials, certainly you show
19 considerable effect.

20 DR. TEMPLE: Looking at the effect of aspirin
21 alone, is what you're noticing.

22 DR. FLEMING: Correct. Aspirin alone.

23 DR. BORER: Paul?

24 DR. THOMPSON: Dr. Berry, could you address the
25 possibility that these studies, done by very knowledgeable,

1 sophisticated investigators, that the representation or the
2 finding that both the lack of benefit and the super-benefit
3 of aspirin is actually due to the fact that these doctors
4 are making good decisions about who they put people on, and
5 they're deciding not to put either frail or people with GI
6 bleeding or other conditions on aspirin, and that that
7 actually could be a possible explanation for both the high
8 and the low, the over-estimation and the under-estimation?

9 Really the best utility of these data is to
10 show that something that is a recommended treatment, which
11 is aspirin and a statin, in patients with coronary artery
12 disease doesn't appear to do a whole lot of harm.

13 DR. BERRY: Can I simply agree?

14 (Laughter.)

15 DR. BORER: Yes.

16 DR. BERRY: I agree. With respect to the
17 frail, we did not have a measurement of frailty per se, but
18 it might be reflected in some of the other covariates that
19 we did measure.

20 DR. FLEMING: If I could just pursue that, if
21 that's what one were thinking, and if I viewed these four
22 subgroupings as real, then what I would say is the doctor
23 should be saying, if I'm on pravastatin, certainly put me
24 on aspirin. If I'm not on pravastatin, don't put me on
25 aspirin. And yet, in exactly the same proportion of cases

1 they chose to put you on aspirin, whether or not you're on
2 pravastatin.

3 DR. BERRY: Of course they didn't know
4 whether --

5 DR. FLEMING: They didn't know. What was
6 striking to me is in these data, when you've randomized to
7 pravastatin versus control in LIPID and CARE, and it was
8 choice as to whether to use aspirin, the same fraction of
9 people chose to add aspirin whether or not you were on
10 pravastatin or control.

11 DR. BORER: Perhaps we can move on to the --

12 DR. TEMPLE: Jeffrey?

13 DR. BORER: Oh, sorry.

14 DR. TEMPLE: Just one thing. I thought, Tom,
15 you were making the point you did because it made you
16 wonder about the analysis; that is, the analysis failed to
17 show something we all expect to see.

18 DR. FLEMING: That's correct.

19 DR. TEMPLE: The idea that these kind of data
20 can show you, don't use aspirin alone -- maybe everybody
21 was exaggerating.

22 DR. FLEMING: I will believe the 20,000 people
23 from randomized trials. My whole point is, when I have a
24 randomized trial telling me something about aspirin versus
25 nothing, now I'm using this data set to answer a different

1 question, what does aspirin add to pravastatin, but it also
2 gives me the same information, imperfect though it may be,
3 about what aspirin adds to nothing, and that information is
4 now inconsistent with my randomized trials about what
5 aspirin does to nothing, it makes me worry about being on
6 the end of a limb when I'm using these data to see what
7 aspirin adds to pravastatin. Not that I have any
8 particular better source of data to use at this point.

9 DR. TEMPLE: I understand, but the real
10 question is the methodological one. Does this admittedly
11 nonrandomized comparison provide enough assurance so that
12 we really do think that aspirin makes a contribution in the
13 presence of pravastatin. It isn't really to go back and
14 reinspect the advice everybody gives.

15 DR. FLEMING: Absolutely.

16 DR. TEMPLE: I'm not referring to what you
17 said.

18 DR. FLEMING: Absolutely. The comments that
19 I'm making have to do with the reliability of the
20 interpretation of these data in an observational sense, to
21 conclude whether aspirin adds something to pravastatin.

22 There's a good news and a bad news side to
23 this. Just to summarize, the good news side is, the
24 suggestion is that the effect of adding aspirin is even
25 greater in the presence of pravastatin, and that's the

1 question I'm really worried about here. The bad news is,
2 where I do have an answer from a randomized trial -- i.e.,
3 aspirin versus nothing -- it's not consistent with that
4 answer.

5 DR. THOMPSON: Dr. Fleming, I'm a little
6 confused about something you said. It seems to me that
7 actually a paucity of people are put on aspirin, if you
8 look at and compare it to the number of people that are in
9 these trials. So, somebody's making a decision. I'm
10 always impressed that people in clinical trials always do
11 better than what we tend to see in practice. I'm moved by
12 the idea that it may be that the doctors that take care of
13 them are doing a better job. So, somebody's making a
14 decision here, and I wonder if that decision isn't what's
15 driving us.

16 But you said that there was an equal decision
17 to put them on aspirin or not. It doesn't look like it's
18 equal. It looks like it's actually much lower. For
19 example, on the top of page 41. So, I want to just make
20 sure I'm understanding this. It looks like, you know, for
21 example in that last column there are only 1,400 people
22 that were not on pravastatin and not on aspirin compared to
23 almost 6,000 people who were given aspirin when they were
24 on pravastatin.

25 DR. FLEMING: In these two trials, when you add

1 them together, you're correct that the largest fraction of
2 people have been provided aspirin. If you break these
3 people into four groups, pravastatin yes-no, aspirin yes-
4 no, and if you believed these data as being true, what you
5 would say is, certainly, use pravastatin. Also use aspirin
6 if you are using pravastatin, but if you're not using
7 pravastatin, don't use aspirin. I'm saying, if the
8 clinicians in fact knew that, then why is it that when
9 pravastatin is used, 80 percent offered aspirin, and when
10 it's not used, still 80 percent offered aspirin?

11 They're making the right choice in the first
12 case. They're making the wrong choice in the second.
13 However, I want to emphasize what Dr. Temple is saying.
14 I'm not interpreting these data as being the truth. In
15 fact, I believe these data are not reliable in what they're
16 telling us about the effect of aspirin in the absence of
17 pravastatin.

18 DR. BELDER: Could I make one comment about
19 this because it's only with respect to one particular
20 endpoint, not with respect to the other endpoints. If you
21 look at C-15, please.

22 The aspirin effect in this endpoint is much
23 more prominent than in the expanded endpoint that includes
24 revascularizations. It's what Dr. Berry indicated earlier,
25 that we do not pick up a treatment effect of aspirin in

1 revascularizations, and since they are the majority of
2 endpoints that you have in the database, there's a
3 significant dilution.

4 In addition, I would like to emphasize again
5 that those patients who were not using aspirin at baseline,
6 a significant portion of them started aspirin use as the
7 trials were going on, so we did a very conservative
8 analysis on, if you will, an intention-to-treat basis. So,
9 that, again, would dilute the treatment effect that we
10 would pick up.

11 DR. BORER: Just to save time here, I think
12 we're being perhaps excessively obsessive in tearing these
13 data apart. At the end of the day we're going to have to
14 decide how convincing we are. We have questions that
15 actually cause us to reason through this, and at that point
16 I think we're going to hear a complete analysis.
17 Speculation here is taking a lot of time.

18 Dr. Kreisberg, you had a comment?

19 DR. KREISBERG: Well, I was just concerned
20 about the way I heard the conversation going, and maybe
21 Frank Sacks could clarify it. These patients were not
22 treated with aspirin by the investigators. They came to
23 the study, either on aspirin or not on aspirin, and that's
24 the basis of the analysis. Is that not right, Frank? So,
25 it isn't that they get better management from the doctors

1 who are involved in the study.

2 DR. THOMPSON: That's not my point. The point
3 is that these are done at institutions that generally have
4 quality of care. They're involved in research. Frequently
5 the patients that are involved in controlled clinical
6 trials appear to do better than those that are not in
7 controlled clinical trials. There are some reasons behind
8 that. One is that they're treated at medical centers that
9 do research. Period.

10 DR. KREISBERG: I understand that, but most of
11 the patients that are entered in these trials do not come
12 exclusively from academic medical centers, and there are a
13 lot of community participants. It's the academic medical
14 center that serves as a coordinating center.

15 DR. THOMPSON: I do think we're over-analyzing,
16 but I do think there's a degree of sophistication that goes
17 along with doing controlled clinical trials that benefits
18 patients.

19 DR. BORER: Alan?

20 DR. HIRSCH: Well, I don't want to over-analyze
21 how the patients are treated by either academic or primary
22 doctors, but I want to take one of Tom's points just one
23 step further for later discussion. Which is, whenever I
24 see that relative lack of efficacy on the fatal or nonfatal
25 MI endpoint, which would be my signal that I would choose

1 to look at for the aspirin efficacy, I choose to look at
2 that to make sure that I have some sense that, again, these
3 patients treated by their doctors actually took the drug.
4 I want that signal of efficacy, not again in a small trial
5 to prove that aspirin works -- I can look at the broader
6 database -- but to make sure that in this database that I
7 can then look at the crossover for safety, for a
8 combination package.

9 So, I again look at page 41 of the FDA briefing
10 booklet at endpoint 2, where I see no signal in the 1,460
11 non-aspirin treated and 5,833 aspirin-treated patients, no
12 impact on MI rates at all. I say, well, who knows? It's
13 too small a sample size, just the luck of the draw for
14 statistics, the wrong model, or possibly really these are
15 casual patients not really taking their aspirin. Maybe
16 they mistake it for Tylenol. Later when I look over the
17 safety database, I have a little bit of doubt.

18 I'm expressing this now so that later, when we
19 talk about safety, I can come back to it.

20 DR. BORER: Dr. Pearson.

21 DR. PEARSON: Ladies and gentlemen, it's my
22 great pleasure to present the medical need for the
23 pravastatin/aspirin combination.

24 What I'd like to do is bring the perspective of
25 the preventive cardiologist to this discussion. Certainly

1 my interest has been in preventive cardiology and the
2 treatment of high-risk patients for about 20 years. I
3 direct a preventive cardiology clinic at the University of
4 Rochester.

5 I've also been interested in the policy issues
6 related to this and been involved with the development of
7 the basis for the secondary prevention guidelines for the
8 American Heart Association, as well as the first and second
9 iterations of those guidelines. And more recently my
10 research interest has been really in the implementation of
11 these guidelines, as to the extent to which they're getting
12 out to the patients who are eligible for them. So, I'd
13 like to bring the preventive cardiologist's perspective to
14 the medical need for this pravastatin/aspirin combination.

15 In the first place, to start this discussion,
16 of course, is in the efficacy, and you've just heard these
17 data. It sounds like everyone is a little bit remorseful
18 for not having paid better attention to that multiple
19 regression course in your statistics course, but I think
20 what we've seen here is, I think, very good clinical trial
21 data looking at two individual trials, the LIPID and CARE
22 trials, as well as meta-analyses from three additional
23 angiographic trials with clinical endpoints, that the
24 combination adding pravastatin is more effective than
25 aspirin alone. We just had a very nice discussion of the

1 observational data, its strengths and weaknesses, as to
2 whether the combination is more effective than pravastatin
3 alone, that is, adding aspirin to the pravastatin, again
4 with single and meta-analyses evidence.

5 So, I think also it is important, I think, with
6 the second point, to put this into the backdrop of the
7 large clinical trial evidence supporting aspirin use in the
8 secondary prevention of coronary heart disease.

9 So, the question is, is this a large issue?
10 Dr. Fiedorek presented the initial estimations, and these
11 are the estimations used by the American Heart Association.
12 12.4 million Americans carry a diagnosis of coronary heart
13 disease. This constitutes, for adults above the age of 45,
14 12 percent of men and 8 percent of women in the United
15 States. And it's for this reason that many of the public
16 health agencies now are starting to look at these issues of
17 implementation of guidelines as a public health issue, not
18 just a clinical health issue but public health issue.

19 Even if you were to exclude those individuals
20 who might have contraindications to pravastatin or
21 contraindications to aspirin, usually for GI intolerance,
22 you're still left with about 10 million Americans who would
23 be the eligible population for this combination. The other
24 issue is whether or not this problem is going to be going
25 away, and the answer is no.

1 This is a small working group that I had the
2 pleasure of participating with for the American College of
3 Cardiology around the end of the millennium, headed by Dr.
4 David Foot, a demographer from the University of Toronto.
5 This basically looks, taking into account the demographics
6 of the United States, the baby boomers, et cetera, at the
7 growth of the prevalence of patients who are going to carry
8 the diagnosis of coronary heart disease. Here we are in
9 2001 with about 12 million Americans, and over the next 50
10 years we estimate that this is going to double. About 25
11 million Americans are going to be carrying this diagnosis.

12 I think this is really the basis for us starting to call
13 this a public health issue as well as a clinical one.

14 I had, again, the opportunity to head a writing
15 group writing the basis paper for the first secondary
16 prevention guidelines and participated in both iterations
17 since then. I don't remember back in those writings that
18 we ever had much of a question about adding these two
19 issues as important components of those guidelines. First,
20 lipid lowering to achieve an LDL cholesterol of less than
21 100 milligrams per deciliter, and second, antiplatelet
22 therapy, particularly aspirin, so that these have always
23 been cornerstones of the secondary prevention guidelines as
24 put forth by the American Heart Association and the
25 American College of Cardiology.

1 What these guidelines do and the wide consensus
2 for their acceptance is for us to look at asking the
3 question, how well are we doing with carrying out these
4 guidelines. And obviously guidelines written, but not
5 implemented, aren't really worth much at all.

6 I've really had an interest in what we call the
7 treatment gap of the difference between what we recommend
8 and what's actually being done for our patients. And I'd
9 like to make three comments and talk about the relative
10 need of this combination, the three issues.

11 The first issue I want to talk about is that
12 many patients face a high uphill burden. Obviously, this
13 is and should be a major concern for the medical and
14 nursing communities. This gets at the issue of
15 noncompliance and nonadherence with the recommendations.

16 Now, let's just consider the typical coronary
17 patient here. And the typical secondary prevention patient
18 might be taking, according to guidelines, a statin,
19 aspirin, an ACE inhibitor and a beta-blocker. If this
20 patient were to have diabetes -- and 25 percent of coronary
21 patients carry the diagnosis of diabetes -- also oral anti-
22 diabetic agents.

23 Let's consider some of the complications of
24 coronary heart disease: atrial and ventricular
25 arrhythmias, congestive heart failure. A lot of these

1 patients, 60 percent or so by our calculation, will have
2 hypertension, possibly not totally controlled by this.
3 This is a group where we're going to have a large pill
4 burden. I share with Dr. Borer the concern, particularly
5 in the elderly patient, of drug-drug interactions.

6 But there's another problem with this, and we
7 all know that one of the risk factors for noncompliance and
8 nonadherence is the number of pills and the complexity of
9 the regimens that patients have to deal with every day.
10 So, this obviously is something that our guidelines are
11 actually asking for, and the question is, what can we do to
12 make this all simple.

13 The question is, is there any evidence to
14 suggest that putting two agents together in a combination
15 pill helps us with noncompliance and nonadherence? I'd
16 have to say this is a relatively slim data set. Certainly
17 I would like more. The American Heart Association has
18 certainly been very interested in compliance in general.
19 And we were able to find four studies in which combination
20 tablets were compared with dual therapy; that is,
21 individual tablets taken together on compliance.

22 A study in diabetes showed a 21 percent
23 improvement in tablet consumption over a 6-month period in
24 previously treated patients. There are two hypertensive
25 studies. Obviously, there are some combinations available

1 for this. One showed a 13 percent improvement in tablet
2 consumption over a 12-month period, and in another kind of
3 measurement setting, another study showed an 11 percent
4 improvement in prescription renewal as a measure of
5 compliance over a 12-month period.

6 Then finally, and perhaps the most archetypical
7 polypharmacy kind of situation, HIV, there was a 9 percent
8 reduction in missing even a single dose over a 16-week
9 period if it was put together in a combination tablet
10 versus dual therapy. I really think that it's our
11 responsibility, as individuals who want to see our patients
12 do well, to do all we can to improve adherence and
13 compliance.

14 Let's talk a little bit about another part of
15 the treatment gap, and that is that many patients fail to
16 receive statins or aspirin. There, in fact, turn out to
17 have been quite a large number of studies. We've been
18 involved with a couple of these, but perhaps I'll show you
19 one of the more recent ones, perhaps the largest.

20 This is from the national registry of
21 myocardial infarction, with 167,000 patients nationwide
22 from 1999 to the year 2000. Again there are many studies
23 looking at this treatment gap. I picked this one because
24 it's the most recent, and it particularly follows the HA
25 medical advisory, which basically suggests that the

1 initiation of lipid-lowering therapy, particularly statin
2 therapy, in the acute coronary syndrome setting as part of
3 the inpatient discharge regimen, is in fact appropriate.
4 That recommendation predates this study.

5 Also to point out with this is that this
6 includes coronary patients with no exclusion or
7 contraindications to intolerance of this drug, so that this
8 is in fact the true treatment gap. The treatment gap that
9 is estimated is about 23 percent of patients are going home
10 from their acute coronary syndromes without aspirin, and
11 about two-thirds of them, 63 percent, are going home
12 without a statin. So, the suggestion here then is that
13 this is a large treatment gap, despite our best efforts in
14 implementing our guidelines.

15 Finally, in addition to this yes-no, are they
16 receiving therapy, there are also additional issues related
17 to how many patients are not optimally medicated. This
18 would include both inadequate and incorrect doses, and just
19 incorrect therapy.

20 What about statins in this instance? This is a
21 study that I've been involved with, called the lipid
22 treatment assessment program, looking at the use of
23 efficacious statin doses. This was a survey of 4,888
24 patients from 619 primary care providers around the United
25 States, and of these, 1,460 patients carried the diagnosis

1 of coronary heart disease.

2 All patients had to be receiving lipid-lowering
3 therapy to be in this study. This was a study of the
4 clinical epidemiology of lipid management in the United
5 States. And in fact statins were used in 85 percent of the
6 coronary patients.

7 It turned out that the doses proven to be
8 efficacious in randomized control trials, secondary
9 prevention trials in particular, as we noted in this, were
10 seldom used. The vast majority of patients were not taking
11 doses that the randomized controlled trials demonstrated
12 efficacy in. This in fact was the single largest reason,
13 in my opinion, for these patients not getting to their LDL
14 goal. So, there's another issue in terms of not getting to
15 the LDL goal, and that's the current state of therapy, that
16 many patients are not at LDL goal because they're not even
17 at the doses of therapy for which efficacy has been
18 demonstrated. And this is obviously a big concern.

19 Well, what about aspirin? Is this any better
20 with aspirin? This is the paper first authored by Nancy
21 Cook for which Dr. Hennekens participated. This was a
22 large consumer survey in which 3,818 patients actually
23 carried the diagnosis of known cardiovascular disease, and
24 only 51 percent of those patients reported taking aspirin
25 or an "equivalent." I think that's worrisome enough, but

1 of particular concern was of those who thought they were
2 taking aspirin correctly for secondary prevention, actually
3 15 percent of them were taking a non-aspirin analgesic,
4 especially acetaminophen, which as you know has no
5 secondary preventive benefit. So, we have a concern about
6 not only incorrect doses but incorrect drugs as well in
7 terms of secondary prevention.

8 So, in summary, the proposal here is that the
9 pravastatin/aspirin combination in coronary heart patients
10 would provide one prescription with two proven therapies,
11 with virtually unexcelled dual efficacy bases. This
12 provides an advantage of making sure that we have proven
13 doses and that we have proven products getting to our
14 patients.

15 Just several other points in summary. We feel
16 that this will enhance our implementation of the guidelines
17 that we have, unfortunately, pretty good and recurrent
18 evidence to suggest has a treatment gap.

19 Second, this would provide us the opportunity
20 to assure the appropriate pravastatin dose, at the same
21 time that those exact doses have 112,000 patient-years of
22 observation showing no safety concerns.

23 Third is that this would provide us with the
24 more appropriate use of aspirin and not provide
25 particularly the elderly patient -- I share your concern,

1 Dr. Borer, of people who are getting confused about what
2 they should be taking. This would provide them more
3 appropriate use of aspirin at a dose we know has secondary
4 preventive capability.

5 Then finally, this would provide then enhanced
6 convenience and reassurance for patients and their health
7 care providers in that they are really in fact getting a
8 secondary prevention package.

9 These I think are the main points that I wanted
10 to cover in talking about the medical need for this
11 combination therapy. Thank you.

12 DR. BORER: Thank you, Dr. Pearson.

13 Steve?

14 DR. NISSEN: Tom, thank you very much. I've
15 long admired your work on the under-treatment of patients
16 with statins, and I want to focus on that a moment.

17 You've got a lot of data you've looked at on
18 getting patients to goal, and so my first question is a
19 difficult one. What percent of patients in the secondary
20 prevention population would you estimate would get to goal
21 with 40 milligrams of pravastatin?

22 DR. PEARSON: Let's look at the -- I think the
23 LIPID study would be the best one there. Can we have that
24 slide from the LIPID study?

25 I might say, Dr. Tonkin, this was almost all

1 the hospitals in Australia. Is that correct?

2 I just want to make one point here.

3 DR. TONKIN: Yes, indeed. In the combined
4 populations of Australia and New Zealand, there were 21
5 million people, and it was 87 hospitals. So, this wasn't
6 just purely the elite centers.

7 DR. PEARSON: Can we have that slide? It was
8 about the percent LDL lowering in the LIPID study.

9 While we're getting there, let me also -- this
10 has to do with the potency of pravastatin 40 and the
11 population distribution of LDLs in coronary patients.
12 Those two things were the two parameters.

13 I believe, in fact, the LIPID study, despite
14 it's being in Australia, I think has something to tell us
15 in the United States, and that is the average LDL was 146,
16 142. 40 milligrams of pravastatin, then, provided about a
17 28 percent LDL lowering, which got the average down to
18 about 103 or so. So, on the average, patients were in fact
19 around the LDL goal.

20 Now, we all know that there are subsets of
21 patients that don't do so well on the diet, aren't
22 implementing the therapeutic lifestyle change, which should
23 give us another 15 percent reduction in LDL prior to
24 pharmacotherapy. We also know that there are some patients
25 with genetic hyperlipidemias that just need triple drug

1 therapy in addition to this. So, we all know about this.

2 But in terms of almost a population-wide
3 intervention as to how many patients are going to be
4 getting to goal, it's my perspective that this is a pretty
5 good look.

6 DR. TONKIN: It also indicates the dilution
7 because of the drop-ins to those on placebo. There were 23
8 percent assigned placebo who commenced open-label lipid-
9 lowering therapy and the 19 percent dropouts on
10 pravastatin, which causes the upward drift over the trial.

11 DR. NISSEN: Would I be correct in interpreting
12 these data to suggest, then, that something less than 50
13 percent of the patients in the secondary prevention
14 population would get to the recommended guidelines using
15 the 40 milligram pravastatin dose? Would that be correct?

16 DR. PEARSON: I would suggest that it would be
17 around 50 percent, perhaps a little higher. We're really
18 quite enthusiastic about the ATP-3 guidelines, therapeutic
19 lifestyle changes. We're getting another 15 percent prior
20 to pharmacotherapy. So, if you put all those together,
21 you'll be a little bit more than 50 percent.

22 DR. NISSEN: There's other published data that
23 would suggest that it perhaps is only as little as 30
24 percent of patients. Do you think that's possible?

25 DR. PEARSON: I think that depends on the

1 population you're starting with, which is the reason why we
2 wanted to look at essentially a community-wide issue here.

3 DR. NISSEN: An interrelated question, then.
4 So, would you give this combination to a patient with an
5 LDL of, say, 200?

6 DR. PEARSON: We always look at matching the
7 potency with the intervention. In patients with markedly
8 elevated LDL in my practice I use one of the more potent
9 statins, particularly if the LDL goal is less than 100 or
10 even more. But I would also tell you that we would look at
11 a variety of other issues, including safety and efficacy,
12 the ability to use combination therapies, and a variety of
13 other issues, and we take it really on a case-by-case
14 basis.

15 DR. NISSEN: Would there be a maximum LDL that
16 you would consider to be inappropriate for the use of this
17 product?

18 DR. PEARSON: I don't think so.

19 DR. NISSEN: So, you'd give it to somebody with
20 an LDL of 200 then?

21 DR. PEARSON: I might, but I'm saying that I
22 think usual practice would be, particularly if we're
23 thinking that we're not going to be using combination
24 therapy, that we'd be looking at probably a more powerful
25 statin in this instance.

1 DR. NISSEN: If I could just follow up with one
2 more short question, and that is, if you didn't get to goal
3 with this product, if you chose a patient with an LDL of,
4 say, 180, and you gave them this combination and they
5 didn't get to goal, what would you then do?

6 DR. PEARSON: According to the guidelines,
7 which I think we generally do follow, we would look at a
8 variety of other issues relative to compliance, first of
9 all, if they're complying, and the nonpharmacologic basis
10 of it, but then thereafter the possibility of whether or
11 not a more potent statin would give us as much benefit as
12 perhaps adding another family of lipid-lowering agents to
13 that instance, looking at the HDL and triglyceride and
14 other issues related to that patient. Again, I would do it
15 on an individual basis.

16 But the answer to your question, would I always
17 change over to a more powerful statin, the answer is
18 definitely no.

19 DR. SACKS: I'd just like to add a point.

20 DR. BORER: Wait one moment, please. We have a
21 number of comments and questions from the committee. I'm
22 going to ask the sponsor to just hold off until we hear the
23 entire spectrum of our issues, and then maybe if you want
24 to comment, you can.

25 Dr. Pedersen was first, and then Bev, Tom,

1 Blase, and Ray.

2 DR. PEDERSEN: Tom, do you have any information
3 about the reason why physicians do not prescribe these
4 drugs? Is it actually the number of pills that is the main
5 reason, or are there other reasons?

6 DR. PEARSON: I wish I could tell you the
7 definitive answer there. It's kind of hard to kind of
8 mind-read why physicians don't meet guidelines. I think
9 certainly with the secondary prevention situation, I would
10 have to say I've been quite optimized about U.S. physicians
11 with increasingly using cholesterol-lowering agents. That
12 37 percent I think is a composite of a variety of things.
13 But I think there has been some progression of use over
14 time, particularly as efficacy studies come in.

15 We've looked at a couple of data sets, the
16 American College of Cardiology evaluation of preventive
17 therapeutics, the LTAP study, and our own databases, and
18 there are some others as well. There's a variety of
19 issues. One is a knowledge gap among physicians about
20 whether or not there is efficacy of these drugs.

21 There continues to be a safety issue, which I
22 think we've shown with the clinical trials. Really we
23 don't exactly understand where that comes from because the
24 safety of these drugs is quite extraordinary.

25 There is also the gap between the acute care

1 setting and the picking up of that patient by the primary
2 care provider. This is, I think, a huge abyss in which
3 patients go in possibly, and this is one of the reasons why
4 those guidelines about starting acute therapeutics in
5 people with acute coronary syndromes, cholesterol-lowering
6 therapeutics as part of the in-patient, was so important
7 because then it's part of the coronary care package rather
8 than something you can start 6, 8, 12 months later, which
9 of course we know is not a good idea.

10 So, I think it's really a variety of issues
11 having patient factors, physician factors, health care
12 system factors. I think it's a worldwide phenomenon.
13 You're seeing some of that from Europe as well. I think
14 it's something we need to continue to look at strategies
15 about how to overcome.

16 DR. PEDERSEN: The reason I'm asking this
17 question is that I really doubt that there is a host of
18 physicians out in the marketplace waiting desperately for a
19 combination drug. To my knowledge, another pharmaceutical
20 company, Merck, has already brought to the market a
21 combination of simvastatin, which is their statin, with
22 aspirin, tested on a European market in Sweden a couple of
23 years ago. It may be due to lousy marketing, but they
24 experienced a total flop. Swedish physicians didn't want
25 to use this combination, and it was withdrawn again. This

1 was a test market. I was wondering whether there is a
2 similar experience from the United States, whether you have
3 done some research about combinations of this kind, or
4 whether the company has some experience about it.

5 DR. FIEDOREK: Well, we're only addressing
6 really the clinical need here. I think we're trying to
7 provide evidence to support the clinical need. If you
8 approve this product, we'll find out.

9 (Laughter.)

10 DR. LORELL: Yes, let's return to the clinical
11 need issue. I think you make a very cogent argument for
12 both the need for increased usage of statins in this very
13 high-risk population, as well as issues of the need for
14 enhancing patient compliance once the drug is prescribed.
15 But I'd like to return to the issue of the national
16 guideline goal for this very high-risk population, at a
17 risk for premature death, life-threatening infarction,
18 unstable angina and stroke, for achieving a goal not sort
19 of near 100, but below 100, for LDL-lowering.

20 I think it's very important for the public
21 record and the public who is listening to understand that
22 this is not sort of a petty adherence to a number, but that
23 the data overwhelmingly supports -- doesn't prove but
24 supports -- the notion that progressive lowering of LDL
25 cholesterol is associated with progressive lowering of risk

1 for these serious hard endpoints. I would really like to
2 see the data presented by the company from both CARE and
3 the LIPID study as to the percent of patients who achieved
4 an LDL goal less than 100, and the percent who didn't.

5 The reason I think this is very important is
6 there are definite advantages of combination agents for
7 compliance and ease of use. The flip side of that is that
8 there may be a reluctance and a bit of an impediment to
9 changing therapy when you've got both of them packaged.
10 So, I think we really need to see that data.

11 DR. SACKS: Just to give you the CARE
12 experience, Bev. The average LDL in CARE on prava 40 was
13 98, so that would be certainly somewhat more than 50
14 percent of the patients in the CARE trial achieved goal.

15 Another interesting aspect of that is, in the
16 CARE trial we excluded over 20 percent of patients because
17 their LDL at baseline was under 115. In most of those
18 patients, the LDL was between 100 and 115. In view of the
19 advisability of getting LDL under 100, I would think in all
20 patients, regardless whether their LDL is 115 or 120 or
21 150, that would add another pool of another 20 percent of
22 coronary patients that with this dose would get under 100.

23 DR. LORELL: Frank, I appreciate that comment,
24 but I think what this committee really needs to see are the
25 hard numbers. The percent of people who achieved current

1 guideline goal and the percent that didn't. And I think we
2 need to see it both for the totality of the experience and
3 broken down for CARE and LIPID because LIPID I think was
4 skewed toward a somewhat higher cholesterol LDL population
5 and CARE was a little bit lower.

6 DR. PEARSON: Just one comment to put this
7 discussion into perspective, and that is that I think there
8 have been several surveys as to what currently is achieved
9 in terms of coronary patients being at goal in three or
10 four large studies, certainly one of our own. And a number
11 of about 25 percent pops up recurrently. That's basically
12 how we're doing currently in the United States.

13 Part of the issue here is that part of those
14 individuals aren't at goal, somewhere between one-third and
15 two-thirds of patients, and they aren't being treated with
16 efficacy-proven agents at all or at the levels of those
17 efficacy-proven agents at which efficacy was shown. So,
18 the other issue is we still have quite a large quantitative
19 treatment cap in terms of LDL, and part of that, in fact, I
20 think is approachable with a combination agent with
21 increased convenience of use.

22 DR. BORER: Tom?

23 DR. FLEMING: Well, I'm glad I followed
24 Beverly. She got exactly at the issue that I was concerned
25 about. Steve raised this very important point. What is

1 the amount of impact that we get in LIPID and CARE with the
2 40 milligram dose reduction, and we saw an average, but an
3 average doesn't tell us specifically how many people in
4 fact aren't going to achieve an acceptable level of
5 reduction.

6 Exactly as Beverly said was my question. Maybe
7 just to refine it a bit, what I'd like to see is an
8 indication of what percent achieve 100 as a function of
9 what they started at, and what percent achieved at least
10 110 as a function of what they started at, so that I would
11 get a sense of at least what is the likelihood that if we
12 had a packaged product, people would achieve levels of
13 effect that they would be satisfied with versus needing a
14 change.

15 Then the second question, for my own
16 statistical sense here, not being a clinician. If in fact
17 you don't achieve 110 or 100, what is the typical approach
18 people would wish to use clinically. Do you switch to a
19 "more potent" statin? Do you increase the dose? What are
20 the consequences, and how would a packaged product impact
21 the flexibility of implementing those alternatives. Two
22 questions.

23 DR. BORER: Any or all of the above. There are
24 lots of approaches if you don't hit the target. We don't
25 need more information about what -- unless you have the

1 percentages that were asked for.

2 DR. BELDER: Yes. For CARE, the percent of
3 patients that were actually reaching goal below 100 was 75
4 percent. For LIPID, I don't know the number. We will not
5 be able to find out during the lunch break either because
6 we don't have access to the database, but that would be
7 somewhat lower. I think it's bigger than the 50 percent,
8 but it's somewhere between 50 percent and 75 percent.

9 DR. FLEMING: That seems a little bit
10 surprising in view of the fact that the average was above
11 100. So, how could you have more well than half achieving
12 below 100?

13 DR. BELDER: That depends, of course, on how
14 the distribution was of the patients across the cholesterol
15 range, and as Frank already indicated, there's a lot of
16 patients with relatively low cholesterol levels.

17 DR. FLEMING: Maybe after lunch we can see an
18 exact figure.

19 DR. BELDER: Well, I'm giving you the exact
20 figure. I can put it on a slide, but it will be the same
21 number: 75 percent for CARE. For LIPID, we don't have the
22 number.

23 DR. PEARSON: And keep in mind that I believe
24 those average levels were intention-to-treat. Right? So,
25 that would include the noncompliant patients where their