

UNITED STATES OF AMERICA
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

* * *

ONCOLOGIC DRUGS ADVISORY COMMITTEE

(ODAC)

* * *

71st MEETING

* * *

WEDNESDAY,

FEBRUARY 27, 2002

* * *

The Advisory Committee met at 8:00 a.m.,
in the Versailles Room of the Holiday Inn, 8120
Wisconsin Avenue, Bethesda, Maryland, Dr. Stacy R.
Nerenstone, Chairperson, presiding.

PRESENT:

STACY NERENSTONE, M.D., Chairperson

KATHY S. ALBAIN, M.D., Member

DOUGLAS BLAYNEY, M.D., Member

OTIS BRAWLEY, M.D., Member

PRESENT (Continued):

MASSIMO CARDINALI, M.D., FDA

JOHN CARPENTER, M.D., Member

THOMAS FLEMING, Ph.D., Consultant

STEPHEN GEORGE, Ph.D., Member

JOSEPH IBRAHIM, Ph.D., Guest Speaker

PATRICIA KEEGAN, M.D., FDA

DAVID KELSON, M.D., Member

JOHN M. KIRKWOOD, M.D., Guest Speaker

KENNETH McDONOUGH, Patient Representative

ROBERT M. NELSON, M.D., Ph.D., Consultant

GEORGE OHYE, Industry Representative,
Guest Speaker

JODY PELUSI, R.N., Ph.D., Consumer
Representative (via telephone)

DONNA PRZEPIORKA, M.D., Ph.D., Member

BRUCE REDMAN, D.O., Member

JAY SIEGEL, M.D., FDA

GEORGE SLEDGE, M.D., Member

SARAH TAYLOR, M.D., Member

HAROLD Y. VANDERPOOL, Ph.D., Consultant

KAREN TEMPLETON-SOMERS, Ph.D., Executive
Secretary

ALSO PRESENT:

PAUL B. CHAPMAN, M.D.

KAREN L. GRAHAM

VINCENT LI, M.D., M.B.A.

JOSE LUTZKY, M.D.

STEVEN J. O'DAY, M.D.

STEVEN ROSENBERG, M.D.

LYNN SCHUCHTER, M.D.

WILLIAM SHARFMAN, M.D.

CRAIG L. SLINGLUFF, JR., M.D.

LYNN E. SPITLER, M.D.

MARTIN A. CHEEVER, M.D.

KENNETH VON ESCHEV, Ph.D.

CINDY JACOBS, Ph.D., M.D.

JOHN THOMPSON, M.D.

WALTER URBA, Ph.D., M.D.

STUART KROLL, M.A.

HEATHER TULLY, M.D.

JEFFREY SOSMAN, M.D.

C-O-N-T-E-N-T-S

Introductions	5
Conflict of Interest Statement	7, 234
Public Comment:	
Dr. Lynn E. Spitler	10
Dr. Jose Lutzky	14
Karen L. Graham	17
Dr. Paul B. Chapman	23
Dr. William Sharfman	28
Dr. Steven O'Day	31
Dr. Lynn Schuchter	42
Dr. Vincent Li	46
Dr. Steven Rosenberg	50
 <u>Trial Design Considerations and Appropriate Patient Populations for Studies of Investigational Agents for Adjuvant Therapy of Melanoma:</u>	
FDA Presentation:	
Dr. Massimo Cardinali	56
Dr. Jawahar Tiwari	63
Dr. John M. Kirkwood	74
Dr. Joseph G. Ibrahim	99
 Committee Discussion of Questions	 169
 <u>IND 2885, Melacine:</u>	
Sponsor Presentation:	
 Martin A. Cheever, M.D.	 235
 Questions to the Committee	 303

P-R-O-C-E-E-D-I-N-G-S

(8:12 a.m.)

CHAIRPERSON NERENSTONE: Good morning.

I'd like to welcome to the 71st meeting of ODAC. We have an interesting morning and afternoon.

I'd like to start with going around the table and everyone please introducing themselves. Dr. Kirkwood, if you would like to start.

Please turn on your microphone.

DR. KIRKWOOD: John Kirkwood, University of Pittsburgh Medical Center.

MR. OHYE: George Ohye, nominee as industry rep.

MR. REDMAN: Bruce Redman, University of Michigan Medical Center.

DR. BRAWLEY: Otis Brawley, Emory University, Atlanta.

MR. McDONOUGH: Kenneth McDonough, North Huntington Township, patient representative and consultant.

DR. NELSON: Robert Nelson, Children's Hospital, Philadelphia, and the University of

1 Pennsylvania.

2 DR. PRZEPIORKA: Donna Przepiorka, Baylor
3 College of Medicine, Center for Cell and Gene Therapy.

4 DR. GEORGE: Stephen George, Duke
5 University Medical Center.

6 CHAIRPERSON NERENSTONE: Stacy Nerenstone,
7 medical oncologist, Hartford, Connecticut.

8 DR. TEMPLETON-SOMERS: Karen Somers,
9 Executive Secretary to the Committee, FDA.

10 And we also have participating by telecon.
11 Jody Pelusi, our consumer rep.

12 Can you say hello, Jody?

13 (No response.)

14 DR. KELSON: David Kelson, Sloan
15 Kettering, New York.

16 DR. BLAYNEY: Doug Blayney, medical
17 oncologist, Los Angeles.

18 DR. SLEDGE: George Sledge, Indiana
19 University, medical oncologist.

20 DR. VANDERPOOL: Harold Vanderpool,
21 University of Texas Medical Branch in Galveston.

22 DR. TAYLOR: Sarah Taylor, University of

1 Kansas Medical Center, medical oncology and palliative
2 care.

3 DR. FLEMING: Thomas Fleming, University
4 of Washington, Seattle.

5 DR. ALBAIN: Kathy Albain, Loyola
6 University, Chicago, medical oncology.

7 DR. CARPENTER: John Carpenter, University
8 of Alabama at Birmingham, medical oncology.

9 DR. TIWARI: Jawahar Tiwari,
10 biostatistics, FDA.

11 DR. CARDINALI: Massimo Cardinali, CBER,
12 oncology.

13 DR. KEEGAN: Patricia Keegan, Center for
14 Biologics, FDA.

15 DR. SIEGEL: Jay Siegel, Office of
16 Therapeutics/Biologics, FDA.

17 DR. TEMPLETON-SOMERS: I'd like to read
18 the meeting statement of conflict of interest.

19 The Food and Drug Administration has
20 prepared general matters waivers for the following
21 special government employees who are attending today's
22 Oncologic Drugs Advisory Committee meeting to discuss

1 trial design considerations and appropriate patient
2 populations for studies of investigational agents for
3 adjuvant therapy of melanoma, given the availability
4 of an approved agent for this indication.

5 The meeting is being held by the Center
6 for Drug Evaluation and Research.

7 The people with waivers are Stacy
8 Nerenstone, M.D.; Kathy Albain, M.D.; Douglas Blayney,
9 M.D.; John Carpenter, M.D.; Stephen George, Ph.D.;
10 David Kelson, M.D.; Donna Przepiorka, M.D.; Jody
11 Pelusi, R.N., Ph.D.; Bruce Redman, D.O.; George
12 Sledge, M.D.; Sarah Taylor, M.D.; Thomas Fleming,
13 Ph.D.; Robert Nelson, M.D.

14 A copy of these waiver statements may be
15 obtained by submitting a written request to the
16 agency's Freedom of Information Office, Room 12A30 of
17 the Parklawn Building.

18 Because Dr. Otis Brawley, Mr. Kenneth
19 McDonough and Dr. Harold Vanderpool reported they have
20 no current financial interest in any pharmaceutical or
21 biologic firm, they do not need a general matters
22 waiver in order to participate in this morning's

1 discussions.

2 Unlike discussions before a Committee in
3 which a particular product is discussed, issues of
4 broader applicability, such as the topic of this
5 morning's meeting, may involve many industrial
6 sponsors and academic institutions.

7 The Committee members have been screened
8 for the financial interests as they apply to the
9 general topic at hand because general topics impact on
10 so many institutions it is not prudent to recite all
11 potential conflicts of interest as they apply to each
12 member.

13 FDA acknowledges that there may be
14 potential conflicts of interest, but because of the
15 general nature of the discussion before the Committee,
16 these potential conflicts are mitigated.

17 With respect to FDA's invited guests, Dr.
18 John Kirkwood has reported interests that we believe
19 should be made public to allow the participants to
20 objectively evaluate his comments. Dr. Kirkwood has a
21 grant from Schering and receives consulting fees from
22 Schering.

1 Lastly, we would also like to note for the
2 record that George Ohye is participating in this
3 meeting as an industry representative acting on behalf
4 of regulated industry. As such, he has not been
5 screened for any conflicts of interest.

6 Thank you.

7 CHAIRPERSON NERENSTONE: Thank you.

8 Now we'll turn to the open public hearing.

9 We have a long list. So I ask those who are
10 testifying to please stay within the recommended time
11 frame.

12 Dr. Spitler.

13 DR. TEMPLETON-SOMERS: I'd also like to
14 mention for the record that due to the wonders of
15 electronics we have been able to receive a lot of
16 input from the public on this particular issue, mostly
17 in the form of E-mails. These E-mails are available
18 for your viewing in the desk copies at the front desk
19 outside.

20 All of the Committee members and the FDA
21 have received copies of all of the E-mails that I
22 received as of yesterday. Most of them were shipped

1 to them last week, and the late ones are in their
2 folders today.

3 For the most part, the patients in the
4 group are recommending that treatment options be made
5 and discussed between the patient and their doctor
6 with freedom for those options, and there are a few
7 other opinions that you'll find in your book.

8 Thank you.

9 DR. SPITLER: I am Lynn Spitler. I am the
10 Director of the Northern California Melanoma Center.

11 For over 30 years, a major focus of my
12 research activities has been clinical trials of
13 adjuvant therapy of melanoma, and I have published
14 extensively on this subject in refereed medical
15 journals.

16 I personally paid my travel expenses to
17 attend this meeting and personally paid the cost of
18 preparing this presentation.

19 I have received research funding from
20 Immunex, Schering and Chiron, am a consultant to
21 Immunex, and am a member of the Immunex Speakers
22 Bureau. None of these companies suggested nor

1 contributed to this presentation, and I have not
2 discussed it with them.

3 It is the understanding of the melanoma
4 community that the FDA has instituted a policy that
5 patients with Stage II T4 or Stage III melanoma who
6 are candidates for therapy with high dose interferon
7 and who refuses treatment cannot participate in Phase
8 2 trials of other agents.

9 We recommend that this policy be altered.

10 Points to consider regarding this issue are as
11 follows:

12 High dose interferon may provide clinical
13 benefit as adjuvant therapy in these patients.
14 However, it is an imperfect solution. The clinical
15 benefits are limited, and the incidence of severe
16 toxicity is significant.

17 Phase 2 trials are needed if the medical
18 community is to develop new agents with more clinical
19 benefit and less toxicity as adjuvant therapy for
20 patients with Stage II T4 and Stage III melanoma.

21 I have presented a written statement for
22 your consideration, and I hope that has been

1 distributed. The statement provides additional
2 background regarding the points to consider regarding
3 this issue.

4 We recommend that patients who choose not
5 to undergo therapy with high dose interferon, after
6 having been fully informed of the risk-benefit ratio
7 should be permitted to choose treatment with
8 investigational agents in approved clinical trials.
9 Such patients should sign consent form, which clearly
10 states that high dose interferon is the treatment
11 approved by the FDA for adjuvant therapy of high risk
12 melanoma.

13 This statement is supported by 37
14 physicians specializing in the care of melanoma
15 patients, as evidenced by their signatures
16 accompanying the statement.

17 It is also supported by 20 patients who
18 wrote letters of support and others who wrote letters
19 indicating their support, including Dr. Richard
20 Shilsky (phonetic), Chair of CALGY; Dr. Robert Dilman,
21 Chair of the Society of Biological Therapy; Jeff
22 Patterson, co-founder of Melanoma Patients Information

1 Page; Casey Culbertson, Vice Chairman, Melanoma
2 Research Foundation; and Professor Alexander Egermont,
3 EORTC Melanoma Group.

4 Others have traveled to appear here and
5 make statements personally.

6 Thank you for your consideration.

7 CHAIRPERSON NERENSTONE: Thank you.

8 Dr. Lutzky.

9 DR. LUTZKY: Morning. My name is Jose
10 Lutzky, and I'm the Director of the Melanoma Multi-
11 disciplinary Program at Mt. Sinai Cancer Center in
12 Miami Beach, Florida.

13 Our center sees over 200 new melanoma
14 patients a year, and we are involved in several
15 clinical trials encompassing all stages of melanoma.

16 I received research funding from Immunex, Celgene, and
17 Chiron Pharmaceuticals. I'm a member of the Immunex
18 Speakers Bureau, and I have conceived this statement
19 individually and without participation or notification
20 of any pharmaceutical company.

21 I have paid for this trip from my personal
22 funds.

1 High dose interferon is considered by the
2 FDA as the standard of care for patients with Stage
3 IIB3 melanoma. I will not dispute this point today.

4 I would like, however, to point out that
5 survival data is of borderline significance in that
6 145 months of follow-up, the survival of the
7 interferon treated group in ECOG 1684 is no longer
8 statistically different from the observation group.

9 While life threatening and irreversible
10 toxicity is uncommon with this treatment, most
11 patients experience prolonged, debilitating side
12 effects, such as fatigue, anorexia, weight loss, and
13 depression.

14 In my clinical practice, 60 percent of
15 which consists of patients with melanoma, I discuss
16 the data on adjuvant high dose interferon with all
17 patients at high risk for recurrence. About 50
18 percent of these patients will proceed to receive the
19 standard of care. The other half will elect not to be
20 treated with interferon.

21 In the patients who are actively in the
22 work force, the main reason is the abundance of side

1 effects, fear of decreased performance at work, or
2 loss of work.

3 In the significant proportion of older
4 retired individuals that I see in Florida, they are
5 not interested in toxic therapy that might interfere
6 with their quality of life and/or aggravate their
7 existing medical problems for a borderline survival
8 benefit.

9 Many older patients cannot self-inject,
10 don't have easy access to transportation, and live
11 alone. These patients could not be monitored
12 appropriately for high dose interferon treatment.

13 I submit that there is a need for less
14 toxic, novel adjuvant therapies for a significant
15 group of patients who are unwilling to receive high
16 dose interferon adjuvant therapy. These patients end
17 up receiving off protocol therapy with other agents
18 given by their treating oncologist.

19 I would like to echo the suggestions of
20 many of my colleagues who treat melanoma patients and
21 are present here today. Number one, that patients
22 that choose not to undergo adjuvant treatment with

1 interferon be offered access to investigational trials
2 exploring novel agents.

3 Number two, that these patients should
4 sign an informed consent stating that they understand
5 that treatment with high dose interferon is the
6 current standard of care, and that in the informed
7 consent, a brief summary of the results of the pivotal
8 clinical trials with high dose interferon be included.

9 Thank you.

10 CHAIRPERSON NERENSTONE: Thank you.

11 Ms. Graham.

12 MS. GRAHAM: Good morning. I'm Karen
13 Graham, and I'm the Chair and President of the William
14 S. Graham Foundation for Melanoma Research. We're
15 widely known as the "Billy Foundation."

16 I would also like to note that I really
17 appreciate the opportunity to address you from the
18 advocacy side of this hearing today. Though we have
19 accepted educational grants from Schering, Chiron,
20 Maxim, and Genta in the past, I've personally paid my
21 own expenses in order to address you here today.

22 In just three days, on March 2nd, I will

1 personally be observing the eighth anniversary of the
2 passing of our son Billy to this insidious disease.
3 He died at an all too young age of 22.

4 When Billy was diagnosed, I made him a
5 promise that we were going to beat this disease. What
6 I didn't realize was that it was not going to be in
7 his timing, but I still have a promise to keep, and
8 there's nothing more tenacious than a mother's
9 promise.

10 But now, eight years later, it is still
11 not in any melanoma patient's timing, and this is just
12 not acceptable.

13 In the last eight years, how many new
14 therapies have been approved, and what is this saying
15 to melanoma patients and their families? We want
16 patients to have choices from the onset, not as a
17 second matter of recourse.

18 In the past eight years, approximately
19 56,000 lives have died to this disease, and this is
20 not acceptable.

21 In the past eight years, approximately
22 360,000 people have been diagnosed with this disease.

1 They've had to face it with an extremely limited
2 offering of treatments available to them. This is no
3 longer acceptable.

4 It has been a long time that has passed
5 and that something needs to be done in order to bring
6 hope to these dear people. I'm not here to debate
7 your system of approval, nor am I here to discuss
8 which therapy is the best. What I'm here for is to
9 represent those 360,000 people, many of them who have
10 already died from this disease.

11 I'm here to represent the dozens of phone
12 calls that we receive on a monthly basis from the
13 patients and their families that literally cry out to
14 us in their battle against this ugly killer.

15 Choices, that's all. They are simply
16 looking for the ability to retain some semblance of
17 control in a life that has gone totally berserk on
18 them, and right now as it stands, it's not there for
19 them, and this is not acceptable.

20 With every phone call, what we hear is,
21 "Why isn't something being done to allow us more
22 choice of treatments? I want the opportunity to

1 choose. I want to fight, but I want to have some
2 quality of life in the process. I just want to be
3 treated like I'm a person with the ability to make an
4 informed decision. It's my body; it's my life. So
5 educate me. Tell me the pros and the cons of it, of
6 what's out there. But then let me choose."

7 The choices are not acceptable. We're
8 standing on the edge of a research crisis precipice.
9 Researchers are throwing their hands up in
10 frustration.

11 I've had the opportunity to speak with
12 many leading researchers from around the world with
13 more than one of them suggesting to me that they're at
14 the point of leaving, leaving melanoma research.

15 Distinguished panel, we cannot afford for
16 that to happen. They have dedicated their entire
17 professional lives trying to create viable options
18 only to see them literally pulled out from under them
19 at a time when we as a foundation are doing everything
20 that we can to bring up the next generation of
21 researchers. We cannot afford to lose the incredible
22 intellects that are currently in this fight. This is

1 not acceptable.

2 This, for the most part, is an orphan drug
3 disease, especially for those more advanced patients.

4 Yet I have not seen any urgency from the FDA in
5 working with researchers and companies to make
6 potential treatments available, many of them spending
7 months, if not years, attempting to get clear
8 direction from the agency on how to proceed with their
9 drug developments.

10 To bring help to these patients we must
11 start working together to make this a common goal. We
12 should be saying what must we be doing together to
13 make this happen. We must move forward and bring more
14 choices to patients and show them that we are not only
15 listening, but we are doing.

16 As a foundation that was brought up on a
17 personal loss, I have been in those trenches. I have
18 seen the inner workings and had to deal with it myself
19 and have continued to see how melanoma patients and
20 their families for the past eight years have had to
21 deal with the same lack of choices, some of these
22 patients having less than two months to live.

1 Ergo, there is principle of risk to
2 benefit, a valuation that needs to be addressed and
3 applied here. Again, I do not see the appreciation of
4 this principle and application in working with
5 potential treatments.

6 We have knowledge that there are
7 treatments that are considered safe and potentially
8 effective by clinicians in recent trials. Yet little
9 effort is being made to accelerate their approval.
10 This can no longer be acceptable.

11 I will take it as a rally call to make
12 sure that these patients' voices are heard at every
13 opportunity that I can create. I will take it to the
14 streets. I will take it to conferences and different
15 speaking engagements that I have around the world. I
16 will take it to state and national legislators, and I
17 will take it to the press.

18 I will do whatever I can as the head of
19 this foundation to make sure that their requests,
20 their requests for the right to choose and to have
21 options given to them. They're tired of being treated
22 like they don't exist.

1 Inform them. Educate them. Give them the
2 pros and cons of what is going on. Have them sign a
3 stack of papers a mile high if you must, but then let
4 them choose. To do otherwise is taking their lives
5 out of their hands, and I'm sorry. This is not
6 acceptable.

7 Thank you.

8 CHAIRPERSON NERENSTONE: Thank you very
9 much, Ms. Graham.

10 Dr. Chapman.

11 (Pause in proceedings.)

12 DR. CHAPMAN: Thank you.

13 I would like to applaud the FDA for
14 convening this meeting to allow an exchange of views
15 on this very important subject.

16 I'm head of the Melanoma Section at
17 Memorial Sloan Kettering, and my laboratory and
18 clinical research has focused on trying to develop
19 effective immunological treatments for melanoma.

20 I should state that I have no equity
21 interest in any biotechnology company or drug company.

22 I'm not on the speakers bureau of any drug company.

1 That being said, I would like to spend my
2 five minutes here explaining why I and many of my
3 colleagues and patients feel strongly that the Phase
4 III data do not support the claim that high dose
5 interferon results in an improved survival and, as
6 such, do not support designating high dose interferon
7 as the sole standard for adjuvant therapy of melanoma.

8 There have been two randomized trials
9 comparing high dose interferon with observation, E1684
10 and E1690. These are the only trials capable of
11 telling us whether high dose interferon is superior to
12 observation following surgery.

13 This is the first of my three slides
14 showing the data from E1684 as originally published in
15 the Journal of Clinical Oncology and as updated by
16 investigators from ECOG and presented at several
17 public meetings.

18 This was a relatively small trial, only
19 about 140 patients per arm, and at seven year median
20 follow-up on the left, the interferon group showed an
21 estimated five year survival improvement of nine
22 percent.

1 But the question hanging over these data
2 is: is this difference really statistically
3 significant?

4 These data were published using a less
5 stringent one sided T test, which gave a P value of
6 .027. The two sided value is .06, according to the
7 FDA document which was distributed for this meeting,
8 meaning that the difference did not reach the standard
9 threshold for statistical significance.

10 With the data matured three more years, we
11 get the curves on the right, and the differences have
12 become even less significant. This is presumably
13 because more melanoma deaths have occurred in both
14 patient groups.

15 The small difference between the groups is
16 not significant, even using a one sided test, the P
17 value being .09, and the two sided test being .18.

18 The data from this first trial then leads
19 us to conclude that the suggestion of overall survival
20 benefit, which was nearly statistically significant
21 after seven years, is clearly not significant any
22 longer, and we cannot conclude with any degree of

1 confidence that the overall survival improved with
2 high dose interferon.

3 The second trial comparing high dose
4 interferon with observation was E1690. This was a
5 better powered trial with 202 patients per arm, and
6 the second slide shows the overall survival from this
7 trial as published in the Journal of Clinical
8 Oncology. There was no effect of interferon on
9 overall survival.

10 And what I don't have time to show is that
11 the effects on relapse free survival were also note
12 quite statistically significant.

13 My view is that we have two well
14 conducted, randomized trials comparing high dose
15 interferon with observation. Neither trial showed a
16 statistically significant improvement in overall
17 survival, and only the first smaller trial showed an
18 improvement in relapse free survival.

19 In my mind, no amount of post hoc analysis
20 can turn or should be allowed to turn these negative
21 trials into positive ones. This lack of survival
22 benefit weighs heavily on my view and on my patients'

1 view of the value of high dose interferon as an
2 adjuvant treatment.

3 Patients are willing to undergo a year of
4 fatigue, fever, depression, diminished quality of life
5 if there's an increased chance of survival. However,
6 in the absence of convincing evidence of any survival
7 benefit, the interests of patients would be best
8 served by supporting carefully conducted research on
9 other scientifically valid approaches to adjuvant
10 therapy.

11 Patients should be allowed to participate
12 in experimental adjuvant trials without high dose
13 interferon. Patients should be informed that high
14 dose interferon is an FDA approved adjuvant treatment,
15 and they should be told the likely benefits and
16 toxicities.

17 However, mandating a year of treatment
18 that has been shown in two carefully conducted and
19 reported randomized trials to yield no significant
20 survival benefit hinders the development of effective
21 therapies and is not in the best interest of patient
22 care.

1 Thank you.

2 CHAIRPERSON NERENSTONE: Thank you, Dr.
3 Chapman.

4 Dr. Sharfman.

5 DR. SHARFMAN: My name is William
6 Sharfman. This morning I speak as a member of the
7 Johns Hopkins Melanoma Group and as Director of
8 Cutaneous Oncology at the Hopkins Oncology Center.

9 I'm also privileged to serve on the
10 Melanoma Committee of the Eastern Cooperative Oncology
11 Group, chaired by Dr. Kirkwood, who has taught me a
12 great deal about the treatment of melanoma and whose
13 work I admire very much.

14 Please be aware that I have received
15 honoraria from the Schering Corporation in 1998, 1999
16 and 2000 to speak on the subject of melanoma. As the
17 medical oncologist of our group, much of my time is
18 spent counseling and treating patients with high risk
19 melanoma. I discuss high dose interferon with all of
20 them.

21 I emphasize that as the only treatment
22 shown to be beneficial in Stage IIB and Stage III

1 melanoma, and it is the only FDA approved therapy.

2 However, some patients are not fit for
3 high dose interferon because of other health problems.

4 Many patients refuse interferon no matter how much
5 time you take to discuss it with them, and some
6 insurances will not pay for home administration of
7 subcutaneous interferon for 11 months, leaving the
8 untenable option of a patient visiting the doctor's
9 office three times a week for almost one year.

10 I also discuss vaccine protocols with all
11 of my patients. I emphasize that vaccines are
12 promising, but have not yet been proven and are not
13 FDA approved. We also discuss the option of no
14 therapy.

15 The patient discussion of treatment
16 options, high dose interferon, vaccine or observation
17 is very time consuming, but this is what is required
18 of our patients based on our current level of
19 knowledge.

20 The decision making process should be
21 between the patient and the treating physician with
22 the patient and the data in front of them and not

1 mandated by a third party.

2 At this point in time, the data on high
3 dose interferon is not so compelling that patients
4 should be required to get interferon before they go
5 onto a vaccine trial. In fact, the updated data on
6 ECOG 1684 of which I am aware shows that there's no
7 longer a statistically significant overall survival
8 advantage for high dose interferon.

9 The future of adjuvant melanoma therapy is
10 not high dose interferon by itself. It may be
11 interferon plus another agent. It may be a vaccine,
12 or it may be some other agent. A requirement to give
13 all Stage IIB and III patients interferon will
14 seriously slow down our attempts to identify more
15 active and less toxic melanoma therapies.

16 At this point, I would like to highlight
17 the conclusion of a letter written by Dr. Alex
18 Egermont of the EORTC that I believe has been
19 officially entered into the record of this meeting.

20 Very briefly, he states that because of
21 inconsistent survival benefit, toxicity and cost, high
22 dose interferon should not be considered as mandatory

1 therapy for Stage IIB and III melanoma.

2 On top of that, patients should keep the
3 right to abstain from therapies with a toxicity
4 profile associated with high dose interferon and have
5 options open to them.

6 Thank you very much.

7 CHAIRPERSON NERENSTONE: Thank you, Dr.
8 Sharfman.

9 Dr. O'Day.

10 DR. O'DAY: Thank you.

11 I'm Dr. Steven O'Day, and I'm a medical
12 oncologist, Director of Medical Oncology and Medical
13 Oncology Research at the John Wayne Cancer Institute
14 in Los Angeles.

15 John Wayne Cancer Institute is one of the
16 larger melanoma referral centers in the world, and I
17 actively have committed my time over the last eight
18 years to clinical trials research in a number of
19 different stages of the disease, including Stage II,
20 III, and IV.

21 I come here at my own expense. I do have
22 research grants from Chiron, from Immunex, and from

1 Schering, and I am on the speakers bureau for Chiron,
2 but I have not discussed any of my testimony today in
3 front of you with any of these pharmaceutical
4 companies.

5 I have carefully reviewed the data
6 regarding interferon for Stage IIB and III disease,
7 and I see approximately six new melanoma patients a
8 week. Many of these discussions center around IIB and
9 III disease and the interferon data.

10 So I am in the trenches. I have these
11 lengthy discussions, and I think it's fair to say that
12 biases aside, the majority of patients that I discuss
13 this with choose to do high dose interferon therapy.
14 However, there is a significant minority of patients
15 who, after hearing the data carefully discussed,
16 choose not to go on therapy.

17 And we could all agree generally that
18 disease free survival has been a consistent finding at
19 least in the dosing schedule that the FDA has
20 approved. I think overall survival, as some of the
21 previous speakers have discussed, remains
22 controversial.

1 But even if we assume best case scenario,
2 and I actually do discuss this with patients, that
3 there is a small survival advantage, this particular
4 unique duration of this treatment and the
5 constitutional and neurocognitive side effects that
6 patients endure leads many of them to choose not to
7 proceed with this treatment and to forego this
8 treatment.

9 And I think in that setting, we could
10 agree that high dose interferon is a standard, but may
11 not be the standard in the sense that our European and
12 our Australian colleagues, as well as many U.S.
13 physicians and patients, choose not to follow, quote,
14 unquote, the standard of care.

15 And it is in this setting that I think it
16 is very important that we offer patients novel,
17 innovative therapies. And that is what is critical.

18 Now, Phase I and II protocols are
19 important to develop new treatments, and before we
20 assume that we could look at Phase 1 and 2 trials in
21 either Stage IV disease or in earlier Stage IIA
22 disease, I would want to remind people that that my

1 not be the best scenario to look at.

2 We have a unique opportunity because of
3 the surgical staging of this disease that we've
4 identified a high risk subgroup IIB and III, and
5 toxicity issues may be better addressed in earlier
6 stage disease, but preliminary efficacy issues are
7 optimal in a high risk situation of recurrence with a
8 competent immune system since many of our adjuvant
9 trials are geared toward immunotherapy.

10 And I think the Stage IIB and III
11 patients, since they are very high risk for recurrence
12 and death, and they relatively have an intact immune
13 system compared to Stage IV disease, is a group that
14 we don't want to lose that advantage to look at Phase
15 1 and 2 trials and to see some preliminary efficacy
16 data to take to larger Phase 3 trials.

17 So I think it's for that reason that we
18 have a significant number of patients that choose not
19 to do interferon, and that this subgroup is an idea
20 subgroup to look at novel, possibly less toxic
21 therapies, to look at preliminary efficacy; that it's
22 very important that we allow these patients the

1 freedom of choice to participate in well run, well
2 designed clinical trials.

3 Thank you.

4 CHAIRPERSON NERENSTONE: Thank you, Dr.
5 O'Day.

6 Dr. Slingsluff.

7 DR. SLINGLUFF: While he's getting that
8 together, I'm Dr. Craig Slingsluff at the University of
9 Virginia. I'm the head of the division of Surgical
10 Oncology, and I run our melanoma program. I'm also
11 Director of our Human Immune Therapy Center.

12 We live in a small town, and most of our
13 referrals come from other physicians so that most of
14 the patients that we see come to us with an interest
15 in vaccine trials, having already decided not to take
16 interferon after informed discussions with their
17 medical oncologists, although we also insure that we
18 discuss interferon with them as a separate discussion
19 in any and every case of patients who are eligible.

20 I should also point out that I have been
21 running several clinical trials of tumor vaccines,
22 primarily peptide based trials. I've been NIH funded

1 for most of those trials.

2 I have also received industry support from
3 Chiron, Immunex, Schering-Plough Research Institute,
4 and Argonex. I'm listed as an inventor on several
5 patents that the university has filed for some of the
6 peptides that we identified and that we and others use
7 in vaccine trials.

8 I am Co-chair of the Melanoma Committee of
9 ECOG and recently appointed one of the two Vice Chairs
10 of the Melanoma Committee of the American College of
11 Surgeons' Oncology Group.

12 The main question I'd like to address is
13 how to design Phase 2 trials, experimental therapies
14 with an FDA approved therapy available, and some of
15 our recent exposure to this issue has arisen with this
16 particular trial, which we call UVA-Mell39, which is a
17 peptide based vaccine trial where eligibility includes
18 patients with Stage IIB and III disease that's
19 resected. We've initially proposed the trial to
20 include patients who refuse interferon or are not
21 candidates for interferon.

22 The FDA ruling was that patients who are

1 not candidates for interferon can enter the trial, but
2 for those who simply refuse interferon, they are not
3 considered candidates.

4 It was pointed out, however, by our
5 product reviewer that those who have refused
6 interferon and have not taken interferon for six
7 months or more after they have definitive surgery are
8 no longer considered candidates for interferon because
9 of the lack of evidence for efficacy at that time
10 point, and that they then become candidates for the
11 trial, which presents an awkward situations where we
12 can discuss with patients who come to us who are
13 interested in the vaccine trial and have decided not
14 to take interferon that they cannot enter the trial
15 now, but if they wait six months, then we can
16 reconsider them.

17 Obviously we can't make a commitment.
18 Now, we have had a number of patients who have waited
19 six months and have come in. We have had the also
20 awkward situation arise where one patient so far has
21 waited six months, came in at the end of the trial as
22 a candidate in all respects, except that he had a

1 local recurrence at his site of his primary tumor,
2 which makes apt Stage III disease and a candidate for
3 interferon again. So we tell him he has to wait
4 another six months for entry into the trial.

5 The argument for legislating against the
6 freedom of patients to decide to enter a clinical
7 trial after refusal of an approved therapy is that
8 patients may be exposed to risk of obtaining
9 inadequate information about the standard therapy,
10 which may be affected by real or intention or
11 unintentional bias of clinical investigators.

12 However, the process of informed consent
13 is one on which our entire process of clinical
14 research is based. It is what we believe is capable
15 of allowing patients to make informed decisions
16 consenting to clinical studies where there may be
17 unknown benefit and known or unknown risks.

18 To argue that informed consent cannot
19 provide adequate protection of patients who choose not
20 to take an approved therapy where they can have
21 explanations of the risks and benefits of doing that
22 is tantamount to arguing that informed consent cannot

1 provide adequate protection of patients in any
2 setting, and this argument then threatens the whole
3 basis of our clinical trial program in the United
4 States.

5 A tenable policy for the design of Phase 2
6 clinical trials in patients who may be eligible for an
7 FDA approved therapy should assure a patient
8 protection while also assuring the freedom of self-
9 determination by patients. My suggestion is that for
10 trials where patients may be eligible or ineligible
11 for the standard therapy, they should reach
12 documentation on the consent form of whether they're
13 eligible or not for the standard therapy.

14 If they are eligible for that, then they
15 should have to review a standard packet of information
16 that would be FDA approved as part of the clinical
17 trial program, which would require them not only to
18 read that information, but also to sign off on
19 individual points that are considered key, if
20 interferon is approved, the survival advantage, et
21 cetera. Several of those could be listed.

22 The benefits of this is one is that it

1 would insure that no patient eligible for an FDA
2 approved therapy would refuse that therapy and enter a
3 trial without receiving acceptable information about
4 the standard therapy, but it also would lead to more
5 uniform information dissemination about the standard
6 therapy than currently provided to those who refuse
7 that therapy.

8 Many patients now see a medical oncologist
9 who may or may not -- who may not feel that interferon
10 is the best thing for them and tell them not to take
11 it. I have patients who come seem me to tell me their
12 medical oncologist said not to take interferon.

13 Those patients who choose not to take
14 interferon based on that recommendation go home and
15 don't take it. If, instead, they are referred to be
16 considered for entering into a clinical trial where
17 they're forced to review all of the data, then have an
18 opportunity to see all of the data and then make a
19 decision to take interferon or some other standard
20 therapy in a different setting.

21 This would also permit patients freedom to
22 choose the management they find most consistent with

1 their priorities and ultimately would actually
2 increase the proportion of patients whose therapy is
3 regulated and monitored by the FDA, thereby improving
4 patient safety generally.

5 I believe very strongly in the
6 recommendation I've made, which is consistent with
7 many you've heard, and also the recommendation if the
8 FDA does not feel comfortable making that decision at
9 this point would be that since interferon has been
10 tested within 56 days of definitive surgical therapy,
11 and now some patients are being entered into trials up
12 to about three months, but there's really no
13 convincing data about its benefit after three months,
14 its interferon use after three months can be
15 considered experimental.

16 So it would be appealing if the regulation
17 requiring a six month delay before entry into clinical
18 trials could be shortened to three months.

19 And that's all. Thank you.

20 CHAIRPERSON NERENSTONE: Thank you, Dr.
21 Slingsluff.

22 Dr. Schuchter.

1 DR. SCHUCHTER: Good morning. My name is
2 Dr. Lynn Schuchter, and I'm a medical oncologist at
3 the University of Pennsylvania Cancer Center.

4 I treat patients with melanoma, with early
5 and advanced disease. I appreciate the opportunity of
6 speaking before you today.

7 I'll state that I have no conflict of
8 interest. I'm not in any speakers bureaus. Our
9 institution does conduct ECOG trials and we do
10 participate in a number of vaccine clinical trials.

11 The optimal care for patients in the year
12 2002 for patients with melanoma, I think, is now
13 clear. While interferon is appropriate for some
14 patients, it is not the standard of care. It has not
15 been adopted as a standard of care by many physicians
16 in the medical community, nor by patients, and the
17 reason for this is really twofold.

18 One is the issue of efficacy, and the
19 question of whether interferon is associated with
20 improvement in overall survival.

21 And the second issue is the considerable
22 toxicity associated with the treatment.

1 I think previous speakers have really
2 outlined the survival issue, but I would just add one
3 more point about 1684, which is really the main trial
4 that we focus on in terms of this question of survival
5 benefit.

6 In that study most of the patients had
7 node positive disease, and at the time that the study
8 was initiated, it was unclear that the most important
9 predictor of relapse is the number of lymph nodes
10 involves, and that study did not stratify patients for
11 the number of positive nodes.

12 I can't imagine doing a node positive
13 breast cancer trial without knowing and stratifying
14 appropriately for number of positive nodes.

15 So while it's stated that the two arms are
16 appropriately balanced, it may not be balanced in an
17 important way which also could affect the overall
18 results.

19 A second issue is the toxicity issue. In
20 1684, three quarters of the patients had dose
21 reductions for Grade 3 or 4 toxicity. I know of no
22 other adjuvant therapy that is associated with such a

1 high incidence of serious toxicity, Grade 3 and 4
2 toxicity.

3 I think this debate would be much less
4 heated with this efficacy data if the toxicity
5 associated with the treatment was not so substantial.

6 Fifty percent of my practice is also
7 patients with breast cancer, and I administer a lot of
8 adjuvant therapy in the breast cancer setting. I
9 think there is on comparison regarding the toxicity of
10 one year of high dose therapy of interferon, even with
11 dose reductions and comparing that to three or six
12 months of adjuvant chemotherapy for patients with
13 breast cancer.

14 I think there is an analogous situation in
15 patients with Stage II colon cancer where there's a
16 lot of debate about the efficacy of adjuvant therapy.

17 In a number of studies the benefits of adjuvant
18 therapy for survival ranges in about three to eight
19 percent in the adjuvant setting for Stage II colon
20 cancer patients.

21 Yet right now currently the cooperative
22 groups are offering a side range of options for those

1 patients. The CELGB has a study of surgery versus
2 antibody, and in patients participating in NSABP
3 studies, the options are 5 FU leucovorin chemotherapy
4 versus 5 FU leucovorin oxaliplatin.

5 So in a similar situation, patients are
6 offered really a wide range of options after, again,
7 getting full informed consent about the potential
8 benefits of adjuvant therapy.

9 I urge the FDA to alter its policy
10 regarding the testing of new agents in patients with
11 melanoma. The majority of patients with Stage III
12 melanoma die from melanoma despite high dose
13 interferon. We clearly need better therapies for
14 these patients.

15 It is not the standard of care to use high
16 dose interferon. It has not been accepted by the
17 medical community, nor patients, and I believe that
18 the current FDA policy is a significant obstacle to
19 our finding better therapies for our patients and
20 therapies that are better tolerated.

21 Thank you.

22 CHAIRPERSON NERENSTONE: Thank you, Dr.

1 Schuchter.

2 Dr. Li.

3 DR. LI: Good morning. My name is Dr.
4 Vincent Li, Scientific Director of the NGO Genesis
5 Foundation, a global, nonprofit organization dedicated
6 to advancing the field of molecular targeted therapies
7 for cancer and other diseases.

8 Interferon alpha IIB was approved in 1997
9 for the treatment of node positive or deep Stage IIB
10 melanoma. Since then a number of investigational
11 biological agents have been studied as adjuvant
12 therapy, including Melacine, in allogeneic cell
13 vaccine derived from two melanoma cell lines.

14 A Phase 3 melanoma trial of Melacine as
15 monotherapy using observational controls showed no
16 statistical benefit for overall disease free survival,
17 but benefit was seen for a subset of patients with
18 Class I MHC, HLA A2 or C3 markers.

19 A major issue is how to design pivotal
20 clinical trials for this patient group, given the
21 availability of an approved beneficial drug such as
22 interferon. This type of question is not unique to

1 this agent, nor to this tumor type, but in fact, faces
2 a larger number of other novel molecular targeted
3 therapies in development for cancer.

4 The NGO Genesis Foundation is studying the
5 design of clinical trials for molecular targeting
6 agents that affect tumor blood supply. Melanoma is a
7 highly angiogenic tumor where increased vascularity
8 correlates with invasion, metastases, and poor
9 survival.

10 Certain melanoma treatments, including
11 interferon alpha, possess anti-angiogenic activity,
12 and their anti-tumor effects are attributable in part
13 to anti-angiogenesis.

14 Interferon alpha is a complex agent
15 possessing divers and sometimes adverse
16 immunomodulatory effects in patients. Therefore,
17 melanoma trials testing new agents in combination with
18 interferon need to be examined in the context of two
19 issues.

20 First, the possibility for enhanced
21 efficacy, and second, the possibility for enhanced
22 toxicity.

1 On the one hand, combinatorial therapies
2 involving interferon with a vaccine may have
3 synergistic effects resulting from combining anti-
4 angiogenic with immunomodulatory actions.

5 In the field of anti-angiogenic therapy,
6 it is now strongly believed that combinatorial
7 regimens involving a novel agent with the best
8 available standard therapy will generate the most
9 potent anti-tumor response.

10 In the case of a melanoma vaccine,
11 interferon induces monocytes to differentiate into
12 dendritic cells, and this might enhance immunity
13 against the tumor. Interferon's anti-angiogenic
14 activity might suppress melanoma growth by decreasing
15 the production of stimulatory molecules, such as basic
16 FGF, VEGF, and Interleukin-8 by inhibiting matrix
17 Metaliproteinase-9 and by inducing endothelial cell
18 apotheosis.

19 Together, a melanoma vaccine plus
20 interferon might generate a more efficacious response.

21 On the other hand, a combinatorial
22 approach obscures the full evaluation of both safety

1 and efficacy of the new agent itself. Toxicities in a
2 combination cannot be easily separated, and some
3 toxicities may be additive or cumulative in nature.

4 Another concern is whether the well known
5 toxicities of interferon might negatively impact a
6 vaccine trial. For example, in a recent trial of a
7 ganglioside based melanoma vaccine, one third of
8 patients receiving a high dose interferon stopped
9 treatment during induction due to interferon toxicity,
10 and 50 percent stopped or had their interferon doses
11 held during maintenance therapy.

12 High dose interferon has been shown to
13 have significant clinical benefit, while low dose use
14 remains unproven.

15 Attrition of patients due to dose related
16 interferon toxicities may weaken an efficacy study by
17 closing completion of accrual and possibly by
18 selecting out subgroups of patients who share
19 susceptibility to interferon's effects.

20 Because of such toxicity concerns, it may
21 be prudent to learn how a novel melanoma vaccine
22 performs along when directly compared to interferon,

1 and such comparisons should, of course, be studied
2 prospectively and not historically inferred.

3 For vaccine trials of melanoma, we
4 recommend that the FDA consider a three arm trial
5 design, one arm, where the experimental agent is
6 combined with interferon; a second arm giving
7 interferon alone; and a third arm with the agent
8 alone.

9 Such a design accommodates the study of
10 synergistic drug effects both in terms of efficacy and
11 safety, and this approach may also ultimately identify
12 a drug that is superior to interferon, advancing the
13 frontiers of melanoma management.

14 Thank you.

15 CHAIRPERSON NERENSTONE: Thank you very
16 much, Dr. Li.

17 Dr. Rosenberg.

18 DR. ROSENBERG: Good morning. I'm Dr.
19 Steve Rosenberg. I'm a surgeon at the National Cancer
20 Institute.

21 The only conflict that I have in preparing
22 these remarks is to somehow stay on the good side of

1 the Food and Drug Administration because I almost
2 daily depend on their approval for studies that I do,
3 but at the same time, to talk about how inappropriate
4 I believe the current policy is.

5 In any democratic society, in any good
6 clinical situation, the doctor and the patient will
7 sit together to discuss the possible benefits and
8 risks of any treatment offered for that particular
9 patient.

10 And when a treatment does have some
11 possible benefits, but also some toxicities, the
12 doctor and the patient sit together to talk about the
13 impact of the toxicities on that patient's life, and
14 we do that every day; talk about the possible
15 benefits, and then the doctor and patient together
16 make a decision about whether the possible benefits
17 are worth the possible risks.

18 Now, it's very rare that we mandate a
19 treatment even when it's known to be effective if it
20 has toxicities, and perhaps the best example that I
21 face almost every day is in the administration of high
22 dose Interleukin-2 to patients with metastatic renal

1 cell cancer and metastatic melanoma. To my knowledge,
2 high dose Interleukin-2 is the only approved treatment
3 by the FDA for patients with metastatic kidney cancer.

4 And in fact, in our trials of many
5 hundreds of patients and other published trials about
6 eight to ten percent of patients with widely
7 metastatic cancer will have a complete regression of
8 all of their disease. Eighty percent of those will
9 never recur, and we have many patients beyond ten
10 years cured of widespread kidney cancer, and that's
11 true for melanoma as well.

12 There is one other approved treatment:
13 Dacarbazine. And yet we don't insist that every
14 patient who has metastatic kidney cancer receive high
15 dose Interleukin-2. It has toxicity associated with
16 it, and patients can decide whether or not that eight
17 percent chance of a durable, complete response is
18 worth the toxicity that they may receive due to
19 Interleukin-2, and in fact, those patients are
20 certainly eligible to enter highly experimental Phase
21 1 trials that have no benefit, and we leave that
22 decision to that patient.

1 And I believe that that is appropriate,
2 even though I do feel that high dose Interleukin-2 can
3 benefit many patients.

4 Now, with respect to the situation with
5 alpha interferon and the adjuvant setting that we're
6 discussing today, I think there are two compelling
7 points, and they've been made already. I'll just
8 reiterate them very briefly.

9 The first is that the data that alpha
10 interferon is beneficial in patients in the adjuvant
11 setting is controversial. There are some data that it
12 is of benefit, but you've heard from Dr. Chapman an
13 elegant analysis showing that it is of no survival
14 benefit.

15 And in fact, the literature that I try to
16 keep very closely in touch with and analyze tells me
17 as I analyze that data that alpha interferon does not
18 have a survival benefit, and as a physician treating
19 many patients with melanoma, that's a judgment that I
20 make. Other physicians might make other judgments,
21 but it is a controversial issue.

22 And in fact, the leaders of ASCO, the

1 Society of Biologic Therapy, the EORTC, and other
2 groups feel similarly and, in fact, they've submitted
3 letters, many of them, saying that, in fact, they do
4 not either believe that alpha interferon is
5 beneficial.

6 And so there is controversy about its
7 benefit. There's no controversy about its toxicity.
8 It has caused deaths in the adjuvant setting in
9 patients who might have been cured in the absence of
10 its administration.

11 Many patients who take interferon and then
12 recur and come to us for other treatment will tell me
13 that it was worst year of their life. They were tired
14 the entire year. They find even high dose
15 Interleukin-2 much more palatable than a year of this
16 interferon.

17 This is certainly a toxic treatment, and
18 personally if I had melanoma in the Stage III setting,
19 I would not take alpha interferon because I do not
20 believe the possibility of benefit is worth the
21 toxicities that one would experience, and I find it
22 therefore hard to understand why the FDA would mandate

1 that as the only possible treatment.

2 The second point, in addition to the
3 controversy as to the value of interferon is the fact
4 that in any democratic society, a patient and a doctor
5 should have the right to sit down, look at the data,
6 and together decide whether or not a treatment of
7 marginal benefit or even definite benefit is worth the
8 toxicities and be allowed to decide not to take that
9 treatment and, instead, take a fully informed other
10 experimental treatment that might be beneficial to
11 them.

12 And, in fact, the current regulation that
13 insists that a patient must be refractory or recur
14 after alpha interferon before they'll accept other
15 experimental treatments has to me the somewhat
16 insulting implication that, one, the doctor is not
17 going to tell the patient all of the data about alpha
18 interferon honestly, plus and minus, and the other
19 rather insulting implication that the patient is not
20 adequate to decide once they're fully informed.

21 And I believe that the basis of both of
22 these points, the current policy is an unreasonable

1 one and, in fact, an intrusion into the doctor-patient
2 relationship.

3 The majority of patients today whether
4 they receive alpha interferon or not, who have
5 multiple positive lymph nodes from melanoma, will die
6 of melanoma. We desperately need better treatments,
7 and I think the current policy of insisting that
8 patients receive alpha interferon, that does not allow
9 us to explore the application of exciting, new
10 developments is actually doing a great disservice to
11 the research community and, in fact, stifling research
12 that could potentially lead to more effective
13 treatments.

14 Thank you.

15 CHAIRPERSON NERENSTONE: Thank you, Dr.
16 Rosenberg.

17 We are a little bit ahead of schedule, but
18 I'd like to continue on. Next is the FDA presentation
19 by the Center of Biologics Evaluation and Research.

20 (Pause in proceedings.)

21 DR. CARDINALI: Dr. Nerenstone, members of
22 the Committee, ladies and gentlemen, good morning. My

1 name is Massimo Cardinali. I'm a medical reviewer
2 with CBER, and I'm going to introduce the CBER
3 presentation this morning and cover the first segment
4 of it.

5 This morning we want to present you with
6 some background data on the experience that CBER has
7 with product studied for the treatment of melanoma,
8 and also over the basis for approval of INTRON-A for
9 the adjuvant treatment of melanoma.

10 Then we will have a survey of the
11 literature of randomized controlled trials of alpha
12 interferons in melanoma, and give some information on
13 the comparison of the effect size of other adjuvant
14 treatment for oncologic disease.

15 We also have two invited speakers Dr. John
16 Kirkwood will give us an update on the ECOG experience
17 of the four trials that they have conducted, and Dr.
18 Joseph Ibrahim will talk about models for adjuvant
19 trial design.

20 As regulatory consideration, FDA has
21 placed a clinical investigation on hold if a patient
22 can be exposed to a reasonable, significant risk of

1 injury, and this applies to withdrawal of an effective
2 treatment for that disease.

3 So the evolution of the regulatory
4 approach in subject with State IIB and III disease has
5 changed over the years because the initial result of
6 1684 and 1690 did not show a clear effect on survival,
7 but with the result of Study 1694 and other published
8 literature, the evidence of effect on survival was
9 somehow strengthened, and therefore, it was decided to
10 restrict the enrollment of this subject to those
11 patients who were medically unable to tolerate the
12 approved dose and schedule of INTRON-A to a subject
13 who had a lapsed time from surgery of more than six
14 months or to patients who had a recurrence on INTRON-A
15 treatment or had completed INTRON-A treatment.

16 Let me give now some information as a
17 background of the last 25 year experience in
18 biological treatment for melanoma. We searched our
19 database between the year 1975 and 2000 for cancer
20 treatment INDs submitted to the agency, and of these
21 26 hundred applications, 196 were for the treatment
22 of melanoma.

1 We retrieved from our archives the annual
2 report for a large majority of this IND and evaluate
3 the number of subjects that were included in the study
4 and the type of study.

5 And also we are going to present some data
6 on product category.

7 As you can see in this five year
8 intervals, the increase in the study for the treatment
9 of melanoma has been quite dramatically particularly
10 in the last five years, and here I have some figures
11 for patients included in different phases of study and
12 divided by number of study and number of subjects.

13 The investigational products that were
14 studied are presented here. Tumor vaccine gene
15 transfer product, monoclonal antibody, cytokines, and
16 this could include other therapeutic protein, and
17 cellular therapies where we include LAC (phonetic)
18 cell and tumor infiltrating lymphocyte.

19 The relative frequency of this product is
20 shown here with vaccine having the lion's share with
21 more than 50 percent of the applications received by
22 CBER.

1 And a few more words about tumor vaccine.

2 Again, in order of frequency, the larger group is
3 tumor cell vaccine is a heterogeneous group of
4 product. It's represented by an autologous cell or
5 allogeneic tumor line either modified or modified by
6 chemical or gene transfer method.

7 We also have peptide vaccine, antigen
8 presented by the dendritic cell and tumor cell lysates
9 or fragments.

10 And now briefly some information about the
11 basis for approval of INTRON-A and supplemental data
12 that the FDA reviewed which is reflected in the
13 current label for INTRON-A. The pivotal study is ECO
14 1684. The data for this study was submitted to the
15 agency in 1995, and the analysis of the agency
16 discussed at the ODAC Committee, and the committee
17 voted for approval of this agent, and the approval was
18 granted the same year.

19 The structure of the study is shown in
20 this schema here. All patients underwent surgical
21 excision followed by regional dissection for
22 pathological staging of the disease, and then patients

1 were randomized to high dose and had no treatment.

2 And here are the results in the analysis
3 of the FDA with the highly significant P value for the
4 relapse free survival and clearly significant P value
5 for the overall survival.

6 These are the Kaplan-Meiers that were
7 presented in the published report for the disease free
8 survival, and the Kaplan-Meiers for the overall
9 survival.

10 The label indication reads as follows:
11 interferon is indicated for patients with high risk
12 for systemic recurrence within 56 days of surgery, and
13 this refers to Stage IIB and III subjects.

14 At the time that 1684 was presented at the
15 Advisory Committee, Study 1690 was already ongoing,
16 and the two major difference between these two studies
17 that the pathological staging was not required for all
18 patients, and a low dose arm was added to the high
19 dose arm, where interferon was administered for a
20 period of two years.

21 Here I've presented again the Kaplan-Meier
22 estimate presented in the published report, and you

1 see that for relapse free survival, there is
2 separation of the two interferon arms compared to
3 treatment, where for the overall survival of the curve
4 are much closer.

5 And in this table, we summarize the data
6 at three years, at the time point of three years for
7 the high dose portion of 1690 compared with 1684.
8 That, again, showed the effect on overall survival was
9 not confirmed, although the data is still leaning on
10 the side of the data presented by 1684.

11 All of this data was incorporated in the
12 current label for INTRON-A.

13 The adverse event of treatment with alpha
14 interferon are schematically -- very briefly, the non-
15 serious adverse events are common, but conversely,
16 serious adverse events represent less than two
17 percent, and both non-serious and serious adverse
18 events are reversible with dose modification and
19 medical management.

20 The serious adverse event observed in the
21 clinical trial are liver failure and depression with
22 suicidal ideation. Two patients died of liver failure

1 in Study 1684. This is believed to a reactivation of
2 latent hepatitis condition, and with careful
3 screening, this event is not observed in subsequent
4 studies.

5 Also, retinopathy has been reported from
6 post-marketing surveillance to occur not in patients
7 actually with melanoma, but with other disease.

8 And finally, I want to present some data
9 on the use of INTRON-A that was provided to the agency
10 by Schering-Plough. These are data derived from the
11 sale survey and should be taken with a limitation of
12 the method to obtain this data.

13 But it tells us that roughly 60 percent of
14 the subjects with Stage III disease are treated with
15 INTRON-A, and 20 percent of the patients with Stage
16 IIB are treated with INTRON-A.

17 One thing that should be noted is that
18 there is a possibility that some patients will also be
19 treated with Roferon off label does increase slightly
20 this value.

21 And now I will turn the podium to Dr.
22 Tiwari if there are no questions.

1 DR. TIWARI: I'm going to present a survey
2 of the published literature on melanoma and interferon
3 treatment, and this survey is essentially the same
4 that was presented at this Committee meeting in
5 September of 1999.

6 Since that time we have one major
7 published study by Dr. Kirkwood, ECOC 1694, and the
8 two trials that were published in abstract form were
9 published in full reports, and last year a meta
10 analysis of all published trials were published by
11 Wheatly from England. We'll come to that result a
12 little bit later.

13 In the survey, we have included only the
14 randomized trials. The randomized trials that have
15 used observational concurrent controls where
16 interferon was used as adjuvant treatment.

17 And just as usual, we went to various
18 databases and searched all of the published material
19 with the help of the FDA library staff. We had some
20 individual patient data in our IND files, and we used
21 those data to get the estimate of the odds ratio and
22 statistical significance and 95 percent confidence

1 intervals.

2 We have used the summary data from
3 published reports. We couldn't get the individual
4 patient data from all investigators.

5 We did contact some of the investigators
6 to get the additional information so we could get a
7 better handle on the odds ratio and the statistical
8 significance.

9 The estimates of the odds ratio were
10 obtained using Peto's (phonetic) observed minus
11 expected methods. We used the number of observed
12 events and the number of expected events to get the
13 estimates of the odds ratio, the 95 percent confidence
14 interval, and the associated P values.

15 We also tried to get some estimate of the
16 survival, the relapse free survival and overall
17 survival at a fixed point at three years.

18 In the published studies, we had nine
19 trials that were published using interferon as the
20 adjuvant treatment in melanoma, and out of these nine
21 trials, Dr. Kirkwood's Study 1684, 1690, and 1694,
22 they have used high dose of interferon.

1 Dr. Cardinali has already showed you the
2 primary results from Study 1684 and 1690, and here at
3 the results. These are the published results from the
4 Study 1694 in which interferon was compared with GMK
5 vaccine.

6 The point here I want to show with respect
7 to the disease free survival and overall survival, the
8 outcome in the interferon arm is better than the
9 vaccine arm.

10 There were two studies in the literature
11 that have used low dose of interferon. One is study
12 from Italy's Rusciani, et al, and the Scottish
13 Melanoma Group published by Cameron, et al., have used
14 the low dose INTRON-A.

15 The study by Rusciani, et al., did not
16 give any Kaplan-Meier estimate of the overall survival
17 or relapse free survival. They just gave recurrence
18 rate at three years, and in this history, the control
19 arm at 30 percent recurrence rate as compared with
20 only 13 percent in interferon arm. So here, again,
21 with respect to recurrence rate, interferon shows
22 better outcome.

1 And the difference of this 17 percent was
2 significant.

3 And here are the results from the Scottish
4 Melanoma Group where low dose INTRON-A was used. This
5 is relatively small study, but here again, the
6 interferon arm, the outcome in the interferon arm is
7 somewhat better than the control arm.

8 Then we have four studies in which Roferon
9 was used. The study by Creagan, et al., used high
10 dose of interferon, and the three other studies,
11 Cascinelli does a WHO trial, and Grob did the French
12 trial, and Pehamberger the Austrian trial. The last
13 three studies have used low doses of Roferon-A.

14 And here are the results from the Creagan
15 trial. Again, just like before, the interferon arm is
16 somewhat better than the control arm with respect to
17 the disease free survival and the overall survival.

18 This is the result from Cascinelli, et al,
19 the WHO trial, in which low dose interferon was used.

20 The results of the no treatment and interferon arms
21 are very close, but here again, the interferon arm is
22 just slightly better than the control arm.

1 This is the results from the French study,
2 Grob, et al. Interferon again just like what we saw
3 before. The interferon is just somewhat better than
4 the control arm with respect to the disease free
5 survival and overall survival.

6 And finally, we have the data from the
7 Pehamberger study, the Austrian melanoma group trial.

8 They give only results for the disease free survival.

9 There is no result with respect to the overall
10 survival in this trial, and here again, the interferon
11 arm is better than the control arm.

12 So using the data from all these nine
13 studies, we got the estimate of the odds ratio, the 95
14 percent confidence interval associated with this odds
15 ratio, and we tried to get some estimates of the odds
16 ratio based on all of the data that's available in
17 this literature survey.

18 In this graph, the small vertical line is
19 the point which indicates the estimate of the axis for
20 that particular study. The horizontal line around
21 that small vertical line is the width of the 95
22 percent confidence interval.

1 The blue line at one is the reference
2 point when, if the interferon arm and the observation
3 arms were showing identical results. Then the odds
4 ratio would be equal to one.

5 And if odds ratio is less than one, then
6 the interferon arm is doing better than the control
7 arm. If the odds ratio is more than one, then the
8 interferon arm is doing worse than the control arm.

9 So here in the case of the relapse free
10 survival, all odds ratios are less than one. They're
11 very consistent. They're all less than one. However,
12 five of these studies, the 95 percent confidence
13 interval processes the reference line of one showing
14 that there is no significant difference between the
15 treatment and control, and we saw some of these were
16 very, very close.

17 The overall estimate of the odds ratio
18 based on 3,536 patients all together is here at the
19 bottom in the color bar and that estimate is .8, which
20 is highly significant with a P value of .0001. So
21 with respect to the relapse free survival, we have 20
22 percent reduction in relapse rate based on all the

1 data from these nine studies, and that's highly
2 significant.

3 With respect to the overall survival, the
4 trend is similar. All estimates of the odds ratio for
5 overall survival is less than one, some of them just
6 slightly below one; some of them a little bit better
7 than that.

8 But here in comparison with the relapse
9 free survival, there is only one study, Dr.
10 Kirkwood's. I think it is the 1694 trial where the
11 difference between the treatment and control is
12 significant. Other studies, individually they do not
13 show significant difference between the treatment arm
14 and the control arm.

15 The overall estimate of the odds ratio is
16 about .9, and that line, the upper limit of the 95
17 percent confidence interval crosses the line of one
18 with a P value of .065. So it is of borderline
19 significance, not significant at 5 percent. The P
20 value is .065.

21 Finally, we saw a published report. It's
22 in an abstract form in last year's ASCO report, a meta

1 analysis published by Wheatley. This is a British
2 group. They have looked at essentially the same
3 database that we have looked at with the two
4 differences. They have used data from two EORTC
5 trials and one British trial.

6 They say that they have used only the
7 published reports with the exception that the two
8 EORTC trials and one British trial, they have used the
9 individual patient data.

10 These three trials have not been
11 published, and we do not have access to these data.
12 Therefore, we have not used in our combined analysis.

13 However, this group has not used Dr.
14 Kirkwood's 1694 trial in their combined analysis, and
15 they have approximately 3,700 patients. We have a
16 little bit less than that, about 150 or so less than
17 that.

18 The results published in this abstract is
19 very similar to our results. Those two estimates of
20 the odds ratio for the disease free survival are
21 almost identical. The estimates of the odds ratio for
22 the overall survival are almost identical, and that's

1 not surprising. We are essentially looking at the
2 same database, and we came up with the same result.

3 But the point that I want to argue is the
4 two independent groups of people have looked at the
5 same data, and they have pretty much the same
6 conclusion.

7 Then we also looked at the effect of
8 interferon at a fixed time point, at three years, and
9 if you pull all of the data together from these
10 studies, it shows about eight to nine percent absolute
11 improvement in relapse free survival at three years,
12 and about half of that, four to five percent absolute
13 improvement in overall survival at three years.

14 So, in summary then, based on all of the
15 published studies, we have clinically important and
16 convincing evidence of reduction in relapse. It's
17 about 20 percent reduction in relapse rate, and the P
18 value is highly significant, that is, less than .0001.

19 We have some evidence of improvement in
20 survival. Again, the totality of the evidence shows
21 that about ten percent of the reduction in death rate,
22 and this P value is about .065 in our analysis, and

1 the British group says it's .05, exactly .05, but they
2 have about 150 or so more patients in data. So the
3 difference could be because of the additional patients
4 that they have in their analysis.

5 And finally, in the last slide, we have
6 listed some effects size in other adjuvant treatment.

7 We have seen in melanoma we have 20 percent reduction
8 of the recurrence rate. In 5 FU/Levamisole in colon
9 cancer the effect size is about 38 percent, but for
10 Taxol and Tamoxifen it's very similar to interferon,
11 about 22 percent.

12 With respect to the death rate, we saw in
13 the interferon our estimate of about ten percent.
14 It's about 35 percent for 5 FU/Levamisole in colon
15 cancer, 26 percent for Taxol in breast cancer, and 18
16 percent for Tamoxifen in breast cancer.

17 So the effect size of interferon is
18 somewhat similar to the effect size of the other
19 adjuvant, especially in breast cancer with respect to
20 the recurrence rate, but is much smaller with respect
21 to the overall survival as compared to the Taxol,
22 Tamoxifen and Levamisole.

1 Thank you.

2 CHAIRPERSON NERENSTONE: Next on our
3 agenda, Dr. Kirkwood is going to discuss the efficacy
4 and safety of high dose interferon, the ECOG and
5 intergroup trials.

6 DR. KIRKWOOD: Members of ODAC and
7 esteemed colleagues, it's a pleasure to return to
8 present these data to you, having been here in 1995
9 for 1684, in 1999 for 1690, and now with perhaps the
10 chance to review the aggregate of our experience to
11 date.

12 So I will lead off, and then after my
13 presentation -- and I should say I'm John Kirkwood
14 from the University of Pittsburgh -- Joe Ibrahim,
15 statistician for the ECOG for the last eight years,
16 will present statistical design issues that have been
17 our consideration for the 1690-1694 intergroup trials,
18 and for a host of subsequent trials which we have been
19 designing and undertaking, as he will show you.

20 The rationale for the inclusion of Stage
21 IIB and Stage III patients into our trials of adjuvant
22 therapy is illustrated here where for Stage III the

1 relapse free and overall survival is very short. As
2 you know, the survival for these patients, a median of
3 three years or less on the basis of the AJCC data that
4 was as the trial 1684 and 1690 were designed.

5 According to the more recent AJCC 2002
6 formulation, the risks are much more precisely defined
7 for subsets of patients, and what I've illustrated
8 here in white are the groups of patients who would
9 have been those entered into the three trials we'll
10 talk about.

11 I should note for you that we have now
12 T3b, that is to say ulcerated intermediate depth
13 tumors, which have a very similar prognosis to several
14 of the groups that were entered into these trials, and
15 that in overview those patients who have either deep
16 primary tumors or who have microscopic regional lymph
17 node disease have a greater than 30 percent mortality
18 at five years from this disease; that those patients
19 who have macroscopic or palpable node disease, and
20 certainly those with recurrent nodal disease that were
21 included as half of the trials we have talked about,
22 have a 60 percent mortality at five years or greater,

1 and so the risk for these patients is certainly not
2 low.

3 Sentinel node mapping has come of age in
4 the interval. We have conducted these trials, and
5 this is data from Jeffrey Gershenwald showing you that
6 for patients who have been identified to have
7 microscopic regional nodal disease, the 36 month
8 relapse -- and here it's plotted in the orange line --
9 is about 50 percent, and so microscopic nodal disease
10 in Gershenwald's summary studies is certainly also an
11 ominous feature.

12 So the entry criteria for the studies
13 we'll talk about, for the first two studies, E1684 and
14 1690, observation controlled trials conducted 1984
15 through 1995 in terms of the design. We had treatment
16 versus observation.

17 After the approval of this, the first
18 trial that was initiated after the approval of high
19 dose interferon, we tested a promising vaccine against
20 high dose interferon.

21 The entry criteria broken out for these
22 trials is summarized here. Stage IIB disease, where

1 either the patients were established as an E1684; the
2 were all established to be free of disease by elective
3 node dissection or, in subsequent trials where from
4 five to 20 percent of patients had selective node
5 dissection or elected no dissection.

6 In 1690 and 1694, as has already been
7 mentioned, clinically node negative patients were
8 allowed to enter, but far and away the largest groups
9 of patients had nodal disease, either presenting lymph
10 node disease, Stage III disease in both the old and
11 the new system, or regional nodal recurrence, as I'll
12 come back to discuss, is one of the most ominous
13 prognostic factors that we have to deal with.

14 Summarizing the demographics of patients
15 in the three trials we'll talk about, 1684, 1690, and
16 1694, we see a rise in the number of patients who had
17 node negative disease, from 11 percent in 1684 to a
18 quarter of the patients in 1690 and 1694.

19 By converse, the proportion with recurrent
20 nodal disease fell from two thirds of the highest
21 fraction in 1684 to half in 1690 and a third in 1694.

22 The E1684 design, as has already been

1 discussed, involved observation control induction with
2 four weeks of intravenous daily therapy with 20
3 million units per meter squared per day, five days a
4 week for four weeks, followed by maintenance therapy
5 at ten million units per meter squared thrice weekly
6 for 48 weeks.

7 As Dr. Ibrahim will discuss more, this
8 design was an exponential model. We did not know the
9 cure rate data that was the basis for cure rate models
10 in subsequent trials, and stratification used AJCC
11 stratifications that were then currently available,
12 although subsequently we have analyzed this trial for
13 numbers of nodes involved, and there is good balance.

14 The results of the 1684 study show that
15 median relapse free survival was improved, as has
16 already been mentioned. P1 value, the one sided P
17 test as designed in the trial, .002. The overall
18 survival improved, again, one sided test, .023. And
19 the estimate of five year relapse free survival rose
20 from 26 percent to 37 percent. The estimated five
21 year overall survival from 37 to 46 percent.

22 This is the graphic that you've seen now

1 to many times. High dose interferon with after four
2 years an apparent plateau in the relapse rate of
3 patients who were treated. Observation in blue. The
4 prolongation of median interval to relapse from one
5 year in 1684 observation arm to 1.72 years, for a nine
6 months' gain, from this treatment.

7 The overall survival as published at seven
8 years of follow-up, again, an apparent plateau
9 beginning after five to six years and a rise from 2.78
10 years' median survival in the observed population to
11 3.8 years in the treated population.

12 The conclusions we drew from this study,
13 that both relapse free and overall survival are
14 significantly prolonged with high dose interferon;
15 that after surgery alone, half of patients in the
16 observation arm relapsed. So, again, not a favorable
17 group, even though that has been said otherwise.

18 High dose interferon was proved on the
19 basis of testimony in July of 1995, and basically to
20 stack this up against the available other therapies,
21 the 11 percent relapse free survival, nine percent
22 overall survival gain compare well with the NSABP-93

1 publication for FU leucovorin, nine and seven percent,
2 for rectal carcinoma as you see here, and for breast
3 cancer, nine percent for both relapse and survival.

4 So 1690 was designed already at the time
5 that these data became available. It was already
6 accruing. This trial was an attempt to define where
7 the low doses of interferon might have similar benefit
8 to the high dose interferon that was seen already in
9 1684.

10 The goal now by cure rate model, as Dr.
11 Ibrahim will discuss in detail, to analyze this impact
12 and to stratify both by numbers of nodes involved and
13 by the old AJCC stage groupings.

14 The benefit now expressed in terms of
15 hazard ratios with observation compared to treatment
16 show that for high dose interferon the hazard of
17 relapse is 1.28 times greater for the observed
18 patients than those who receive the interferon; low
19 dose interferon, 1.19.

20 This approached marginal significance.
21 This, of course, is not, and there was no survival
22 benefit, as you see with hazard ratios, one for both

1 survival impacts.

2 The graphic that you've seen already for
3 high dose interferon, a benefit compared to
4 observation that was significant, .05; for survival,
5 obviously no difference in this trial.

6 So we looked at this trial, and we asked
7 what could have been the difference, and this is a
8 graphic display in histogram fashion with observation
9 groups in blue, treatment groups of high dose
10 interferon in yellow. The period of time up until
11 relapse in the darker portion of the bars.

12 And what one sees is that in observation
13 of 1684 versus treatment in 1684, we gained nine
14 months in relapse free survival. In comparison, in
15 1690 we gained ten months in relapse free survival,
16 but the anomalous feature in this histogram
17 presentation is the post relapse survival of the
18 patients entered into the observation arm in 1690.

19 So the question became what could this be
20 due to, and the answer, apparent because we had
21 already testified and we already had the approval of
22 this, is the patients had access to interferon in the

1 1690 observation arm, and when we looked at these
2 patients who had failed observation with regional
3 resectable nodal disease, we discovered that in every
4 single case but one resection had been done and
5 treatment after the fact, crossover to interferon had
6 been given.

7 And so unplanned, because at the time that
8 this study was designed we didn't know 1684 would be
9 proved, we have asymmetrical crossover that may have
10 provided the explanation for some of these
11 differences.

12 The overall survival plots have taught us
13 some other things. For 1684 in the dark blue, 1690 in
14 the light blue, the evidence that we need prospective,
15 randomized Phase III studies to draw any conclusions
16 is illustrated here.

17 This is the survival of patients in 1684
18 who were observed. This is the survival of patients
19 in 1690 who were observed, and this difference, the
20 improvement in survival of observation patients
21 between these trials, is as significant as any
22 difference in the trial itself as it was planned to be

1 analyzed.

2 But we wondered further could it be due to
3 things before relapse since much of what I said could
4 have been all post relapse differences, and so we
5 looked at the largest single subset which is one node
6 positive patients, and this is from Tom Smith's
7 analysis of the single node positive patients, but
8 basically here for the one node positive patients in
9 1684, the one node positive patients in 1690, the
10 survival outcome for observation patients has also
11 improved.

12 And so it is both relapse free differences
13 and post relapse differences that may have confounded
14 these differences between the trials, and we do not
15 have the explanation for why 16980 did not confirm
16 1684 completely.

17 There is certainly a consistent relapse
18 free survival benefit for high dose interferon as
19 observed between these two trials. There is no
20 significant benefit with low dose interferon.

21 There was a lack of a survival benefit
22 with either high doses or low doses in the 1690 trial,

1 and I said already the post trial crossover may have
2 explained some of this.

3 We then had the opportunity to develop a
4 trial based upon the work out of Paul Chapman's and
5 Phil Livingston's studies at Memorial Sloan Kettering
6 with what we thought was the most promising vaccine in
7 1994. This trial, based upon the GM2 vaccine work
8 that Phil Livingston had published, incorporated the
9 Progenics (phonetic) produced GM2 KLH 2S21 vaccine
10 known as GMK, and patients received 96 weeks of this
11 vaccine compared to the high dose interferon, now the
12 first trial in which we have compared a new agent
13 against the high dose interferon modality.

14 These patients, 880, were randomized
15 within 70 days of surgery to determine if GMK was
16 superior to high dose interferon, and we employed
17 early stopping rules because we had benefit for
18 interferon already defined, and we did not want this
19 trial to proceed if the patients assigned to the
20 vaccine would be at increased risk of death or relapse
21 in the study.

22 We employed a cure rate model, as Dr.

1 Ibrahim will more eloquently than I can describe go
2 through this, and we stratified by numbers of nodes in
3 entry to this trial.

4 These are the data that led to the early
5 unblinding and closure of this study in 2000 when the
6 data suggested that there was both highly significant
7 survival advantage for interferon over the vaccine and
8 relapse free interval benefit for the interferon
9 compared to the vaccine.

10 For the intention to treat and eligible
11 populations, we have a hazard ration of 1.49 for
12 relapse. It means that the patients who were assigned
13 to the vaccine had a 50 percent higher, a 49 percent
14 higher relapse rate, significant as you see here to a
15 log rank P value of .001 to .004 -- 00045, both by log
16 rank and by cost analysis.

17 In terms of survival, we have a hazard
18 ratio of 1.52 to 1.38, again, a significance of .20 to
19 .009 for survival benefit of the interferon recipients
20 over the vaccine recipients at the time of early
21 closure of this study and unblinding in 2000.

22 These are the published results from last

1 year's JC article, interferon in yellow, vaccine now
2 in red, showing the relapse free survival benefit of
3 interferon compared to the vaccine.

4 As you see, the numbers in the interval
5 below show about a 50 percent higher number of
6 relapses in each of the intervals for the vaccine as
7 compared to the high dose interferon.

8 In terms of survival, again, the published
9 results, interferon, and the vaccine for each of the
10 intervals. Again, a death rate that has increased for
11 the recipients of vaccines, about 40 to 50 percent
12 higher than for the interferon alone.

13 We were interested to look back at subsets
14 because much ado has been made about the differences
15 in the 1684 trial where the patients with no nodes
16 involved did not fare well with interferon. This is
17 by all odds the largest subset analysis that we have
18 had to work with in terms of node negative patients,
19 and here we have one node positive, two to three nodes
20 positive, and four or more nodes positive.

21 And the first conclusion is that there is
22 a homogeneous impact across all four of these subsets

1 favoring interferon. To our surprise, the node
2 negative population, a quarter of the patients who
3 entered this trial, have a significant impact in this
4 subset alone.

5 This has been a source of confusion, and
6 in fact, a letter to the JCO next week will be
7 published suggesting -- and I would like to reiterate
8 here that this does not mean there was no impact in
9 these groups. It just means as a subset the node
10 negative population, the T4 node negative Stage IIB
11 patients derived benefit, which by itself was
12 significant as analyzed in this trial.

13 So the largest trial to date, highly
14 significant RFS and OS benefits for high dose
15 interferon; confirms the 1684 benefits for relapse
16 free and overall survival.

17 And I should note here, although I haven't
18 put it into this talk, the evidence that there is no
19 suggestion of an adverse impact of the GMK vaccine
20 upon either relapse rate or survival in this trial.
21 In fact, to the contrary, when we look at antibody
22 responders to the vaccine, they actually almost had a

1 P of .06, a benefit in terms of survival in this
2 trial.

3 The benefit was consistent across all of
4 the stratification subsets, and this then is a summary
5 of the 1684, 1690, and 1694 populations for subset
6 impact with no nodes involved, one node, two to three,
7 and four or more nodes, where in 1684 the one node
8 positive population did the best. In 1690, as I've
9 not had a chance to mention, the two to three node
10 positive group had the best outcome. And now we see
11 the best impact and the overview take on this is that
12 there's only one subset, the smallest and one that we
13 knew was unbalanced that has not shown a benefit.
14 That was in 1684.

15 So I think the highest level of evidence
16 that we have for evidence based medicine now, based on
17 the analysis of trials is they were designed and the
18 primary endpoints of these in a randomized head-to-
19 head setting is that high dose interferon is an active
20 regimen, is the only one that has demonstrated
21 consistent relapse free and overall survival benefits
22 compared to either observation or vaccine.

1 The benefit of the high dose interferon is
2 consistent across the nodal subsets in all of these
3 trials.

4 The obstacle, as you've heard already, is
5 toxicity, and illustrated here for the patients in the
6 1684 trial is the numbers of patients who had the
7 various toxicities listed here in any grade, and what
8 I've listed here is the percentage of patients for
9 1684, 1690 and 1694 who have experienced Grade 3 or 4
10 toxicity according to fatigue, or about a quarter of
11 patients may have Grade 3 to 4 fatigue, and where
12 myelosuppression rose in the fraction of patients who
13 experienced severe myelosuppression from a quarter to,
14 say, two thirds of patients.

15 And similarly, the fraction of patients
16 who had Grade 3 to 4 hepatotoxicity rose from 14 to 29
17 -- 27 percent in the more recent trials. We have had
18 no toxic deaths in any of the intergroup studies,
19 either 1690 or 1694, and the two deaths that were
20 already mentioned occurred in 1684 before rigorous
21 monitoring of liver functions were adhered to.

22 A summary of toxicity then is that all

1 patients have some toxicities with the interferon.
2 Some patients experience more severe side effects,
3 including fatigue and flu-like symptoms, neutropenia,
4 abnormal liver functions, and neuropsychiatric
5 depressive toxicities.

6 But I think the bottom line is the numbers
7 of patients who complete a year of this treatment, if
8 they do not relapse, the fraction of patients who can
9 complete one year of treatment is 24 percent in -- I'm
10 sorry -- is 76 percent. We only had to stop in 24
11 percent in 1684; 87 percent in 1690 completed a year
12 of treatment. That is to say only 13 percent had to
13 stop and remove themselves from treatment; and we now
14 have 90 percent of patients in the largest study
15 completed to date in the 1694 study who have had to
16 come off treatment due to toxicities.

17 And so this is a deliverable regimen.

18 I'd like to now turn to a pooled analysis
19 that we presented in part to ASCO, and it's been
20 conducted with the intergroup participants from SWOG,
21 from CALGB, and from the M.D. Henderson in these
22 studies. For these I'll pool the results of the 1684-

1 1690 trials, which were observation controlled, and
2 we'll also include for prognostic analyses the 1694
3 and the 2696 studies, which did not have observation
4 control, as you've already heard.

5 We'll also update the results of these
6 three trials to the April 2001 time point and try to
7 identify factors of prognostic importance, and that
8 may be related to treatment outcome in these studies.

9 The methods were that we updated each of
10 the trials because all of these had their data stored
11 in the ECOG database. Relapse free and overall
12 survival data were analyzed using two sided univariate
13 log ranked statistics. The covariates were treated as
14 dichotomous variables or continuous variables as we
15 had them in the bank, and that log rank statistics
16 were used for the dichotomous variables. Then a Cox
17 model was developed.

18 These are the patients who entered these
19 trials and the intervals of follow-up that we have.
20 So 1684 is now 12.6 years in median follow-up, and
21 1690 is 6.6 years in median follow-up; 1694 is 2.1
22 years in follow-up, and the smaller Phase 2 study,

1 nearly three years in follow-up.

2 There were 352 patients who were observed
3 for these analyses, 799 who received high dose
4 interferon, and 474 who received the vaccine GMK.

5 I should note that we have both the
6 failures and debts separately. We have the debts for
7 each of these studies plotted and analyzed.

8 The demographics are the demographics that
9 we expect from Intergroup Melanoma Studies, two thirds
10 of patients male. A third of the patients who entered
11 these studies had ulceration of their tumor and half
12 had recurrent disease, an adverse factor that we will
13 come to in a minute.

14 So this is the 12.6 year data for the
15 E1684 study in terms of relapse free survival, and the
16 curve has the flattening that we talked about before.

17 This is stable out as far as we can go, and it should
18 be noted again that every patient that entered these
19 trials had elected node dissection. So failures in
20 this trial are distant failures. This is distant
21 relapse free survival, which the EORTC has taken as a
22 surrogate for overall survival.

1 The 12.6 year data for survival, as you've
2 already heard, has a one sided P value of .09, but
3 this is all causes of death. So this is patients who
4 are in their middle 60s because they entered the study
5 at 50 years of age, and all causes of death, whether
6 melanoma or otherwise, are included in this attrition
7 which exhibits a plateau out as far as the median that
8 we have here of 12 years, but certainly is not what we
9 had seen at seven years completely.

10 The 1694 study relapse free survival has
11 interferon in dark yellow on top still. The
12 significance is still the one sided P of .05 that we
13 published, and so this is stable, and there is no
14 survival impact as there was none as this study was
15 originally reported.

16 The 1694 study, now more than two years in
17 median follow-up, preserves a significant P value for
18 relapse free survival. The differences that we have
19 here in terms of relapses, 159 dead or relapsed on
20 high dose interferon, 202 on the vaccine pulled up
21 exactly as they were published a year ago.

22 The differences in overall survival also

1 remain significant for the 1694 interferon arm, the
2 1694 vaccine arm, the one sided as it was designed to
3 be analyzed, .02, but if you'd like to double it for
4 the two sides test, .04, retains significance.

5 For the pooled observation controlled
6 studies we have a significant relapse free interval
7 impact, and this is interferon at 1684 and 1690
8 together. Observation in 1684 and 1690 together. The
9 hazard ratio, 1.3, a 30 percent increment in the
10 relapse risk of patients who were assigned to
11 observation in the aggregate of these two studies.

12 The overall survival pooled between these
13 studies where the 1690 study was larger was a negative
14 study, does not have significance. The hazard ratio,
15 1.07.

16 So looking at prognostic factors that may
17 be identified in this pooled analysis, the importance
18 of recurrence of disease, highly significant in terms
19 of relapse free survival. Ulceration of the primary,
20 as is well known.

21 Curiously, entry into the 1684 study, an
22 independent adverse prognostic factor of significance,

1 and the previously reported LDH, depth of tumor, age
2 over 49, and site entering in.

3 In terms of overall survival, ulceration
4 remained significant. Occurrence of disease remained
5 significant, and still the entry to the 1684 study is
6 a significant independent adverse prognostic factor.

7 Looking for treatment effects, if we ask
8 then from these pooled data what is the adverse impact
9 of assignment to observation in the pooled analysis,
10 the hazard ratio, 1.28; the significance, .01.
11 Accounting for all other prognostically significant
12 factors, ulceration, 1684 trial entry, and recurrence
13 of disease remained significant as well.

14 In terms of overall survival, 1.07 for the
15 treatment effect, not significant, and we retained the
16 1684 recurrence of disease and ulceration as already
17 mentioned.

18 So in summary then, 1684 we have a
19 significant relapse free survival benefit of high dose
20 interferon versus observation, still evident at a
21 median follow-up of 12.6 years. Overall survival
22 benefit, significance is diminished, but there are

1 many competing causes of death that has eroded this in
2 all likelihood.

3 Sixteen, ninety, neither relapse nor
4 survival benefit in aggregate, and overview now:
5 1694, high dose interferon significantly, superior to
6 the vaccine GMK for both relapse free survival and
7 overall survival at 2.1 years.

8 Based on the two sided unit variate log
9 rank analyses, high dose interferon significantly
10 improved relapse survival compared to observation.
11 The factors predictive of reduced relapse free
12 survival and overall survival, ulceration, recurrence
13 of disease, the old study entry of 1684 and age over
14 49.

15 Adjusting for these prognostic factors, we
16 preserve relapse free survival benefit for high dose
17 interferon. We do not confirm overall survival
18 benefit.

19 The highest level of evidence, as I
20 mentioned already, from the three trials taken
21 together, high dose interferon has demonstrated
22 consistent relapse free and overall survival benefit

1 compared to either vaccine or the observation arm.

2 Pooled analyses show significant
3 improvements for relapse free survival, but not for
4 overall survival, and the meta analyses that you've
5 heard about from Dr. Tiwari also support this with a
6 trend to dose effect as well.

7 Where we are going, since Karen very
8 clearly pointed to the fact that we need new studies,
9 is to evaluate more aggressive combinations. Chemo-
10 biotherapy, for instance, may have an impact which is
11 superior to that of a high dose interferon. This
12 trial, this SWOG 0008 intergroup trial testing three
13 cycles of chemo-biotherapy versus high dose interferon
14 as a head to head comparative Stage IIIB and Stage
15 IIIC trial.

16 We would like to improve the therapeutic
17 index of the high dose interferon modality. We'd like
18 to ask the question whether one month is necessary and
19 perhaps sufficient.

20 So the intergroup 1697 studies testing
21 whether one month alone given to patients with Stage
22 IIA disease who do not have an effective available

1 therapy may be superior to observation, and DR.
2 Ibrahim will run through the statistics of this in a
3 much greater detail. So I'll skip over those now.

4 If we fail to answer that question about
5 whether one month is the kernel of the 1684 regimen,
6 we'll have to test this in equivalence design. The
7 1601 has been a trial that has been our first effort
8 at designing equivalence trials, and Dr. Ibrahim will
9 also discuss this in some detail.

10 We'd obviously like to introduce new
11 cytokines and peptide vaccines for interferon
12 failures. The trial which is now going on in the
13 intergroup testing, GMCSF and multi-epitope peptide
14 vaccination is a test of the potential utility of
15 GMCSF in patients who have failed interferon or have
16 disease beyond the spectrum of what interferon was
17 designed to treat originally.

18 Strategies to develop new adjuvant
19 therapies built from Stage IV experience are the 1696
20 trial where we're testing multi-FFO peptide
21 vaccination with or without interferon and with or
22 without GMCSF, and this trial is more than half

1 completed now to try to pave the way for new adjuvant
2 interventions of interferons combined with the peptide
3 vaccination.

4 Dr. Slingluff's trial, E1602, is a multi-
5 epitope peptide vaccine trial which also may be a
6 source of adjuvant efforts in our committee. We would
7 like to define the molecular intermediate endpoints of
8 interferon action and the current trial testing this
9 in terms of the marker EFGF. Basic fibroblast growth
10 factor is E3601.

11 So I think with that I'll close and turn
12 it over to Dr. Ibrahim to talk about the statistical
13 design.

14 DR. IBRAHIM: Thanks, John.

15 I'm going to focus much more on trial
16 design for adjuvant studies in ECOC and really not
17 discuss data analyses, but how we've designed the
18 trials 1684, 90, 94, and other studies, 1697 and
19 future trials and current trials that are open now in
20 ECOG.

21 So just a brief outline of what I'll
22 discuss. The cure rate model has played a very

1 prominent role in trial design for all of these ECOG
2 studies. So I'll talk a little bit about the
3 rationale for using that model, and we'll talk about
4 what it is because it's very important in the studies
5 that we've been designing in all of these ECOG trials,
6 and then I'll talk a little bit about how we design
7 these trials using cure rate models, and in particular
8 I'll focus on designs for 1684, 1690, 1697 and 1694.

9 Some of these, 1697, for example, are
10 still open. The others are now terminated and
11 published, and then we'll turn it over to non-
12 inferiority designs using cure rate models, in
13 particular, proposed Study E1601 is designed as a
14 noninferiority study.

15 And then we'll talk about future trial
16 designs involving high dose interferon.

17 Okay. What's a cure rate model? The cure
18 rate model is used for designing studies with any time
19 to event endpoints as the primary endpoint, such as
20 RFS and OS, and it's most useful -- these models are
21 most useful when a plateau is reached in the survival
22 curve after a sufficient period of follow-up.

1 And we have observed in these ECOG studies
2 in adjuvant melanoma studies this plateau occurs
3 usually after five years of follow-up, and as an
4 example, we see here in 1684 this is based on the
5 updated data. We see that about after five years of
6 follow-up a plateau starts to occur in the survival
7 curve for both of the arms, and it's important then in
8 designing trials to try and capture this plateau
9 because the behavior of the right tail of the survival
10 curve really is important to characterize in trial
11 design, and this is exactly what the cure rate model
12 tries to do.

13 And we see the same behavior even with
14 respect to overall survival. So regardless of
15 endpoint, we see this plateauing effect occurring in
16 all of these adjuvant melanoma trials.

17 The same thing for 1690. Relapse free
18 survival, we see this plateauing effect in both of the
19 interferon and observation arms.

20 So the cure rate model basically works
21 like this. It assumes that the study or the
22 population can be subdivided into two subpopulations,

1 those that are cured and not cured, and the word
2 "cured" here is being used loosely to just mean that a
3 plateau occurs in that particular time to event.

4 So it's relevant to use the word for
5 relapse free survival. All it means is that a plateau
6 occurs after sufficient follow-up.

7 So the subdivided populations then consist
8 of a proportion of patients who were cured. We'll
9 call that proportion π , and a proportion of patients
10 not cured. We'll call that one minus π .

11 And the proportion that are not cured
12 experience events according to an exponential model
13 with a hazard rate λ , and then one can write down
14 the probability of surviving beyond a certain time
15 point as a mixture between those that are cured and
16 not cured, and this second bullet here then gives the
17 survival function for the cure rate model.

18 And so one can view SFT as representing
19 the vertical axis in the Kaplan-Meier plot, for
20 example.

21 So just to see how things work here, π
22 equals .26 means that 26 percent of the population is

1 cured and 74 percent are not cured. P_i equals zero
2 means that zero percent are cured, and then this just
3 reduces to the exponential survival model, and these
4 are the models that have been traditionally used to
5 design both adjuvant and metastatic disease trials.

6 And so we're trying to go beyond that now,
7 and the exponential model then is a special case
8 actually of the cure rate model.

9 And we've found the cure rate model to
10 actually fit the data better than an exponential model
11 when this plateau actually occurs in the data, as did
12 for 1684. So it turned out that once the 1684 trial
13 was unblinded, the cure rate model actually fit the
14 data better than an exponential model, and that's what
15 led us to design 1690 using a cure rate model.

16 One of the nice properties of the cure
17 rate model is that if one uses the log rank test to
18 design the study, the cure rate model actually has
19 nice properties and yields high statistical power when
20 this test is used to design the trial.

21 Okay. So now what I want to do is review
22 ECOG Study 1684, 1690 and 1694, and talk about how

1 these trials were designed and then move on to future
2 designs of trials.

3 Sixteen, eighty-four, as John mentioned,
4 was a two arm study of high dose interferon versus
5 observation, and here in the design of this study,
6 since we had no previous data to guide our design, an
7 exponential model was used to design the study since
8 there was no prior experience to guide the design.
9 Four years of accrual were assumed, three years of
10 follow-up, and a sample size of 285 gave 83 percent
11 power to detect a 50 percent improvement in median RFS
12 from 1.5 to 2.25 years.

13 Sixteen, ninety was a three arm study
14 involving high dose, low dose, and observation, and
15 this was the first adjuvant melanoma study in ECOG
16 that used a cure rate model in its design, and the
17 cure rate model was based on the E1684 experience,
18 which I'll discuss in a moment.

19 In this study then we had four comparisons
20 of interest, high dose versus observation, and with
21 respect to both of these endpoints, and low dose
22 versus observation also with respect to both of these

1 endpoints and a one side significance level of .025
2 was used.

3 And the way we designed 1690 is that we
4 fit a cure rate model to the 1684 data and obtained
5 estimates of the cure rate and the hazard rate for
6 those not cured, and so for the RFS endpoint, the
7 estimate of the cure rate for the observation arm was
8 26.4 percent for the 1684 data and 32.5 percent for
9 overall survival, and the estimate of the median
10 relapse free survival for those not cured was about a
11 half year for RFS and 1.32 years for OS.

12 And so these numbers then were used to
13 design 1690 and we fit the data both to the
14 observation arm and to the high dose interferon arm,
15 and the cure rate for the high dose interferon arm for
16 1684 was estimated to be 27.9.

17 And so this led to the following design.
18 We assumed four and a half years of accrual, two and a
19 half years of follow-up, a sample size of 625 yields
20 81 percent power for RFS to detect a ten percent
21 absolute increment in the cure rate, and a 50 percent
22 relative increase in median time to event among the

1 non-cure group.

2 And these were the estimates observed in
3 1684. So they weren't coming out of a vacuum, and the
4 same increments for the overall survival endpoint
5 giving 82 percent power.

6 So precisely here at the known alternative
7 hypotheses for both of these endpoints. The cure rate
8 under the null hypothesis, 26.4 percent. This was,
9 again, the estimated cure rate from the observation
10 arm. Median time to event for not cured is 6.9
11 months, and under the alternative, this is the high
12 dose arm of 1684, 36.4 percent and 10.4 months, median
13 time to event for the high dose interferon arm, and
14 similar increments for the overall survival endpoint.

15 So these were the design specifications
16 then that were based on fitting the 1864 data to cure
17 rate model, and then we used a sequential monitoring
18 plan, and we actually -- any Phase 3 study in ECOG
19 involves a sequential monitoring plan. So we use that
20 for 1690, which four interim analyses were planned at
21 corresponding equal increments of statistical
22 information.

1 So these two tables give the sequential
2 monitoring plans for the four comparisons for both
3 endpoints, and we see here that four interim analyses
4 were done, and this column is the information time,
5 which is just a fraction of the number of events at
6 each interim analysis. So 65 over 252 is .258, and so
7 forth.

8 The third column is the nominal
9 significance level and the same information for the
10 other comparison, and here since E1690 and 1694 both
11 had RFS and OS as primary endpoints, we line up the
12 analyses to correspond to the same chronological time.

13 Since the events were occurring faster on the
14 endpoint of RFS for these two studies to be analyzed
15 at the same chronological before the DMC, the
16 information times would be slightly different.

17 Okay. So let's then move on to 1694.
18 Sixteen ninety-four was a two arm study comparing GMK
19 to high dose interferon, and this was the first
20 melanoma trial in ECOG using HDI as the control arm.

21 This was also designed as a superiority
22 trial and a cure rate model was also used in the

1 design, and one sided significance level of .025 and
2 the cure rate at median time to event for the high
3 dose interferon arm were estimated from the 1690 data.

4 So the design specifications for 1694
5 actually came from fitting a cure rate model to 1690.

6 So the assumptions for the design were 3.3 years of
7 accrual, two years of follow-up, a total sample size
8 of 851 patients led to 86 percent power for RFS, 80
9 percent power for OS, and this, again, was based on a
10 ten percent increase in cure rate and 15 percent
11 relative increase in median time to event for the non-
12 cure group.

13 And so specifically here with the design
14 specifications, these cure rate percentages were being
15 estimated from the 1690 data, as well as the median
16 time to events for the high dose interferon for both
17 relapse free and overall survival. And the increments
18 that we specified were similar to those for 1690.

19 Again, here is the sequential monitoring
20 plan for 1694. Four interim analyses; again,
21 information time. The expected number of relapses
22 under the alternative, and both 1684 and 1690 and 1694

1 were designed with O'Brian-Fleming upper boundaries
2 for rejecting the null hypothesis, and that's what
3 these boundaries were at each of these interim
4 analyses, and this was the nominal significance level
5 corresponding to that boundary.

6 So, again, RFS and OS were primary
7 endpoints in this trial. So to line up these interim
8 analyses at the same chronological times, the
9 information times will be slightly different. So the
10 sequential monitoring plan was driven by overall
11 survival here, and the goal was to try to do the
12 interim analyses at equal increments of statistical
13 information, 25, 50, 75 and 100 percent.

14 Okay. Now we move on to 1697. Sixteen,
15 ninety-seven is a trial that's still open. It hasn't
16 terminated and is still accruing patients, and it
17 involves a different patient population than 1684,
18 1690, or 1694.

19 In particular, 1697 involves the T3, as
20 John mentioned, for the U.S. and for NCIC and
21 Australia, T3NO, T4NO, and NET and N1a, node one
22 positive patients.

1 And so this is the first ECOG trial for
2 this patient population actually, and it was a two arm
3 trial of one month high dose interferon versus
4 observation, and it was also designed as a superiority
5 trial.

6 Again, the primary endpoints are both RFS
7 and OS, and again, a cure rate model was used in the
8 design with a significance level of .025. The sample
9 size of 1420 patients is based on three years of
10 accrual, three years of follow-up. Eighty-eight
11 percent power for both RFS and OS to detect a seven
12 and a half percent increase in the cure rate.

13 So this trial design involved an increment
14 of less than ten percent, and a 15 percent relative
15 increase in median time to even for the non-cured
16 group.

17 And so here's a summary of the design
18 specifications for the cure rate model. We notice
19 here since this is a slightly healthier patient
20 population than 1684, 90 or 94 the estimates of the
21 cure rate were higher than these studies, and in
22 particular, actually these estimates were coming from

1 the node negative and node one positive patients in
2 1684 and 90. So we fit a cure rate model to that
3 subset and obtained these figures from that subset.

4 And the same thing with the median time to
5 event. These figures were coming from the subset of
6 patients on 1684 and 90 that were node negative and
7 node one positive.

8 Here's the sequential monitoring plan for
9 1697. The same kinds of numbers as before, except the
10 one distinction here is that with healthier patient
11 populations and especially when you expect this
12 plateau to occur in the survival curve, the events are
13 going to start occurring much less frequently once you
14 get beyond a certain period of follow-up, and so we
15 inserted an extra interim analysis here so that there
16 wouldn't be a long time between the third interim
17 analysis and the final analysis.

18 And so here we inserted another interim
19 analysis at 90 percent information so that there
20 wouldn't be such a long wait in between interim
21 analyses.

22 Again, the same story for OS. The

1 boundaries here are O'Brian-Fleming upper boundaries
2 and the nominal significance levels correspond to
3 those.

4 One of the critical issues now in ECOG
5 trial design is conditional power, and conditional
6 power considerations are essentially playing a
7 prominent role in any ECOG trial, especially ones in
8 which you might not expect the experimental arm to be
9 doing much better than the control arm.

10 So the idea of conditional power, it's a
11 conditional probability calculation, and it's the
12 probability of observing a significant result, given
13 the current data and the specified alternative under
14 the statistical design.

15 So what this conditional power calculation
16 allows us to do is essentially compute the probability
17 of getting a significant result at full information at
18 the final interim analysis, given the current data and
19 the specified design parameters under the alternative.

20 And again, the idea behind conditional
21 power is it allows us to stop the study early if the
22 experimental therapy is not much better than control,

1 and one issue that I'll discuss later is the timing of
2 the conditional power calculation is critical, I
3 think, because we want to do this calculation when we
4 have sufficient follow-up in the trial.

5 So as I noted in most ECOG studies now, we
6 implement traditional power calculation as part of the
7 interim monitoring plan, and in particular traditional
8 power is very important in trials that have
9 observation arms and trials that are of the form A
10 versus A plus B, that is, a regimen called A versus A
11 plus something else.

12 And clearly here the A plus something else
13 is generally more toxic and more expensive than A. So
14 we want to stop the trial earlier if A plus B is not
15 much better than A.

16 So these are two scenarios under which
17 conditional power is very important, and 1697
18 conditional power was part of the monitoring plan, and
19 we see that conditional power plays now a very
20 prominent role in these types of studies, as well as
21 noninferiority designs which I'll discuss now.

22 So noninferiority designs, I think, will

1 play a prominent role in future designs of ECOG, and
2 especially future designs that involve high dose
3 interferon as the control, and in particular, we
4 envision future trial involving HDI and a vaccine or
5 HDI and a combination of HDI and a vaccine, and my
6 conjecture is that these trials will be designated as
7 noninferiority designs rather than superiority
8 designs.

9 We learned a great less from 1694 that
10 perhaps it wasn't the best idea to design it as a
11 superiority trial. So within the context of the cure
12 rate model, these designs can be constructed by
13 essentially taking small differences in the cure
14 rates, and I think that's where the cure rate model
15 really has a great benefit here, is that you can
16 dictate equivalence trials essentially by specifying
17 the cure rate difference.

18 Again, the cure rate difference is the
19 tail area in the survival curve, and I think most
20 people would agree that that's where the important
21 action is. We want to know what the tail behavior is
22 in the two arms after a sufficient period of follow-

1 up, and that's what would dictate then in an
2 equivalence trial.

3 So you don't get it for free though
4 because the sample size is increased dramatically when
5 the cure rate differences become small, and so there's
6 a price that one pays in the sample size. So there's
7 the issue of feasibility versus how small of a cure
8 rate difference you want between the two arms in an
9 equivalence trial, and so typically in noninferiority
10 or equivalence trials -- I'm using these two words
11 interchangeably here -- the higher significance level
12 than a nominal level of .05 is acceptable to use in
13 these types of trials.

14 Sixteen, oh, one is a currently proposed
15 study in ECOG, and it involves a slightly different
16 patient population than 1697. It involves T4NO, NET,
17 and N1 and N2 patients. So it involves one node
18 positive and two nodes positive, and this is a study
19 that's currently being proposed in ECOG.

20 It's designed as a two arm, noninferiority
21 trial of one month high dose interferon versus one
22 year in which the primary endpoint is relapse free

1 survival. And so when designing a noninferiority
2 trial, you need a definition of noninferiority, and
3 that depends on the disease site. That's disease site
4 specific, as well as patient population specific and
5 study specific.

6 And the way we've defined it here is that
7 we'll declare one month noninferior to one year if
8 there's less than a 25 percent absolute difference in
9 median RFS for those non-cured and less than a three
10 percent absolute difference in the cure rate between
11 the two arms.

12 So these are the two design parameters
13 that one needs to specify in a cure rate model, and we
14 claim that the much more important parameter is the
15 cure rate rather than the median time to event in
16 those not cured.

17 We really are willing to allow a bigger
18 difference in the median time to event for those not
19 cured, but are not willing to specify a large
20 difference in the cure rates. In other words, we want
21 the tails of the survival curves to be virtually
22 closed, and that's what we'll declare equivalence.

1 And so that's the way we've defined it for
2 1601, and equivalence trials are ones in which you
3 allow the significance level to be higher than the .05
4 level, but ones in which you desire a higher power
5 than the usual 80 percent. So 1601 was designed with
6 95 percent power and using a one sided significance
7 level of .075.

8 And we assume four years of accrual, six
9 years in follow-up. A sample size of 2,780 patients
10 yields 95 percent power for RFS. To detect this three
11 percent increase in cure rate between one month and
12 one year of high dose interferon and the 25 percent
13 increase in the median time to event for the non-cure
14 group, and again, here is a summary of the cure rate
15 and median time to event.

16 We assume 63 percent cure rate on the one
17 year high dose interferon arm, 60 percent on one
18 month, and .9 years on one year, and .65 on one month.

19 Again, we use a sequential monitoring plan
20 in which we use an O'Brian-Fleming upper boundary for
21 early stopping in favor of superiority of the one year
22 interferon, and so we'll stop early in favor of one

1 year using an O'Brian-Fleming upper boundary.

2 Again, we insert five interim analyses,
3 one year at 90 percent information. However,
4 conditional power then will be used as the lower
5 boundary to declare equivalence or noninferiority.

6 So the conditional power will be computed
7 to determine the noninferiority of one month relative
8 to one year, and again, this is based on RFS endpoint,
9 and the timing of the conditional power calculation is
10 critical here because conditional power will
11 essentially dictate whether the one month high dose
12 interferon is noninferior to one year. That's what is
13 going to dictate noninferiority here in the trial
14 design.

15 And so the timing is important, and the
16 first time the conditional power will be calculated
17 for this study is at 75 percent information and then
18 again at 90 percent information, and the rationale
19 behind that is that we want to allow for sufficient
20 follow-up, and the 75 percent information is one where
21 you would expect the accrual goal to be attained for
22 the study.

1 So it makes sense to do the first
2 conditional power calculation at that information
3 time.

4 This table here gives various sample size
5 scenarios when you vary the cure rate percentages, as
6 well as the difference in the median time to event.
7 So this first column represents a cure rate difference
8 between two hypothetical treatment arms. The second
9 column represents the difference between the median
10 time to event between the two treatment arms, and
11 third column gives the induced sample size that would
12 be required.

13 And so the main thing to glean from this
14 table is that as one decreases the cure rate, so if we
15 just focus on the last row here for a moment, ten
16 percent difference in cure rate, 15 percent absolute
17 increase in median time to event, again, requiring 95
18 percent power, say, leads to a sample size of 760, and
19 as we fix this increment at 15 percent and decrease
20 the cure rate, we see that the sample size more than
21 doubles as we decrease the cure rate by a moderate
22 amount.

1 So once we start getting in the five
2 percent area, we here that it's essentially tripled,
3 and then here at three percent it's quadrupled. And
4 so there's a big price to pay in terms of sample size
5 if one wants to do noninferiority studies.

6 The price is not nearly as much if we fix
7 the cure rate and decrease the difference in median
8 time to event. So here the differences are only in
9 hundreds of patients.

10 So in other words, if I just look at the
11 difference in cure rate of three percent and look at
12 these increments here, there's not much of a price to
13 pay at all, and so the cure rate parameter is the one
14 that really drives the sample size and is one that's
15 critical in designing noninferiority studies.

16 Okay. Future trial designs then we
17 envision would be ones that would be similar to those
18 of 1601. So noninferiority designs of the type used
19 for 1601 will be used, we envision being used for
20 future Phase 3 trials comparing investigational
21 therapies to high dosage interferon, and again, the
22 definition of noninferiority is critical.

1 There is not a benchmark or a conventional
2 definition of noninferiority. It depends on the
3 trial, and it's something that differs from trial to
4 trial.

5 But the bottom line is that in any non-
6 inferiority study with these cure rate models needs
7 small cure rate differences. And conditional power
8 also plays a key role in these designs, noninferiority
9 studies, and as I mentioned for 1601, as well as 1697.

10 And we envision the next ECOG adjuvant
11 Phase 3 trial will be something like high dose
12 interferon versus the best vaccine from 1696 or the
13 best vaccine -- actually this John called the 1602.
14 This is the 12 peptide vaccine trial, Phase 2 trial,
15 that's currently being proposed for other regimens,
16 combinations of high dose interferon and vaccine.

17 This is where we're headed in ECOG, and we
18 envision trials of this sort, of high dose interferon
19 versus these as the control, and these being the
20 experimental arms to be noninferiority studies or
21 equivalence trials.

22 And one can use the methodology similar to

1 1601 to design these trials.

2 One last comment that I'd like to make
3 about future trial designs is that we'd like to also
4 investigate Bayesian and monitoring schemes for future
5 trials. I don't want to get into the philosophical
6 issues of Bayesian design and non-Baysian design here,
7 but I do just want to mention that Baysian designs
8 offer special advantages other designs may not have.

9 So one can do a Bayesian design within the
10 context of this cure rate model, and one of the
11 advantages that Baysian designs have over these
12 traditional designs that we've been discussing thus
13 far is that they allow us to formally incorporate
14 historical data into sample size calculations.

15 And the reason this is important in the
16 context of melanoma is that we've got quite an
17 abundance now of historical data for 1684, 1690, and
18 1694 for the high dose interferon arm. So this is one
19 advantage of these types of designs, is that they
20 allow us to directly incorporate this information into
21 the sample size calculation.

22 Another advantage is that they allow us to

1 do continuous monitoring without paying a penalty in
2 the Type 1 error or the significance level. So one of
3 the practical advantages of this is that one can do an
4 interim analysis at every DMC meeting, for example,
5 rather than wait until a certain information time has
6 been reached.

7 And so this is what we mean by continuous
8 monitoring, and this is one of the advantages that
9 Bayesian design has to offer.

10 And, again, as I mentioned, we have now an
11 abundance of historical data on high dose interferon
12 from these three studies, and so one can use the data
13 from these studies to construct appropriate prior
14 distributions for the effect of the high dose
15 interferon using these data, and then these
16 distributions can be incorporated into the sample size
17 calculations and will often result in greater
18 precision and smaller sample size than the traditional
19 designs.

20 And as mentioned a moment ago, Bayesian
21 interim monitoring rules can be easily developed, and
22 what we would do then at each DMC meeting is just

1 compute the probability that the treatment works,
2 compute the posterior probability that a given
3 treatment is better than the control treatment, given
4 the current data, and this can be reported at every
5 DNC meeting without an issue of inflating the
6 significance level, and this is one of the practical
7 advantages that I see in doing Bayesian design.

8 And that's all I have.

9 CHAIRPERSON NERENSTONE: Thank you very
10 much.

11 I would like to ask you to hold your
12 questions until we take a break. We should be back at
13 10:45. Thank you.

14 (Whereupon, the foregoing matter went off
15 the record at 10:34 a.m. and went back on
16 the record at 11:05 a.m.)

17 CHAIRPERSON NERENSTONE: I'd like to start
18 by asking the Committee if they have any questions for
19 the presenters this morning.

20 Dr. Przepiorka.

21 DR. PRZEPIORKA: Questions for Dr.
22 Kirkwood or Dr. Ibrahim.

1 Dr. Kirkwood, could you please give us
2 your opinion? Did patients with locally current
3 diseases have the same prognosis as those with newly
4 diagnosed disease going into trials when compared node
5 for node?

6 DR. KIRKWOOD: The prognosis of local
7 recurrence, I think, is significantly more ominous
8 than for primam presentation. The data from Urist
9 (phonetic) in Alabama is probably the best for
10 recurrence, now ten, 15 years old, but I think the
11 prognosis for those are at least as bad as nodal
12 involvement.

13 DR. PRZEPIORKA: But for patients with
14 locally recurrent disease and no nodal involvement?

15 DR. KIRKWOOD: That includes in the
16 absence of nodal involvement. Realize that most of
17 the data that I'm referring to came from an era before
18 sentinel node mapping, and I think it's only now that
19 sentinel node mapping is being done off of
20 recurrences, but there may be -- and Dr. Slingluff and
21 surgeons here may be able to speak to this more
22 directly.

1 DR. PRZEPIORKA: Dr. Fleming.

2 DR. FLEMING: Dr. Kirkwood, I'm trying to
3 sort through, in particular, the evidence on survival
4 effects, and in the studies you've presented, the 1694
5 seemed to be particularly intriguing on survival
6 effect.

7 You had mentioned in your presentation
8 that you had evidence that the GMK vaccine wasn't
9 harmful, hence, in fact, inducing the different to a
10 harmful effect by the vaccine. Could you again
11 clarify what that evidence is?

12 DR. KIRKWOOD: Three bits of evidence.
13 The first is as we plot the outcome for the GMK arm of
14 1694 against the 1684 and the 1690 observation arms,
15 it certainly is intermediate and no worse than those,
16 and I can present that to you on a slide if you'd
17 like.

18 DR. FLEMING: Well if I could just take it
19 one at a time, you had also clearly made the point at
20 how hazardous those kinds of --

21 DR. KIRKWOOD: I agree.

22 DR. FLEMING: -- comparisons could be

1 because there are so many factors that could be
2 confounding that. So that seems to be a fairly
3 controversial piece of evidence at best.

4 DR. KIRKWOOD: Right, and the second is
5 when we looked at the hypothesis that drove the design
6 of the 1694 study, it was that the induction of an
7 antibody response to the GM2 molecule is potentially
8 favorable to patients, and so when we actually
9 measured the antibody response at the end of the first
10 month for patients who entered the vaccine arm,
11 plotted those who had an immune response and antibody
12 titer above a threshold of one to 80 versus those who
13 did not, those who had an antibody response actually
14 did better to a P value of .06 in terms of survival
15 that I alluded to briefly in my talk.

16 So those who made the immune response,
17 which was the goal of the immunization, did better
18 than those who did not.

19 DR. FLEMING: So what does that tell us in
20 any way about what the vaccine globally is providing
21 effect or non-effect? Those that are immunologically
22 different, in fact, would have that immune response,

1 could have been intrinsically better and would have
2 had a better outcome so that it's not clear when I see
3 those that have the intended immune response that they
4 do better than those that don't, that that provides me
5 any sense of whether globally the vaccine is helping
6 or harming survival?

7 DR. KIRKWOOD: No, that's true, and in
8 fact, we have to admit that we didn't have an
9 observation arm. Following 1684, 1690, we decided we
10 had to compare it to interferon, and we'll never
11 really be able to address this head to head.

12 So I'm not arguing that it's clear to me
13 that the GMK vaccine is harmful. It's just not clear
14 to me that there's any evidence to know whether the
15 difference that we see that's so favorable in that
16 trial could in some way have been partially explained
17 at least by a potential adverse effect of the vaccine.

18 CHAIRPERSON NERENSTONE: Dr. Kirkwood, I
19 don't know if you or perhaps the folks from Dr.
20 Chapman's group could speak, but there was also
21 preliminary data from a randomized controlled trial
22 that made you choose that particular vaccine to go

1 forward with, and I don't recall the details of that,
2 but perhaps you do better.

3 DR. KIRKWOOD: Yeah, this is the
4 publication of Phil Livingston for the GM2 plus BCG
5 trial and Paul Chapman is here. So perhaps he would
6 like to speak to that, but certainly in that trial
7 there was a trend to relapse interval benefit. They
8 did not have survival benefit, but there certainly was
9 no hint of an adverse effect.

10 CHAIRPERSON NERENSTONE: Dr. Blayney.

11 DR. BLAYNEY: Yes, two questions for Dr.
12 Kirkwood.

13 First of all, in your Slide 28, which goes
14 to the number of patients who discontinued the
15 interferon regimen for toxicity, is that different for
16 patients who discontinued interferon for a year or did
17 not complete a year for any reason?

18 Because I think those numbers are low for
19 the number of patients who could discontinue the year
20 interferon.

21 DR. KIRKWOOD: That was discontinuations
22 for any cause other than relapse.

1 DR. BLAYNEY: Okay. Thank you.

2 Secondly, if one hypothesizes that as you
3 showed in the 16, then your earlier trial or in your
4 second trial that the salvage for alpha interferon
5 once relapsed may have contributed to the survival
6 benefit and thus the dilution or the equivalence of
7 the various arms in your later trial, how many in the
8 early trial, the 1684 patients, received alpha
9 interferon who were in the control arm and received
10 alpha interferon when they relapsed?

11 DR. KIRKWOOD: Yeah. We've gone back
12 through the charts of the 1984 patients, and no one
13 who failed observation then crossed over to receive
14 interferon. It was, of course, not approved at that
15 point in time, and it's subject to the retrospective
16 review of the charts for this.

17 And since your next question may be if
18 that's the case, what happened in 1694, we've just
19 completed a sweep of the 880 charts from the 1694
20 trial for exactly that information. I don't have that
21 right now, but I suspect it was much less for 1694
22 given the prevailing negativism about interferon after

1 1690.

2 DR. BLAYNEY: So it might be a reasonable
3 -- a statement is reasonably made that interferon at
4 time of relapse is a reasonable salvage treatment,
5 which might extend survival in patients who are, as
6 you've told us, destined to die because they have
7 relapsed.

8 DR. KIRKWOOD: I think that's a hypothesis
9 that can be taken from the 1690 study.

10 DR. BLAYNEY: Thank you.

11 CHAIRPERSON NERENSTONE: Dr. George.

12 DR. GEORGE: You showed us information
13 about toxicity tables, and I don't think we had in our
14 materials nor did you present today anything about
15 quality of life kinds of studies. Have you done these
16 as part of these trials or are you planning to?

17 DR. KIRKWOOD: Yeah, we've done and
18 published in 1996 in JCO a study of quality of life, a
19 Qtwist retrospective analysis of 1684 patients which
20 showed benefit in terms of quality of life and quality
21 adjusted life years gained which favored interferon.
22 We actually presented those to the committee in July

1 of 1995 as the basis of the first approval.

2 We have completed studies of both Qtwist
3 and Qtility, the time utility analyses that Kerry
4 Kilbridge, Chip Cole had been doing. The latter will
5 be presented or submitted for ASCO this year. The
6 former were published in the fall of last year by
7 Kerry Kilbridge.

8 DR. GEORGE: These were retrospective kind
9 of? I'm not sure.

10 DR. KIRKWOOD: Yeah, the Qtwist analyses
11 were all retrospective analyses of the chart data for
12 toxicity. The utility analysis was a study of a
13 separate population of patients who were asked given
14 the likely toxicities of interferon, how would they
15 weight time with the toxicity as opposed to time with
16 relapse of disease, and from those I think we learned
17 that the value of time with relapse of disease is so
18 poor that the patients favored the toxicity on that
19 basis.

20 CHAIRPERSON NERENSTONE: Dr. Sledge.

21 DR. SLEDGE: John, actually I really
22 appreciated your presentation which I thought was

1 quite clear. Listening to your colleagues in the
2 melanoma community and the advocacy folks, however,
3 what I hear from them is that while they're willing to
4 at least possibly buy the relapse free survival
5 advantage, they have a fairly high degree of
6 skepticism about the idea that we have a proven
7 overall survival advantage, something that I'd say
8 actually your pooled analysis may provide some further
9 skepticism about, and that even if there is a small
10 advantage from an overall survival standpoint, I've
11 heard folks in your community doubt whether or not it
12 might be worth it for the average patient just from a
13 toxicity standpoint, rather that if, say, for
14 instance, we were looking at polio in 1955, we were
15 being required to randomize patients to the Salk
16 vaccine versus an iron lung.

17 Do you think that's a reasonable position?

18 And do you in your heart of hearts think that we
19 should be requiring all future trials to involve high
20 dose interferon as a standard arm? Because I think
21 that's really what we're being asked here.

22 So the first question, I guess is how much

1 do I take the negative comments of some of my
2 colleagues who are venerable members of the community
3 that preceded us today, and I think that you could
4 pick from the cooperative groups that have actually
5 done the studies an equal number who would be ardent
6 supporters of the survival impact.

7 I think in my heart of heart if you ask me
8 do I think there's a cure fraction for high dose
9 interferon, my answer is yes, and I think that's the
10 basis of the statistical design for all the studies
11 after 1684.

12 So I think that cure fraction is enough
13 for me to believe that this is a reasonable standard
14 against which all future treatments that are
15 potentially going to be superior ought to be compared.

16 Do I think that patients who are either
17 medically unable or unwilling to participate in this
18 should not have access to other therapies? No, and I
19 think that some compromise of the sorts that you've
20 heard about, you know, some of those that Dr.
21 Slingluff has presented, for instance, might well be
22 reasonable intermediate grounds.

1 I think that the treatment of patients is,
2 in fact, as we heard from Dr. Tiwari 60 percent of
3 patients across the country are actually getting
4 treated. I'm actually surprised, but I think that's a
5 reasonable penetration. It's certainly not a dismal
6 and very sparse use of high dose IL2 that Dr.
7 Rosenberg talked about, which you know, clearly is
8 another paradigm here.

9 I think that trial design in Phase 3
10 randomized controlled trials will establish if
11 anything else is better or anything else is active.
12 Right now, this is all we've got.

13 CHAIRPERSON NERENSTONE: Dr. Vanderpool.

14 DR. VANDERPOOL: Dr. Kirkwood, I'm seeking
15 to make some sense about your rhetoric over against
16 some of your statistics. I'm just puzzled a bit by
17 it.

18 In the printout of your slides, on C13 you
19 say that both relapse free survival time and overall
20 survival were significantly prolonged with high dose
21 interferon, but then at C33, I note that over 12.6
22 year period 95 persons died without treatment and 93

1 died with interferon.

2 And then you can look through the rest of
3 those statistics and see similar actually very small
4 differences. E1690 had 103 die without treatment, 108
5 die on interferon, and then the totals are similar.

6 So I see a small, very small numbers here,
7 and I don't know how to match that up with your
8 significantly prolonged rhetoric.

9 DR. KIRKWOOD: Well, I think the
10 significantly prolonged was in the analysis of the
11 randomized head to head, Phase 3 trials as we
12 calculated events per unit time and analyzed these by
13 log rank analysis as they're designed to be analyzed.

14 Those event rates were different both in 1684 and in
15 1694, and the numbers at the bottom of the survival
16 curve and the numbers at the bottom of the relapse
17 curve for 1694 are perhaps the most graphic for this.

18 The overall analysis for trials when 13
19 years' median have elapsed since patients were 50 when
20 they entered these trials is tallied, I think, best,
21 is likely to come together. Certainly if we get out
22 to 20 years with median follow-up, I suspect the

1 curves will all be together because, you know, as some
2 of my colleagues from England have said, life is a
3 banana. I mean, it all comes together if we follow
4 things far out enough.

5 In this particular initial analysis, we
6 were seven years in median follow-up. That was when
7 the P of .023 was found for the significance of the
8 survival impact analyzed as the study was designed to
9 be analyzed, and I think that the fact that they come
10 together somewhat at 12.3 years of follow-up and will
11 doubtless come together as we follow things out
12 farther later doesn't surprise me. I mean, those are
13 all events. The survivals, again, for ECOG and for
14 all cooperative group studies tally all events, deaths
15 due to cardiovascular disease, strokes. I mean, many,
16 many other things are competing for melanoma at the
17 time point that we're seeing these curves come
18 together.

19 DR. VANDERPOOL: And to also repeat one of
20 the comments by Dr. Sledge just now, I suppose what
21 this committee will be asked to decide is at what cost
22 do those increased survival times afford.

1 You can procure increased survival and
2 disease free status, but at what cost must that be
3 procured?

4 CHAIRPERSON NERENSTONE: Just a point of
5 information. I wanted to remind everyone that we are
6 actually not going to be voting. This is a non-voting
7 discussion, and this is completely advisory to CBER.
8 So just a point of information.

9 Dr. Nelson

10 DR. NELSON: I'd partly like to follow up
11 on the comment about the high dose interferon as a
12 control group and ask really two questions. The first
13 is the reason why then 1697 was designed in a way
14 that, in fact, there was no group that included the
15 high dose interferon as tested within the earlier
16 trials, that that's a one month course raising similar
17 issues, but not to get into a different political
18 quagmire of the low dose AZT within the international
19 community.

20 Also, the follow-up question from a design
21 is why one would be content with a non-inferiority
22 design when, in fact, if you look at the overall

1 statistics, even if you accept the nine month
2 extension and benefit, I certainly would be interested
3 in finding something better than that.

4 So both of those questions I'd be
5 interested in from a study design perspective.

6 DR. KIRKWOOD: For 1697, the design
7 targeted T3 node negative patients in the main. This
8 was targeted upon intermediate risk patients for which
9 no therapy has ever been shown to have survival
10 benefit.

11 So that was the specific reason we
12 included patients below the risk category for which we
13 had shown benefit in 1684 before that.

14 The design for 1697 is not equivalence.
15 It is superiority. It is seeking a superiority of 7.5
16 percent in cure rate, and so we agree with you. It
17 should not be equivalence with observation. We're
18 looking for superiority to observation.

19 DR. NELSON: I guess I was struck though
20 by at least the ending of Dr. Ibrahim's presentation
21 where he advocated that perhaps noninferiority studies
22 would be what ECOG would select going forward, whether

1 that should be the standard.

2 DR. KIRKWOOD: Yeah, I think that really
3 meant for the groups for which we think there is
4 benefit, and 1601, for instance targets node positive
5 patients and T4D primaries that are exactly the group
6 that we see the benefit in 1694.

7 CHAIRPERSON NERENSTONE: Dr. Albain.

8 DR. ALBAIN: Yeah, Dr. Kirkwood, I'd like
9 to come back to your life is a banana comment, if I
10 may. I've been troubled a little bit by some of the
11 discussions comparing these results with Tamoxifen
12 adjuvant data, and if you, in fact, look at the
13 worldwide overview for Tamoxifen, five years receptor
14 positive, no treatment controls. It's not a banana.
15 It does not come together. In fact, it's robustly
16 separated with years of follow up, and these are
17 elderly women, competing causes of death, also treated
18 on relapse with numerous other active agents.

19 So I don't think it's quite the same
20 scenario. I'm not disagreeing that you're not seeing
21 a survival effect here, but I don't think it's
22 similar.

1 CHAIRPERSON NERENSTONE: Dr. Fleming.

2 DR. FLEMING: Just following that up, the
3 FDA also drew our attention to the 5 FU/Levamisole,
4 and life certainly isn't a banana there. The JCO
5 report update, I think, in '96 or '97 that presented
6 the seven year follow-up showed a very large,
7 substantial, I think, 57 versus 43 percent difference
8 in survival.

9 Speaking of, however, the banana
10 configuration, one of the things I'm really trying to
11 get better sense about is the nature of the effect on
12 survival. The argument that's been made, I believe,
13 if I'm understanding it, is we're dealing with a
14 setting here where there's a cure rate model or what
15 we might be dealing with is effects on survival that
16 could be mediated through, in part, an achievement of
17 cure which would mean that if we take -- and I found
18 very helpful and informative the FDA meta analysis
19 that said individual studies in this setting really
20 are inadequately powered to address survival. Let's
21 look at the aggregation of data.

22 That aggregation says there's about a ten

1 percent reduction in risk. Well, is this reduction of
2 risk essentially representative of transient benefit
3 or is this really representative of being able to
4 achieve a cure and sustained long-term benefit in a
5 sub-cohort of the population?

6 If we go to -- and I don't think Dr.
7 Tiwari numbered his slides -- but if you go to his
8 slide on the odds ratio for survival, one finds that
9 all of them go in the right direction, although
10 several of them are relative risk estimates just
11 barely below one, and then the more impressive ones
12 are the original 1684 trial from ECOG and the 1694
13 trial, along with the Cameron studies and the French
14 study.

15 And what's interesting is when we look at
16 your updated data in 1684, it does show more a banana
17 type configuration, although granted it doesn't come
18 back together until about ten to 12 years, but if you
19 look at the data that Dr. Tiwari presented on survival
20 for the Cameron study, those curves definitely come
21 back together at about five to six years.

22 And if you look at the data from the

1 French study, those curves also come back together,
2 and then the remaining positive study is the one that
3 I'm already struggling a bit about that we talked
4 about earlier, which was the 1694 trial against the
5 vaccine, and it's unclear to me to the extent to which
6 that difference could at least have partially been due
7 to an adverse vaccine effect.

8 So the bottom line is if we take a look at
9 these odds ratios for survival in Dr. Tiwari's
10 summary, the four studies that tended to show the
11 signal here, studies that seem to show a loss of the
12 benefit, and so is there, in fact, a basis for us
13 saying here we're dealing with something more than a
14 ten percent risk reduction that's a transient effect?

15 Is there, in fact, evidence that we can use to say
16 there is, in fact, an increase in cure that should
17 translate into a true long-term sustained benefit?

18 CHAIRPERSON NERENSTONE: Other questions?

19 Dr. Brawley.

20 DR. BRAWLEY: The two last questions
21 brought this up. When I treat breast cancer
22 adjuvantly with Tamoxifen or even with other

1 chemotherapy, if I treat 100 women, I actually have
2 an estimate of how many women I'm actually benefitting
3 in that 100. It's usually going to be a small number
4 because there's a large number who will not relapse no
5 matter what you do. So they're getting adjuvant
6 therapy unnecessarily, and there's a group that will
7 relapse even though they get the adjuvant therapy.

8 So I treat 100 women with the premise that
9 I'm going to help some number, usually 15 or 20. Is
10 it possible that figure out approximately how many
11 people benefit from adjuvant therapy with interferon?

12 And then, of course, the last question
13 actually brought up the issue of what benefit is it.
14 Is it that the disease does not come back or is it
15 that life is actually prolonged?

16 But is it possible to quantify the number
17 of people who get this adjuvant therapy now who don't
18 really benefit from getting it and the number who do?

19 I don't know. Dr. Kirkwood, Dr, Tiwari,
20 can you help me with that?

21 DR. TIWARI: Well, the reduction in the
22 overall survival from all of the data is at ten

1 percent. So you would think that ten percent of the
2 patients will benefit with respect to the survival.

3 DR. SIEGEL: I think that's a relative
4 difference. I think the difference in the number of
5 patients who never get their tumor again or at least
6 over ten, 15 is 20 percent relative, but it's ten
7 percent absolute. So there are differences of the
8 meta analysis that suggest that ten percent more
9 patients have long term survival without evidence of
10 melanoma.

11 But closer to four or five percent would
12 be the point estimate on survival, a relative
13 difference of survival of ten percent, but an absolute
14 difference of four or five. So may be one -- again,
15 wide confidence intervals around that, and we can
16 argue as to whether that is statistically significant
17 or not, but even if it isn't, it may be much smaller
18 than that amount, but that's where the point estimate
19 came out.

20 DR. BRAWLEY: So are you suggesting that
21 if we treat 100 people right now for melanoma -- let's
22 be really conservative -- 80 don't benefit from it?

1 DR. SIEGEL: Yeah, I think that's about
2 right, but it's worth noting like one of our best
3 treatments out there, one that people think is one of
4 the greatest drugs out there, for example, is
5 thrombolytic therapy in which we believe that if you
6 treat 100 people with heart attacks with like TPA, you
7 know, 98 of them won't benefit, but you reduce
8 mortality from seven percent to five percent.

9 So it's probably true of many of our best
10 drugs that, you know, that ten percent may not be as
11 small a number as you make it out to be or 20 percent
12 or whatever it is.

13 CHAIRPERSON NERENSTONE: Dr. Kelson.

14 DR. KELSON: Following up on Dr. Fleming's
15 question, I was also looking at the graphs on page 15
16 of the presentation. I was equally struck or maybe
17 more struck by the graph on the bottom of the page.
18 Again, they're not numbered, but it's the meta
19 analysis, and I guess this is an open question to Dr.
20 Kirkwood or others.

21 The meta analysis looked at two different
22 meta analyses, but if we looked at survival, in both

1 cases the 95 percent confidence lines either touched
2 or crossed unity, and that means to me -- and this is
3 a question -- there's not a super amount of confidence
4 I have that you're really seeing a true effect.

5 The effect is modest, as absolutely looked
6 at. The confidence limits are not that broad around
7 those observations because it is a meta analysis,
8 which I found very helpful.

9 But it touches one or crosses one, and
10 that to me suggests a null effect or at least a
11 possibility of a null effect, and I wonder how that
12 strikes Dr. Kirkwood and the others.

13 DR. TIWARI: That's true. In our analysis
14 we have a P value of .065, and the analysis by
15 Wheatley, et al., gives a P value of .05, exactly .05.
16 So it is borderline statistical significance.

17 DR. KELSON: Just following up as a GI
18 oncologist, the colo-rectal comment and the breast
19 comment, I mean, you don't see that when you look at
20 meta analyses. You know, we're not touching one.

21 CHAIRPERSON NERENSTONE: Dr. Nelson.

22 DR. NELSON: This discussion reminds me of

1 a point I was just thinking about during Dr. Ibrahim's
2 presentation, which is how you would present this kind
3 of data to make sense to someone trying to make this
4 sort of decision, and since as a non-oncologist, I
5 only approach this area as a potential consumer, let
6 me take a stab at that.

7 I found presenting, for example, a nine
8 month extension of event free survival more useful
9 than giving me percentages, but in listening it
10 occurred to me that what you really want to know is
11 what are my chances of being in the group that's going
12 to be cured versus my chances of being in the group
13 that's not going to be cured and will, in fact, have
14 then nine additional months of life if I'm in that
15 group.

16 So as you think about the complexity of
17 weighing those decisions against the potential
18 toxicity of treatment, you're really balancing a
19 number of different variables, which I don't think are
20 fully captured by just giving me a percentage of ten
21 percent, for example.

22 And having said that, I guess I'd be

1 interested to hear if that's at least in the right
2 direction. If we're going to put weight on the
3 informed consent, as some have argued, how would we go
4 about transmitting this information in a way that
5 makes sense to non-statisticians and non-oncologists?

6 CHAIRPERSON NERENSTONE: Dr. Brawley.

7 DR. BRAWLEY: Well, what I think, and
8 please correct me if I'm getting this wrong because,
9 you know, I design clinical trials, but I try always
10 to go for the statistics to real numbers whenever
11 possible.

12 I think what we just talked about is if
13 you treat 100 people, 80-plus of that 100 people
14 derive only the side effects and no real benefits from
15 the current therapy. Some where ten-plus may derive
16 some benefit. It is -- did I -- am I being correct?
17 Okay.

18 CHAIRPERSON NERENSTONE: Dr. Carpenter.

19 DR. CARPENTER: One distinction to be made
20 is whether this is an absolute benefit or a relative
21 benefit. Now, understand that the ten percent which
22 is discussable since the confidence limits overlap

1 with one is a relative benefit.

2 DR. SIEGEL: The percent that turns out
3 that approximately the relative benefit and overall
4 survival in the absolute benefit on relapse free
5 survival. So they're 20 and ten or ten and five
6 roughly, depending on --

7 DR. CARPENTER: So it would be five
8 percent would be the number you're speaking of?

9 DR. BRAWLEY: Oh, I'm doubling just to
10 give the drug benefit of the doubt.

11 (Laughter.)

12 DR. CARPENTER: That would suggest that 95
13 percent of the people.

14 DR. FLEMING: Just to be explicit, if you
15 had and we often hear the figure about 65 percent five
16 year survival for this cohort, if in that cohort that
17 had 65 percent five year survival, if you provide ten
18 to 11 percent reduction in the hazard ratio, the
19 relative risk, that translates to improving that
20 survival from 65 percent to 68 percent.

21 Does that help>

22 DR. BRAWLEY: I was still doubling the

1 number to try to give the drug as much benefit of the
2 doubt as possible, but, yeah, it unfortunately is very
3 helpful.

4 CHAIRPERSON NERENSTONE: Dr. Carpenter --

5 DR. SIEGEL: Well, we're talking 40
6 percent survival. So most of these cases -- so the
7 ten percent relative changes is somewhat larger.

8 CHAIRPERSON NERENSTONE: Dr. Carpenter,
9 did you have another comment?

10 DR. CARPENTER: I just think that some
11 idea of the absolute benefits are helpful, and the
12 position that most of us end up in, which is talking
13 to a person, and particularly with the new staging
14 system, one's ability to estimate prognosis is fairly
15 precise, if I understand it, given these new numbers.

16 And you're going to know. It's helpful in
17 trial design because you're going to have a pretty
18 good idea of the prognosis of the people that you
19 enter. So you know how to affect it, but to me the
20 number that means the most is the absolute benefit in
21 what Dr. Brawley is calling real numbers, I think, but
22 it's probably on the three to five percent range to

1 balance against a year of toxicity.

2 And it's apparent from the discussion that
3 how the toxicity is viewed is very different to
4 different observers.

5 CHAIRPERSON NERENSTONE: Dr. Nelson.

6 DR. NELSON: I continue to follow on this
7 notion of how it would be understandable in terms of
8 an informed consent process. What I'm hearing is if I
9 fell into this particular risk category without
10 interferon I would have a roughly 65 percent chance
11 and with it I would have a 68 percent chance of being
12 in the cure group.

13 So you're basically telling me that I want
14 to trade off a three percent chance? I mean --

15 DR. SIEGEL: These numbers aren't that
16 hard. I don't want to address the questions, but let
17 me just provide some clear numbers. If we look at
18 relapse survival, this 1684 is pretty representative
19 of what we find from the overall study, from an
20 overall analysis.

21 What you can say as you go out to the five
22 to ten year range to put into real numbers is that

1 maybe it was the three or five year follow-up, but the
2 differences hold up pretty closely, and that is that
3 you had 41 percent of patients alive without any
4 recurrence or any evidence of disease if they got
5 interferon and 32 percent alive without any evidence
6 of disease or any recurrence if they didn't get
7 interferon.

8 Now, that is in absolute terms a nine
9 percent difference, and we said in our overall meta
10 analysis maybe that's a ten percent difference, and in
11 relative terms, that's about a 20 percent difference
12 because nine is 20 percent of 41 or 30 percent of 32,
13 whatever.

14 So that's the size difference we're
15 talking about in relapse free survival. If you look
16 at survival curves, what you find is that in the
17 observational group there's a few more people who are
18 alive who have had tumors. Because when you look at
19 overall survival, you're not just looking at alive
20 without tumor or what in design features was termed
21 cure, but you're adding also those who are alive who
22 had tumor, and it turns out there's more people alive

1 with tumor in the observational group. So that makes
2 those differences smaller, approximately half of the
3 size.

4 DR. CARPENTER: That's certainly true. I
5 think one of the -- my sense is that one of the things
6 that's pulling at us is this difference between
7 relapse free survival and overall survival. Most
8 other therapies for approved adjuvant therapies for
9 malignant diseases, such as, let's say, breast cancer
10 and colo-rectal cancer because those are perhaps the
11 least controversial, are based on substantial
12 differences in overall survival, not just on relapse
13 free survival.

14 And I think what we're hearing here is
15 that there is a probably substantial and fairly agreed
16 upon difference in relapse free survival that's
17 supported by virtually every analysis that we've
18 heard, and a smaller discussable, much less confident
19 benefit in overall survival.

20 So in terms of how one would phrase that
21 to a patient, I'm sure that it's observer dependent,
22 but usually when I discuss adjuvant therapies, I talk

1 about the chances of being alive at a certain point
2 because we can discuss relative merits and benefits of
3 toxicity and extension of relapse free survival, but
4 death is pretty quantitative event.

5 This treatment is going to make you live
6 longer by a substantial amount of time. Then it gets
7 to be very focused discussion about how much toxicity
8 it's worth for you to take.

9 If, on the other hand, there's a lot less
10 confidence in the true extension of survival, then the
11 toxicity to me at least would play a much larger role.

12 So I think it's helpful to get at the
13 absolute numbers wherever we can, and to make sure
14 we're talking about the same thing when we talk about
15 benefit.

16 I think most physicians, I think, would be
17 talking about overall survival benefits.

18 CHAIRPERSON NERENSTONE: Dr. George.

19 DR. GEORGE: A couple of points about some
20 of the issues that have come up. With respect to the
21 curve models, I think it's worth pointing out that
22 just to be explicit, these models are not correct in

1 the long run with respect to cures, when you're
2 talking about an endpoint being survival and disease
3 free survival.

4 As far as we know, nobody lives forever.
5 So in the long run these curves will come together.
6 This has been pointed out.

7 So the models aren't correct and that's
8 it. They're correct in a shorter term. They're
9 correct or useful, as George Bach said, that no model
10 is correct, but some are useful. This can be useful
11 in the short run, five to ten years it looks like, but
12 it is an issue about what happens later.

13 And I was a little surprised that we
14 didn't know about those long term people, the ones
15 that -- the long term deaths, if they're due to
16 melanoma or to just some of the competing risks of
17 aging.

18 The second point is Dr. Brawley's point.
19 This is a well known concept of the number needed to
20 treat, and what you do is you look at the inverse of
21 the absolute benefit, in this case ten percent, say,
22 in terms of the disease free survival, and that gives

1 you a point estimate of the number you would need to
2 treat.

3 So it would be a ten for ten patients to
4 treat for one patient benefitting. However, you'd
5 have to worry about what's the confidence interval in
6 that, and that, the way I would do it is look at the
7 meta analysis to give you a best feel for how precise
8 that estimate is, and doing it that way, you get your
9 numbers like somewhere between, you know, maybe five
10 and 100.

11 I don't know. I didn't do the math, but
12 it's a straightforward thing you can do. But the hard
13 part is getting what that absolute benefit is. And I
14 think that is relevant though to the discussion of
15 what patients or individuals would like to know about
16 how it might benefit them, and we said absolute
17 benefit.

18 We talked a lot, and I like it, relative
19 risk and things, but it's the absolute benefit that
20 really, I think, people can understand.

21 CHAIRPERSON NERENSTONE: Dr. Vanderpool
22 and then Dr. Nelson, and then we're going to turn to

1 the questions.

2 DR. VANDERPOOL: When you say turn to the
3 question, is that when we're going to deal with the
4 issue of what our final commission is?

5 My only comment, I was just going to ask
6 you that question. When are we going to get away from
7 interpreting the data, which obviously our
8 presentations primarily direct us to do the kind of
9 clarification that we've been doing, but when are we
10 going to get to the issues of the commission, the last
11 two sentences on our FDA report?

12 And so if we're going to turn to that
13 soon, then I'll wait to comment at that point, and
14 please put me on the list.

15 CHAIRPERSON NERENSTONE: Dr. Nelson.

16 DR. NELSON: Since my comment was looking
17 forward to the discussion of what significance means,
18 you can certainly read the question before I comment.

19 CHAIRPERSON NERENSTONE: Dr. Blayney.

20 DR. BRAWLEY: I'd just like to come back
21 to this issue of cure, and perhaps what we're
22 struggling with in an analogy to breast cancer and

1 colon cancer, nodal relapse in both o those diseases
2 is unusual. Whereas it's much more common, as has
3 been pointed out in melanoma.

4 In the 1684 study, most of the survival
5 benefit was seen in patients who were treated at the
6 time of nodal relapse, and it may be that there's a
7 small beneficial effect of melanoma -- I'm sorry -- of
8 alpha interferon that is useful when applied at nodal
9 relapse or nodal involvement, whether that be at time
10 of presentation or at time of nodal relapse.

11 So there may be a small treatment effect
12 when applied in those situations, which may explain
13 the survival coming together in the later study,
14 because those patients when they did relapse, many of
15 them, I think relapsed nodally and then may have
16 survived longer. So that may explain some of the
17 discrepancies we're seeing.

18 CHAIRPERSON NERENSTONE: To turn to the
19 questions then -- Mr. McDonough.

20 MR. McDONOUGH: I'd like to just ask and
21 make one or two comments here. I've been sitting, and
22 I'm getting overwhelmed with some of the statistics.

1 One, I am a Stage III melanoma patient. I
2 did take interferon. I was in 1690.

3 Two, between my going into 1690, from 1989
4 to 1992, I was in the infamous observation group.
5 That's a hell of a place to put somebody. Observation
6 means we're going to watch you and see if you get
7 sick. That's what it means to me, and I'm sure it
8 means the same to every patient.

9 Number three, these discussions that take
10 place between doctor and patient, how long do you
11 think they're listening to what you're saying?

12 I'm a public school teacher, and if we
13 could get them three, three and a half, four minutes
14 of attention span, we were doing a job. Now, you
15 introduce fear. You introduce stress. You introduce
16 feeling lousy. You introduce lack of education on
17 many of the people's parts that you're talking to, and
18 do you really think they're going to grasp in the five
19 minutes you have between this room and the guy that's
20 sitting in the next room?

21 And I don't castigate you at all. I'm not
22 saying that. What I'm saying to you is really how

1 much time do you really have to deal with this? It's
2 a hard task.

3 As far as toxic, I took the high dose.
4 Now, I just went '89 to '92 in observation. Now I'm
5 presented with I may be in the observation again or I
6 might get low dose and I might get high dose. I
7 prayed for high dose. I was lucky. I got it.

8 How hard was it to tolerate? First week,
9 very rugged because I didn't realize what was going
10 on, because I took it later in the day, and I started
11 to believe what they were telling me. Take your
12 Tylenol, this and that. I started to adjust to it.

13 Six months into the treatment, I was back
14 playing senior softball. I was traveling around the
15 country with a little cooler with the drugs in it.
16 Nobody wanted to room with me. They thought I was a
17 junkie.

18 Speaking of rooming with you, this room is
19 cold. I'm waiting for Rocky Balboa to come in.

20 But getting back to the whole thing, the
21 handling of the fever was fairly easy to do. The
22 handling of the malaise, I mean, I got my energy

1 levels back. I'm certain some people are having a
2 terrible time with this drug. I'm certain of it. But
3 by the same token, I talked to a lot of people around
4 the country as a patient, consultant, counselor,
5 whatever you want to call me, through several
6 different cancer groups, and most of them come back
7 with this particular point that bothers me, and it
8 bothers me a lot.

9 When you talk to your patient about your
10 particular clinical trial as opposed to interferon, do
11 you talk to them about the other 101 that are
12 available, too, or do you just compare yours to
13 interferon?

14 There's 103 clinical trials out there.
15 What guy in this audience is conversant with all 103?

16 If you are, you are the man. And that's what these
17 people are faced with. That's what they come up with
18 on the phone. Should I take interferon or should I
19 take this trial at University X or University Y or
20 University Z?

21 And me as just a survivor, an interested
22 person trying to help, how do I advise these people?

1 I can't advise them. I do refer them to you people
2 many times, but it is a very confusing situation for a
3 patient, very confusing.

4 And I strongly urge you to take into
5 account when any clinical trial is designed this
6 observation group. That's a terrible place to be.

7 Thank you.

8 DR. BRAWLEY: Can I ask Mr. McDonough a
9 question? Is that allowed?

10 CHAIRPERSON NERENSTONE: Go ahead.

11 DR. BRAWLEY: Mr. McDonough, realize that
12 we didn't know this information when you went into the
13 trial, and one of the reasons that we now know this
14 information is because you and heros like you went
15 into the trial.

16 But would you take interferon today if
17 diagnosed today if it was explained to you that for
18 every 20 people we give interferon to 19 do not
19 benefit? They get all of the side effects, but none
20 of the benefit and one out of every 20 would benefit?

21 MR. McDONOUGH: Short answer, yes.

22 Long answer, from what I can gather here,

1 I'm hearing ten percent, 15 percent, five percent. I
2 frequent Atlantic City not a lot, but now and then.

3 (Laughter.)

4 MR. McDONOUGH: If I walk in and they tell
5 me I've got a 40 percent chance on that table and I've
6 got a 50 percent over there, I'm over there.

7 If one of you gentlemen stand up here and
8 say, "I've got the cure and it's right over there,"
9 I'll be the first guy in line with my arm out.

10 I know it's an insidious disease. I know
11 it has a terrible toll. Why do you think I'm on this
12 Committee? Financial gain? I'm 70 years old. I'm on
13 this Committee because if the real bullet comes down
14 the line, and it may be this bullet down at the end of
15 the table here. It may very well be. I don't know
16 that. I don't know the Xes and Os like you folks.

17 I'm a coach. You want to talk about
18 baseball, I can talk to you about that, but honestly,
19 yes, sir, I would take it again because I did the
20 observation. I did the not treatment deal, and I
21 would never not treat something again.

22 CHAIRPERSON NERENSTONE: Dr. Siegel.

1 DR. SIEGEL: Thank you.

2 I just want to make a comment or two to
3 put in context the questions we're asking and what
4 we're asking and why, particularly in light of some of
5 the public comments from earlier this morning.

6 And I appreciated hearing the perspectives
7 of all the public commenters, and I think they're all
8 important and I'm sure sincere and valid and useful
9 perspectives.

10 But I want to provide some background to
11 the notion presented by a number of people that it was
12 -- well, different words were used -- undemocratic,
13 perhaps paternalistic, restricting people's choice,
14 undermining the informed consent process to state that
15 a trial couldn't be done provided that there was
16 informed consent, and to say that the framework in
17 which medical research is conducted in the United
18 States and worldwide tells both at the ethics as well
19 as law and regulations, tells us otherwise.

20 There is, as I think everybody here knows,
21 a raging debate about when placebo controlled trials
22 are -- with full informed consent -- are acceptable

1 where there is a proven effective therapy. Some have
2 argued in very prestigious medical journals never.
3 Others, including many at the FDA, have argued that
4 that's the wrong place to draw the line, that there
5 are many therapies for symptomatic disease, transient
6 benefits or whatever that it's quite appropriate to as
7 long as there's adequate informed consent to do
8 placebo controlled trials.

9 But there is a broad consensus and a
10 broad, I believe, societal consensus that somewhere
11 there's a line, and I think that's really what this is
12 about as to where that line, where this treatment is
13 relative to that line; that at some point there are
14 therapies whose benefits, whether on irreversible
15 morbidity, serious morbidity, mortality, taken perhaps
16 and probably appropriately in conjunction with their
17 harms and adverse effects, are such that it's
18 inappropriate to do a placebo controlled trial, even
19 with full informed consent of the patient.

20 Now, you can look into what is the reason
21 or what is the logic behind that. I'm sure a part of
22 it reflects as was noted, you know, imperfections in

1 the consent process. Part of it reflects, as some of
2 our commenters noted, maybe a lack of trust of the
3 community fully in medical researchers not to take
4 advantage in certain settings.

5 I don't want to do a lot of speculation.
6 We have ethical experts here who I'm sure can answer
7 that question better than I can, but suffice to say
8 that there is rather broad agreement that there is a
9 level beyond which when there's a proven therapy it's
10 inappropriate to do a placebo controlled trial, and I
11 can tell you that in the international community and
12 nationally, as the FDA has advocated for broader
13 placebo controlled trials, we've often been severely
14 criticized saying, you know, even though they have
15 full consent, people will say, well, this is, you
16 know, capitalistic exploitation of third world
17 populations, you know, and how can you offer people
18 placebo when you know there's a proven therapy out
19 there, and how do we really know that they're being
20 informed and this trial is just unethical to do?

21 So it's important to know that at least
22 from our perspective we're not proposing something

1 that undermines informed consent or that is on the
2 face of it just inappropriate to say you can't do this
3 trial even with informed consent, but rather to
4 determine where against that spectrum, an analogy that
5 this trial and what we know about this drug falls are
6 its known benefits, and that's what the discussion has
7 been about, and I think that's very useful. Are the
8 known benefits together with the risks such that it is
9 appropriate to ask people to consent not to get this
10 therapy, knowing the risk of consent, knowing people
11 who consent to be in trials often have undue
12 expectations about the experimental therapy?

13 Just to carry that one step further, that
14 notion of what can or cannot be consented to, although
15 it's a slightly weaker analogy, there's also a broad,
16 supported by law, public expectation and regulation,
17 consensus that the FDA can and should stop clinical
18 trials that we deem to be unsafe.

19 There's not an expectation that, well, if
20 we think it's unsafe as long as you tell the patient
21 all of the safety information and the patient thinks
22 it's safe enough, they can take that trial.

1 So the informed consent is underpinning
2 our ethical basis. It's an important part of clinical
3 research, but there's a limitation to when a trial
4 with informed consent, even with informed consent, is
5 appropriate, and also there are issues that we may get
6 to address about how to insure that there is a good
7 quality of informed consent.

8 CHAIRPERSON NERENSTONE: I think we should
9 probably turn to the questions and direct our
10 discussion back to them specifically.

11 Just the paper that the committee was
12 given, in the review of the clinical development
13 program for an investigational agent, FDA considers
14 the risk to human subjects. FDA may place on clinical
15 hold a proposed or ongoing investigation if it finds
16 that "human subjects are or would be exposed to an
17 unreasonable and significant risk of illness or
18 injury." Withholding an effective treatment may
19 constitute an "unreasonable and significant risk" for
20 trial participants, depending on the known benefits of
21 the treatment and the consequences of withholding it.

22 Given that INTRON-A is an effective

1 adjuvant treatment for high risk melanoma, please
2 discuss the following.

3 Well, I think the first question is --
4 that's the whole crux of the debate: is it an
5 effective adjuvant treatment?

6 But the first question is: data regarding
7 the efficacy of interferon ad adjuvant therapy for
8 melanoma has been summarized in detail. The toxicity
9 is well described. Of note, Schering estimates that
10 approximately 60 percent of patients with Stage III
11 disease and 21 percent of those with Stage IIB disease
12 in the U.S. currently receive adjuvant therapy with
13 INTRON-A.

14 Based on this information are patients who
15 forego INTRON-A therapy to enter a placebo controlled
16 clinical trial exposed to unreasonable and significant
17 risk?

18 And then would the answer differ for
19 patients with Stage IIB disease versus those with
20 Stage III disease?

21 I'm going to take the chair's prerogative
22 and comment a little bit about what I think part of

1 the problem is. With the weight of the evidence,
2 interferon is clearly not a home run, but I think it's
3 a beginning place. So I would be uncomfortable with a
4 placebo controlled trial in a large Phase 3 study,
5 looking to advance the adjuvant treatment of melanoma,
6 of high risk melanoma, however you want to define that
7 patient population, especially if you're going to keep
8 it in the FDA wordage that interferon is already
9 approved for.

10 The problem I see is really for those
11 people who do not want to go on an interferon or
12 interferon controlled trial. I think you could get
13 around that by your informed consent, and I certainly
14 appreciate Dr. Brawley's concern that you are treating
15 nine patients or ten patients and one patient will
16 have benefit maybe.

17 But I think it's very important for us to
18 point out in the informed consent for somebody who's
19 going on a Phase 2 trial or a Phase 1 trial that you
20 may have to treat 100 patients with no benefit because
21 these are very, very experimental treatments, and I
22 think investigator bias and I think physician bias

1 play a very important role here.

2 And I think when you extrapolate results
3 that work in a mouse and say this is our most
4 promising vaccine and it has no toxicity, that's an
5 investigator bias that the patient wants to hear, and
6 we have this drug that really is very toxic and only,
7 you know, one person out of ten is going to benefit,
8 but we have no data that any patient is going to
9 benefit from this new treatment, and I think that has
10 got to be put into the informed consent.

11 And patients have a right to know that no
12 matter whether in University A or University B or
13 University C.

14 Other comments? Yeah, Dr. Vanderpool.

15 DR. VANDERPOOL: This is a complex issue
16 in light of the FDA's responsibilities to see that
17 approved drugs are efficacious and also to protect the
18 public from unproven treatments. So in a sense, I can
19 empathize quite deeply into why the FDA has made this
20 determination that patients should not be allowed to
21 enter Phase 2, not to speak of Phase 1, trials without
22 first going through the standard approved therapy.

1 On the other hand, for the FDA or any
2 other agency to keep patients from entering clinical
3 trials that have to have rationality, that have to
4 have the three requirements of the Belmont report,
5 harm benefit analysis, informed consent, and justice,
6 but to keep patients from entering any of those trials
7 seems to me to go against the grain of self-
8 determination both in ethics and the U.S. law.

9 Persons have a right to refuse treatment.

10 They have a right to decide such matters on the basis
11 of their own values.

12 The predominant value I've heard all
13 morning is that of extending life. We've talked some
14 about the other value of pain and suffering. Some
15 patients would choose no pain and suffering over a
16 lower continuity of life.

17 And then there's the question of just
18 hassle, having to go back and forth and spend one's
19 year in oncology clinics.

20 It seems to me the right of determination,
21 self-determination, is a very strong reason why this
22 decision should be taken away. I mean the decision

1 would be reversed.

2 Also, the right to privacy. The right to
3 privacy has to do with the constitutional protections
4 of physician-patient conversations and decision making
5 with the interference of others.

6 So it seems to me that both on ethical and
7 constitutional grounds that there are some strong
8 reasons why this decision to keep patients from being
9 able to enter clinical trials even if they haven't
10 taken interferon as the approved therapy -- their
11 right to enter those trials should be preserved.

12 Now, the question of informed consent, of
13 course, comes into all of those decisions, both
14 informed consent within the physician's office,
15 informed consent within any clinical trial setting.
16 So informed consent, both the process and the
17 document, would have to be full and thorough, but I'm
18 talking about the more basic principles of what values
19 patients have for their decisions.

20 Obviously, Coach McDonough would go with
21 extension of life over pain and suffering. I suppose
22 in his sports career he probably endured a good bit of

1 pain and suffering even, you know, just for the
2 purposes of winning the game. I did also.

3 But those of us who value extension of
4 life over pain and suffering should recognize that
5 other people have different values and they ought to
6 be able to operate off the base of self-determination
7 with those values in mind.

8 CHAIRPERSON NERENSTONE: Dr. Kelson.

9 DR. KELSON: First of all, we're
10 discussing melanoma. This obviously has implications
11 for many other diseases. It's a very good paradigm
12 though.

13 As I read Question 1, I think that you're
14 -- correct me if I'm wrong -- you're really focusing
15 on a Phase 3 trial when you talk about a placebo
16 controlled arm or at least that's the point I would
17 like to address in this, and it seems that much of the
18 discussion here is what would be the comparator arm in
19 a future adjuvant trial, and are patients compelled to
20 accept a randomization to receive interferon high dose
21 or an experimental arm, or is it, as we heard a lot
22 this morning -- could one imagine a study in which

1 there would be an observation or placebo controlled
2 observation?

3 DR. SIEGEL: Just for clarification,
4 regardless of which question one addresses, we're
5 being faced with a spectrum of questions, and we're
6 interested in input on all of them, both for a drug
7 which already has been shown to be promising, is it
8 appropriate to compare it to placebo, but also for
9 folks with less data where you know very little . Is
10 it appropriate just to withhold interferon and do an
11 open label?

12 DR. KELSON: Right, and I think Stacy
13 alluded to this. The issue that struck me at least in
14 this particular disease is is it so compellingly
15 efficacious that a patient would be put to an
16 unreasonable risk by not receiving that therapy and,
17 therefore, we should say, "Listen. I don't care how
18 you feel about this. It's just not in your best
19 interest to not receive this drug"?

20 I'm struck again by the meta analysis in
21 which, since this is curative therapy if it's
22 adjuvant, the upper limits of the 95 percent

1 confidence tightly draw across one. I'm not compelled
2 that there's overwhelming evidence that this is a
3 clearly efficacious therapy.

4 And that strikes me a great deal when you
5 talk about unreasonable and significant risks of
6 withholding treatment. That would make me much more
7 sympathetic to the problem of forcing a patient to
8 receive interferon.

9 And lastly, I am struck by the fact that
10 although it is an approved agent, if we turn the
11 number slightly, 40 percent of Stage III patients are
12 not being treated, and 80 percent of Stage II patients
13 are not being treated with the approved therapy, and
14 therefore, clearly not everyone has been convinced
15 that this is putting these patients to an unreasonable
16 risk.

17 CHAIRPERSON NERENSTONE: Just a point of
18 information about that, Dr. Kelson. I think that
19 those of us who are in the world of treating patients,
20 if you look at the ECOG studies, there were very
21 clearly and carefully screened patients who were
22 performance status zero and one, and we have lots and

1 lots of patients who are not performance status zero
2 and one and who, therefore, many of us feel wouldn't
3 even be candidates for interferon.

4 So I think interpreting those numbers are
5 fraught with difficulty as to what they really mean
6 about the acceptance or not acceptance of the
7 treatment.

8 DR. KELSON: Right. Also being in the
9 world of treating patients, as a card carrying member,
10 since this is adjuvant therapy, I suspect, and these
11 are melanoma patients who have had the primary
12 resected, I mean, we also face older patients who have
13 had resections for colo-rectal cancer, but I would
14 suspect that a pretty substantial hunk of that 80
15 percent who are turning it down are relatively fit
16 people who ordinarily would be treated. They don't
17 have advanced disease.

18 DR. SIEGEL: It's worth noting that there
19 are probably a lot of weaknesses to those data.
20 Another one, for example, is that we also believe that
21 there are, but we don't know numbers, but we
22 understand that there are some patients being treated

1 off label with other interferons that are similar in
2 activity but not approved for this indication.

3 That said, we felt, and I think your
4 responses reflect that, that this information was
5 relevant to how people are weighing the data and this
6 indication and what choices people are making.

7 CHAIRPERSON NERENSTONE: Dr. Nelson.

8 DR. NELSON: I'd like to comment on the
9 unreasonable and significant issue and try to place it
10 actually in the context of the international debate
11 that you referred to. What strikes me about that
12 language, which is the first time I've really looked
13 at it closely, though I've probably read it often, is
14 it needs to be both unreasonable and significant, and
15 I think we would all agree that the risks we're
16 discussing are significant. So the question really
17 comes down to whether it's unreasonable to decide not
18 to have high dose interferon therapy and, by extension
19 to have a control group that does not include that as
20 part of that treatment.

21 I mean the Declaration of Helsinki in
22 Paragraph 29 talks about proven. That's the language

1 that's used there. What strikes me as problematic
2 with often people's understanding of what proven means
3 is that they don't appreciate that safe and effective
4 means safe enough and better than what we tested it
5 against.

6 As a culture we tend to think safe and
7 unsafe and effective and ineffective, which turns into
8 a categorical variable that really is a continuous
9 variable.

10 So what strikes me in this entire
11 discussion is that equipoise -- I mean, the
12 uncertainty about the decision to do this rests at two
13 levels. One is the professional level of the
14 statistical determination, which is in debate, but
15 even if you say, yes, we agree that you have a ten
16 percent difference or we agree that you have a ten
17 month extension in expectancy of life if you're not
18 going to be cured, we can still then debate the
19 reasonableness of that tradeoff. There's still
20 uncertainty about that decision. So equipoise is
21 existing at both levels.

22 The other document that's part of the

1 debate is ICHE-10 choice of control group, and in that
2 document basically you can allow for -- and I'd like
3 to have other language suggested about observation and
4 placebo. It would be great to have another term, but
5 basically even if proven treatment exists, you can
6 have that if one of two conditions exist, and there's
7 some uneasy tension between them: so-called assay
8 sensitivity, which I notice is the third question
9 coming up. Can you really tell in the trial whether
10 it's effective? The question raised about the vaccine
11 trial earlier against high dose interferon.

12 The other is informed consent if there's
13 no serious morbidity or mortality. So the difficulty
14 there is that informed consent is tied to the lack of
15 the seriousness of the outcome of withholding, in
16 which case, you know, it may or may not apply here,
17 but in E-10, I think it's assumed that equipoise
18 exists. That's the difference. It assumes that we
19 accept that it's safe and effective, and we're willing
20 to withhold it if, in fact, the disease is not
21 serious, doesn't have serious morbidity or mortality
22 as a result of that.

1 So in this case the approach I would take,
2 which really does go towards informed consent to some
3 extent, but I wouldn't rely on it entirely, is that it
4 doesn't strike me either within the expert community,
5 in listening to the experts here, or within the
6 community of patients who would be the ones going into
7 these trials, that, I mean, there's sufficient
8 uncertainty in my mind at least listening to it to
9 where I wouldn't necessarily apply the choice of
10 control group, E-10, to that, but would really analyze
11 whether uncertainty still exists, maybe not in some
12 minds, but at least within enough of the expert
13 community to where not having high dose interferon,
14 even though it's, quote, proven safe and effective, is
15 an appropriate position to take.

16 CHAIRPERSON NERENSTONE: Dr. Pelusi, can
17 you hear us? Dr. Pelusi, can you hear us?

18 (No response.)

19 CHAIRPERSON NERENSTONE: Dr. Redman.

20 DR. REDMAN: Dr. Siegel said he was open
21 for all comments. So I'm going to avoid the Phase 3
22 and come back to it in a minute, but you know, I mean,

1 there's a great discussion among the experts in
2 melanoma of what control groups should be, and I'm not
3 surprised patients are confused.

4 I get the sense that one of the
5 restrictions being looked at is to imply or impose on
6 patients that decline receiving interferon not being
7 able to participate on a Phase 2, single arm study
8 that is trying to ask a basic either clinical or
9 laboratory question regarding the disease and
10 treatment.

11 Most consent forms do have unknown benefit
12 clauses in them. At least most are required to have
13 that for a drug. You know, until we have a national
14 informed consent policy, which probably won't happen,
15 but, I mean, until we do, everybody's consent is going
16 to be different.

17 And Dr. Slingsluff had a suggestion that
18 may overcome that, and I'll just leave it at that, but
19 I am definitely, as a physician who treats
20 predominantly melanoma, greatly against restricting
21 somebody from being able to participate in a Phase 2
22 single arm study because they've declined interferon.

1 Regarding a control arm for Phase 3, I
2 think that's between, you know, industry or the holder
3 of the IND and the FDA, hopefully will come to the FDA
4 at the end of Phase 2 and say, "We want to do this
5 trial," and at that point in time determine is
6 interferon an adequate control arm.

7 I think if they're going to product
8 license application in that regard, I think it is the
9 FDA -- and I know you're asking for our opinion. I'm
10 not sure I know the answer to that question of whether
11 that should be construed as the standard, but as far
12 as Phase 1 or Phase 2 single arm studies at single
13 institutions or even sometimes multi-institutions, I
14 think those trials should be available to patients who
15 decline interferon because I imagine a lot of
16 physicians would decline interferon.

17 So I don't think imposing that will on the
18 patients is appropriate.

19 CHAIRPERSON NERENSTONE: Dr. Przepiorka.

20 DR. PRZEPIORKA: Thank you.

21 I had the pleasure of participating in a
22 discussion over the weekend regarding the imposition

1 of benevolence over autonomy, and living unrelated
2 donors of stem cells and whether or not it would be
3 ethically appropriate to go back and ask them to
4 donate once again since the procedure has substantial
5 toxicities, a risk of mortality, and absolutely no
6 benefit whatsoever to the donor.

7 And after a minimum of just 15 minutes of
8 heated discussion, it was concluded that we as
9 physicians do care for our donors, but if they really
10 want to do this, we should not deny them the
11 opportunity as long as they pass the psycho-social
12 review and we're sure they're not crazy.

13 (Laughter.)

14 DR. PRZEPIORKA: And I think if any
15 patient would look at the data that we saw today, they
16 would say there was a substantial risk of toxicities
17 with interferon, and as far as I can tell, there is no
18 proven benefit with regard to survival that I think
19 would entice a patient to take this if there was
20 something else available.

21 And I think that's the key. So if one
22 uses the acid test of did we really improve survival

1 with this drug and to say that then this should be the
2 standard of care and the comparator arm in every
3 future trial, I would have to say no.

4 But I'm a firm believer in looking at
5 relapse free survival, as well, since we now have all
6 sorts of ways to keep our patients alive, and
7 certainly they would prefer to be alive without
8 disease rather than with disease, even if they end up
9 dying at the same time point.

10 And clearly relapse free survival is
11 significantly improved, statistically significantly
12 improved with interferon, but I'm not certain I would
13 conclude that it's clinically significantly improved
14 if there is something else available either.

15 So I would not have any hesitancy in
16 saying yes to a placebo controlled trial for this
17 group of patients with melanoma, with the caveats that
18 they are appropriately consented, and there is a
19 safety monitoring board watching this closely.

20 And I'm a Bayesian kind of person rather an
21 intention to treat kind of person. So I like to see a
22 trial that's as small as possible as well as monitored

1 closely.

2 That's not to say that I would actually do
3 this for every drug under any situation. I think this
4 is a specific situation where we really don't have
5 statistical significance and improvement in survival,
6 and the relapse free survival is there, but not a
7 whole huge amount, and that would be the only other
8 caveat I would add to this.

9 CHAIRPERSON NERENSTONE: Dr. George.

10 DR. GEORGE: I have a couple of comments.
11 One is I'd like to make something explicit that may
12 have been implicit. We're talking about melanoma, but
13 I think maybe the FDA is worried about the slippery
14 slope issue, that is, having this be, decisions that
15 are made in this case be used as precedent for this in
16 this situation.

17 There is, as we heard, a huge and growing
18 number of INDs that are a lot more in line, I think,
19 with the biologics and the biologically targeted
20 agents, but to me this doesn't bother me because there
21 is a -- FDA made a judgment call in this case with
22 respect to unreasonable and significant risk. This

1 was on this particular case.

2 Some of us might disagree with that. A
3 lot of us do, apparently, but it's an individual case,
4 and I don't think it sets the precedent.

5 The corollary to the above and something
6 I'd like to also mention that I don't think has been
7 brought up is that we all want better therapies, and
8 we want them seen. And one barrier to that is the
9 number of patients that enter on clinical trials.
10 This is notoriously low in cancer, and so anything
11 that stands in the way of that that is not absolutely
12 essential, I think, should be removed.

13 I think we need to encourage people to
14 enter trials.

15 CHAIRPERSON NERENSTONE: Dr. Siegel.

16 DR. SIEGEL: Yeah, thank you.

17 Just a quick comment about both that
18 issue, the issue of developing new drugs and
19 treatments, as well as on a couple of comments.

20 There have been some comments, and I don't
21 think anybody misunderstands this issue, but there
22 have been a number of comments to be suggesting that

1 our policy had mandated interferon therapy or denied
2 patients the right to refuse interferon therapy, and
3 I'm sure -- I hope everybody on the panel and everyone
4 in the audience appreciates that what we're talking
5 about is not -- of course patients have the right to
6 decide whether or not they want to get interferon.
7 The issue is who's enrolled in clinical trials.

8 And I assume that the comments from Dr.
9 Vanderpool on self-determination were not addressed
10 specifically to self-determination in terms of a
11 choice to take interferon, but the implications of
12 that on one's access to clinical trials.

13 But saying that, so I would just turn it
14 around and say the question we're asking is if you're
15 doing -- well, let's put it now in terms of Phase 1 or
16 2 of a clinical trial in a vaccine in which you're
17 looking to see if you get antibody responses. You
18 have an option of studying that in people who have a
19 low stage lymphoma, a low stage melanoma, grade
20 melanoma for whom interferon hasn't been proven to be
21 a benefit, and you have an option of studying that in
22 patients who are also getting interferon and looking

1 at its toxicities and its antibody response in
2 patients who are getting interferon.

3 Those are not perfect options, and some
4 people don't like that option, and so the question in
5 that setting boils down to: is it an appropriate
6 alternative?

7 So those I don't think would not get
8 developed. The question is: is it an appropriate
9 alternative option for a sponsor and investigator to
10 go to a patient and say, "I have an experimental
11 therapy. Here's a consent form," and the consent
12 form, of course, describes that I don't know whether
13 it works or not, "and you're eligible to get this
14 experimental therapy provided you refuse interferon
15 therapy, and here's the risks and benefits of
16 interferon therapy"?

17 Suffice to say that that raises important
18 questions. Those are the questions we're asking the
19 Committee to help us think through.

20 CHAIRPERSON NERENSTONE: Dr. Sledge.

21 DR. SLEDGE: I don't know if I'm a Bayesian
22 sort of guy or not, but I do think statistical issues

1 actually are fairly central to this discussion.

2 When I look at this data set and compare
3 it to other adjuvant data sets, what I'm struck by is
4 the tiny number of patients who have gone onto these
5 trials.

6 For instance, by comparison six, eight
7 weeks ago in San Antonio there was a 9,000 patient
8 adjuvant breast trial that was presented whereas we
9 have what, seven trials here that have a total of
10 3,700 patients?

11 A large part of the argument that I've
12 heard today surrounds what represents clinical benefit
13 for adjuvant therapy of melanoma. The data that I see
14 are pretty compelling for relapse free survival. I
15 don't think there's any serious question about that
16 from a statistical issue.

17 The overall survival data I think are more
18 questionable. I mean, I think we're talking about
19 fairly modest differences with confidence intervals
20 that either approach or cross unity, and I think given
21 that relatively modest survival differences, given the
22 real toxicities, I think there's a legitimate question

1 to ask whether or not high dose interferon should
2 represent standard of care in this setting.

3 And based on that, I don't think it's
4 currently appropriate to mandate it because I don't
5 think we have compelling evidence for a survival
6 advantage.

7 Now, I also say that these things change.

8 You know, if you look at overview analyses in breast
9 cancer and adjuvant trials over time, there have been
10 real shifts in our interpretation of the data both in
11 terms of relapse free and overall survival data both
12 for adjuvant chemotherapy and for adjuvant hormonal
13 therapy over time as more data has come in and as more
14 trials have come along.

15 So what may not be striking and impressive
16 and representing, you know, whatever the current
17 equipoise is today may well change two years from now,
18 five years from now as more data comes along.

19 So I'd say, you know, specifically on this
20 issue talking about today's data set, I mean, my bias
21 is that we don't yet have sufficiently compelling data
22 that we should require this, though it certainly could

1 change a few years from now.

2 CHAIRPERSON NERENSTONE: Dr. Fleming.

3 DR. FLEMING: Let me begin by
4 acknowledging that the informed consent process is
5 really critical to the ethics and integrity of
6 clinical research. Having acknowledged that, Mr.
7 McDonough's comments, I think, bring to mind again the
8 reality though that it's not perfect, and it's my
9 sense then that there certainly can be interventions
10 that have such substantial benefit to risk evidence
11 that I think withholding effective treatment could
12 constitute an unreasonable and significant risk.

13 So I think there are settings in which the
14 FDA could appropriately judge that there should be a
15 restriction in the design of clinical trials and what
16 the control regimen would need to be. Simply having
17 acknowledged that we have an approved intervention
18 though I don't think is necessarily stating that we
19 have that level of evidence. It's stating that we
20 have done adequately controlled trials to establish
21 efficacy and safety such that we have a favorable
22 benefit to risk profile that warrants making these

1 products available and allowing people the opportunity
2 to choose to use them.

3 So where are we? Jay Siegel talks about
4 the line. Where are we in this specific setting?
5 What is the strength of evidence?

6 As we look at the 1684 trial and we look
7 at recurrence free survival and the cure rate analysis
8 and we're saying in that analysis there's a 12 percent
9 increase in the estimated cure rate, if, in fact, that
10 translated into a long term survival benefit of ten to
11 12 percent, we're talking about something on the order
12 of a 30 percent reduction in the failure rate.

13 And if we're talking about that kind of
14 survival effect, particularly with a very tolerable
15 regimen, if my own opinion were being solicited, I
16 would say that could readily be interpreted to be
17 across the line such that one would need to protect
18 patients against being asked to go on clinical trials
19 that wouldn't offer them that level of benefit.

20 My concern is trying to understand what
21 these data really tell us reliably about effects on
22 what I would particularly care about, which is the

1 survival effects. The survival effects that we
2 apparently are seeing here are much more modest in
3 magnitude than what might have been suggested by the
4 cure rate analysis of 1684, effects that are relative
5 risks, ten percent reductions, absolute reductions,
6 three to five percent, with some uncertainties as to
7 whether that truly is the long term benefit and
8 whether that's, in fact, established benefit.

9 That's the point estimate, and as Dr.
10 Sledge has just pointed out, this is, even though a
11 substantial aggregation of data, it's still not
12 sufficiently substantial when we're trying to nail
13 down differences on the order of ten percent.

14 There has been a lot of discussion as well
15 that there are substantial toxicities associated with
16 achieving this level of benefit. So this is, to my
17 way of thinking, Jay, this is why this is such a
18 difficult issue. I think there are definitely
19 settings in which the benefit to risk evidence is so
20 strong that it would be appropriate to restrict access
21 to clinical trials that would require those trials
22 would provide access to those interventions.

1 The nature of the evidence we have about
2 survival here is sufficiently uncertain and what we do
3 have is suggesting a relatively modest benefit in the
4 context of what is a substantial amount of toxicity
5 that's required to achieve that benefit.

6 Hence, this is why it seems to me that
7 it's very appropriate to suggest that whereas the
8 ideal would be to inform participants of the benefit
9 and risks of interferon, encouraging designs of trials
10 that can build on interferon to at least acknowledge
11 that there could readily be substantial participants
12 who would choose not to enter into a trial that would
13 require them to receive interferon.

14 CHAIRPERSON NERENSTONE: Dr. Blayney.

15 DR. BLAYNEY: Yes, I agree that looking at
16 the totality of the data that we saw this morning that
17 requiring patients with either Stage II and probably
18 with Stage III interferon, Stage III melanoma to enter
19 a trial that randomized to interferon is not something
20 that you should do. I think that society, to take
21 Coach McDonough's analogy a little further, society
22 does put a fence around who can enter an Atlantic City

1 casino. We don't allow minors, and there's pressure
2 not to have people spend the rent money at those
3 casinos.

4 But once you have those fences, I think
5 the line of entering patients with melanoma with
6 either Stage II or Stage III, to enter them in a
7 placebo controlled trial, for my view, should be okay.

8 Basically if we're worried about setting a
9 precedent on data that was evaluated seven years ago,
10 this is a moving field, and I don't think that we
11 should be compelled to look at that as a gold standard
12 or something we can't back away from because times do
13 change. We are seven years down the road, and I think
14 it is reasonable to allow a trial design that does not
15 include an interferon arm.

16 CHAIRPERSON NERENSTONE: Dr. Vanderpool.

17 DR. VANDERPOOL: Dr. Siegel, you can be
18 sure that when I referred to self-determination I
19 didn't imply at all that self-determination only
20 applied to patients making decisions in the clinic,
21 but to other areas.

22 I agree with the last three speakers,

1 Sledge, Fleming and Blayney, on how there doesn't seem
2 to be a compelling case for forbidding patients from
3 entering other clinical trials before they take
4 interferon given the data about interferon.

5 At the same time, I would argue from an
6 ethics and legal perspective that even if the data
7 were much stronger, that patients in consultation with
8 their physicians do have a right to say, "No, I don't
9 want that standard treatment," and they should have
10 the right to enter a Phase 2 trial under a variety of
11 circumstances.

12 Now, does that, Jay, take power away from
13 the FDA? No, I don't think it does. The FDA has a
14 right to decide which drugs to approve. It has a
15 right and responsibility to decide which clinical
16 trials for new drugs can go forward. You don't want
17 an untried drug in a Phase 3 trial, et cetera.

18 So you can determine what the trials are.
19 You can determine what the drugs to approve are, and
20 you can protect the public vis-a-vis clinicians not
21 using unproved remedies in clinical practice. All
22 those are under the purview of the FDA.

1 I think the only problem I have here is
2 the FDA stepping into the realm of determining what
3 patients should decide with those things that are
4 already approved, clinical trials, on the one hand,
5 and therapeutic measures on the other.

6 So I think the FDA has overstepped its
7 bounds on this, but it doesn't mean that it doesn't
8 have and shouldn't continue to have the vested
9 authorities that it has with respect to approval of
10 drugs, approval of different kinds of clinical trials
11 and protecting the public's health.

12 DR. SIEGEL: Let me just get a
13 clarification, but first, I want to assure everybody
14 here that (a) this is not about our concern about what
15 power we have; just our concern about how best to
16 delegate and carry out the responsibilities that we do
17 have, although, if I understand your question -- well,
18 let me get some clarification on that.

19 But also I want to reassure Dr. George
20 that our question here really isn't, although I
21 appreciate your thinking about that, one of a slippery
22 slope. It really is an issue of what's the right

1 thing to do in melanoma. This is something where
2 we're particularly troubled about, and we're getting
3 some very useful advice in that regard.

4 I think as you all know or as you heard
5 today, we did not make this decision based on the
6 rather compelling evidence on disease free survival.
7 It was only as more evidence of survival accumulated
8 we made that decision.

9 We're here because we want the feedback
10 we're getting on that decision.

11 Dr. Vanderpool, let me ask you this, and
12 this does go to our authorities. It sounds like from
13 what you're saying that you're carrying this to an
14 extreme, which would be very different from what we're
15 hearing in other circles, that there would be no case
16 in which the FDA should say patients can't with
17 consent forego a therapy even if proven life saving
18 and very well tolerated.

19 Are you suggesting that that is not an
20 appropriate use of our authority, that we're not
21 supposed to review trials to determine that?

22 DR. VANDERPOOL: That's a tough one. I

1 think the devils are in the detail of the particular
2 circumstances you would have, but I think I can think
3 of a variety of circumstances where patients would not
4 want to take a certain drug regimen based on worries
5 over quality of life and so on, but should have the
6 right to enter clinical trials with drugs that were
7 wanting to treat that condition that have not yet been
8 approved.

9 This is a new problem in biomedical ethics
10 that I discovered as I was reviewing these materials.

11 So I certainly would need to think about the issues
12 further, but generally, I think the patient's rights
13 do trump, although the FDA has the authority, as you
14 say, not just for power reasons, but for the
15 protection of the public, to decide which trials are
16 available.

17 But my worry is other FDA's reaching
18 further and deciding what patients have to have
19 decided to have done before they can enter those
20 trials.

21 DR. SIEGEL: Well, let me just say from a
22 philosophical point of view -- and Dr. Rosenberg

1 raised this issue -- that I was involved in both of
2 these decisions, and the FDA determined within
3 actually the space of a year or so and on the same
4 database, both that Interleukin-2 should be approved
5 for renal cell carcinoma because it was quite
6 reasonable for many patients to elect that the
7 demonstrated benefits outweighed the substantial risks
8 and side effects.

9 And at the same time we did allow, I
10 think, within a year of that the onset of a placebo
11 controlled trial of interferon gamma in the same
12 population base provided those patients were told of
13 the risks and benefits and chose not to get
14 interferon, based on the fact that we also felt it was
15 very reasonable for patients and the physicians to
16 decide otherwise.

17 And I couldn't agree more with the notion
18 that patients and physicians should have those
19 choices.

20 On the other hand, I have to tell you the
21 agency has been roundly criticized in many areas for
22 allowing placebo controlled trials with new drugs

1 where there are proven therapies out there, and I
2 think our rather broad understanding with the agency
3 is that there's a gray zone that this is in probably,
4 but that somewhere there is this line.

5 And it would be interesting at some future
6 point to have other discussions about whether there
7 should be such a line, but I can assure you that in
8 practice there is such a line, and that there is a
9 broad expectation in many circles that we do draw such
10 a line; that there comes a point that there are
11 therapies out there that are cured of live, prolonging
12 life saving, well tolerated therapies out there that
13 we will not allow a sponsor who has got an
14 experimental therapy to say, "Look. Here is this
15 proven therapy, but if you'll agree, you can get this
16 experimental one or placebo," or something like that.

17 So it's not -- you know, it's not been
18 that black and white. It's not been that that's
19 outside our purview. At least that's not the way it's
20 been viewed in much of the patient advocacy community,
21 much of the international community, and within the
22 agency, as well.

1 CHAIRPERSON NERENSTONE: Dr. Albain.

2 DR. ALBAIN: I'm struggling a big going
3 back and forth as we've been doing in our discussion
4 between Phase 2 and Phase 3 here. And I was struck
5 with all of the advocacy letters and E-mails that we
6 got that really they were addressing the issue of
7 Phase 2 trials in probably over 95 percent of those.

8 And that being said, if we could be fully
9 convinced that the consent would be informed about a
10 Phase 2 trial, I'm left with what exactly are the
11 endpoints of a Phase 2 adjuvant trial, and in fact, at
12 least in breast cancer, you know, you really can't do
13 a Phase 2 adjuvant trial. You can try if you were
14 certain you had a good, intermediate biologic
15 endpoint, a surrogate, such as, perhaps in the
16 neoadjuvant settings for pathologic CR.

17 But I would have to defer to the melanoma
18 experts here today. Are you fully convinced that in a
19 small Phase 2 trial with a vaccine or other biologic
20 therapy that you can come up with a surrogate that
21 would then allow you to be excited enough to take that
22 particular approach into a Phase 3 trial?

1 And if you are, then in that Phase 3
2 trial, ideally you don't want to be confounded by the
3 presence of another biologic, I suspect. You don't
4 want to be confounded by interferon being on board in
5 high doses when you're testing another novel biologic.

6 So then you argue back around to the
7 placebo, and then will you, in fact, get a patient to
8 accrue to such a Phase 3 trial when they have to go
9 onto an observation arm?

10 So then you're back again at square one,
11 and I think a lot depends on the experts here in
12 melanoma biology and what can you do with smaller
13 sample sizes in a Phase 2 setting.

14 CHAIRPERSON NERENSTONE: Dr. Taylor. Oh,
15 okay. Go ahead.

16 DR. SPITLER: Is this microphone one? Oh,
17 it's on.

18 That was a great question, and I'd like --

19 CHAIRPERSON NERENSTONE: Please identify
20 yourself for the record.

21 DR. SPITLER: I beg your pardon?

22 CHAIRPERSON NERENSTONE: Identify yourself

1 for the record.

2 DR. SPITLER: I'm Dr. Lynn Spitler.

3 I can give you a specific example where
4 that has occurred, and it's a study that I did of
5 GMCSF as adjuvant therapy for a Stage 3 and 4
6 melanoma. At the time I went to Immunex in 1993 there
7 was only preclinical data that GMCSF would activate
8 macrophages, and activated macrophages would kill
9 tumor cells.

10 Now, you wouldn't go to a prospective
11 randomized Phase 3 trial with that without getting
12 some idea would there be clinical benefit, and I
13 proposed to them a Phase 2 trial, and they accepted
14 it.

15 We treated 48 patients and compared
16 survival with matched historic controls from the
17 University of Alabama database. Not great, you know,
18 but the best -- it wasn't a prospective randomized
19 trial. We were just getting an idea would there be
20 some clinical benefit that would warrant doing a
21 prospective randomized Phase 3 trial.

22 Well, that data were sufficient, which we

1 published a year and a half ago in the JCO, were
2 sufficiently compelling that ECOG accepted that data
3 and did launch a Phase 3 prospective randomized trial
4 comparing GMCSF with placebo, and there's some vaccine
5 arms in there as well.

6 So in that circumstance, the Phase 2 was
7 sufficient to get to the Phase 3.

8 DR. ALBAIN: Given now that your new
9 staging system shows such an exquisite differential
10 with very minor variations in these prognostic
11 factors, I think if you would have to, if you were to
12 design such a small Phase 2 trial, be very rigorous in
13 who went into it.

14 And thus, you would then be exclusionary
15 in that way. Because if you're using historical
16 controls, you can't use it the way we used to five or
17 ten years ago now that you have your new system that's
18 much more refined.

19 DR. SPITLER: If you apply the new system,
20 the new system is wonderful because it very
21 specifically identifies prognostic indicators, and if
22 you match patients in the historic data base according

1 to those very important prognostic indicators,
2 including the ulceration, including the number of
3 nodes positive, including whether it's microscopic or
4 macroscopic disease, you can match the patient
5 populations, and I think that that gives you a
6 reasonable approach to suggestions of efficacy.

7 In our current study we used the AJCC
8 database, which was the same one that was validated
9 with the new staging system, 17,000 patients, and
10 matched 1,000 patients from that database with 50
11 patients in our study.

12 DR. ALBAIN: Could I just follow up?

13 If this is kosher, Madame Chair, could I
14 hear from one of the other experts here, Dr.
15 Rosenberg, Chapman, Schuchter, any of you over there,
16 what you think about this issue of confounding on
17 Phase 3 trial if you have another biologic on board,
18 and are you concerned about missing benefit to one of
19 your new approaches?

20 I know ECOG has obviously struggled with
21 this, and they've chosen to build onto the control
22 arms, interferon alone.

1 DR. CHAPMAN: In fact, I'd like to also
2 mention that there's another paradigm for a Phase 2
3 trial.

4 CHAIRPERSON NERENSTONE: Please identify
5 yourself for the record.

6 DR. CHAPMAN: I'm Dr. Paul Chapman from
7 Sloan Kettering Cancer Center.

8 There's another paradigm that we use
9 actually even more commonly for Phase 2 trials that
10 are one sided, that is, where we use a surrogate
11 endpoint of an immunological response, and we use that
12 sort of as an endpoint to determine whether to carry
13 that vaccine forward.

14 That's I think even the more common
15 scenario between our trials and Steve's trials and a
16 lot of other people's trials.

17 And that's an example where a Phase 2 can
18 really direct you as to where you're going to go.

19 In terms of having an interferon board, I
20 think we have very little data, except for the ECOG
21 2696 trial that we did with ECOG, which showed that
22 the high dose interferon, when given with the GMK did

1 not appear to affect or inhibit or deter the immune
2 response to the GM-2 gangliocyte.

3 But you're right. I think many of us
4 would be a little hesitant to go forward with the
5 Phase 3 without at least a little pilot data for the
6 individual live vaccine that we were looking at.

7 CHAIRPERSON NERENSTONE: Dr. Taylor.

8 DR. TAYLOR: I think that one of the
9 things that hasn't been mentioned, and it's truly why
10 I think we have bioethicists is that to complicate
11 things is that although you go into the casino with
12 your clothes on and your ego intact and you're not
13 sick, as a group of patients who have melanoma, you're
14 a vulnerable population.

15 As you alluded to earlier, you may not
16 feel well. You're also very frightened, and it's in
17 that setting plus the setting that I see physician
18 researchers who are very biased and very excited about
19 their new study, that I feel that the FDA has to
20 maintain that role as the policeman for us.

21 Now, in this setting, I don't think the
22 data is adequate to say that interferon is the home

1 run, and I'm not willing to say that everybody has to
2 have it.

3 But I do think we have to keep the FDA
4 looking at those type of issues because we are dealing
5 with vulnerable populations, and we are dealing with
6 excited doctors.

7 CHAIRPERSON NERENSTONE: Dr. Brawley.

8 DR. BRAWLEY: Dr. Taylor's comments are
9 well taken. I don't treat patients with melanoma. So
10 I'm not, I guess, your definition of an expert on this
11 issue.

12 I will tell you that the FDA in terms of
13 proven versus experimental therapy, I was thinking
14 about in testes cancer where the FDA approved
15 therapies can cure 70, 80 percent of folks. It would
16 be shame if those individuals were allowed to forego
17 what is a really high likelihood of cure in order to
18 go onto an experimental therapy because I really don't
19 think that the patient very frequently -- and I'm not
20 one to baby patients or government taking care of
21 patients, but I fear the investigator selling his
22 investigational drug in that example.

1 I think one of the things that we have to
2 bring forth here is that one of the reasons why we
3 have so many questions in melanoma is only about three
4 percent of melanoma patients have gone onto clinical
5 trials over the last ten years.

6 If we're going to move forth melanoma
7 therapy, we have to increase the number of people
8 going onto those clinical trials.

9 Now, in terms of INTRON-A for melanoma,
10 and again, I speak as not an expert, and indeed, I'm
11 probably one of the least likely people in this room
12 to get this disease, but I honestly think that I would
13 forego interferon.

14 Coach McDonough, I don't gamble when I got
15 to Atlanta, but I really do think I would forego
16 interferon A as being just not likely to give me much
17 benefit at all, and in that sense I think it would be
18 fine that individuals who felt like me could be
19 randomized to trials that included a placebo,
20 especially since we're talking about so many people
21 get interferon who wouldn't benefit to begin with.

22 Now, is a placebo control trial ethical in

1 any of these stages? I think the answer is yes.

2 Is it unreasonable in any of these stages?

3 I don't think it's unreasonable at all. Indeed, as I
4 just said, I think interferon therapy may be
5 unreasonable for some people, but again, I would
6 defend folks' right to get interferon therapy if they
7 wanted it.

8 Is a placebo control trial wise? In some
9 instances the answer is it may be very reasonable and
10 very ethical, but not necessarily very wise in terms
11 of recruiting people in clinical trials.

12 I'll conclude by saying I, like Dr.
13 Sledge, have difficulty with saying that interferon
14 currently is the standard of care. I think it is a
15 care option, but not necessarily the standard of care.

16 CHAIRPERSON NERENSTONE: Dr. Carpenter.

17 DR. CARPENTER: I just want to second what
18 Dr. Brawley said and my comments are very similar. We
19 have -- you can envision breast cancer with a hormonal
20 therapy where it would probably be not reasonable to
21 suggest that somebody forego some kind of first line
22 hormonal therapy as an adjuvant to taking unproven

1 treatment as just not being in that person's best
2 interest, much like the testes cancer.

3 This just seems at the other edge of the
4 slope. While there is a therapy, there's at least a
5 log of discussion among fairly knowledgeable people
6 about just how compelling the evidence is for it, and
7 given that uncertainty or the softness of that
8 endpoint, then I think more choice is appropriate.

9 CHAIRPERSON NERENSTONE: Dr. Nelson.

10 DR. NELSON: A couple of quick comments.
11 I would modulate the emphasis on self-determination a
12 little bit, and I think it's an important distinction
13 to make between Phase 2 and Phase 3. Certainly many
14 of the arguments do appear to be presented as sort of
15 the choice against interferon in favor of some other
16 therapy, which would be the case in a Phase 2
17 uncontrolled fashion.

18 But when you move into Phase 3 efficacy
19 trials, in effect, the choice then is to enter the
20 trial, and you're taking your, quote, risks about
21 which arm you may end up being randomized to.

22 So the complexity of the relationship

1 between choice and the clinical trials, I think, would
2 have to be a much more nuanced discussion about the
3 justifications of choice.

4 I think a challenge to statisticians that
5 would be interesting to see how well it could be
6 tackled is the extent to which you could incorporate
7 choices within trials that wouldn't undercut
8 randomization in a way that you could still make
9 inferences.

10 But that goes way beyond my expertise in
11 analyzing dropouts and crossovers and all of that kind
12 of effort, but that would be a worthy kind of
13 direction to move toward in the future.

14 CHAIRPERSON NERENSTONE: Does FDA sort of
15 have a feeling of -- okay.

16 DR. SIEGEL: You've not only answered
17 Question 1, but Question 2 as well, except perhaps for
18 the last sentence in it. So maybe that would help
19 move along. If you look at the last paragraph, should
20 this further be expanded?

21 The question asks if those patients who
22 are allowed to go into protocols using placebo or

1 unproven adjuvant therapy should be expanded to
2 include those who refuse to receive interferon
3 therapy. We have certainly received a great deal of
4 comment on that, although the last part of that
5 question would be one if there are additional
6 comments, that would be helpful.

7 If so, should any particular steps beyond
8 IRB and FDA review of informed consent documents be
9 taken to insure that the patient has made an informed
10 decision?

11 CHAIRPERSON NERENSTONE: Dr. Redman.

12 DR. REDMAN: Regarding that last point
13 again, going back to Dr. Slingluff's, I am one who
14 spends 20 to 30 minutes in a room talking to a patient
15 about adjuvant interferon, and we make our own
16 material that we give them even in a non-study
17 situation.

18 I think it would be extremely helpful if
19 there was some -- I'm not for government regulation,
20 but if there was some standardized summary of
21 information that uniformly we can give patients that
22 they could do as we do in breast cancer regarding

1 different surgical options, that they actually sign
2 saying that they've read this material, and it would
3 be supplementary to IRB approval of Phase 2 trials.
4 did the patient sign the interferon consent form or
5 such?

6 We're still going to talk to our patients,
7 and that's never going to end. And then we're going
8 to have different biases as physicians, and we always
9 will have those biases, but at least it levels the
10 field somewhat so that you're sure at least the
11 patient did get some information on interferon before
12 being considered for an investigational therapy.

13 CHAIRPERSON NERENSTONE: Dr. Nelson.

14 DR. NELSON: I would suggest if you're
15 concerned about the quality of the informed consent
16 that you're going to have to move beyond simply
17 reviewing the documents. I don't have an answer about
18 what that beyond might be, but the whole issue of
19 trying to measure and actually insure adequate
20 voluntary and informed consent is one that I think
21 should be tackled.

22 There are creative ways in more high risk,

1 visible activities, such as the artificial heart trial
2 where consent, sort of patient advocate people have
3 been involved as well.

4 I'm not sure that this would reach that
5 sort of threshold, but I think you should do something
6 more than just look at documents.

7 CHAIRPERSON NERENSTONE: Dr. Blayney.

8 DR. BLAYNEY: Yes, as someone who working
9 through ASCO and others has helped to get payment for
10 clinical trials, I think that is also a mechanism. To
11 use Dr. Taylor's term, we have a lot of excited
12 investigators in this field, and perhaps if informing
13 the patients of whether Medicare, to take an example,
14 will cover their clinical trial and their specific
15 features in the law as to whether Medicare will cover
16 that trial, that may be a useful check on some of the
17 entry criteria.

18 CHAIRPERSON NERENSTONE: Dr. Siegel, do
19 you want us to go to the end of Question 3 or have we
20 discussed this to your satisfaction?

21 DR. SIEGEL: Well, are there other
22 comments on that or those are all of the comments on

1 this questions? If so, that's fine. If not, we'd
2 certainly welcome others.

3 As far as current Medicare policy, I
4 believe if it's under IND at FDA, it's automatically
5 covered. So that's one of the -- I was involved in
6 that policy, and I believe that's one of the
7 conditions.

8 CHAIRPERSON NERENSTONE: Dr. Przepiorka.

9 DR. PRZEPIORKA: Just one additional
10 comment for Question 2. Another way that's frequently
11 done for other types of procedures is to initiate a
12 waiting period between the initial discussion and the
13 decision by the patient, but I have to tell you that
14 that's not very practical because many times patients
15 who are really on clinical trials come from else
16 where, and sometimes even a 24 hour delay in
17 initiation of treatment is enough to impose a
18 significant financial burden.

19 CHAIRPERSON NERENSTONE: Dr. Vanderpool.

20 DR. VANDERPOOL: Just a brief comment to
21 what Dr. Nelson said about informed consent. I do
22 think it makes sense to move beyond the document to

1 questions of process and comprehension of informed
2 consent, and there's been a lot on process, but one
3 thing the FDA or any institution can do is simply ask
4 the researchers, "And how do you plan to seek
5 recruitment for your subjects?" and determine some of
6 the process that way.

7 The other thing is certainly in high risk
8 trials to seek to insure comprehension of consent by
9 asking, "Can you repeat what I told you?" and you can
10 offer a very simple test to assure comprehension.

11 But I think those no question the way the
12 committee is going in its recommendation would mean
13 informed consent does bear a heavy load, which is the
14 load it does bear in the Belmar (phonetic) report.

15 CHAIRPERSON NERENSTONE: And the third
16 question: does the Committee believe that a
17 noninferiority or trials designed to demonstrate an
18 effect of a new agent on relapse free survival, but
19 unable to assess the effect on overall survival could
20 constitute acceptable evidence of efficacy?

21 Comments? Dr. Fleming.

22 DR. FLEMING: Let me address the

1 noninferiority question, but just slightly broaden it
2 first to respond to a question that Dr. Nelson asked a
3 little bit earlier.

4 As we look at designs that we could
5 undertake for a vaccine, one of the approaches is an
6 add-on design, and Dr. Nelson was referring to this
7 where Interferon would be offered as the control, and
8 the intervention group would be interferon plus the
9 vaccine, although an option could be provided to those
10 participants at baseline who after informed consent
11 had judged that the benefit to risk profile of
12 interferon is not such that they would want to receive
13 it, and they could then elect to go into a
14 randomization of observation versus interferon and
15 essentially have two strata.

16 Such an approach could be an appropriate
17 design, particularly if one didn't have strong prior
18 evidence that interferon in this case would be, in
19 effect, modifier. If there isn't substantial concern
20 that the efficacy of adding the vaccine to interferon
21 would be substantially different than the efficacy of
22 adding the vaccine to observation, one could, in fact,

1 allow that choice at baseline, essentially forming two
2 strata for the overall analysis.

3 Another approach is the one raised in
4 Question 3, which is can we do a noninferiority
5 comparison. So can we do a head-to-head comparison of
6 interferon against an intervention such as a vaccine?

7 My sense about this depends on what the
8 end point is. If the endpoint is survival, the
9 strength of evidence is not adequate to justify a non-
10 zero margin. so if we were using survival as the
11 endpoint, I believe we would have to be looking at
12 superiority.

13 If we were looking at recurrence free
14 survival, there is sufficient signal here and
15 precision in the estimate of that signal that one
16 potentially could justify a margin. If you look at
17 the estimates and the meta analysis that was done by
18 the FDA, the overall reduction in the rate of
19 recurrence is, I think, 21 percent with a confidence
20 interval indicating that it's at least 11 percent.

21 And so if one said, "All right. I'll use
22 that 11 percent estimate and preserve at least half

1 the benefit, there is still, in fact, a margin there
2 that could be provided.

3 Let's say that margin, just to go back to
4 the exact data that was discussed by Dr. Ibrahim, if
5 we said that, for example, the same as a cure rate of
6 three percent, then essentially if you were assuming
7 that or you were trying to detect the efficacy of a
8 vaccine that was seven percent better than -- seven
9 percent superior, you could rule out that it was three
10 percent inferior with half the sample size that it
11 would take to prove superiority.

12 So there is something here in terms of
13 what the benefits could be in terms of allowing you to
14 establish efficacy on recurrence free survival with a
15 more modest sample size.

16 The difficulty that I have is the last
17 part of this question, and that is: is such evidence
18 of noninferiority on recurrence free survival without
19 corresponding evidence about what this means in terms
20 of survival going to be judged in the end as adequate
21 evidence of efficacy?

22 And my own view is recurrence free

1 survival is certainly a relevant endpoint, but not
2 nearly as relevant as being able to establish a
3 survival impact, and so whereas I think it's not
4 possible based on current strength of evidence to
5 justify a noninferiority design for survival, it is
6 for recurrence free survival with a very modest margin
7 here, but I have difficulty in knowing how we would
8 interpret the results if we simply establish
9 noninferiority on that measure alone.

10 DR. SIEGEL: Just for clarity for the
11 Committee, that is right at the heart of what we're
12 asking. Our analysis of the current data as
13 summarized briefly in that paragraph is exactly the
14 same as yours, that we could, we believe, probably.
15 It depends on the stage a patient is enrolled and
16 other factors, come with an appropriate margin as ECOG
17 apparently believes as well.

18 We've heard for noninferiority on
19 recurrence free survival, but not on survival, and I
20 think the assessment of this group on the data would
21 support that conclusion.

22 That means if we accept a new drug trials,

1 we get applications based on trials compared to
2 interferon, we will come back to this Committee with a
3 data set and we'll say based on this drug's effects on
4 recurrence free survival, it is similar enough to
5 interferon that we can say with some level, a high
6 level of certainty that it is effective on recurrence
7 free survival.

8 But at the same time we'll probably be
9 saying that based on its similarity to interferon on
10 survival, this trial can't tell us anything about
11 whether it is affecting survival or not affecting
12 survival because we don't have that level of data
13 about interferon.

14 So we need to know from this Committee is
15 that sort of trial going to -- you know, are you going
16 to say, "Well, why did you even let them do that trial
17 in the first place since it's not going to lead to a
18 drug approval?" because if you're not going to approve
19 on the basis of a trial that shows a clear effect on
20 long term, let's say, recurrence free survival form
21 which we can't determine even in the long run overall
22 survival, then it's probably not worth doing the

1 trials.

2 CHAIRPERSON NERENSTONE: Dr. George.

3 DR. GEORGE: A lot of what I was going to
4 say has just been said in the interchange between Dr.
5 Fleming and Siegel, but the answer to the question as
6 I first read it was, yes, but. I mean, yes; yes, you
7 could do this study, and I strongly agree that you
8 couldn't do it with respect to survival, that is, the
9 noninferiority survival can't do -- it's essentially,
10 you know, zero. You don't have the same wiggle room
11 there to define a margin.

12 But you could with respect to disease
13 free, but would you?

14 And secondly, what margin would you
15 choose?

16 I'm a little concerned about that. I'm
17 thinking that it would -- I haven't thought it through
18 completely, but I would think it would have to be
19 because of the modest evidence, that it would have to
20 be so small that you would have a pretty big study,
21 and the pretty big study would end up saying just what
22 you said. If it were successful, it would say it's

1 not inferior with respect to disease free survival;
2 might be with respect to survival, and that's bad.

3 So I think it is a quandary, and I don't
4 know. It might require some more creative thinking
5 about designs with respect to what you would require
6 with respect to survival even though you wouldn't be
7 able to do this noninferiority in the usual way.

8 CHAIRPERSON NERENSTONE: Dr. Blayney.

9 DR. BLAYNEY: Yes. I agree with what the
10 statisticians have said about the noninferiority, but
11 from a clinician's point of view, it's going to be
12 quite apparent to the investigators who's getting high
13 dose interferon and who's not, and there will be
14 substantial potential for bias in determining that
15 progress endpoint, and it won't take, given the
16 magnitude of the benefit, it won't take many errors in
17 not investigating that mole that popped up or not
18 investigating that lymph node that could or could not
19 be there during that time.

20 And so there's substantial -- you know,
21 hearing Dr. Temple talk about sloppiness in
22 noninferiority trials, there's substantial potential

1 for sloppiness in the progression endpoint in such a
2 noninferiority trial.

3 CHAIRPERSON NERENSTONE: Dr. Nelson.

4 DR. NELSON: Just a brief comment. As to
5 whether or not or a question whether you would factor
6 in the toxicity profile, I mean, I would think if I
7 was a patient and you told me interferon for a
8 potential for nine months, putting cure aside,
9 something else for a potential of 14 months with a
10 much lower toxicity profile, I might be inclined to
11 take that.

12 DR. SIEGEL: Well, absolutely, and that's
13 why this is perplexing. If you could show a drug had
14 the same effect on recurrence free survival, say, a
15 vaccine that's extremely well tolerate, the same
16 effect, and you knew it was a real effect and didn't
17 have the toxicity of interferon, you would think that
18 would be desirable, but again, if that's the trial
19 that's done, we would be coming before this Committee
20 not only with a trial where we don't know the outcome
21 on overall survival, but with a trial that can't
22 determine it no matter how many years you follow those

1 patients because you don't have a right group to
2 compare it to. You have a group that may or may not
3 have an effect on overall survival.

4 Because that then leaves you with the
5 other options. Adding onto interferon is certainly
6 one of the options or studying different stages of
7 disease or, in fact, studying the drug only in those
8 people who opt to be randomized to placebo and forego
9 interferon therapy.

10 And so we're past that issue, but this is
11 another important study design issue, and it would be
12 helpful if we can -- we're not coming to a vote, but I
13 gather, and I think we weren't aware how far along
14 ECOG had progressed in this area, but I wouldn't be
15 surprised if these trials are coming down the way, and
16 it sounds like, you know, the Committee is going to be
17 potentially faced with them.

18 And if you're thinking that they're not
19 the right way to prove a therapy effective for the
20 purpose of licensure, we need to hear that now.

21 CHAIRPERSON NERENSTONE: Dr. Przepiorka.

22 DR. PRZEPIORKA: I just want to agree with

1 Dr. Nelson regarding the issue of toxicity, and I
2 think there is a precedent for such a trial right now.

3 I mean, I said before if I were going to die in five
4 years, I would prefer to spend four and a half without
5 disease than with disease. So relapse free survival
6 makes a difference on my quality of life.

7 So if you go back to your design for
8 quality of life studies, relapse free survival plus
9 toxicity can, I think, be viewed as a clinical benefit
10 on which you can improve a drug.

11 For example, if you had a drug which gives
12 you the same sort of CR rate in a malignancy and was
13 given as a pill as opposed to interferon, and you can
14 guess what the drug is, even though you don't know the
15 long-term survival differences, you know, clearly
16 that's a drug worth getting approved.

17 CHAIRPERSON NERENSTONE: Dr. Kelson.

18 DR. KELSON: Looking on the other side, if
19 relapse free survival in this disease was a good
20 stalking horse for survival, and I heard some comment
21 about that, that the Europeans may feel that way.
22 Then I think it would be a slam dunk. You wouldn't

1 have a problem.

2 The trouble is looking at the data set
3 that you showed us from the meta analysis, relapse
4 free survival was not a surrogate for survival.

5 CHAIRPERSON NERENSTONE: Not seeing any
6 more comments, I'm going to let us break for lunch.
7 I'd like everybody back at two, please, to begin the
8 afternoon session.

9 Thank you.

10 (Whereupon, at 1:12 p.m., the Advisory
11 Committee meeting was recessed for lunch, to reconvene
12 at 2:00 p.m., the same day.)

13

14

15

16

17

18

19

20

21

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (2:12 p.m.)

3 CHAIRPERSON NERENSTONE: If the Committee
4 would please take their seat, we'll get started in the
5 afternoon session.

6 Good afternoon. We'd like to start this
7 part of the session by again going around the table
8 and everybody introducing themselves.

9 Mr. Ohye, if you would like to start.

10 MR. OHYE: George Ohye, industry rep.

11 DR. BRAWLEY: Otis Brawley, medical
12 oncologist, Emory University.

13 MR. McDONOUGH: Ken McDonough, patient
14 representative, North Huntington VA.

15 DR. NELSON: Robert Nelson, Children's
16 Hospital, Philadelphia, and the University of
17 Pennsylvania.

18 DR. PRZEPIORKA: Donna Przepiorka, Baylor
19 College of Medicine, Center for Cell and Gene Therapy.

20 DR. FLEMING: Stephen George, Duke
21 University Medical center.

22 CHAIRPERSON NERENSTONE: Stacy Nerenstone,

1 medical oncology, Hartford Hospital.

2 DR. TEMPLETON-SOMERS: Karen Somers,
3 Executive Secretary to the Committee, FDA.

4 And, Jody Pelusi, are you on the line?
5 Can you please speak up if you are?

6 (No response.)

7 DR. KELSON: David Kelson, Sloan
8 Kettering, New York.

9 DR. BLAYNEY: Douglas Blayney, medical
10 oncologist, Pasadena, California.

11 DR. SLEDGE: George Sledge, medical
12 oncologist, Indiana University.

13 DR. VANDERPOOL: Harold Vanderpool,
14 Institute for the Medical Humanities, the University
15 of Texas Medical Branch in Galveston.

16 DR. TAYLOR: Sarah Taylor, medical
17 oncology and palliative care at the University of
18 Kansas.

19 DR. FLEMING: Tom Fleming, University of
20 Washington, Seattle.

21 DR. ALBAIN: Kathy Albain, medical
22 oncology, Loyola University, Chicago.

1 DR. CARPENTER: John Carpenter, medical
2 oncology, University of Alabama at Birmingham.

3 DR. TIWARI: Jawahar Tiwari,
4 biostatistics, FDA.

5 DR. CARDINALI: Massimo Cardinali, FDA.

6 DR. KEEGAN: Patricia Keegan, Center for
7 Biologics, FDA.

8 DR. SIEGEL: Jay Siegel, FDA.

9 DR. TEMPLETON-SOMERS: The following
10 announcement addresses the issue of conflict of
11 interest with respect to this meeting, and is made a
12 part of the record to preclude even the appearance of
13 such at this meeting.

14 Based on the submitted agenda and
15 information provided by the participants, the agency
16 has determined that all reported interests in firms
17 regulated by the Center for Drug Evaluation and
18 Research present no potential for a conflict of
19 interest at this meeting with the following exception.

20 Dr. Bruce Redman is recused from
21 participating in the Committee's discussions
22 concerning Corixa's Melacine

1 We would like to note for the record that
2 George Ohye is participating in this meeting as an
3 industry representative acting on behalf of regulated
4 industry. As such, he has not been screened for any
5 conflicts of interest.

6 In the event that the discussions involve
7 any other products or firms not already on the agenda
8 for which FDA participants have a financial interest,
9 the participants are aware of the need to exclude
10 themselves from such involvement, and exclusion will
11 be noted for the record.

12 With respect to all other participants, we
13 ask in the interest of fairness that they address any
14 current or previous financial involvement with any
15 firm whose product they may wish to comment upon.

16 Thank you.

17 CHAIRPERSON NERENSTONE: We will turn now
18 to the sponsor presentation, the appropriate study
19 design and control for the proposed Phase 3 trial of
20 the investigational new drug Melacine or melanoma
21 vaccine by Corixa.

22 DR. CHEEVER: It's with a great pleasure

1 that this afternoon I have the opportunity to present
2 the clinical development of Melacine vaccine; that the
3 purpose of today's study is to discuss the proposed
4 second pivotal trial of Melacine vaccine as adjuvant
5 therapy for intermediate thickness Stage II melanoma
6 in patients that are HLA-A2 and/or HLA-C3.

7 The first point I want to make is that the
8 category of patients that were studied is not the
9 category of melanoma patients that were discussed this
10 morning. This morning we discussed patients primarily
11 that were Stage III that had no positive disease.
12 These patients have no negative disease.

13 This morning we talked primarily about
14 those patients with Stage 2 that had thick tumors,
15 meaning tumors greater than four millimeter, the
16 primary tumor. In this circumstance we're dealing
17 with intermediate thickness Stage II. Those are
18 patients with primary tumors of less than four
19 millimeter.

20 In large part this morning's discussion
21 was silent on this group. Despite that, this group
22 comprises approximately 25 percent of melanoma

1 patients. The five year survival is between 63 and 79
2 percent. Even though they're less than four
3 millimeters, their survival depends upon a thickness
4 within that parameter.

5 There is no approved adjuvant therapy to
6 prevent relapse in this disease category, and there's
7 no adjuvant therapy routinely being recommended, and
8 the one comment that Dr. Kirkwood made this morning in
9 reference to this group, if I quote him correctly or
10 paraphrase him, that there is no therapy that has been
11 tested or is approved for this category of patient.

12 This clearly is an unmet medical need.
13 The Southwest Oncology Group, in an attempt to meet
14 this unmet need, conducted a trial called SWOG 9035,
15 and this slide will very quickly go over the
16 conclusions from that SWOG 9035 trial, but please be
17 aware that I'll only present the capsule summary at
18 this point in time and will go over each one of these
19 points in detail later on in the presentation.

20 SWOG 9035 compared Melacine versus
21 observation in patients with intermediate thickness
22 Stage II melanoma. SWOG's analysis demonstrated a

1 nonsignificant trend in relapse free survival for
2 Melacine in the intent to treat population.

3 There was a highly significant relapse
4 free survival benefit for Melacine in patients that
5 expressed two of five predefined HLA antigens. The
6 domino effect was in patients who expressed HLA-A2 or
7 HLA-C3 or a combination of both of them. And for the
8 rest of the presentation I'm terming patients who have
9 A2 or C3 or both as A2/C3 positive.

10 In this A2/C3 positive population,
11 Melacine was associated with a highly significant
12 increase in both relapse free survival and overall
13 survival.

14 Accelerated approval for A2/C3 positive
15 patients was discussed with the FDA and was considered
16 not to be an option because these patients were
17 subpopulation of the intent to treat population.

18 A second pivotal trial that confirms the
19 efficacy of Melacine in A2/C3 patients will be
20 required for approval. Therefore, the goal of Corixa
21 is to replicate SWOG 9035 as closely as possible, but
22 with only A2/C3 positive patients in order to confirm

1 the benefit of the vaccine in this particular patient
2 population.

3 However, there area number of issues that
4 affect the design of the second pivotal trial.
5 Importantly, the first pivotal trial took ten years,
6 and the second pivotal trial may take up to another
7 decade.

8 Given this time frame, the key issues of
9 trial design need to be addressed now in order to
10 design this second pivotal trial sufficiently to
11 confirm the first pivotal trial for regulatory
12 approval.

13 Since initiation of this trial a decade
14 ago, there have been some substantial changes in the
15 standard practice of melanoma that affect attempts to
16 replicate the first trial. At the suggestion of the
17 FDA, guidance from ODAC is being sought today on trial
18 design.

19 The primary question is whether the
20 patient populations chosen are appropriate for an
21 observation only control arm.

22 The presentation today will have as topics

1 an overview of Stage II melanoma, an overview of the
2 clinical development of Melacine vaccine, detailed
3 results of SWOG 9035, issues affecting further
4 development of the vaccine, the proposed second
5 randomized pivotal trial, and finally, the issues for
6 ODAC and the FDA.

7 The first topic will be an overview of
8 Stage II melanoma, and I should read into this an
9 overview of intermediate thickness Stage II melanoma.

10 I can gloss past this slide. Melanoma is a
11 substantial disease. I think everyone is aware of
12 that.

13 The outcome of the disease is really
14 dependent upon the stage at the time of diagnosis.
15 Stage I and II are differentiated primarily by size of
16 the primary tumor. Stage III are those patients that
17 have regional lymph nodes involved, and Stage IV are
18 those that have disseminated disease.

19 This morning the discussions on interferon
20 focused primarily on patients with Stage III and that
21 portion of Stage II that had tumors of greater than
22 four millimeters. For the talk today or this

1 afternoon, we will be focused on Stage II patients,
2 but those that have the smaller tumors, the
3 intermediate thickness tumors.

4 The intermediate thickness Stage II
5 melanoma is defined as tumors that are between one and
6 four millimeter by the old AJCC staging system that
7 was discussed this morning and was in place when the
8 SWOG trial was initiated. That thickness was 1.5 to
9 four millimeter.

10 Now, the new AJC staging system quantifies
11 the tumor thickness as one to four millimeter. All
12 patients are node negative. All patients are
13 metastasis negative, and, again, the five year
14 survival is between 63 and 79 percent depending upon
15 the thickness as well as the new prognostic criteria,
16 which is ulceration.

17 Twenty-four percent of the patients were
18 in this category in the AJCC database, which was
19 included as Balch's manuscript in your briefing
20 document.

21 This slide, also taken from Balch's
22 article in your briefing document, shows that 15 years

1 disease specific survival for Stage II melanoma, and
2 this is the new AJCC staging systems. For this
3 morning when we spoke of Stage IIB, we were not
4 dealing with the same Stage IIB. Rather, we were
5 dealing primarily with Stage IIC in the new system,
6 which is not on the slide. A Stage IIC would have had
7 a worse 15 year overall survival than what is up here.

8 What I really wanted to point out with
9 this slide is that patients with Stage IIA have
10 approximately 80 percent five year survival. It's
11 patients with IIA that were in the SWOG trial and will
12 be on the proposed trial.

13 Also, some patients with Stage IIB, in
14 particular those patients that had tumors less than
15 four millimeter, and those patients had a slightly
16 less optimistic outcome.

17 The other point to make from this slide
18 really is that even though the five year survival is
19 80 percent, these patients continue to relapse and die
20 over 15 years here, and even though a plateau was
21 spoken of this morning, that the AJCC database really
22 does not discern a plateau to 15 years. The reason we

1 saw a plateau this morning could have been because if
2 there were a lack of patients far out.

3 But these patients with Stage II melanoma
4 continue to relapse up to 15 years, and patients that
5 relapse, in general, in general, die.

6 Despite this substantial nature of the
7 disease, there is no adjuvant therapy to prevent
8 relapse. There are no approved drugs. There are none
9 routinely recommended. There's only one ongoing U.S.
10 pivotal trial in this category of patients, and that's
11 ECOG 1697, which was mentioned briefly this morning,
12 and it should be noted that this is a trial of
13 observation versus four weeks of INTRON-A; that the
14 cooperative groups have not take upon themselves yet
15 to test the approved proven effective regimen of
16 interferon in this category of disease.

17 There are no other ongoing U.S. Phase 3
18 trials in this disease. So given these points, it's
19 highly likely that we're going to be back here a
20 decade from now still giving you the same message that
21 there are no approved drugs and no routinely
22 recommended drugs for this category of disease.

1 The next topic is the overview of the
2 clinical development of Melacine vaccine. These are
3 primarily time lines, but first start with the vaccine
4 itself. The vaccine has two components, a melanoma
5 lysate and an adjuvant, detox. adjuvant; that the
6 lysate is the lysate from two melanoma lines. One is
7 a rapidly growing, very aggressive melanoma. One is a
8 slow growing, less aggressive melanoma.

9 They were originally chosen to represent a
10 spectrum of the disease of melanoma. We know now --
11 we didn't know at the outset, but we know now that it
12 contains virtually all of the antigens that we now
13 consider to be melanoma vaccine candidates, including
14 gp100, the gangliosides, Melan-A, the mage (phonetic)
15 antigens, tyrosinase, tyrosinase related proteins, as
16 well as high molecular weight melanoma associated
17 antigen or chondroitin sulfate.

18 If you just inject antigens into patients,
19 even the foreign, you do not get much of immune
20 response. In order to get a substantial immune
21 response, you really have to inject the antigens with
22 an adjuvant. In this circumstance we're using a

1 detox. adjuvant, which is a combination of cell wall
2 skeleton derived from mycobacterium phlei, as well as
3 MPL, or monophosphoralipid A, derived from Salmonella
4 Minnesota, and we have substantial experience with
5 this particular adjuvant.

6 The clinical development of Melacine in
7 advanced stage patients began 17 years ago now in 1985
8 with trials initiated by Malcolm Mitchell, then at
9 USC. In 1988, the trials were taken over by RIBI
10 Immunochem, which was later bought by Corixa. RIBI
11 Immunochem treated over 300 patients with Stage IV
12 disease.

13 An independent review of 198 of these
14 patients validated six percent or 11 objective
15 responses. Of these objective responses, most
16 importantly there were five complete responses and
17 four of those complete responses were maintained at
18 seven plus the ten plus years at the time of the
19 independent review.

20 Moreover, the vaccine was well tolerated,
21 had a reasonable safety profile.

22 Based on the data in advanced patients,

1 Melacine was approved for use in Canada in the year
2 2000 for disseminated malignant melanoma.

3 In 1990, the decision was made to test
4 Melacine in Stage II patients as adjuvant therapy.
5 This was based on the modest efficacy, as well as low
6 toxicity in advanced patients, and the commonly
7 accepted theory that anything that works in advanced
8 disease is likely to work much better as adjuvant
9 therapy because of the smaller tumor burden, because
10 of less tumor induced immunosuppression, and because
11 of the longer time over which the immune response has
12 to operate.

13 In 1990 then, the Southwest Oncology Group
14 initiated design planning for the trial 1935. In
15 April of 1992, SWOG enrollment began.

16 At about the same time, Dr. Mitchell
17 published in JCO analysis of his advanced stage
18 patients showing an association of HLA phenotype with
19 response to Melacine.

20 Okay. His results, again, were in
21 advanced patients. He analyzed the outcome of 70
22 patients with disseminated melanoma, and what he

1 demonstrated was that there were five HLA types
2 associated with Melacine benefit, and I'll read these
3 the first time, but they're the same on multiple
4 subsequent slides. They were HLA-A2, A28, B44, B45
5 and C3.

6 His association really made tow points,
7 and the first point was that there was benefit from
8 Melacine in patients that expressed two or more of
9 these five HLA, and second, the benefit from Melacine
10 was strongest in patients who expressed HLA-A2 and/or
11 HLA-C3, again, A2/C3 positive patients.

12 Based on Mitchell's publication and
13 analysis of the data in advanced patients, SWOG in
14 1994 began to HLA type all of their patients.

15 In 1996, their enrollment was completed.
16 At that time they enrolled 689 patients. They had
17 been able to HLA type 80 percent of their patients.
18 Out of those, approximately 70 percent that were typed
19 were typed prospectively and 30 percent were typed
20 retrospectively.

21 In 2000, SWOG performed their primary data
22 analysis, and at that time, there was a relapse free

1 survival benefit for the vaccine in all patients'
2 intent to treat analysis with a P value of .04.

3 In September 2000, SWOG analyzed HLA data
4 and demonstrated a relapse free survival benefit for
5 vaccine in patients that expressed two or more five of
6 the predefined HLA. Again, this was Mitchell's first
7 finding, which was confirmed by SWOG.

8 Mitchell's second finding was that there
9 was benefit in A2/C3 positive patients. This was also
10 confirmed by the SWOG analysis. There was a relapse
11 free survival benefit from the vaccine in A2/C3
12 patients with a P value of .004.

13 This part of the talk is to just present
14 you with an overview of the time line, and I'll get
15 back and present the data later on in the talk.

16 In September of 2000, we had an end of
17 Phase 3 meeting with the FDA and discussed at that
18 time an additional data sweep. The additional data
19 sweep was to try to confirm the outcome of the study
20 at a time, after a greater time had occurred and after
21 more events had occurred.

22 Between November 2000 and April 2001, SWOG

1 conducted the data sweep. In May 2002, Corixa
2 analyzed the follow-up data. The relapse free
3 survival in all patients' intent to treat analysis
4 which previously had been statistically significant
5 lost its statistical significance with a P value of
6 .141.

7 However, the relapse free survival in
8 A2/C3 positive patients continued to be positive, with
9 a P value of .005. Moreover, in a new analysis of
10 overall survival, SWOG demonstrated a benefit for the
11 vaccine in A2/C3 positive patients with a P value of
12 .003.

13 These analyses that were performed by
14 Corixa were later confirmed by SWOG.

15 In June of 2001, the results were
16 submitted to the FDA. In October 2001, accelerated
17 approval as adjuvant therapy in Stage 2 A2/C3 positive
18 patients was discussed with the FDA, and it was
19 decided by the FDA that a second Phase 3 trial would
20 be required.

21 Therefore, today we're consulting you all
22 for advice concerning appropriate patient population

1 in order to confirm the first pivotal trial results in
2 this disease population.

3 The third topic is details of the result
4 of SWOG 9035. SWOG 9035 was titled randomized trial
5 of adjuvant immunotherapy with an allogeneic melanoma
6 vaccine for patients with intermediate thickness, node
7 negative malignant melanoma categorized as T3N0M0.
8 This is a multi-centered, open labeled trial conducted
9 by SWOG with IND held by Corixa.

10 The study coordinators are Vern Sondak
11 and Jeff Sosman, Ray Kempf, Ralph Tuthill and P.Y.
12 Liu.

13 The objectives of the trial were to
14 compare Melacine versus observation for relapse free
15 survival and overall survival.

16 Number two, to evaluate the toxicity of
17 Melacine as adjuvant therapy.

18 And, number three, to explore the
19 interaction between patient HLA types and vaccine
20 effectiveness for relapse free survival and overall
21 survival.

22 This third objective was added by protocol

1 amendment in response to Mitchell's analysis. It was
2 added in September of 1994.

3 For trial design, after the primary tumor
4 was removed, patients were stratified and randomized
5 in a one-to-one ratio between observation and vaccine.

6 The vaccine was given intramuscularly, 40 doses over
7 the first two years.

8 The observation group and the vaccine
9 group were followed equivalently for disease relapse.

10 They were evaluated every three months for the first
11 two years and then every four months for the next
12 three years and then annually thereafter.

13 The major inclusion criteria were primary
14 cutaneous melanoma that had to have been completely
15 resected. Patients could have been clinically or
16 pathologically nodally staged. They were categorized
17 either clinically or pathologically as T3N0M0.

18 If clinically staged, that meant that the
19 regional nodes were not palpable. A number of the
20 patients, 25 percent had the regional node dissection,
21 but a regional node dissection and sentinel node
22 evaluation or biopsy was not a requirement for the

1 trial. There could be no evidence of metastatic
2 disease.

3 The patients were categorized as T3 and
4 OM0, and the circumstance T3 was defined by AJCC
5 staging criteria as being 1.5 to four millimeters in
6 thickness or in circumstances where for technical
7 reasons thickness could not be determined, T3 mean
8 Clark's Level IV invasion.

9 The technical reasons for which thickness
10 couldn't be determined were things such as shave
11 biopsies, and again, this corresponded to Stage IIA in
12 AJCC staging system, and just to reiterate once more,
13 this morning we were discussing primarily Stage IIB
14 disease as well as Stage III disease.

15 Patients were stratified according to
16 gender. In general, females do better than males with
17 this disease. They're stratified for lymph node
18 dissection. Obviously patients that have a lymph node
19 dissection do better than those in which it's unknown
20 whether the lymph nodes are positive or negative. All
21 patients with positive lymph nodes were excluded from
22 the trial.

1 They're stratified according to primary
2 tumor thickness. In general patients with smaller
3 tumors or less thick tumors do better than patients
4 with thicker tumors. Pardon me. Thin tumors do
5 better than thick tumors.

6 In total, 689 patients were randomized
7 with 346 patients in the vaccine arm and 343 patients
8 in the observation arm.

9 All treatment assignments were based on
10 entry pathology. Centralized pathology and surgical
11 reviews were conducted after randomization.

12 The data cutoff for the relapse free
13 survival analysis was February of 2000. The cutoff
14 was predefined. It was determined when a predefined
15 number of event had occurred as per the SWOG
16 Statistical Center. At that point 33 percent of the
17 patients had either relapsed or had died.

18 The median follow-up for all patients was
19 4.1 years. The minimum time since registration of the
20 last patient at that point was three years.

21 The vaccine and the observation arms were
22 comparably distributed between the stratification

1 factors of tumor thickness, lymph node staging and
2 gender. Ulceration is now known to be a prognostic
3 factor. It turns out that the vaccine and observation
4 arms were equally distributed between patients that
5 had ulcerated tumor versus no ulcerated tumor.

6 There was a trend towards more tumors in
7 the vaccine group on the extremity, but that did not
8 reach statistical significance.

9 SWOG's analysis of the 2000 database
10 demonstrated that all three stratification factors had
11 a significant effect on relapse free survival, as
12 expected and predicted, with thin tumors doing better
13 than thick tumors, females doing better than males,
14 and patients with lymph node staging doing better than
15 patients who did not have lymph node staging.

16 Relapse free survival was the primary
17 endpoint. SWOG's analysis of the 2000 database
18 demonstrated that the vaccine had a significant effect
19 on relapse free survival. It was significantly longer
20 for vaccine versus observation, the Cox model, intent
21 to treat population with a P value of .040; the hazard
22 ratio of .76.

1 These relapse free survival curves point
2 out the benefit for vaccine versus observation in all
3 patients, again, with a P value of .40 adjusted for
4 stratification factors. Again, this slide
5 demonstrates vaccine significantly prolonged relapse
6 free survival in all patients in the 2000 database.

7 However, upon the data sweep, there were
8 an additional 27 events, and the relapse free survival
9 benefit for the vaccine lost its statistical
10 significance. The curves came together at
11 approximately six to six and a half years,
12 demonstrating again following a data sweep that the
13 significant benefit for the vaccine was lost.

14 The next topic that I want to talk about
15 is the association between HLA and Melacine benefit,
16 but I first want to set the stage again for why SWOG
17 looked at HLA and why they did the particular analyses
18 that they did.

19 Mitchell's study, his analysis in 1992
20 demonstrated that five HLA were shown to be associated
21 with Melacine benefit and disseminated melanoma.
22 Mitchell demonstrated two things: first, a benefit

1 for Melacine in patients with two or more of these
2 five HLA antigens; and, second, a benefit for Melacine
3 was really strongest in patients that expressed either
4 HLA or HLA-C3 or both.

5 Because of Mitchell's analysis and
6 findings in advanced stage patients, the SWOG amended
7 their trial in early stage patients in 1994 to examine
8 whether similar benefits occurred in these early stage
9 patients.

10 SWOG's distribution of HLA antigens was
11 similar to the Caucasian population in general. This
12 is the population that's at risk for this disease with
13 46 percent of the patients being HLA-A2, 29 percent of
14 the patients being HLA-C3, and you can read the rest
15 as well as I can.

16 The point of this slide really is that the
17 combination of A2 plus C3 occurred in 58 percent of
18 the patients. The 46 percent and the 29 percent don't
19 add up to 48 percent, clearly. A number of patients
20 expressed both A2 and C3.

21 But the point is this is a substantial
22 subset of the entire population.

1 Mitchell's analysis demonstrated that
2 patients that expressed two or more of these HLA
3 antigens benefitted from the vaccine. The SWOG
4 analysis demonstrated the same. In patients with two
5 or more matches there was a benefit for vaccine over
6 observation with a P value of .002.

7 By contrast, in the subgroup of patients
8 with zero to one match, there was no benefit for
9 vaccine versus observation.

10 Okay. Mitchell demonstrated that HLA-A2
11 and HLA-C3 were the two HLA antigens with strongest
12 association with benefit from the vaccine. SWOG
13 analyzed each one of the five predefined HLA antigens
14 and demonstrated a benefit for HLA-A2 with a P value
15 of .009; a benefit for HLA-C3 with a P value of .02;
16 HLA-B44 was not statistically associated with benefit,
17 and there were not enough HLA-A28 or B45 patients in
18 order to appropriately analyze vaccine benefit.

19 SWOG went on then to analyze the potential
20 correlation of the benefit with the A2/C3 population
21 and demonstrated that the vaccine had significant
22 benefit on relapse free survival with a P value of

1 .002 and a hazard ratio of .56.

2 These relapse free survivals are depicted
3 on this slide of the February 2000 database vaccine
4 versus observation in A2/C3 positive patients. The
5 vaccine significantly prolonged relapse free survival
6 with a P value of .002.

7 The next slide shows the same two groups,
8 vaccine versus observation in the A2/C3 positive
9 patients, but in the May 2001 database following the
10 data sweep showing that the statistical significant
11 benefit for the vaccine in the subset was not lost on
12 the data sweep with a P value at .005.

13 The question was also asked whether or not
14 the vaccine had a benefit in patients that were A2/C3
15 negative, and the answer, it did not. This is vaccine
16 versus observation. In the A2/C3 negative patients, a
17 P value of .77.

18 The question was also asked as to whether
19 expression of A2/C3 was in and of itself a prognostic
20 factor, and it was not. In patients that were A2/C3
21 positive and only observed, the outcome was the same
22 as patients that were A2/C3 negative and were only

1 observed.

2 Therefore, A2/C3 expression without
3 vaccine did not prolong relapse free survival.

4 The five year relapse free survival
5 estimate for patients that were A2/C3 positive and
6 received the vaccine was 75 percent versus 63 percent
7 for the same category of patients that were observed
8 only. Patients that were A2/C3 negative, five year
9 relapse free survival was 62 percent irrespective of
10 whether they were observed or whether they received
11 the vaccine.

12 Following the data sweep with the May 2001
13 database, overall survival was also examined, and it
14 was determined that relapse free survival was also
15 reflected in overall survival in a subset of patients
16 that were A2/C3 positive, with a P value of .003.

17 The same additional two questions were
18 asked. The first question is whether or not there was
19 an increase in overall survival in patients who were
20 A2/C3 negative, and there was not.

21 And the last question was whether or not
22 the expression of A2/C3 was in and of itself a

1 prognostic factor for overall survival, and it was not
2 on the survival curves.

3 The summary of the follow-up analysis by
4 Corixa of the May 2001 database then. The vaccine is
5 effective in prolonging relapse free survival. A2/C3
6 positive patients, a P value of .005. The vaccine is
7 effective in prolonging overall survival in A2/C3
8 positive patients with a P value of .003.

9 These analyses by Corixa were subsequently
10 confirmed by SWOG and have been submitted for
11 presentation at ASCO this year.

12 The trial also looked at vaccine safety in
13 patients with early stage disease. Adverse events
14 were evaluated in the treated population. They were
15 assessed by SWOG toxicity criteria. They were
16 recorded only for the Melacine patients. They were
17 not recorded for symptoms that were certainly most
18 likely due to disease or other nontreatment causes.

19 Ninety-six percent of the patients
20 experienced at least one adverse event. The majority
21 of the adverse events were mild to moderate. Twenty-
22 three percent of the patients had a maximum of Grade 1

1 toxicity. Sixty-five percent of the patients had a
2 maximum of a Grade 2 toxicity. Nine percent of the
3 patients had a maximum of Grade 3 toxicity, and none
4 of the patients had a Grade 4 toxicity or death. The
5 adverse events were comparable in the A2/C3 positive
6 and in the A2/C3 negative populations.

7 This slide lists the Grade 3 toxicities
8 that were reported in three or more patients. This
9 includes injection site reactions, malaise and
10 fatigue, diarrhea, transient vision abnormalities and
11 fever in the absence of infection.

12 The transient vision abnormalities were
13 seen in three patients, less than one percent. In
14 each case the vision abnormalities were associated
15 with other symptoms, such as headache or nausea. In
16 each circumstance the treating physician felt that the
17 symptoms were minimal enough that all of the patients
18 had additional doses of the vaccine; that transient
19 visual abnormalities did not recur in any of the
20 patients, and in none of the patients was there any
21 evidence of retinitis.

22 The summary then of SWOG 9035 is that

1 Melacine significantly improved relapse free survival
2 and overall survival in patients who expressed HLA-A2
3 and/or HLA-C3. The toxicity was minimal. These
4 results are highly encouraging for patients with
5 melanoma and for cancer vaccines in general; that the
6 results are consistent with the prediction that in the
7 post genomic era that we're in currently, therapies
8 will be tailored to patients' genetic capabilities to
9 respond.

10 And this correlation between HLA type and
11 outcome makes biologic sense. HLA or human leukocyte
12 antigens lay a central role in immune surveillance,
13 immune response and immune regulation; that the role
14 for HLA is to bind peptide fragments of antigens. HLA
15 presents peptide fragments of antigens to T cells and
16 activates T cells, triggers T cell responses.

17 The HLA antigens are highly polymorphic.
18 Each particular allele, each particular HLA antigen
19 binds a particular subset of peptides, and each HLA
20 binds a different subset of antigenic peptides. It's
21 the peptide binding of HLA antigens that governs
22 responsiveness versus nonresponsiveness to vaccines.

1 The number of circumstances in infection
2 disease vaccines where responsiveness versus
3 nonresponsiveness is dictated by HLA or low
4 responsiveness versus high responsiveness is dictated
5 by HLA. The vaccines include Hepatitis B, influenza,
6 as well as HIV.

7 So it makes some sense that we would see
8 the same correlation in cancer vaccines.

9 Despite the correlation of vaccine benefit
10 with particular HLA antigens, the mechanism of the
11 benefit from the vaccine is unknown. There are
12 several possible explanations, including HLA-A2 and C3
13 or Class I HLA. They are known to present antigens
14 that activate cytotoxic T cells. So A2 and C3 may
15 preferentially present one or more of the Melacine
16 melanoma antigens within Melacine to cytotoxic T
17 cells.

18 Alternatively A2 and C3 may be linked to
19 other polymorphic immune response genes that
20 themselves are responsible for benefit of the vaccine,
21 and the next slide basically lists the genes that are
22 on chromosome 6 that are in proximity to Class I genes

1 that are polymorphic and have functions that relate to
2 immune response, including the MICA, MICB genes, which
3 activate gamma delta T cells, TNF heat shock protein
4 which chaperons antigens, the complement components;
5 Class II HLA, which is necessary for activating
6 cytotoxic T cells, as well as generating antibody
7 responses; the TAP genes that are involved in antigen
8 degradation and presentation on T cells.

9 Nunley (phonetic) chromosomes are also
10 other uncharacterized genes with as yet unknown
11 function.

12 It also needs to be noted that there's a
13 high level of linkage disequilibrium between HLA and
14 these particular immune response genes, meaning that
15 quite often they segregate along with HLA genes and
16 define distinct immune response haplotypes.

17 Parenthetically even though we don't know
18 what the mechanism of Melacine is, I would also
19 contend that we aren't any closer to understanding the
20 mechanism of interferon either.

21 The next topic are issues affecting
22 further development of the vaccine. Since initiation

1 of SWOG in 1935, there have been a number of changes
2 in standard care that in effect attempts to replicate
3 and confirm the results of SWOG 9035.

4 Number one, INTRON has been approved as
5 adjuvant therapy in patients with high risk for
6 recurrence. Again, these patients are considered to
7 be intermediate risk for recurrence.

8 The next AJCC staging system is in use
9 with different cutoffs and parameters, and the
10 lymphatic mapping of sentinel node biopsies is
11 commonly employed.

12 I don't think we need to dwell on this
13 slide. We talked about this extensively this morning,
14 but I only want to make the point that the general
15 assumption going into this morning's meeting and what
16 I took out of the meeting is that INTRON-A is approved
17 for lesions of greater than four millimeter without or
18 with lymph node involvement, and the corollary is that
19 INTRON is not approved for lesions of less than four
20 millimeter without lymph node involvement.

21 Okay. The new AJCC staging system, which
22 you have a copy of in Balch's manuscripts in the

1 briefing document, has thickness break points at one,
2 two, and four millimeters as opposed to the old
3 system, which is .76, 1.5, and four millimeters.

4 SWOG 9035 entered patients with lesions of
5 1.5 to four millimeters according to the prior AJCC
6 staging system.

7 The new staging system also up stages
8 patients with ulcerated primary lesions.

9 The standard practice now is to subject
10 patients to lymphatic mapping and sentinel node
11 biopsy. The primary tumor is greater than one
12 millimeter. This divides patients who are previously
13 clinically staged as lymph node negative into
14 pathologically staged lymph node positive patients and
15 lymph node negative patients. Patients with
16 pathologically staged positive lymph nodes are now
17 commonly offered INTRON-A.

18 In SWOG 9035, 25 percent of the patients
19 were pathologically staged, 75 percent only clinically
20 staged. In the proposed trial, according to standard
21 practice now, all patients will be pathologically
22 staged whenever technically feasible.

1 As a consequence then, the proposed
2 patient population will exclude patients with lymph
3 nodes containing microscopic or occult tumor that was
4 detectable only by biopsy. This may then lower the
5 risk of the study population for recurrence.

6 Okay. The next topic is the proposed
7 second randomized pivotal trial. The proposed trial
8 will try to mimic or reproduce as closely as possible
9 SWOG 9035. It will include Stages IIA and IIB.
10 Again, this is not the IIB that was discussed this
11 morning. Rather IIB by the new staging system, which
12 we'll show in a moment.

13 These patients are deemed to be at
14 intermediate risk for relapse. The higher stages will
15 be excluded as being not represented in SWOG 1935, and
16 they may be interferon candidates.

17 Lower stages will be excluded because they
18 were not well represented in SWOG 1935, and the risk
19 of recurrence in these patients will be to low.

20 Okay. The major eligibility criteria will
21 be histologically diagnosed surgically removed Stage
22 IIA or IIB cutaneous melanoma. All patients will be

1 HLA typed and will be HLA-A2 and/or HLA-C3. All
2 patients will have lymphatic mapping and sentinel node
3 biopsy if technically feasible. There will be no
4 evidence of metastatic disease, and there can be no
5 prior or planned INTRON-A chemotherapy, radiation
6 therapy, or other biological response modifiers
7 planned.

8 In SWOG 9035, patients were entered
9 according to the old AJCC staging system. The
10 patients on the trial were those with Stage IIA T3
11 tumors. These tumors are 1.5 to four millimeter.

12 In the new proposed AJCC staging system --
13 I say "proposed," but it's commonly being used today -
14 - in this new AJCC staging system, the proposed
15 patient population are those with Stage IIA. Those
16 are tumors of 1.5 to two millimeter with ulceration.
17 The five year survival in those is 77 percent or Stage
18 T3A. These are tumors of two to four millimeter
19 without ulceration. The five year survival is 79
20 percent.

21 And finally, half of the patients or the
22 better half of patients with Stage IIB will be

1 included. Those are patients with tumors of two to
2 four millimeter, with ulceration. The outcome in
3 these, five year survival is 63 percent.

4 Excluded will be the half of IIB that have
5 tumors of greater than four millimeter because these
6 tumors were not included in the initial trial.

7 Patients will be stratified according to
8 pathologic stage. They'll be stratified according to
9 gender, and they'll be stratified according to the
10 primary site of tumor extremity versus head and neck
11 and trunk.

12 A total of 700 patients that are A2/C3
13 positive will be entered on the trial. They'll be
14 randomized in a one-to-one ratio between vaccine and
15 observation. Approximately 350 patients per arm.

16 The estimated five year relapse free
17 survival based on SWOG 9035, as well as the AJCC
18 database will be 70 percent in the observation arm
19 versus 80 percent in the vaccine arm. Enrollment will
20 take approximately three to four years.

21 The data cutoff date for the primary
22 analysis will be five years after enrollment. This

1 will allow an 80 percent power to detect this ten
2 percent difference.

3 The trial design will be essentially the
4 same as SWOG 9035. Patients will have primary tumor
5 removed. They'll be stratified and randomized between
6 observation and vaccine. The vaccine will be given
7 over two years, 40 doses. Patients in both groups
8 will be evaluated equivalently for disease relapse.

9 Data points will be efficacy and safety.
10 The efficacy will be in the intent to treat
11 population. The primary endpoint will be relapse free
12 survival. The secondary endpoint will be overall
13 survival.

14 Patients will also be evaluated for safety
15 by evaluating for adverse events. We will look for
16 adverse events both in the Melacine and the
17 observation arms.

18 Finally, the issues for ODAC and FDA. Our
19 first question: is it agreed that treatment with
20 INTRON-A is not necessary for the proposed
21 intermediate risk patient population that includes
22 patients with Stage IIA and IIB tumors?

1 Our second question is: can or should
2 patients with Stage IIIA tumors -- that's N1a --
3 especially if less than four millimeters, but with one
4 positive microscopic lymph node detected by sentinel
5 node biopsy, be included in the proposed trial?

6 And this issue can be, I think, best
7 pointed out by going back to this table which was
8 taken out of Balch's manuscript in the briefing
9 document.

10 The proposed trial as planned now will
11 include patients in Stage IIA and IIB. The five year
12 survival in those categories is between 63 and 79
13 percent.

14 This category of Stage IIIA, patients that
15 have occult or microscopic metastases diagnosed
16 because of virtue of the fact that they have sentinel
17 node biopsy, have a five year survival of 69 percent,
18 which is equivalent, and even though we don't have
19 this data, if we look at only those tumors that are
20 less than four millimeter, the five year survival is
21 probably greater than the 69 percent.

22 So, in summary, adjuvant therapy for

1 intermediate thickness Stage II melanoma is an unmet
2 medical need. In SWOG 9035, Melacine prolonged
3 relapse free survival and overall survival in Stage II
4 patients who expressed two or more of five predefined
5 HLA types or expressed HLA-A2 and/or C3.

6 The mechanism by which Melacine provides a
7 benefit is unknown, but is associated with immune
8 response genes.

9 Finally Corixa needs consensus on the
10 second Phase III trial design to replicate SWOG 9035
11 in order to confirm the benefit of Melacine in this
12 patient population and for regulatory approval.

13 Thank you very much for your attention.
14 We welcome questions from ODAC members and from the
15 FDA, and to help field the questions we have SWOG
16 representatives with us. We have John Thompson.
17 Maybe you could just come up to answer questions up
18 here.

19 We have John Thompson who is a Professor
20 of Medicine at the University of Washington; Jeff
21 Sosman, a Professor of Medicine at Vanderbilt
22 University; and Walter Urba, Director of Cancer

1 Research at the Earl Childs Research Institute in
2 Portland, Oregon.

3 And to help field questions from Corixa,
4 we have Cindy Jacobs, who is Senior Vice President of
5 Clinical Development; Monica Krieger, Vice President
6 of Regulatory Affairs; Chuck Richardson, Senior Vice
7 President and Manufacturing Site Manager; Ken Von
8 Eschen, Medical Director; and Heather Tully, the
9 Manager of Biostatistics.

10 So I turn the forum back to ODAC.

11 CHAIRPERSON NERENSTONE: Thank you very
12 much.

13 Are there any questions from the Committee
14 to the sponsor?

15 I have a first question about the trial
16 design. Being that it is going to be a relapse free
17 survival and not overall survival endpoint, has there
18 been any thought to a placebo controlled design?

19 DR. CHEEVER: There are a couple of issues
20 with that. I have the lavalier on.

21 Okay. First, it's certainly something
22 that we have considered. There are a number of

1 issues. First of all, it's difficult to come up with
2 a true placebo where the patient and their physicians
3 don't know what it is. It will look different and
4 will have a different local reaction.

5 The second is that we think that the
6 findings in SWOG 9035 are very compelling and would
7 like more than anything to absolutely repeat this
8 trial as closely as possible so that we can either
9 confirm that the data is correct or refute it.

10 I think that it's very important for
11 melanoma patients and it's, I think, very important
12 for the field of cancer vaccines to precisely repeat
13 the trial as it was. Maybe you have a different
14 answer.

15 CHAIRPERSON NERENSTONE: I guess I
16 understand your concern that it's not going to look
17 alike. I think your data would be that much stronger.

18 The problem of investigator bias in the
19 endpoint when recurrence happens, I think, is going to
20 be very important, and if the investigator knows that
21 the patient is on observation only, the likelihood of
22 investigating a cough that gets worse is probably a

1 little bit more in terms of looking to see if it's
2 related.

3 DR. CHEEVER: John, maybe you can answer
4 this.

5 DR. THOMPSON: Well, I was very involved
6 with this study, as were my colleagues here from SWOG,
7 and I think it's safe to say that as clinicians taking
8 care of melanoma patients, that when we evaluated
9 patients in follow up on the protocol which was done
10 on a regular basis every three months during the first
11 two years and then every four months in years three
12 through five, that if patients presented with symptoms
13 that were suspicious of recurrence, those symptoms
14 would have been investigated regardless of which arm
15 the patient was on.

16 DR. SOSMAN: Yeah, in terms of the
17 adjuvant, which is a question, I think, that was
18 referred to, I think Dr. Cheever made a very good
19 point that we've discussed ourselves in that there's
20 been a lot of mistakes made in vaccine trials in the
21 past, and the real hope is that we really look at the
22 whole product versus no treatment, and if there was an

1 adequate placebo, that may be an idea, but it would be
2 very hard, and to look at the whole vaccine versus
3 part of the vaccine I think just is fraught with
4 problems.

5 And we've been down that road other times
6 in vaccine trials.

7 CHAIRPERSON NERENSTONE: Dr. Blayney.

8 DR. BLAYNEY: I share the Chair's concern
9 about the bias on the part of investigators who might
10 know which treatment a patient is receiving, and
11 you've heard the concern.

12 Second, what would happen if a patient had
13 a sentinel lymph node dissection in IA, microscopic
14 diseases, and that was discovered? Would they go on to
15 have a completion lymph node dissection of that lymph
16 node bed or do you project calling it a day and going
17 on?

18 DR. THOMPSON: That was not actually
19 described in the protocol, but I think most of the
20 institutions participating in this study had that as
21 their paradigm, that if a sentinel node was positive,
22 that those patients did have a completely lymph

1 adenectomy.

2 DR. SOSMAN: Obviously that is a question,
3 and even ACOSOG (phonetic) discussed looking at that
4 question, but I don't think that's something we have
5 to be concerned about. They're not going to do that
6 study because it is so ingrained at least in 2002 that
7 almost all patients who have sentinel nodes that are
8 positive go on to completion node dissection.

9 So I don't think that's something to be
10 concerned about.

11 DR. BLAYNEY: Even the microscopic?

12 DR. SOSMAN: Well, that's what --

13 DR. BLAYNEY: The immunohistochemistry
14 staining that's done afterwards?

15 DR. SOSMAN: Well, I think our definition
16 we haven't talked about in detail, but likely we're
17 going to try to be as consistent as possible with
18 defining what is positive sentinel node, and that gets
19 into detail that we'll have to work out as we put the
20 trial together.

21 CHAIRPERSON NERENSTONE: Dr. Przepiorka.

22 DR. PRZEPIORKA: Not to belabor the issue,

1 but just to go on with it, detox., has anyone looked
2 at detox. alone as a vaccine in melanoma or any other
3 malignancies? Does he have any activity?

4 DR. CHEEVER: No, we have not looked at
5 detox. alone for activity alone.

6 CHAIRPERSON NERENSTONE: Dr. Kelson.

7 DR. KELSON: You're proposing to do the
8 primary endpoint analysis five years after the last
9 patient is entered into the study. So the data will
10 be very mature, and the curves for both relapse free
11 and overall survival clearly separated by five years.

12 Why is the primary endpoint RFS instead of
13 overall survival if you're not going to do the
14 analysis until that point anyway?

15 DR. CHEEVER: Heather, can you answer
16 that?

17 MS. TULLY: We have --

18 CHAIRPERSON NERENSTONE: Excuse me.
19 Please use a microphone and identify yourself.

20 MS. TULLY: My name is Heather Tully. I
21 work at Corixa. I'm a biostatistics manager.

22 Let me give you a little background into

1 the way that we sized the trial. I think that might
2 be helpful.

3 In the 323 patients in the SWOG study who
4 were A2/C3 positive, we had about a 73 percent relapse
5 free survival in the vaccine arm and about 64 percent
6 five year relapse free survival in the observation
7 arm.

8 We were concerned because that trial
9 started in 1992, and there have been numerous changes
10 in the standard practice that we should increase our
11 estimates of five year relapse free survival to size
12 the trial, and so we based the size of the trial on 80
13 percent for the vaccine arm and 70 percent for the
14 observation arm.

15 And at that point after five years, we
16 would have about 80 percent power for relapse free
17 survival.

18 DR. KELSON: What would the similar
19 numbers be for survival?

20 DR. TULLY: I don't exactly know, except
21 it wouldn't be that high.

22 DR. FLEMING: I did those calculations.

1 Can I comment?

2 CHAIRPERSON NERENSTONE: Sure.

3 DR. FLEMING: I took the data as had been
4 presented to us on pages 13 and 14 in the briefing
5 document. There are also corresponding slides that
6 would have been presented, and on page 14 in the
7 briefing document, for example, the hazard ratios are
8 given there for both relapse free survival and for
9 overall survival in the A2/C3 subgroup.

10 And the reduction in relative risk is 44
11 percent for relapse free survival. It's 57 percent
12 for survival. Essentially the 80 versus 70
13 corresponds to a 38 percent reduction.

14 If one takes a more cautious approach and
15 says the overall observed reduction on page 14 in the
16 A2/C3 subgroup for survival is 57 percent, you say
17 suppose it's only 40 percent. If it's only 40
18 percent, given the actual survival curves and the
19 amount of information that we have, by my calculation
20 687 patients would give us a targeted 120 events,
21 which is exactly what you need to get 80 percent power
22 to pick up a 40 percent reduction.

1 And in fact, if you have 80 percent power
2 to pick up a 40 percent reduction, your observed
3 reduction has to be 30 percent for statistical
4 significance, remembering if you have 80 percent power
5 for a given reduction, the observed has to be two
6 thirds to three quarters of that to achieve
7 significance.

8 So if the sponsor looks at these results
9 and sees a 57 percent reduction in risk and thinks
10 that a subsequent trial with 700 patients could
11 reasonably be expected to achieve half that amount of
12 reduction in risk, observe 30 percent, that would
13 achieve statistical significance.

14 So I've wondered the same thing. Given
15 that there are the uncertainties about the objectivity
16 with recurrence free survival and all of the
17 discussions from this morning about whether recurrence
18 free survival truly reliably predicts survival, this
19 study with 700 patients is adequately powered to
20 achieve significance on survival if the observed
21 reduction is only about half of what you observed in
22 the SWOG subgroup analysis.

1 MS. TULLY: That's very conservative.

2 DR. KELSON: I particularly have that in
3 mind because we spent the whole morning talking about
4 an observation in a different stage of the same
5 disease using a biologic where relapse free survival
6 was clearly affected in one way or the other, but
7 overall survival wasn't, and overall survival is a
8 much harder endpoint, you know.

9 DR. CHEEVER: It was my understanding from
10 this morning's discussion -- and correct me if I'm
11 wrong -- that one of the problems with the interferon
12 trials is that everyone goes on interferon at some
13 point in time.

14 And I think you may find the same thing
15 with vaccines, that following relapse, a number of
16 these patients will go on other vaccines at the same
17 time.

18 DR. KELSON: That would assume that
19 they're effective.

20 DR. SOSMAN: I think that I'm not so sure
21 that we can say with staging in 2002 that that many
22 people will relapse in their regional nodes. However,

1 the patients who relapse will relapse systemically and
2 almost uniformly die of disease.

3 In those cases, we're now projecting
4 seven, eight, nine years from now, and while I think
5 there were some very elegant comments about the lack
6 of movement in the field, we're hoping eight, nine
7 years from now we actually might have therapy for a
8 subset for patients with metastatic disease.

9 So I think it is a little concerning that
10 we might change that outcome and relapses may be
11 salvaged way down the line.

12 DR. KELSON: That would certainly be a
13 most desirable outcome in the future, but the reason I
14 ask this is the way I read it -- and please correct me
15 if I'm wrong -- you're going to spend three to four
16 years accruing patients, and you don't plan to do your
17 first analysis until five years from the end of
18 accrual, and that's nine years.

19 And, therefore, as you have designed your
20 trial, we all, I think, would be delighted to see
21 changes over the next nine years. You actually don't
22 plan to do your analysis for the next nine years

1 anyway. So I'm still not quite 100 percent sure why
2 you wouldn't be looking at survival under those
3 circumstances.

4 DR. CHEEVER: Stuart, do you want to
5 answer that?

6 MR. KROLL: My name is Stuart Kroll. I'm
7 the Director of Biostatistics at Corixa.

8 I think we looked at the 57 percent
9 difference that Tom was talking about and thought that
10 being that this was a selected subgroup, that that
11 would probably be too optimistic a difference.

12 And we also looked at the survival and
13 felt that with this group where everyone is staged
14 that the survival also would be higher than what we
15 saw in the SWOG study, and given both of those facts,
16 even though Tom says a 30 percent difference in
17 survival our study is adequate powered for, a 30
18 percent difference in survival is a huge difference,
19 and the way we worked it out, we still think that we
20 would want additional follow-up for survival. So
21 probably an additional two years, two or three years
22 after the five year point, and to make sure that we're

1 adequately powered for that survival endpoint.

2 CHAIRPERSON NERENSTONE: Dr. Albain.

3 DR. ALBAIN: I wanted to commend you for a
4 very educational, interesting presentation.

5 I wanted to change the subject a little
6 bit. I find this very exciting data and am just
7 concerned that it's going to be ten years before, if
8 all goes well, that you will have an answer and would
9 encourage us to think about expanding your eligibility
10 a bit more even so that you can accrue more quickly
11 because you mentioned this is 25 percent of the
12 population, but that's not the A2/C3 or A3/C2 -- did I
13 get it right the first time? -- A2/C3 subtype.

14 DR. CHEEVER: The A2/C3 would be half of
15 that.

16 DR. ALBAIN: Right. So, in fact, I'm not
17 convinced you're going to accrue as rapidly as you
18 think you might in this very restricted appropriate
19 population for this type of study and would have no
20 problem with you expanding the eligibility a bit.

21 But could you comment on this long time?

22 DR. CHEEVER: John, may you or Walter

1 could talk about accrual.

2 DR. THOMPSON: The history from the
3 previous study, 9035, is that patients began to enter
4 treatment in 1992 and then accrual ceased in 1995. So
5 the patients were accrued in that interval of years.

6 And the rate of accrual ramped up
7 significantly toward the latter part of the study.

8 DR. ALBAIN: That wasn't the A2/C3 group.

9 DR. THOMPSON: Well, you're right, but
10 that was all patients, and the A2/C3 group is 58
11 percent of the entire group that we'll enter on the
12 study. So if you project a higher rate of accrual,
13 the type of rate that we saw toward the end of 9035,
14 multiply that by 58 percent; that would be the rate
15 that we would have accrued in the mid-'90s.

16 Now, with the increasing interest, the
17 A2/C3 equation to this, I think that interest in this
18 trial and, hence, patients being referred for
19 consideration of this trial has to go up. I don't
20 know how much. That will remain to be seen, but I
21 would predict that it would go up substantially.

22 DR. SOSMAN: Dr. Cheever speaks obviously

1 more as a representative of Corixa. I think your
2 point is well taken in terms of expanding the
3 indications for this trial. There are a lot of issues
4 with that, but we don't have a cooperative group trial
5 for any of those patients.

6 I think that this trial hopefully will --
7 SWOG 9035 was a single group study without intergroup
8 support, and this trial will hopefully and almost has
9 to be a multi-group trial.

10 And I think there is interest in the other
11 cooperative groups. We have talked a lot about this,
12 and I think there is an interest in this.

13 CHAIRPERSON NERENSTONE: Dr. Nelson

14 DR. NELSON: I have a follow-up of that
15 question. I'm trying to make sure I get the twos and
16 the threes and the ABCs correct.

17 But given the discussion this morning, why
18 not establish a sort of parallel track with much the
19 same design, including what looks to me under the new
20 classification 2C, 3B, 3C, which would be basically
21 those who would refuse INTRON and then be eligible for
22 enrollment into a trial designed much in this same way

1 as a second population, not lumped together, but then
2 analyzed separately.

3 DR. THOMPSON: You mean the node positive?
4 Are you referring to the --

5 DR. NELSON: Well, I guess I'm following
6 up. If, if, big "if," the conclusion was that someone
7 who does not want to receive interferon could be
8 eligible for a vaccine trial, you've excluded the
9 groups that are currently eligible for interferon. So
10 if you don't -- I'm not saying put them together for
11 the purpose of analysis, but allow enrollment for
12 individuals who then fit the new classification two
13 and three that would be eligible, but are yet still
14 HLA-A2/C3 positive. Would that then give you more and
15 allow you to draw some conclusions that could address
16 that previous question?

17 DR. THOMPSON: Well, we're going to bring
18 up the slide that shows the new AJCC staging system
19 again, and one of the powerful features of this is
20 that it allows us to predict very accurately the
21 outcome, the relapse free survival of patients in each
22 category, and we're going to see in a minute here the

1 categorization of the patients who are in 1A, that is,
2 that they have a single node that was clinically
3 occult.

4 DR. NELSON: But I'm basically asking why
5 not include those who refuse interferon in your trial
6 rather than those who just aren't eligible for the
7 current approved indication for interferon.

8 DR. THOMPSON: Well, maybe I'm not
9 understanding, but one proposal would be to include
10 these patients in the current study that Dr. Cheever
11 has just presented because they have a similar risk of
12 relapse as the patients who are in Stage II.

13 DR. NELSON: Well, I saw that for 3A, but
14 I guess, again, this is not my field. So I'm asking
15 in the sense as a -- there are others who would have
16 3B classifications that --

17 DR. THOMPSON: Well --

18 DR. NELSON: Am I asking a clear question?
19 Maybe you should restate it.

20 DR. URBA: I think the answer to that is
21 the goal is to replicate 9035, and getting too far
22 away from that changes the interpretation of the

1 study, changes the patient make-up, and then the real
2 question and the hypothesis behind this study is to
3 repeat what was done before as closely as possible, to
4 try and make sure that you don't make any mistakes in
5 development of this vaccine so that --

6 DR. NELSON: Right. I understand.

7 DR. URBA: -- if it works as effectively --

8 DR. NELSON: I'm suggesting do that plus
9 more, is what I'm suggesting.

10 DR. URBA: Well, there's no question that
11 one would be interested in looking at what Melacine
12 does in other stages outside of this defined study. I
13 wouldn't argue that.

14 I think what you heard from the experts
15 sitting over here was permission to do things like
16 Melacine in those patients if they refuse interferon.

17 I would agree with the panel members from
18 this morning that the answer is, yes, we should make
19 that studies available.

20 Now, if you're talking about a separate
21 Phase 2 study or something asking a different question
22 and interferon refuseniks, I would agree.

1 DR. CHEEVER: I would say there are two
2 competing factors. One is the one that replicates
3 SWOG 9035 as closely as possible, but the other is the
4 possibility of entering interferon refuseniks, if you
5 will call them that, and that is a new concept which I
6 think we really have to wait the FDA's final opinion
7 as to what transpired this morning and the final
8 conclusion before we can inculcate those ideas, I
9 think, into our thinking and future plans.

10 DR. SOSMAN: I think, you know, just sort
11 of to add to them and to add what Dr. Fleming and the
12 other statisticians have said, I think it would be a
13 real mistake to under power the group that made up
14 9035. So you could add a variety of other groups.
15 I'm not saying that that -- you know, there are many
16 issues with it, but if whatever study you design,
17 power your study so that the group that were on 9035
18 are adequate, whether there's 700 or so, so that you
19 can do the study and you don't lose the significance
20 in that group.

21 And one of your primary objectives is that
22 group has a better outcome. That way you won't have

1 diluted it with patients who may have a different
2 immune response. There's so little we know and so
3 many variables that we don't want to make that
4 mistake, but there are many ways around it.

5 CHAIRPERSON NERENSTONE: We do have some
6 time constraints, and I think maybe if the sponsor
7 would like one of you to answer the question so that
8 we can continue on because we're going to lose some of
9 our committee members to flight problems.

10 Dr. George.

11 DR. GEORGE: I would like, first of all,
12 to cast my vote with those who were suggesting that
13 this trial should be designed at least partly with
14 overall survival as the primary endpoint. I think
15 that would be a very important thing to do.

16 The second part of my comments had to do
17 with eligibility though. You stated numerous times
18 that the goal is to replicate 9035, and what I think
19 you mean by that is you're doing a confirmatory trial
20 of a positive subgroup analysis in these A2/C3
21 patients, not really to replicate entirely 9035.

22 And my point about this is you could also

1 view this as you have an opportunity for a
2 confirmatory trial of a negative subgroup analysis in
3 the other patients. That is, how do you know that the
4 vaccine doesn't work in these other patients? You had
5 this subgroup analysis that says it's positive in one
6 subgroup. You have the same kind of analysis saying
7 it looks negative in the other, but is there some
8 disadvantage with broadening the eligibility
9 requirements to include those patients, not to change
10 the numbers with respect to how many you need in the
11 A2/C3 group, but why not do the other?

12 DR. CHEEVER: We'll have Dr. Jacobs answer
13 your question.

14 DR. JACOBS: Hi. I'm Cindy Jacobs.

15 That's a good point. In fact, when we
16 discussed with FDA SWOG 9035, the approval of that
17 trial, the main problem was that the effect we saw in
18 A2/C3, although it had been confirmed in the SWOG
19 trial from Mitchell's prior data, it was the subgroup
20 analysis, and that's why that accelerated approval was
21 not an option.

22 For us then as a company to go and do

1 another eight to ten year trial, we need to have a
2 study that we look at the intent to treat population
3 of that entire study to confirm for approval those
4 A2/C3 positive patients.

5 DR. SIEGEL: It is worth noting that the
6 agency has permitted and does permit trials to be
7 designed in which the primary analysis is based on a
8 subset. In a case such as this, where the prior data
9 suggests efficacy in a subset, if your trial is
10 designed as it already is to assess efficacy in the
11 subset, enrolling patients who don't belong in that
12 subset on the same trial would not force you to have
13 that larger set as a primary analysis. We have in the
14 past and do accommodate that sort of approach.

15 DR. GEORGE: Well, just to be clear, I
16 wasn't suggesting any change from that primary focus,
17 but broadening the patients -- that is, still the main
18 focus would be in this A2/C3 group. So just a
19 comment.

20 Also, the other with respect to
21 eligibility, I also don't see why you can't broaden it
22 with respect to some of these other stages because of

1 the new definition. You've been toying with that, but
2 it seems to me it would be better to include them even
3 if your primary hypothesis focused on a smaller group.

4 DR. CHEEVER: Okay. Thank you.

5 DR. JACOBS: If you're referring to more
6 general Stage III and Stage IV, we have done or RIBI
7 has done trials with Melacine, including INTRON-A
8 compared to INTRON-A plus Melacine, and those studies
9 did not show or indicate any benefit or synergistic
10 effect of Melacine with INTRON-A or in Stage III to
11 date.

12 So really what we've seen is in Stage II
13 patients, and that's why for us as a company to move
14 forward for regulatory approval to focus on that
15 patient population for this next trial.

16 CHAIRPERSON NERENSTONE: Dr. Brawley

17 DR. BRAWLEY: Three very quick points. I
18 understand the point to expand -- well, first off, if
19 more than three percent of melanoma patients went on
20 the clinical trials, you could accrue a lot faster and
21 finish this a lot faster. That just is a
22 parenthetical remark.

1 I also understand that if you increase the
2 stages available to the clinical trial, you're
3 probably asking a different question, at least a
4 different biological question.

5 So I understand why you want to stay with
6 this low stage group of individuals.

7 And I also have made quite a career
8 criticizing people for doing subset analysis based on
9 race. So I'm not going to criticize you for not
10 wanting to do a subset analysis based on something
11 else now.

12 The one comment that I'd really like to
13 make for the record in terms of overall survival
14 versus disease free survival, when you have a
15 treatment that has a very, very small impingement upon
16 quality of life, and I really don't think you're --
17 except for some side effects at the injection site, I
18 don't think you're interfering with the quality of
19 life of these patients. Disease free survival
20 actually to me becomes a much more important
21 measurement.

22 You know, in the INTRON-A discussion where

1 you're giving people really, really harsh treatment,
2 I'm just more interested in overall survival versus
3 disease free survival, but if you don't push overall
4 survival with a treatment that has very little effect
5 on quality of life, but do improve disease free
6 survival, to me you win on quality of life points.

7 Did I blur that or did you understand what
8 I was saying?

9 DR. CHEEVER: I understand that, and I
10 appreciate your comment.

11 Do you want to comment, John?

12 DR. THOMPSON: Well, I would just second
13 your statement regarding the toxicity of this regimen.

14 I think my colleagues here will back this up, that
15 the side effects of this vaccine protocol compared to
16 other things that have been discussed this morning is
17 fairly mild, injection site reactions primarily.

18 And I think that because of that I agree
19 with your point that disease free survival assumed a
20 greater importance, and perhaps that is another reason
21 to look at that as the primary endpoint.

22 CHAIRPERSON NERENSTONE: Dr. Sledge.

1 DR. SLEDGE: I actually don't have any
2 questions, just one comment. You know, listening
3 here, this entire conversation is devolving rather
4 than evolving, and that is to say we started out with
5 a very general, almost philosophical question and now
6 we're going into the "nitpicky" parts of designing
7 your trial for you, for which I think this Committee
8 should apologize to you.

9 There is probably nothing more dangerous
10 than a group of non-experts trying to pretend that
11 they know how to design a melanoma trial. So I guess
12 my question would be either of you or the agency, I
13 mean, is there some general important question that
14 you want to hear from us rather than us writing your
15 inclusion and exclusion criteria for you?

16 (Laughter.)

17 DR. SIEGEL: Yeah, you have printed
18 questions, and I think from our perspective, you know,
19 Question 3 which asks -- because this trial
20 presumably will come back to this Committee. You
21 know, fortunately most of you will have rotated off
22 and won't have to stand behind your decision.

1 (Laughter.)

2 DR. SIEGEL: We've had this experience
3 before, and that Committee is going to come back and
4 say, "Well, geez, why didn't you bring us a trial with
5 this endpoint when it clearly should have been with
6 that endpoint?" or, "why did you bring us a trial with
7 this entry criteria when it clearly should have been
8 that entry criteria?"

9 Well, ultimately, you know, I think
10 companies and the FDA find it useful to get input
11 before, you know, putting in seven or ten years and
12 tens of millions of dollars and the sacrifices of
13 hundreds of patients, of their time and effort and
14 concerns into a trial to try to make sure that it is
15 going to satisfy what not only those of us in the
16 agency think would be appropriate, but what our expert
17 advisors think would be appropriate.

18 So the questions do kind of focus on the
19 areas that we think are most important, and I think
20 the questions to you are closely parallel to the same
21 questions. The inclusion of N1 patients, the nature
22 of the endpoint.

1 DR. CHEEVER: We greatly appreciate your
2 comments. As a company, there are certainly some
3 people within our group that are hesitant to initiate
4 a trial that will take years. In order to initiate
5 that trial, we really need to make sure that there is
6 consensus, that it's the correct trial, and that there
7 is a clear path forward for regulatory approval if the
8 study turns out to be positive as we predict that it
9 will.

10 CHAIRPERSON NERENSTONE: Dr. Vanderpool.

11 DR. VANDERPOOL: Given some of my comments
12 this morning, Jay, I may be rotated off this Committee
13 after one meeting.

14 (Laughter.)

15 DR. VANDERPOOL: We are being asked to
16 confirm whether the -- we're being consulted for
17 advice concerning appropriate patient population to
18 confirm the first pivotal trial results. I can
19 understand, on the one hand, why you want to really
20 control this, keep this trial to Stage II melanoma,
21 because that's where the problem was, and you want to
22 get on with the program and see if you can have an

1 effective drug.

2 I think the questions we have -- and I
3 certainly entirely agree with Dr. Sledge that we can't
4 -- I certainly have no wisdom as to how to design
5 trials -- but I would hope that either interferon is
6 beginning to show interest in doing research on Stage
7 II melanoma or that, given the past success of your
8 dealing with these patients with these particular
9 A2/C3 genetic profiles, that you might be able to do
10 something that the interferon trials are doing.

11 In other words, I can see why these trials
12 need to be cleanly separated out in their own worlds,
13 but at the same time would it be possible for the sake
14 of faster drug development to have some crossovers
15 between interferon, on the one hand, and your
16 treatments, on the other?

17 That's my only open question.

18 DR. SOSMAN: Referring to that and a
19 number of other comments, there's been lots of
20 discussion with ECOG, and one of the thoughts has been
21 that the A2/C3 negative patients would go on the ECOG
22 1697 study because SWOG is not active in that trial,

1 and they very badly need our involvement.

2 At the same time, ECOG would enroll their
3 A2/C3 patients onto this trial and I think that would
4 benefit everybody.

5 DR. CHEEVER: One last comment, to make
6 sure it's clear that interferon in the standard high
7 dose as proven to be effective is not currently being
8 tested in this disease category by any of the
9 cooperative groups.

10 DR. VANDERPOOL: I understand that. I
11 mean, my question is given the effectiveness on the
12 later stages, I didn't know whether Dr. Siegel would
13 have any comment as to whether the makers of
14 interferon are also interested in this earlier stage
15 of melanoma or not.

16 CHAIRPERSON NERENSTONE: Dr. Carpenter.

17 DR. CARPENTER: Since there's been so much
18 discussion about the choice of endpoints and it's
19 inevitable that depending on who the committee is that
20 this comes to in however many years, that there may
21 still be discussion, and since it won't apparently
22 cost you any more patients, if you can structure this

1 so that both overall and relapse free or disease free
2 survival are primary endpoints, you would be prepared
3 at that point to deal with the agency and with the
4 Committee no matter which way they come down on the
5 question.

6 And it would be relatively easy at this
7 point to incorporate that design point in.

8 CHAIRPERSON NERENSTONE: What I'd like to
9 do now is turn to the questions because that will
10 engender a little bit more discussion.

11 The first question, skipping all the way
12 down towards the end: please comment on the adequacy
13 of the proposed development plan based on SWOG 9035
14 and the proposed trial to support the approval of
15 Melacine for the adjuvant treatment of melanoma in
16 this defined population, the HLA-A2 and/or HLA-C3
17 phenotype and Stage IA and IB melanoma.

18 Further discussions to that specific
19 point? Dr. Nelson?

20 DR. NELSON: I have a question. Do you
21 think that the stage is more important or the HLA type
22 is more important as the underlying factor relative to

1 efficacy, not that I would design it any differently
2 at this stage?

3 DR. CHEEVER: I mean, we think that both
4 are important, that the vaccine will work best against
5 patients with small tumor burden, but we also have the
6 test evidence that it works in A2/C3 positive
7 patients. I think they're both important.

8 DR. KEEGAN: Could I just clarify the
9 intent with the question? The proposal is really one
10 of given all of the data available with Melacine,
11 including the two randomized controlled trials in
12 metastatic disease that failed to meet their primary
13 and secondary endpoints, a very intriguing finding on
14 the subset analysis of one trial and one additional
15 confirmatory trial looking to confirm that subset
16 finding.

17 Does that as an approach look like an
18 acceptable development plan to lead towards licensure?

19 And that's really the essence of the question. So I
20 want to make sure I clarified that as you discussed
21 that.

22 CHAIRPERSON NERENSTONE: Well, just a

1 point of clarification then. Assuming that this
2 subset secondary trial was positive, would the
3 indication then be broad or would it only be in the
4 HLA subtypes that are being evaluated here?

5 DR. KEEGAN: I think it would be limited
6 to the subjects that were studied in which the
7 positive effects were found. So, yes, I think it
8 would be limited to those HLA subtypes.

9 CHAIRPERSON NERENSTONE: And correct me if
10 I'm wrong, but I believe that in the analysis there
11 were other HLA subtypes that also looked promising.
12 The effect was strongest in these two subtypes, but
13 there were two subtypes that looked like they were
14 positive, but there weren't enough patients to make it
15 statistically significant.

16 And do you really want to eliminate those
17 from your study group so that those from your study
18 group so that those patients are not going to be
19 treated?

20 DR. CHEEVER: Mitchell predicted -- pardon
21 me. Go ahead.

22 DR. SOSMAN: There were two analyses, and

1 one led to the next, and that's exactly how Malcolm
2 Mitchell initially did that.

3 The initial analysis included -- the
4 initial analysis centered on the five and HLA
5 antigens, serologically typed, and of which really two
6 are very infrequently expressed in the public in
7 melanoma patients.

8 E44 is not infrequently. It's about 25
9 percent of patients, but we saw no relationship at all
10 with that separately, and since that really limited
11 the number of patients and was a complex, hard to
12 understand, and we really tried fairly simply. We
13 didn't do complicated statistical analysis pulling one
14 HLA type out and looking at the analysis. We simply
15 looked at A2/C3 after we looked at each one
16 independently, and that seemed like the simplest way
17 to develop it and to try to support the finding.

18 CHAIRPERSON NERENSTONE: Dr. Kelson.

19 DR. KELSON: This may be semantics. I'm
20 not really sure. The way I was looking at this is
21 they have a hypothesis generating trial from a subset
22 analysis. They're not confirming really that.

1 The trial that they're going to do is a
2 pivotal trial in a defined subset, it will be the
3 registration trial, and the supporting evidence for
4 this single pivotal trial would be the retrospective
5 sort of look at the subset from the main trial.

6 So the question to me sort of is a single
7 pivotal trial with supporting evidence retrospectively
8 adequate for approval. I mean that would be how I
9 would sort of think of it.

10 DR. KEEGAN: Yes, I think you have the
11 sense of it.

12 DR. SIEGEL: Exactly. That's the
13 question, and that is, you know -- by our standards
14 that can be in some settings, but each setting has its
15 own nuances, but in many adjuvant settings the agent
16 is also already approved for treatment of widespread
17 metastatic disease. In this setting, you know, the
18 issue of how strength -- that exploratory analysis was
19 not entirely retrospective and it has some support
20 from Mitchell's observation. So it has its own
21 nuances, and that was the --

22 DR. KELSON: That's why I was asking for

1 an overall survival because to me, if you if you did a
2 single trial prospectively designed based on a very
3 valid hypothesis, I agree. I think it's a very valid
4 issue to look at, and the overall survival was
5 improved. There was no, you know, relapse free and
6 dah, dah, dah, dah, with supporting evidence from
7 another prospective trial, minimally toxic drug, boy,
8 I would think that would be very compelling.

9 I would like to hear overall survival
10 personally.

11 CHAIRPERSON NERENSTONE: Seeing the nods
12 around the room, and I know people are worried about
13 overall survival, the drug company is worried because
14 of secondary home run hits that have not yet been
15 postulated as what we're going to do in terms of
16 metastatic melanoma.

17 I think a word to the wise is that overall
18 survival is felt to be a very strong indicator and one
19 that you can take to the bank. Relapse free survival
20 is going to be much more problematic with any sitting
21 ODAC.

22 Dr. Albain.

1 DR. ALBAIN: I was just going to say the
2 same thing. Unless you could come up with a placebo,
3 then I think relapse free survival could be very
4 powerful in a single pivotal trial.

5 CHAIRPERSON NERENSTONE: Dr. Fleming.

6 DR. FLEMING: I've been waiting to make
7 some of these comments because they relate to survival
8 in Question 3, but Dr. Kelson has so beautifully
9 articulated my own thoughts that I'm going to jump in
10 and fold in my answer to three into one.

11 My own sense about the answer to one is,
12 in fact, very significantly tied into whether the
13 endpoint is recurrence free survival or survival, and
14 I think Dr. Brawley made a very relevant point that if
15 you have a very benign therapy in terms of its
16 toxicity profile, one might set the bar lower in terms
17 of efficacy.

18 And even if relapse free survival doesn't
19 reliably predict survival, does it predict some type
20 of quality of life benefit that because of the low
21 toxicity profile is still net benefit. My sense about
22 that is it may well, but then again, if that's what

1 we're trying to prove here, I have more reservations
2 about not having two independent, well designed
3 confirmatory trials.

4 I am more concerned about the issue of
5 subjectivity and potential bias in an open label
6 trial. As a result if one is proposing to do two such
7 studies, it might be from my perspective more
8 acceptable, but I do find the strategy the sponsor has
9 put forward here as appropriate exactly for the
10 reasons Dr. Kelson indicated, if in fact survival is
11 the endpoint.

12 And when we were talking about -- at least
13 Dr. Kroll was responding from the sponsor's
14 perspective about a reason to go with relapse free
15 survival instead of survival, and he made two very
16 valid points. One is even though the estimated effect
17 was 57 percent reduction in relative risk for survival
18 in the very kind of trial we're trying to replicate,
19 one should be cautious about expecting too high a bar,
20 and then I think he also pointed out there may be
21 fewer events than what had been seen in the previous
22 study.

1 My responses to that are first you're
2 already being cautious, assuming five to six years'
3 average follow-up. It's a three or four year
4 recruitment trial with five additional years. So
5 we're probably more along the lines of seven years'
6 follow-up. So I think you're probably covered there.

7 The other is the way you did your
8 calculations you were targeting relapse free survival
9 for an 80 versus 70 that corresponds to a 37 and a
10 half percent reduction in risk that will require an
11 observed 28 percent reduction in risk.

12 If you have an observed 30 percent
13 reduction in risk in mortality, you achieve
14 statistical significance, and you're trying to
15 replicate the SWOG trial that, in fact, showed a
16 larger reduction in risk in survival than it did in
17 relapse free survival.

18 So the argument that you want to not
19 overshoot is the rational one, but I could say that
20 it's just as plausible that you're overshooting based
21 on relapse free survival if you believe your results.

22 If you believe that there, in fact, is substantial

1 evidence in this subgroup for benefits on both relapse
2 free survival and survival.

3 As a result, I concur with the thought of
4 being cautious, but it seems that if you believe the
5 data and assume that you could achieve even half the
6 level of estimated reduction that you achieved in the
7 SWOG subgroup analysis, you will have significance on
8 an endpoint that then I would accept.

9 If they show survival in this study, they
10 will have one pivotal trial where supportive evidence
11 will be obtained from a subgroup analysis that are
12 notoriously unreliable, but it certainly could serve
13 as supportive evidence for a survival endpoint.

14 DR. SOSMAN: Just one point, and it isn't
15 in counter to what you just said, but the survival
16 benefit was evaluated by Corixa after the data sweep
17 to see if -- really just to look to see if the disease
18 free survival would equate with overall survival.

19 SWOG did not do that initially and weren't
20 planning to, but SWOG repeated all of the statistics
21 to make sure that they were consistent with what they
22 had, and they looked again and saw the overall

1 survival benefit.

2 And what's interesting is that overall
3 survival benefit was much less significant earlier
4 when the first analysis was done than in the later
5 analysis.

6 So as opposed to all of the discussion
7 this morning about separation, at least in this study
8 it doesn't appear that there's a separation.

9 CHAIRPERSON NERENSTONE: We could go on to
10 the second question, which is a little bit different.

11 Comment on the acceptability of inclusion
12 of patients with pathologic N1 disease. If acceptable
13 given that the SWOG 9035 trial did not include such
14 subjects, please comment on whether there would be a
15 requirement to enroll a sufficient number of subjects
16 with no involvement to assess for size effect in this
17 subset.

18 I sort of think this is getting back to
19 what Dr. Sledge said was micro management, and I don't
20 know if other people have thoughts.

21 DR. SIEGEL: Well, I'm trying to
22 understand the question. So let me ask to understand.

1 The question says patients with micro nodal disease
2 were not included, but I gather from the presentation
3 that diagnostic procedures were different so that you
4 anticipate that those patients may have been there,
5 but were less likely to have their microscopic disease
6 diagnosed.

7 So in part that's one of the questions,
8 and part, I guess, this question rests on the issue
9 that this is a population that falls within the
10 category for which interferon efficacy was
11 demonstrated.

12 Now, we have discussion from this issue
13 this morning. It's a higher -- well, it may not be a
14 higher risk. It falls within the population that was
15 included in the study. So that raises the question as
16 to whether they are appropriate for a placebo
17 controlled trial.

18 And I believe I understand from your data
19 that one of the points that you're making, however, is
20 that whether by categorization or classification, they
21 fall into one category. Their prognosis is actually a
22 relatively favorable one with 70 percent five year

1 survival not very different from the other populations
2 that you're including.

3 Am I getting the nuances of the issue
4 here?

5 DR. THOMPSON: I think so. I think it's
6 worth repeating that a relatively small number of
7 patients actually had sentinel lymph node biopsy on
8 the 9035 study, about 30 patients. So the remainder
9 of the patients were clinically staged, not
10 pathologically staged.

11 Given the depth of the primaries, 1.5 to
12 four millimeters, we could predict that approximately
13 15 percent, 20 percent of those patients would have
14 had occult nodal metastases that would have been
15 identified by sentinel lymph node biopsy and would
16 have fallen into the N1a category in the new staging
17 system, but we didn't have that methodology at that
18 time.

19 And then as a follow-up to your question,
20 I think the important thing about the new staging
21 system is though it tends to segregate patients out by
22 prognosis differently than anatomic staging, so node

1 positive if they're in the N1a category actually have
2 a risk five year relapse free survival risk that's
3 very similar --

4 DR. SOSMAN: It won't go up.

5 DR. THOMPSON: I'm sorry. I was just
6 looking at it here and thinking that everyone else can
7 see it.

8 DR. CHEEVER: No, it's not up there.

9 DR. THOMPSON: But that's 69 percent, very
10 similar to the risk categories of the Stage II
11 patients that are already being proposed for the
12 trial.

13 So although it seems a little bit
14 discrepant in terms of anatomic staging, in terms of
15 risk taking, it's very consistent.

16 DR. SIEGEL: So you believe including
17 those patients actually comes closer to replicating
18 what's logged in in the 90s than excluding them?

19 DR. THOMPSON: Well, I think from a
20 biologic point of view having to do with risk of
21 recurrence as the question mark, it would be very
22 consistent to include those patients, and we have no

1 reason to think biologically that they would be
2 different.

3 However, we could be missing something,
4 some different biology that we just are not aware of,
5 but strictly on a recurrence risk basis, they could
6 fit in.

7 Then the question becomes because that is
8 node positivity, does that require a different control
9 group and that would be an issue that I think would be
10 open for discussion.

11 CHAIRPERSON NERENSTONE: I think again I'm
12 going to take the Chair's prerogative. On the basis
13 of all the discussion we had today, I think the
14 feeling is if you wanted a no control arm even in
15 those patients who were offered interferon and the
16 subgroup that it's licensed for, most of use felt that
17 even though there is some activity, it is not a home
18 run and, therefore, it is not unethical to have a
19 placebo controlled or no treatment control.

20 You just have to be careful what you wish
21 for because at the end of the day, you include these
22 patients in your trial and your trial is negative. If

1 you don't have enough patients who are node negative,
2 you don't have enough power to stand up on your own in
3 that subgroup.

4 You can't then come back and say, "Well,
5 it was still positive in the node negative group," but
6 the node positive group is the one that made it not
7 significant, and therefore, you want to come and have
8 it licensed for the node negative group.

9 So I don't think it matters to us who you
10 want to include in your group. You have to be able to
11 analyze it and to justify that analysis when you're
12 done with the study.

13 DR. CHEEVER: Thank you very much.

14 CHAIRPERSON NERENSTONE: Dr. Vanderpool.

15 DR. VANDERPOOL: I second your comments
16 enthusiastically. It seems to me, just to summarize
17 what I've been hearing, that we are under two
18 imperatives. One is to do the trials right, but the
19 other is to find better treatments as soon as
20 possible.

21 So if the trial base can be expanded to
22 the effect of finding better treatments for other

1 types of patients as soon as possible, we'd be for
2 that if it can be justified on the basis of good
3 analysis.

4 CHAIRPERSON NERENSTONE: Dr. Nelson.

5 DR. NELSON: This may be a question more
6 for the FDA folks than the sponsor, but I mean, the
7 history of innovation in medical care is that often if
8 you have something that's more preferable in terms of
9 decreased toxicity, that once it's approved for one
10 indication, we use it off label for other indications.

11 So from a policy point of view if one of
12 the questions ultimately you might want to answer
13 would be the efficacy of this product in those with
14 more extensive disease, a higher tumor burden and the
15 like, would you lose that window of opportunity if you
16 didn't do it now as opposed to when it's approved for
17 those with lower tumor burden to the point where I
18 could imagine after approval, let's say, six years
19 from now instead of ten years from now if you have
20 good enrollment, off label use would be such that any
21 further trial to demonstrate efficacy from a policy
22 point of view would become impossible.

1 And so people with melanoma would be
2 taking potentially an ineffective vaccine based on
3 toxicity and rejecting more toxic but more efficacious
4 alternatives potentially.

5 Is there precedent for that kind of
6 thinking?

7 DR. SIEGEL: It's funny. When you started
8 the question, I thought you were going to say the
9 exact same question, except about people with
10 different HLA classes instead of with more advanced
11 disease.

12 There is a lot of precedent for us urging,
13 as Dr. George's question suggested earlier, companies
14 to study broader populations because of concern about
15 off label use in those populations. As to whether we
16 can require a broader study within our regulations has
17 to do with a lot of complex issues, but in part
18 whether the population that's being defined represents
19 a defined indication with a medical acceptance and
20 scientific rationale.

21 So you can't just, you know, out of the
22 blue say, as we once add a proposal, "I'm only going

1 to study men with multiple sclerosis because that's
2 the only people based on the ten I've treated already
3 that it's going to work in."

4 But I would say where we're talking about
5 well defined disease stages that are used to guide how
6 patients are managed, that are used in interferon
7 therapy or whatever, that we are probably in a
8 position where we could talk with a company and say,
9 "Look. We would anticipate off label use. We would
10 anticipate difficulty studying more advanced disease.

11 We think it would be extremely wise in the interest
12 of the patients and the public health, and we would
13 urge you to study more advanced disease."

14 But if a company came back to us and said,
15 "Well, you know, we only have so much money and
16 interest, and we have reason to believe this is where
17 it's going to work," I doubt we have the authority or
18 ability to say, "Well, you can't limit it this way if
19 it is a well defined and appropriate limitation,"
20 which I think is what we're looking at here.

21 DR. SOSMAN: I'm speaking not as a
22 representative of Corixa, but as a representative of

1 SWOG who have worked with Corixa, and this is a very
2 fragile association that we've tried to develop with
3 this data, and this data came from SWOG, not from
4 Corixa.

5 And I think that what we're trying to do is
6 move forward so that in six to eight years we have a
7 therapy to offer patients that is beneficial.
8 Obviously that will give them a product that they can
9 sell, and my concern is that if we start pushing for
10 much larger trials, this fragile relationship will
11 become more fragile and we will lose this opportunity
12 which is really a unique opportunity.

13 And I can tell you most of the people who
14 were associated with this study initially, except
15 maybe some of the people at RIBI had a very open mind,
16 nearly skeptical mind about this, and so the data has
17 come around to convince us that we need to reproduce
18 it.

19 And I don't think you'll have any problem
20 convincing Corixa to allow us to do a study in Stage
21 III patients if they have a product they're selling to
22 Stage II patients.

1 Now, it's not the company's problem to
2 convince medical oncologists to do the right thing.
3 It's medical oncologists' problem to do the right
4 thing.

5 Thank you.

6 CHAIRPERSON NERENSTONE: I just have a
7 question to FDA. Sort of a different tact to get back
8 to something that was asked before, as a non-vaccine
9 person what do you think about the problem of you have
10 two components to the vaccine? You have the melanoma
11 lysate and you have the detox. Is there any data that
12 this is at all detox.?

13 DR. KEEGAN: I don't think we have data
14 that would assure us that we could rule out that it
15 was detox. alone that was the active agent.

16 There are some data along the lines that I
17 think you probably heard from other people talking
18 about other vaccines about responses to the vaccines,
19 immunologic responses, how responders do better than
20 nonresponders, but those are responder/nonresponder
21 analyses. So they're difficult to do much with.

22 But we don't have any trials, and I don't

1 believe that Corixa has ever conducted any that have
2 segregated the affected of the adjuvant alone.

3 DR. CHEEVER: I mean, in general, all
4 vaccines are given with adjuvants. Antigens don't
5 work by themselves unless you add adjuvants.

6 I'm not aware of other vaccines where one
7 has had to test the adjuvant to prove that it doesn't
8 work before one can go ahead and test the vaccine.
9 We've all -- you know, every kid has 20 vaccines.
10 They all have adjuvants. The adjuvants have not been
11 tested for efficacy in and of themselves.

12 DR. SIEGEL: Well, when you say adjuvants
13 don't work by themselves, it's worth noting that in
14 this particular disease, melanoma, that non-antigen
15 specific immune modulators, whether you call them
16 adjuvants or not, but some people would call
17 Interleukin-2 an adjuvant. Some people might even
18 call interferon in some settings an adjuvant.

19 In any case, they change the immune
20 response in a non-antigen specific way, and they do in
21 different stages and different settings each have
22 activity in this disease.

1 The question you ask is a subpart of a
2 broader question, which is when somebody develops a
3 combination therapy and shows it to be effective, when
4 do we require that they show the combination of two
5 new agents, if you will, offers something beyond the
6 individual components of, you know, each agent.

7 Do we require a factorial design with one
8 or both of the individual agents? And that is an
9 extremely complex question that rests in significant
10 part not simply on empiric clinical data, but also on
11 preclinical and plausibility data for the combination.

12 And if there is a strongly plausible
13 reason for studying the combination, we will not
14 strictly require showing that each component is
15 contributory, at least in the premarketing phase.
16 Sometimes we go back in post marketing.

17 Also it rests on the additive toxicity of
18 the individual components, and in part that's an
19 answer to the question Dr. Nelson raised, too, in
20 terms of our leverage and what we do regarding off
21 label use. If there are important safety issues that
22 we're concerned about in off label use, we are more

1 apt to take a more aggressive approach in terms of its
2 study.

3 I think the general anticipation here -- I
4 don't think we have definitive information, but based
5 on what we know to date is that we're probably not
6 looking at tremendous additional toxicity for adding
7 the vaccine part to the adjuvant part, and so that
8 probably figures into the equation.

9 But I'm not saying I know what the right
10 answer to that question is and should we require or
11 insist on or should this Committee insist on receiving
12 the adjuvant alone or, for that matter, the vaccine
13 alone are interesting questions.

14 CHAIRPERSON NERENSTONE: Dr. Fleming.

15 DR. SOSMAN: There is actually a precedent
16 in vaccine therapy. Dr. Wallach did a trial with
17 viral oncolysate plus he used an adjuvant versus the
18 adjuvant plus vaccine.

19 There was no difference, and from that
20 trial he basically thought the data showed that the
21 adjuvant alone worked, and we don't want to get into
22 that position.

1 And secondly, no one made Dr. Fleming and
2 others go back and prove that it was Levamisole that
3 added to 5 FU, not that I want to say that this data
4 is as good, but many people after the 5 FU/Levamisole
5 data said that it wasn't the Levamisole, if I say
6 correctly.

7 It's not an issue now, but I think in this
8 case it would be an awful lot of effort for a little
9 bang.

10 DR. JACOBS: I guess as far as Corixa is
11 concerned, we're looking at the Melacine as a whole
12 vaccine. We have no intention even if the adjuvant
13 suddenly miraculously did something to market that.

14 So at this time in the clinical
15 development plan, we're really looking at developing
16 vaccines as a whole.

17 CHAIRPERSON NERENSTONE: Dr. Fleming.

18 DR. FLEMING: Before I get to my comment,
19 just quickly to follow up on that previous thought, it
20 was an important question as to whether it was the
21 Levamisole in the 5 FU/Levamisole, and at least there
22 was a 5,000 person meta analysis of previous FU trials

1 that seemingly at least provided some considerable
2 suggestion it wasn't the 5 FU alone.

3 But moving ahead just to question number
4 two, and I just wanted to reinforce a little bit what
5 Dr. Siegel and some others have said about the
6 potential of looking at additional patients at this
7 point in time or additional -- a wider array of
8 patients. I just want to say, first off, I'm very
9 pleased to see the commitment by the sponsor and SWOG
10 to mounting this trial to determine whether or not
11 this exploratory subgroup effect is real.

12 And there always will be judgment as to
13 how inclusive to make eligibility criteria where
14 making them more inclusive gives us more generalizable
15 conclusion, more timely enrollment.

16 The disadvantage though is if you truly
17 believe that you have, in fact, modifiers here so that
18 these HLA subgroups and these subgroups of stages are
19 far and away the most likely to have the most
20 favorable benefit to risk. There's a rationale for
21 doing what you are proposing to do.

22 And, again, in my view, it's your judgment

1 as to how you want to play off that generalizability
2 against increased plausibility of effect in your more
3 targeted group.

4 If, in fact, you do go with the more
5 restricted group, which by my calculation I think
6 might be ten to 12 percent of the overall population
7 because you're taking the 25 percent and cutting it in
8 half by looking at these HLA subgroups, I do think
9 there is at least some wisdom to be thinking about
10 whether mounting additional concurrent studies either
11 as extensions of this study, but not part of the
12 primary analysis or as separate studies, would be
13 something wise to do.

14 And I just go back , and you were talking
15 about SWOG, and I'll just talk about the wisdom that
16 SWOG had in 1984 in the 5 FU/Levamisole setting. They
17 were building off of the North Central Group trial,
18 and there was, in fact, a decision made to
19 concurrently study Stage III and Stage II so that when
20 the Stage III results were in and were as positive as
21 they were, there were data in hand that were placebo
22 controlled for Stage II that might have been very

1 difficult to mount in the early 1990s if that study
2 hadn't been started in Stage II at that time.

3 And those data did, in fact, suggest that
4 the effect was very different in Stage II than Stage
5 III.

6 I'll also point out that there was wisdom
7 at SWOG in not believing the subgroup analyses
8 entirely from the North Central trial that showed that
9 all of the effect in the North Central trial of 5
10 FU/Levamisole was in the female populations in the
11 younger patients.

12 The subsequent trial confirmed that gender
13 and age were, in fact, modifiers, where in the larger,
14 confirmatory trial almost all of the effect was in the
15 males and the older patients.

16 So we've learned to be very cautious about
17 subgroup analyses. I guess the bottom line here is it
18 really is your judgment. There is an investment in
19 resources to do the complementary groups outside of
20 your targeted population, where from the targeted
21 population if we don't see an effect, maybe those
22 resources weren't well spent.

1 On the other hand, if we do have the
2 success that you're hoping to have in our targeted
3 subgroup, by HLA subgroups and by state subgroups, it
4 will be very beneficial that we will have mounted
5 studies over this eight year period looking at broader
6 populations because it might be awfully difficult in
7 the year 2010 to mount such studies.

8 CHAIRPERSON NERENSTONE: Dr. Blayney.

9 DR. BLAYNEY: Yes. I also think it's
10 commendable that you're committing to a seven year
11 trial on behalf of your company. That's important.

12 I would like to echo, I think, what the
13 Chair said in her comment a few minutes ago, that in
14 eight years when you come before this Committee if the
15 question is asked, is this a breakthrough medicine,
16 and the answer because of intervening developments may
17 be no, that then the FDA is going to make you go back
18 and prove that the lysate was the important part
19 rather than the adjuvant.

20 So I think and for my money you ought to
21 be able -- I would put this mix together and say it is
22 biologically and scientifically plausible that all of

1 this is important for the effect and not make them go
2 back, you know, in eight years and say improve each
3 part of the mix as the important part.

4 Because it may be by that time one of
5 those antigens that you showed on the board is
6 available and useful, and you may get stuck with
7 having to prove what was the active part of your
8 thing.

9 So I think you would be advised to get a
10 commitment in advance that this is the important --
11 that this comes as a package because other companies
12 have stumbled in this regard.

13 DR. VON ESCHEN: I'd like to make a
14 comment to this question about contribution of the
15 antigen and adjuvant. My name is Ken Von Eschen. I
16 have been involved in Melacine's clinical development
17 since the turn of the century actually.

18 (Laughter.)

19 DR. VON ESCHEN: I kind of joke. I was
20 with the ole RIBI and immuno-chem. when the first IND
21 for Melacine was filed, and, Dr. Keegan, I believe
22 that was even before you were at the FDA. So I've got

1 you beat, Pat.

2 Just a couple of quick comments. We have
3 conducted a series of preclinical studies in a variety
4 of animal species looking at the immune response to
5 melanoma antigens in animals treated with lysate, with
6 detox. or the complete vaccine. Categorically,
7 animals treated with only the adjuvant never make
8 immune responses to melanoma antigens.

9 Secondly, the very first initial clinical
10 trials of detox. were done under a separate IND in the
11 early 1980s in which the adjuvant was used as
12 intralesional therapy in patients with cutaneous
13 melanoma.

14 Those studies, uncontrolled, always showed
15 that detox. administered intralesionally, while they
16 may have had an effect on the single lesion that was
17 injected, had absolutely no effect on any systemic
18 metastases and objective responses.

19 Finally, some initial trials done by Dr.
20 Malcolm Mitchell in which he treated Stage IV patients
21 with the lysate alone showed absolutely no objective
22 clinical responses.

1 I think as we debate this issue, it's
2 important to remember those baseline facts, and as we
3 look at the future trial, as Dr. Cheever said, we
4 perceive or recognize Melacine as a total package of
5 antigen plus adjuvant as giving the necessary immune
6 boost to elicit positive responses in these patients.

7 Thanks.

8 DR. SOSMAN: I just wanted to add one
9 thing. I'm sure some of you appreciate where Dr.
10 Cheever comes from in terms of immunology and his
11 prior involvement in the field at University of
12 Washington.

13 I think all of us are also committed to do
14 a corollary study in these patients so that we
15 hopefully not only learn whether it works or not,
16 which is the ultimate, the only important question,
17 but why or when or how it works.

18 So there's going to be, if this trial is
19 mounted, a lot of effort, hopefully from the
20 intergroup mechanism, to study patients
21 immunologically pre and post vaccine.

22 CHAIRPERSON NERENSTONE: Dr. Keegan, Dr.

1 Siegel, do you have any other questions, any other
2 comments?

3 DR. SIEGEL: I guess I have a question
4 regarding the observation that there weren't responses
5 to detox. alone intralesionally in distant sites.

6 Were there in the same study then
7 responses to detox. with tumor lysate in other sites
8 that were significantly different from those in detox.
9 alone?

10 DR. VON ESCHEN: Dr. Siegel, in those
11 trials, only detox. was used. There was no
12 combination of detox. and lysate, and those studies
13 were done under an IND, and the number is 1888, which
14 was detox. only.

15 DR. SIEGEL: You don't have any particular
16 model or any particular -- or advanced disease where
17 you do see a different response or you have seen, I
18 should say, a different response of detox. plus lysate
19 to detox. alone?

20 DR. VON ESCHEN: We've never done a
21 controlled trial in advanced patients with detox. by
22 itself compared to the intact vaccine.

1 DR. SIEGEL: I, for one, have found this
2 entire day quite intriguing, stimulating, and also
3 fatiguing. And I'm very appreciative of the efforts
4 of all the presenters, of the Committee, the public
5 participants, and yourself, Madame Chairman.

6 I think our questions are very well
7 addressed on these issues. We're quite pleased.
8 Thank you.

9 CHAIRPERSON NERENSTONE: Dr. Pelusi, do
10 you have any comments?

11 (No response and laughter.)

12 CHAIRPERSON NERENSTONE: Okay. Well, I
13 want to thank everybody, and we do get to adjourn a
14 little bit early.

15 Our next meeting will be June 6th. Thank
16 you.

17 (Whereupon, at 4:16 p.m., the Advisory
18 Committee meeting was concluded.)

19
20
21