

FOOD AND DRUG ADMINISTRATION

NINETY-SIXTH MEETING OF THE
CARDIOVASCULAR AND RENAL DRUG ADVISORY COMMITTEE

8:37 a.m.

Friday, April 12, 2002

Kennedy Ballroom
Holiday Inn
8777 Georgia Avenue
Silver Spring, Maryland

ATTENDEES

COMMITTEE MEMBERS:

JEFFREY BORER, M.D., Chairman
Director, Division of Pathophysiology
Weill Medical College
Cornell University
525 East 68th Street, Room F467
New York, New York 10021

JAYNE E. PETERSON, R.P.H., J.D., Acting Executive Secretary
Advisors and Consultants Staff
Center for Drug Evaluation and Research
Food and Drug Administration
5630 Fishers Lane, Room 1093
Rockville, Maryland 20857

PAUL ARMSTRONG, M.D.
Professor, Department of Medicine
University of Alberta
251 Medical Science Building
Edmonton, Alberta, Canada T6C37

BLASE A. CARABELLO, M.D.
Professor of Medicine
Houston Veterans Affairs Medical Center
Medical Service (111)
2002 Holcombe Boulevard
Houston, Texas 77030

SUSANNA L. CUNNINGHAM, PH.D.
Consumer Representative
Professor, Department of Biobehavioral Nursing
School of Nursing, Box 357266
Seattle, Washington 98195-7266

THOMAS FLEMING, PH.D.
Professor and Chair
Department of Biostatistics
University of Washington
Box 357232
Seattle, Washington 98195-7232

ATTENDEES (Continued)

COMMITTEE MEMBERS: (Continued)

ALAN T. HIRSCH, M.D.
Associate Professor of Medicine and Radiology
Minnesota Vascular Diseases Center
University of Minnesota Medical School
420 Delaware Street, S.E., Box 508 FUMC
Minneapolis, Minnesota 55455

JOANN LINDENFELD, M.D.
Professor of Medicine
Division of Cardiology
University of Colorado Health Science Center
4200 East Ninth Avenue, B-130
Denver, Colorado 80262

BEVERLY H. LORELL, M.D.
Professor of Medicine
Cardiovascular Division
Beth Israel Deaconess Medical Center
330 Brookline Avenue
Boston, Massachusetts 02215

STEVEN NISSEN, M.D., F.A.C.C.
Vice Chairman, Department of Cardiology
Professor of Medicine
Ohio State University
The Cleveland Clinic Foundation
9500 Euclid Avenue, F15
Cleveland, Ohio 44195

SPECIAL GOVERNMENT EMPLOYEES:

ANDREW S. BREM, M.D.
Professor in Pediatrics
Brown University School of Medicine
Director, Division of Pediatric Nephrology
Rhode Island Hospital
593 Eddy Street
Providence, Rhode Island 02902

ATTENDEES (Continued)

SPECIAL GOVERNMENT EMPLOYEES: (Continued)

JEFFREY B. KOPP, M.D.
Senior Investigator
Kidney Disease Section, Metabolic Diseases Branch
National Institute of Diabetes, Digestive
and Kidney Diseases
National Institutes of Health
Building 10, Room 3N116
Bethesda, Maryland 20892-1268

FOOD AND DRUG ADMINISTRATION STAFF:

JAMES HUNG, PH.D.
ROBERT TEMPLE, M.D.
DOUGLAS THROCKMORTON, M.D.

SPONSOR REPRESENTATIVES:

RAY BAIN, PH.D.
BARRY BRENNER, M.D.
MICHAEL C. ELIA, PH.D.
JONATHAN FOX, M.D.
BONNIE GOLDMAN, M.D.
STEVEN HAFFNER, M.D.
WILLIAM KEANE, M.D.
MARVIN KONSTAM, M.D.
PETER KOWEY, M.D.
SHAHNAZ SHAHINFAR, M.D.
SCOTT ZEGER, M.D.

C O N T E N T S

NDA 20-386/S028, Cozaar (losartan potassium)
Merck and Company, Inc.
Proposed Indication: For the Treatment of
Type II Diabetic Patients with Nephropathy

* * *

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT By Dr. Jayne Peterson	7
SPONSOR PRESENTATION Introduction By Dr. Michael C. Elia	9
Background, Rationale and Results of the RENAAL Study By Dr. Shahnaz Shahinfar	14
Review of the Evidence and Conclusions By Dr. William Keane	117
OPEN PUBLIC HEARING	204
COMMITTEE DISCUSSION OF QUESTIONS PRESENTED	205

P R O C E E D I N G S

(8:37 a.m.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DR. BORER: We will call the meeting to order.

This is the 96th meeting of the Cardiovascular and Renal Drugs Advisory Committee.

The agenda consists of consideration of one NDA for losartan potassium for the indication of treatment of diabetic patients with type II diabetes with nephropathy.

We have no applicants for public discussion this morning.

I need to announce that Dr. Michael Artman, who made every effort to be here, was unable to get here because of a last minute problem, so the committee will be without Dr. Artman today.

I also want to announce prophylactically that I have been importuned to provide a break at 9:45. We didn't do that the last time, and so we will. So, don't worry.

Now, we will begin then with the conflict of interest statement. Dr. Peterson, do we have one?

DR. PETERSON: Yes. Before we go on I just want to caution the committee that if you are going to speak you need to push the center button to turn the mikes on.

DR. BORER: Yes, I'm sorry. I should have mentioned as well that as for the committee, as well as for

1 anybody among the presenters or among the audience, if you
2 are recognized, we do need you to state your name before
3 you start talking so that the transcription can be as
4 accurate as possible. Thank you.

5 DR. PETERSON: I'll read the conflict of
6 interest statement now. The following announcement
7 addresses the issue of conflict of issue with regard to
8 this meeting and is made a part of this record to preclude
9 even the appearance of such at this meeting.

10 Based on the submitted agenda for the meeting
11 and all the financial interests reported by the committee
12 participants, it has been determined that all interests in
13 firms regulated by the Center for Drug Evaluation and
14 Research, which have been reported by the participants
15 present, present no potential for an appearance of a
16 conflict of interest at this meeting with the following
17 exceptions.

18 Dr. Susanna Cunningham has been granted waivers
19 under 18 U.S.C. 208(b)(3) and under 21 U.S.C. 355(n)(4), an
20 amendment of Section 505 of the Food and Drug
21 Administration Act, for ownership of stock in the sponsor
22 valued between \$25,001 and \$50,000.

23 Dr. Andrew Brem has been granted waivers under
24 18 U.S.C. 208(b)(3) and under 21 U.S.C. 355(n)(4), an
25 amendment of Section 505(a) of the Food and Drug

1 Administration Modernization Act, for ownership of stock in
2 the sponsor valued between \$5,001 and \$25,000.

3 Dr. Alan Hirsch has been granted a waiver under
4 18 U.S.C. 208(b)(3) for his participation on a sponsor's
5 bureau supported by firms that have a financial interest in
6 the product at issue and the competing product. He
7 receives less than \$10,001 per year.

8 Dr. Steven Nissen has been granted a waiver
9 under 18 U.S.C. 208(b)(3) for his consulting with the
10 sponsor on unrelated matters. He received less than
11 \$10,001 over the past year.

12 A copy of these waiver statements may be
13 obtained by submitting a written request to the agency's
14 Freedom of Information Office, room 12A-30 of the Parklawn
15 Building.

16 In the event that the discussions involve any
17 other products and firms not already on the agenda, for
18 which an FDA participant has a financial interest, the
19 participants are aware of the need to exclude themselves
20 from such involvement, and their exclusion will be noted
21 for the record.

22 With respect to all other participants, we ask
23 in the interest of fairness that they address any current
24 or previous financial involvement with any firm whose
25 products they may wish to comment upon. Thank you.

1 Dr. Borer?

2 DR. BORER: Thank you. We will begin with the
3 sponsor's presentation. Dr. Elia.

4 DR. ELIA: Good morning Mr. Chairman, members
5 of the advisory committee, FDA, ladies and gentlemen. My
6 name is Michael Elia from the Department of Regulatory
7 Affairs at Merck Research Laboratories.

8 I would like to thank the Advisory Committee
9 and the FDA for the opportunity to present Merck's data on
10 the efficacy and safety of losartan in providing renal
11 protection in type II diabetic patients with nephropathy.

12 I am going to provide an introduction today to
13 our presentation that will focus on the results of Merck's
14 renal outcome study RENAAL, which stands for reduction in
15 endpoints in non-insulin dependent diabetes mellitus with
16 the angiotensin II receptor antagonist losartan.

17 RENAAL is a multicenter international, double-
18 blind, randomized, placebo-controlled trial to evaluate the
19 protective effects of losartan in patients with type II
20 diabetes and proteinuria.

21 Just to remind you, as we stated in our
22 briefing document, we will use the words "nephropathy" and
23 "proteinuria" interchangeably today.

24 Prior to initiating RENAAL, no convincing long-
25 term renal protection outcomes data were available in type

1 II diabetic patients with nephropathy.

2 To address this, RENAAL was designed as a long
3 term renal protection outcome study. In RENAAL the primary
4 endpoint was a time to event analysis of a the composite of
5 doubling of serum creatinine, end-stage renal disease,
6 defined as the need for chronic dialysis or
7 transplantation, or death.

8 As we will show you today, this study provides
9 persuasive evidence that losartan delays the progression of
10 renal disease in type II diabetic patients with
11 nephropathy.

12 Complete data on the hard endpoints of end-
13 stage renal disease and death were collected on all
14 randomized patients. No patients were lost to follow-up.
15 The results of RENAAL confirm that the safety and
16 tolerability profile of losartan in these patients is
17 consistent with that in the currently approved U.S. product
18 circular for Cozaar.

19 Furthermore, RENAAL demonstrated that the
20 benefit of losartan treatment in these patients exceeds
21 that attributable to blood pressure reduction alone. We
22 believe that these results merit modification of our
23 product label, with the following new indication. Under
24 the heading of renal protection in type II diabetic
25 patients with proteinuria, Cozaar is indicated to delay the

1 progression of renal disease, as measured by a reduction in
2 the combined incidence of doubling of serum creatinine,
3 end-stage renal disease, or death.

4 It's important to note that at present there
5 are no drugs approved in the United States for renal
6 protection in type II diabetic patients with proteinuria.

7 While we believe that the RENAAL study provides
8 compelling evidence to support our proposed indication, it
9 is a single study. In an earlier meeting this year, this
10 committee and the FDA discussed the evidence needed to
11 support a new claim for renal protection in type II
12 diabetic patients with proteinuria. In considering the
13 questions before the committee today, it's useful to review
14 the evidentiary standard needed to support a new claim for
15 an approved drug. This is especially important when the
16 basis for the proposed claim rests largely on the results
17 of a single study such as RENAAL.

18 Although the FDA has relied on data from a
19 single study to support a new indication, their views on
20 the matter had not been fully delineated prior to the
21 issuance of a guidance document.

22 In 1998 the FDA issued a guidance document
23 entitled "Providing Clinical Evidence of Effectiveness for
24 Human Drug and Biological Products", that gives the agency
25 its current thinking on the approval of new claims based on

1 data from a single trial.

2 When regulatory decisions are based primarily
3 on a single study it is important to determine the level of
4 confidence one can have in the results of that study. Are
5 the data scientifically convincing or simply due to chance?

6 FDA's guidance document provides several points to
7 consider in evaluating whether one has sufficient
8 confidence in the results of a single study to support a
9 new effectiveness claim.

10 As you will see you a few minutes in Dr.
11 Shahinfar's presentation, the RENAAL study satisfies
12 several of the key features of a single study that can be
13 used to support an effectiveness claim. For example,
14 RENAAL is a large, multicenter study conducted at 250
15 clinical sites in 28 countries, and it provides persuasive
16 evidence that losartan delays the progression of renal
17 disease in type II diabetic patients with proteinuria.

18 The consistent and significant benefits of
19 losartan across multiple endpoints and multiple subgroups
20 in the RENAAL study promote confidence in its findings and
21 provide strong support for our proposed indication.

22 Furthermore, as described in the advisory
23 committee's background package, several separate smaller
24 clinical studies and preclinical studies have demonstrated
25 the beneficial effect of losartan therapy on renal

1 endpoints. These latter studies support the biological
2 plausibility of renal protective effects of losartan
3 observed in RENAAL.

4 In summary, we will show you today that the
5 efficacy and safety of losartan in patients with type II
6 diabetes and proteinuria support our proposed indication.

7 Finally, the agenda for today's Merck
8 presentation is as follows. After discussing the natural
9 history of type II diabetes and current treatments, Dr.
10 Shahnaz Shahinfar from Merck's Department of Cardiovascular
11 Clinical Research will describe the efficacy and safety
12 results from the RENAAL study. Next, Dr. William
13 Keane, Vice President of Clinical Development in Merck's
14 U.S. Human Health Division, will summarize the evidence
15 that supports and confirms the RENAAL results, and end our
16 presentation with our overall conclusions.

17 The advisory committee members have previously
18 received a briefing document from Merck that provides more
19 detailed information than time allows us to present here
20 this morning.

21 In addition, Merck has brought several
22 consultants to the meeting. These experts are available to
23 facilitate the Advisory Committee's discussions and
24 deliberations.

25 Here today are Dr. Barry Brenner from the

1 Harvard Medical School, who served as Chair of the RENAAL
2 Steering Committee; Dr. Steven Haffner from the University
3 of Texas, who chaired the RENAAL Endpoint Adjudication
4 Committee; Dr. Carl Erik Mogensen from Aarhus
5 Kommunehospital in Denmark, who chaired the Data and Safety
6 Monitoring Committee; Dr. Peter Kowey from Jefferson
7 Medical College, who was a member of the Data and Safety
8 Monitoring Committee for RENAAL; Dr. Marvin Konstam from
9 the Tufts New England Medical Center; and Dr. Scott Zeger
10 from the Johns Hopkins University.

11 I would now like to turn the podium over to Dr.
12 Shahinfar.

13 DR. SHAHINFAR: Good morning. I'm Shahnaz
14 Shahinfar of Cardiovascular Clinical Research of Merck
15 Research Laboratory. I was the clinical monitor for the
16 RENAAL study, which I have the pleasure of presenting to
17 you.

18 Today I will be reviewing the background and
19 rationale for the RENAAL study, followed by a presentation
20 of demographics, efficacy results, and safety results. Dr.
21 William Keane will conclude our presentation with a review
22 of the evidence and our conclusion regarding the renal
23 protective effect of losartan in type II diabetes.

24 Diabetes mellitus is a major public health
25 issue worldwide. The most common type of diabetes is type

1 II diabetes, which is the focus of our discussion today.
2 Up to 40 percent of type II diabetic patients develop
3 kidney disease. End-stage renal disease is a devastating
4 complication of diabetes mellitus. Studies have shown that
5 the incidence of end-stage renal disease is increasing
6 worldwide. In the United States diabetic nephropathy is
7 the leading cause of end-stage renal disease.

8 It should be noted that end-stage renal disease
9 is an irreversible condition, and dialysis is a life-
10 support system to prevent death in these patients. Even
11 with dialysis, up to 40 percent of diabetic patients die
12 within 2 years of its initiation, a mortality rate
13 comparable to that of end-stage heart failure.

14 No treatment has shown conclusively to delay
15 end-stage renal disease in type II diabetic patients with
16 nephropathy. It is extremely important to identify a
17 therapeutic intervention for this unmet medical need.

18 In the search for a therapeutic intervention to
19 reduce end-stage renal disease, it should be remembered
20 that diabetic nephropathy is primarily a glomerular
21 disease. The exact mechanism of progression of diabetic
22 nephropathies are known, but many factors, both hemodynamic
23 and nonhemodynamic, may contribute to the development of
24 this glomerular injury. Angiotensin II has been
25 hypothesized to play a role in the progressive nature of

1 this glomerular injury.

2 The proposed mechanisms by which angiotensin II
3 is involved in diabetic glomerular injury are shown
4 pictorially on this slide. The glomerulus is the site of
5 the original injury in diabetic nephropathy. As a result
6 of the original insult to the glomerulus and the loss of
7 the nephrons, there is a remarkable adaptation within the
8 kidney to compensate for this nephron loss. The
9 remaining nephrons increase the workload through a state of
10 hyperfiltration in order to maintain overall glomerular
11 filtration rate.

12 Angiotensin II plays an important role in this
13 adaption by increasing resistance in post-glomerular vessel
14 or efferent arteriole. In addition, in diabetic patients,
15 resistance in preglomerular vessel or afferent arteriole is
16 reduced. This combination of increased efferent arteriolar
17 resistance, mediated by angiotensin II, and decreased
18 efferent arteriolar resistance results in an increase in
19 intraglomerular pressure or glomerular hypertension.

20 This glomerular hypertension, while favorable
21 in the short term, has long-term detrimental effects on the
22 nephron. This increasing glomerular pressure initiates an
23 up-regulation of a series of nonhemodynamic factors. These
24 factors include increased permeability of the filtering
25 membrane, which may result in proteinuria and activation of

1 fibrotic and inflammatory processes, in which angiotensin
2 II also plays a role. The end result is glomerulosclerosis
3 and death of the nephron. This cycle of nephron death
4 continues until all nephrons are lost, and that's when end-
5 stage renal disease occurs.

6 Since angiotensin II appears to be play an
7 central role in many of the hemodynamic and nonhemodynamic
8 mechanisms of the progression of diabetic nephropathy, it
9 has been hypothesized that the blockade of angiotensin II
10 will provide renal protection in this disease.

11 This hypothesis has been tested repeatedly with
12 both losartan and ACE inhibitors in animal models of
13 diabetic nephropathy. There is no perfect experimental
14 model for type II diabetic nephropathy. However, the
15 streptozotocin-induced diabetic rats have been used for
16 this purpose. It has been demonstrated that the blockade
17 of angiotensin II in this model is associated with a
18 reduction in glomerulosclerosis and proteinuria.

19 Furthermore, in an animal model of non-diabetic
20 renal disease, blockade of angiotensin II reduced
21 proteinuria and glomerulosclerosis. In the same studies,
22 other antihypertensive agents did not confirm these renal
23 protective effects. These findings support the biological
24 plausibility of the effect of angiotensin II blockade in
25 renal protection.

1 This hypothesis was tested clinically with
2 captopril in type I diabetic patients with nephropathy,
3 approximately a decade ago. In this study, in 409 type I
4 diabetic patients with proteinuria and retinopathy, with a
5 mean age of approximately 35, captopril significantly
6 reduced end-stage renal disease or death. However, until
7 now, conclusive clinical data on end-stage renal disease
8 have not been available in patients with type II diabetic
9 nephropathy, which is the most common type of diabetes. It
10 is important to note that type I and type II diabetic
11 patients represent two different populations, and it is
12 difficult to extrapolate data from type I to type II and
13 vice versa.

14 Patients with type II diabetes are typically
15 older, obese, and have insulin resistance, advanced
16 atherosclerosis, and long-standing hypertension. Many of
17 these patients are hypertensive even before the onset of
18 nephropathy. The kidney of a patient with type II
19 diabetes, in addition to the glomerular injury of diabetic
20 nephropathy, also has other morphological changes, which
21 may be a reflection of long-standing hypertension, older
22 age and macrovascular disease. Only a portion of this
23 disease burden may be susceptible to the blockade of the
24 renin-angiotensin system.

25 In evaluating a therapeutic intervention,

1 especially the magnitude of benefit, these differences
2 between type I and type II diabetes should be taken into
3 consideration.

4 In the absence of definitive data, current
5 therapeutic approaches among clinicians for renal
6 protection in type II diabetic nephropathy have focused on
7 metabolic control and blood pressure control. Valuable
8 amounts of data are available for each strategy. However,
9 there is no conclusive clinical evidence that these
10 therapeutic approaches are associated with a reduction in
11 end-stage renal disease in type II diabetic patients with
12 nephropathy.

13 Prior to the initiation of RENAAL, the question
14 remained. In patients with type II diabetes and
15 nephropathy does angiotensin II blockade with losartan
16 offer renal protection? The RENAAL study was designed to
17 answer that question.

18 RENAAL, reduction of endpoints in non-insulin
19 dependent diabetes with the AII antagonist losartan, was a
20 multicenter, multinational, double-blind, randomized,
21 placebo-controlled study to evaluate the renal protective
22 effects of losartan in patients with type II diabetes and
23 nephropathy.

24 There were three oversight committees in
25 RENAAL: the Steering Committee, the Data and Safety

1 Monitoring Committee, and the Endpoint Adjudication
2 Committee.

3 The Steering Committee, chaired by Dr. Barry
4 Brenner, was blinded to the study results and oversaw the
5 overall conduct of the study.

6 The Data and Safety Monitoring Committee was
7 chaired by Dr. Carl Erik Mogensen. This committee was
8 unblinded to the results of RENAAL, and oversaw the safety
9 of the patients in the study.

10 An Endpoint Adjudication Committee, chaired by
11 Dr. Steven Haffner, included two cardiologists, three
12 nephrologists and one endocrinologist, who were blinded to
13 the results, and adjudicated all the primary renal and
14 secondary cardiovascular endpoints in RENAAL.

15 Merck functioned as the coordinating and data
16 management center, with national and regional coordinators.

17 There were 250 centers from 28 countries worldwide.

18 The primary hypothesis in RENAAL was, in type
19 II diabetic patients with nephropathy, losartan compared to
20 placebo would increase the time to the first event of the
21 composite endpoint of doubling of serum creatinine, which
22 represented more than 50 percent loss of renal function,
23 end-stage renal disease, defined as need for chronic
24 dialysis or transplantation, or death, defined as all-cause
25 mortality.

1 These components of the primary endpoints were
2 selected based on the natural course of nephropathy in type
3 II diabetic patients. Serum creatinine progressively
4 rises, leading to a doubling of this biochemical marker,
5 which represents approximately 50 percent loss of renal
6 function, followed eventually by the clinically
7 irreversible condition of end-stage renal disease.

8 In patients who reach end-stage renal disease,
9 dialysis or transplantation is necessary to sustain life.
10 Thus, death and dialysis are competing events. Despite
11 dialysis, mortality remains high in these patients. Of
12 course, death from any cause can occur at any time.

13 There were three secondary hypotheses in
14 RENAAL. The first two were renal hypotheses. Losartan
15 would reduce the rate of progression of renal disease as
16 measured by the slope of reciprocal of serum creatinine
17 compared to placebo. Second, losartan would reduce
18 proteinuria, compared to placebo, during the course of this
19 study.

20 Another secondary hypothesis was a
21 cardiovascular hypothesis. It should be noted that RENAAL
22 was specifically designed as a renal protection study.
23 However, since cardiovascular events are common in these
24 type II diabetic patients, we made cardiovascular morbidity
25 and mortality a secondary hypothesis and adjudicated all

1 cardiovascular events.

2 The cardiovascular hypothesis was that in type
3 II diabetic patients with nephropathy losartan, compared to
4 placebo, would increase the time to first event of the
5 composite endpoint of cardiovascular morbidity and
6 mortality. This cardiovascular composite endpoint included
7 cardiovascular death, myocardial infarction, stroke, first
8 hospitalization for heart failure, first hospitalization
9 for angina and revascularization, both coronary and
10 peripheral revascularization.

11 The major inclusion and exclusion criteria in
12 RENAAL are noted on this slide. Since RENAAL was a renal
13 protection study, we enriched our population with patients
14 who had risk of progression of renal disease.

15 Important inclusion criteria were that these
16 patients were required to have type II diabetes and had to
17 be between the ages of 31 and 70 years, with proteinuria
18 defined as an albumin-to-creatinine ratio of more than 300
19 milligrams per gram on a first morning void, which
20 demonstrate macroalbuminuria, or greater than 500
21 milligrams protein in a 24-hour urine. Serum creatinine
22 was required to be between 1.3 and 3 milligrams per
23 deciliter.

24 Important exclusion criteria included patients
25 with known non-diabetic renal disease, such as patients

1 with renal artery stenosis and polycystic kidney were
2 excluded. Patients with uncontrolled diabetes, defined as
3 hemoglobin A1C greater than 12 percent, were excluded.
4 Patients with a history of myocardial infarction or CABG
5 within a month, stroke or PTCA within 6 months, and TIA
6 within a year of randomization, were excluded. Patients
7 with a history of heart failure were excluded. A heart
8 failure exclusion criterion was added shortly after the
9 initiation of the study.

10 The RENAAL study design is shown on the next
11 few slides.

12 RENAAL was a double-blind, randomized, placebo-
13 controlled, multicenter study. Because of the known effect
14 of proteinuria on the progression of renal disease in type
15 I diabetics and in non-diabetic patients with renal
16 disease, patients were stratified, based on an urinary
17 albumin-to-creatinine ratio, less than 2,000 or greater
18 than or equal to 2,000 milligrams per gram.

19 During the follow-up, clinic and laboratory
20 evaluations were performed every 3 months. We planned for
21 1-year enrollment and 5 years maximum follow-up.

22 This is a schematic diagram of the study
23 design. Qualified patients with type II diabetes were
24 screened by urine protein dipstick, and were placed in a
25 run-in period for 6 weeks. During this period, prior

1 antihypertensive therapy was maintained, except for
2 angiotensin converting enzyme inhibitors or angiotensin II
3 receptor antagonists, which were stopped 6 weeks prior to
4 randomization, and were replaced by other antihypertensive
5 drugs if needed.

6 To qualify patients at baseline, they first
7 were stratified, based on urinary albumin-to-creatinine
8 ratio, less than 2,000 or greater than 2,000 milligrams per
9 gram. Within each stratum, patients were randomized to
10 receive either 50 milligrams losartan or matching placebo
11 once daily, on the background of the run-in period
12 antihypertensive therapy. The goal was a trough blood
13 pressure of systolic less than 140 millimeters of mercury
14 and diastolic less than 90, which was the WHO-recommended
15 guideline for diabetics at the time of initiation of the
16 study.

17 If this goal blood pressure was not achieved
18 within 4 weeks, the study drug could be increased to 100
19 milligrams losartan once daily or matching placebo. If the
20 goal blood pressure was still not achieved, other open-
21 label antihypertensives, except angiotensin II antagonists
22 or ACE inhibitors, could be added, or the dose of the
23 existing drug could be adjusted.

24 Comprehensive patient follow-up was an
25 important feature of RENAAL, to ensure that doubling of

1 serum creatinine, end-stage renal disease, and death events
2 were collected in all patients.

3 Importantly, in RENAAL patients were required
4 to remain on a study therapy, regardless of nonfatal events
5 until the completion of the study. For example, if
6 patients doubled their serum creatinine, they were to
7 remain on therapy until the end of the study unless the
8 patient died. If patients experienced end-stage renal
9 disease, they were to remain on a study drug until the end
10 of the study, unless the patient died.

11 We collected end-stage renal disease and death
12 data in all patients, regardless of doubling of serum
13 creatinine events. This permitted the independent
14 assessment of treatment on the clinical endpoints of end-
15 stage renal disease in all patients. For all patients,
16 including those who discontinued the study drug, clinic
17 visits were to continue every 3 months to capture renal and
18 cardiovascular endpoint information.

19 After discontinuation of the study drug, if
20 clinic visits were not feasible, telephone follow-up was
21 done to capture end-stage renal disease and death
22 information. Whereas doubling of serum creatinine and
23 cardiovascular morbidity information were not captured in
24 telephone follow-up, data on end-stage renal disease and
25 death were collected for all patients randomized.

1 On February 10, 2001, the Steering Committee,
2 while blinded to the study results, voted unanimously to
3 end RENAAL prior to its planned termination date of March
4 2002, because of concerns of continuing the placebo group
5 without the blockade of the renin-angiotensin system. This
6 decision was based on increasing evidence that ACE
7 inhibitors may be effective in reducing cardiovascular
8 events in patients with cardiovascular risk factors. These
9 data were from the Heart Outcome Prevention Evaluation
10 study, HOPE, and a subpopulation of patients with renal
11 impairment, which was reported by Mann, et al. in *Annals of*
12 *Internal Medicine*, April 2001.

13 I would now like to discuss the RENAAL
14 demographics and efficacy results. In discussing the
15 efficacy results, I will first present the information
16 under primary and secondary renal data, followed by the
17 secondary cardiovascular data.

18 This slide summarizes the patient disposition
19 in RENAAL. In RENAAL, 3,893 patients were screened, 1,513
20 patients were randomized, 751 patients were allocated to
21 losartan, 762 patients were allocated to placebo.

22 The breakdown of patients who completed on the
23 study drug and the number of patients who discontinued the
24 study drug after reaching a primary event or prior to
25 reaching a primary event are shown in these boxes. There

1 are no patients lost to follow-up in RENAAL. Outcome data
2 on end-stage renal disease and death are available for all
3 patients randomized.

4 The next 4 slides provide the baseline
5 demographic data in RENAAL.

6 Patients were equally distributed with respect
7 to baseline demographics between losartan and placebo arms
8 of the study. With respect to gender, age, blood pressure
9 and body mass index, the two treatment groups were
10 comparable.

11 The two treatment groups were also comparable
12 with respect to race and region. The RENAAL study achieved
13 an excellent representation from diverse ethnic groups.
14 Among the patients in RENAAL, about 17 percent were Asian,
15 15 percent were Black, 49 percent were Caucasian, and 18
16 percent were Hispanic.

17 The treatment groups were comparable with
18 respect to past medical history at baseline. As
19 anticipated, the majority of patients in RENAAL were
20 hypertensive at the beginning of the study and were treated
21 with antihypertensive drugs. At baseline, the number of
22 patients with cardiovascular history of angina, myocardial
23 infarction, and stroke was relatively low in our study.

24 This slide provides selected mean laboratory
25 values at baseline in RENAAL. The two treatment groups

1 were comparable with respect to mean serum creatinine,
2 serum potassium, hemoglobin, and hemoglobin A1C. I will
3 discuss the differences baseline proteinuria later in my
4 presentation.

5 As a reminder, the primary hypothesis of RENAAL
6 was that losartan compared to placebo would increase the
7 time to the first event of the composite endpoint of
8 doubling of serum creatinine, end-stage renal disease, or
9 death. The analytical approach to the primary composite
10 endpoint was the time to each patient's first event. In
11 the next two slides I will illustrate how patients
12 contributed to this time-to-event analysis.

13 In the left column, we have 5 hypothetical
14 patients, A through E. In the next 3 columns, we show
15 three endpoints that contributed to the primary composite
16 endpoint. As you can see, patient A doubled his serum
17 creatinine, developed end-stage renal disease, and died.
18 Compare this patient to patient D, who died without
19 doubling of serum creatinine or developing end-stage renal
20 disease.

21 On this slide we have circled the endpoint that
22 occurred first for each patient. Each of these values were
23 used as the first event in the analysis of the primary
24 composite endpoint. Thus, for patient A, doubling of serum
25 creatinine was the first event captured, and this event was

1 used in the analysis of the primary composite endpoint.

2 For patient D, death was the first event, and
3 this event was used in the analysis of the primary
4 composite endpoint. Note that also 4 of the 5 patients in
5 this example died. Only the death of patient D would
6 contribute to the primary composite endpoint.

7 The next slide will illustrate the results of
8 our analysis on the primary composite endpoint.

9 DR. BORER: Dr. Shahinfar, can you just stop
10 for a moment? Before you actually show the outcome data, I
11 would like a clarification that sounds like you won't get
12 to later.

13 In the book that you sent us, it suggests that
14 there was a distinct difference in the average years of
15 patient follow-up. It says here on your page 12 that you
16 had an average of 173 patient-years of follow-up in the
17 losartan group and 234 years of patient follow-up in the
18 placebo group. That is clinic follow-up. And with regard
19 to telephone follow-up, there was the same discrepancy.

20 Now, in the FDA analysis, however -- and this
21 was looked at in a different way -- the conclusion was that
22 exposure to drug in the placebo group and the losartan
23 group was approximately equivalent.

24 Before you actually go on and present the data,
25 I'd like to understand why this apparent discrepancy

1 exists, or is not a discrepancy, or do I not understand
2 these data correctly?

3 DR. SHAHINFAR: Thank you very much for your
4 question. The numbers that you are referring to in the
5 background refer to the patients who were discontinued and
6 they went to some kind of follow-up.

7 Basically, after patients were discontinued
8 from the study, we tried to keep them in the clinic
9 regardless of whether they were on drug or were not on
10 drug. So, in those patients, some of them couldn't come
11 back for subsequent clinic visits, but we followed these
12 patients in telephone follow-up. Does that answer your
13 question?

14 DR. BORER: Yes, it does indeed. What we are
15 seeing here is that people stayed on losartan longer.
16 That's why there were presumably fewer patient years of
17 follow-up in the losartan group. I understand. Thank you.

18 DR. SHAHINFAR: The next slide will illustrate
19 the results of our analysis on the primary composite
20 endpoint. In all the slides that you will see today,
21 losartan is depicted in yellow and placebo in white.
22 Unless otherwise noted, all efficacy analyses are based on
23 intention to treat.

24 The primary composite endpoint results of
25 RENAAL are demonstrated in this Kaplan-Meier curve. In

1 this slide, the y axis is the percentage of patients with
2 events. The x axis is the duration of follow-up. The
3 number below the x axis represents the number of patients
4 at risk at each time point.

5 As this slide illustrates, RENAAL began with
6 762 patients in the placebo group and 751 patients in the
7 losartan group. By 36 months in this study, year 3, there
8 still remained 296 patients at risk in the placebo group,
9 and 300 patients in the losartan group. As anticipated, by
10 month 48 a relatively small number of patients at risk is
11 available in each treatment group.

12 The risk reduction for losartan was calculated
13 using the Cox proportional hazard regression model. The
14 results demonstrate that losartan significantly reduced the
15 risk of the primary composite endpoint of time to first
16 event of doubling of serum creatinine, end-stage renal
17 disease, or death by 16.1 percent; p equals .022.

18 In RENAAL, there were prespecified analyses of
19 the irreversible clinical endpoints, collectively referred
20 to as end-stage renal disease, death, and a composite of
21 end-stage renal disease or death. Since patients were
22 followed after the occurrence of a nonfatal primary
23 endpoint, many patients experienced multiple clinical
24 endpoints. In these cases the key principles applied were
25 that a patient counted as having had an endpoint in all

1 relevant analyses and that a patient counted only once in
2 any analysis.

3 This slide summarizes the analytical approach
4 taken for the irreversible clinical endpoints. For end-
5 stage renal disease, the analytical approach included all
6 patients who reached end-stage renal disease, regardless of
7 whether doubling of serum creatinine occurred first.

8 For death, the analytical approach included all
9 patients who died, regardless of whether doubling of serum
10 creatinine or end-stage renal disease occurred first.

11 For end-stage renal disease or death, the
12 analytical approach included patients who reached a first
13 event of end-stage renal disease or death, regardless of
14 whether doubling of serum creatinine occurred first.

15 These analyses were performed on the entire
16 patient cohort, because all patients were followed for the
17 occurrence of end-stage renal disease and death for the
18 entire study.

19 The next slide illustrates how these events
20 would be captured for separate analysis of ESRD, death, and
21 the composite of ESRD or death.

22 This slide illustrates the same 5 hypothetical
23 patients that we showed you earlier. For each patient, the
24 first occurring primary event is circled. Now, let me show
25 you how these events in these patients would be captured in

1 each of the analyses of the irreversible clinical endpoints
2 of ESRD, death, and the composite of ESRD or death.

3 The three columns on the right-hand side of the
4 slide illustrate the analysis of end-stage renal disease,
5 death, and the composite of ESRD or death, and the
6 contribution of each patient's event to each analysis.

7 Patient A contributes to all three analyses,
8 whereas patient D contributes to the analysis of death and
9 to the analysis of ESRD or death.

10 Please note that although a patient can
11 experience each of the three endpoints of doubling of serum
12 creatinine, end-stage renal disease, and death, a patient
13 is only counted once in each analysis.

14 For the prespecified analysis of end-stage
15 renal disease, defined as need for chronic dialysis or
16 transplantation, the y axis shows the percentage of
17 patients with events. The x axis demonstrates duration of
18 follow-up.

19 Losartan treatment reduced the risk of end-
20 stage renal disease by 28.6 percent; p equals .002. As you
21 see in this Kaplan-Meier curve, the effect of therapy is
22 observed about 18 months after the initiation of losartan,
23 as demonstrated by the separation of the curve at this time
24 point.

25 This is the first time that a therapeutic

1 intervention has shown a beneficial effect on end-stage
2 renal disease in type II diabetic patients with
3 nephropathy.

4 For the prespecified analysis of all-cause
5 mortality, there was no significant difference in this
6 endpoint between losartan and placebo; p equals .884.

7 End-stage renal disease and death are competing
8 events in type II diabetic patients with proteinuria
9 because these patients may die before reaching end-stage
10 renal disease or die as a result of requiring but not
11 receiving dialysis, which is a life support therapy in
12 these patients. Therefore, we evaluated the effect of
13 losartan on the risk of experiencing either of these two
14 endpoints. The prespecified analysis of the composite
15 endpoint of end-stage renal disease or death demonstrated
16 that losartan significantly reduced the risk of this
17 outcome by 19.9 percent; p equals .009.

18 To summarize the results of the RENAAL primary
19 hypothesis and prespecified analysis of irreversible
20 clinical endpoints, this chart displays the percent risk
21 reduction and its 95 percent confidence interval.

22 Note that the horizontal scale is a logarithmic
23 scale from plus 50 percent on the left, corresponding to a
24 reduction risk due to losartan, to minus 50 percent on the
25 right, corresponding to an increase in risk with losartan.

1 The confidence intervals are symmetric on this logarithmic
2 scale. Losartan significantly reduced the risk of the
3 primary composite endpoint and the irreversible clinical
4 endpoint of end-stage renal disease and end-stage renal
5 disease or death.

6 Before the initiation of RENAAL, we recognized
7 the overall importance of proteinuria on the progression of
8 renal disease, but the precise nature of this relationship
9 in type II diabetes was unknown. Therefore, RENAAL
10 patients were stratified at baseline, based on a level of
11 proteinuria less than 2,000 or greater than 2,000 albumin-
12 to-creatinine ratio.

13 As a result of the stratification, within each
14 stratum an equal number of patients were randomized to each
15 treatment group. However, there was an imbalance in the
16 distribution of baseline proteinuria within the higher
17 stratum of greater than 2,000. Specifically, more patients
18 with baseline proteinuria above 4,000, the highest level of
19 proteinuria, were randomized to the losartan arm compared
20 to placebo. I will now illustrate these two points in each
21 of the next two slides.

22 This slide demonstrates the patient randomized
23 to losartan or placebo in each stratum. The x axis
24 demonstrates categories baseline proteinuria and the y axis
25 is the percentage of patients in each category. The two

1 strata are noted: the lower stratum below 2,000 on the
2 left, and the higher stratum above 2,000 on the right.

3 As you see from this slide, stratification
4 ensured that overall there was an equal number of patients
5 on losartan and placebo in each stratum. But such a
6 stratification does not eliminate the possibility of an
7 imbalance of distribution of patients within each stratum.

8 As I will show you next, that occurred in RENAAL.

9 As demonstrated on this slide, there is a
10 statistically significant imbalance in the distribution of
11 patients between losartan and placebo with respect to
12 baseline proteinuria within the higher stratum. This
13 imbalance is especially pronounced in the category of
14 greater than 4,000 patients where we have more losartan
15 than placebo patients. I will show you later that these
16 patients are at the highest risk of progression of renal
17 disease, and that 80 percent of these patients had a
18 primary endpoint during the study.

19 In RENAAL, we demonstrated that in type II
20 diabetic patients there is a strong relationship between
21 baseline proteinuria and the risk of the primary composite
22 endpoint as shown on this slide. In this analysis we
23 pooled the losartan and placebo groups. On the x axis,
24 different levels of baseline proteinuria are listed. On
25 the y axis is the hazards ratio for the primary composite

1 endpoint, which is the hazard rate for each level of
2 proteinuria relative to 300 milligrams albumin-to-
3 creatinine ratio, which was the entry criterion for
4 proteinuria in our study. The larger the hazard ratio
5 represents the higher risk for primary event. As it is
6 shown, the risk for primary events increases substantially
7 as baseline proteinuria increased.

8 For example, in a patient at baseline
9 proteinuria of 2,000 albumin-to-creatinine ratio, the
10 hazard rate for the primary outcome is approximately 3
11 times higher than a patient with 300 milligrams albumin-to-
12 creatinine ratio. In a patient with 4,000 albumin-to-
13 creatinine ratio at baseline, the hazard rate is about 8
14 times higher than a patient with 300 milligrams albumin-to-
15 creatinine ratio.

16 Because of the important role of proteinuria
17 and the risk of the progression of renal disease, and since
18 prespecified primary analysis did not adjust for imbalances
19 within strata, it was reasonable to adjust for imbalances
20 in baseline proteinuria for RENAAL. The results of this
21 analysis are shown in the next two slides.

22 Using the baseline proteinuria as a continuous
23 covariate, in the Cox proportional hazard regression model,
24 the risk reduction with losartan on the primary composite
25 endpoint increases from 16.1 percent to 22.2 percent; p

1 equals .001.

2 Adjustment for baseline proteinuria also
3 results in an increase in treatment effect on irreversible
4 clinical endpoints of end-stage renal disease and a
5 composite of end-stage renal disease or death. This slide
6 demonstrates the risk reduction with losartan for the
7 primary composite endpoint, end-stage renal disease, death,
8 and end-stage renal disease or death. The solid line with
9 a circle represent the prespecified analysis, and the
10 dotted line with the square represents the adjusted value,
11 using baseline proteinuria as a continuous covariate. As
12 you can see, the dotted line with the square moves toward
13 the left of the 0 line in favor of losartan.

14 DR. BORER: Before you go on, Dr. Shahinfar,
15 this is Jeff Borer for the microphone for the tape there.
16 Those adjustments are very interesting, and intuitively
17 they seem reasonable and I don't want to suggest that there
18 isn't an effect here and that the proteinuria isn't
19 important. But I have some concern about accepting
20 adjustments and numbers based on adjustments per se because
21 my understanding is that to make an adjustment you have to
22 assume that you know the relationship quantitatively
23 between the variable for which you are adjusting and the
24 dependent variable, the outcome variable. I don't think we
25 know that. So, you're assuming a model.

1 I am going to ask Tom Fleming and the FDA
2 statistician, if we have him or her here, to comment on
3 that. But, what I would like to see, if you have the data
4 -- and you may not have them this minute, so you can pull
5 them out -- is an analysis of absolute numbers for the
6 outcome in people above 4,000, understanding that there's
7 an imbalance in favor of more on losartan, and for 2,000 to
8 4,000, which are the patients where there was an imbalance
9 in favor of placebo, still above your prespecified cut
10 point.

11 I would like to know that, and, Tom, I would
12 like to have some comment from you about the validity of
13 this kind of adjustment, if you would.

14 DR. FLEMING: I would be happy to look at the
15 results as you have asked for them, and then I can comment.
16 You have asked, since in particular what we are seeing is
17 a breakdown of imbalances in those above 4,000, it would be
18 interesting to see the results in that stratum above 4,000,
19 and then in the complement.

20 DR. BORER: I was also asking about the generic
21 issue of making imbalances based on models when we don't
22 really know the relation between the variables that we are
23 adjusting for.

24 DR. FLEMING: Okay, I'll go ahead a comment now
25 then.

1 The approach that is being used is a very
2 standard approach to address any potential confounding that
3 can exist, and a confounder arises, as you know, when you
4 have a very predictive variable that is imbalanced between
5 the two arms.

6 What we are seeing here is a recognition that
7 baseline proteinuria in advance is obviously a very
8 important predicted variable. The structure that was
9 imposed was to balance for those below 2,000 and above
10 2,000.

11 What we're seeing here -- and I would like to
12 probe a little bit later, at exactly how this functional
13 form was derived -- I think it is in slide 54, which is
14 certainly very informative and relevant. Taking that as
15 the truth, what it is showing is that there's a striking
16 monotonic trend toward increasing risk of the outcome as
17 you increase that baseline proteinuria level, such that
18 there could readily be emerging imbalances in the cohort
19 above 2,000 because there was no structure imposed beyond
20 what randomization does itself to assure a balance in those
21 people who were above 2,000.

22 What emerged in the data was an excess of 92
23 versus 71 people with values above 4,000. So, there was a
24 confounding that emerged in spite of the structure imposed
25 at randomization, and that confounding certainly has the

1 potential of biasing the results.

2 If you use a Cox regression or a stratified log
3 rank analysis, you get a very appropriate and legitimate
4 adjustment for that balance. You don't have to assume you
5 know the functional form. If it's a highly predictive
6 covariate and it's imbalanced, we don't have to assume we
7 know that to do a Cox regression analysis or a stratified
8 log rank.

9 The problem that we run into in interpreting
10 this, though, is post hoc analyses that are adjusting for
11 imbalances that can occur even in a random way in a
12 randomized stratified trial require some careful
13 interpretation because one could do these analyses in
14 numerous ways; i.e., you could form innumerable different
15 types of covariate adjustments. And I'd like to come back
16 to this discussion later on. That's the issue that I think
17 we have to be a bit cautious about how we interpret.

18 But it is certainly very appropriate in a
19 supportive analysis to look for what might be profound or
20 very substantial evidence of confounding and in a
21 supportive analysis to look to see whether that strengthens
22 or weakens our sense of association.

23 DR. BORER: Thank you.

24 Dr. Temple?

25 DR. TEMPLE: So, I think the first thing

1 Jeffrey asked was where did you get those numbers. How did
2 you decide how to make the adjustment? And, your answer,
3 if I understand it was, they looked at the relationship
4 between proteinuria in both the untreated and treated
5 people and found that relationship in these data. The risk
6 in there is that you can find it even if it's not true as a
7 matter of chance sometimes. So, you have to judge its
8 degree of plausibility. If it were extremely plausible, of
9 course it would have been prospectively defined. This
10 comes up all the time. People are smarter after the fact
11 than before.

12 The other point I guess is that looking at the
13 two groups, people over 4,000 and under 4,000 is sort of
14 the poor man nonstatistician covariate analysis, and it's
15 very helpful for people who don't quite understand what
16 covariate analyses are, like me. So, that's always very
17 helpful.

18 DR. FLEMING: In this discussion, I think if
19 you could put slide 54 up as well, which I think is your
20 slide that showed what I call the functional form. This is
21 very important.

22 For a covariate to be a confounder you need to
23 have an imbalance. Okay, we've seen that, 92 against 71.
24 It has to be imbalanced in a way that matters, i.e. those
25 people, i.e., the 92/71, or the people who are at 4,000 or

1 to the right, and those people are at much higher risk than
2 people who were to the left. Two questions.

3 I'm always a little skeptical about a curve
4 that is so smooth. That probably is what nature really is,
5 but our data usually has much more noise. So, how did this
6 function form? Was it derived from this study or other
7 data? That's question 1.

8 Question 2 is, is this the relationship of
9 baseline proteinuria with a triple endpoint, and if so, I
10 might speculate the relationship with what I care more
11 about, which is the double endpoint. End-stage renal
12 disease/death would be even a more striking gradient.

13 Can you answer those two questions?

14 DR. BAIN: Yes. Ray Bain, clinical
15 biostatistics, Merck Research Lab.

16 You're correct. This slide here was based on
17 the pooled groups from the RENAAL study, the 15/13
18 patients. You are also right that this particular
19 analysis, this hazard ratio of increasing baseline
20 proteinuria, relative to a patient who has 300 milligrams
21 per gram, is based on our primary triple endpoint,
22 doubling, death, or dialysis.

23 Now, Dr. Temple is also correct that sometimes
24 if we were more intelligent we would have probably
25 introduced this correction way back when we were designing

1 the protocol. It turns out that we were half intelligent.

2 We did recognize the importance of baseline protein and
3 therefore prestratified. Now, unfortunately we only
4 prestratified by less than 2 and greater than 2, but we
5 recognize that it was a very important baseline risk
6 factor.

7 In addition to that -- and we can go into this
8 later -- we also prespecified in our data analysis plan to
9 do a risk score analysis, which looked at a number of
10 different baseline risk factors. It turns out 1 of the
11 risk factors that we included as potentially being a risk
12 factor for our triple endpoint was, again, baseline
13 proteinuria. We can go into that later if you like.

14 Dr. Borer, did we answer your question?

15 DR. BORER: Reasonably.

16 DR. TEMPLE: Do you have that curve for the
17 renal endpoint alone?

18 DR. BAIN: Yes, we do.

19 DR. LINDENFELD: Jeff, may I ask?

20 DR. BORER: Yes.

21 DR. LINDENFELD: I wonder if we could back to
22 the last slide. Can you just show us that same data on
23 slide 54 when the endpoint is end-stage renal disease or
24 death?

25 The reason I ask this -- and everyone can help

1 me with this -- is I think all of us who see these patients
2 recognize as the proteinuria goes up and up the diuretics
3 go up and up. And while in the past we have considered a
4 doubling of creatinine a real endpoint -- that is, it
5 reflects loss of renal function -- when we start to add
6 those diuretics, we have all seen the creatinine double.
7 We withdraw diuretics and it goes back down. So, I wonder
8 if this same endpoint holds when we don't use the doubling
9 of creatinine.

10 DR. BAIN: We do not have that particular
11 graph, but we could probably get it.

12 DR. FLEMING: The second question that I was
13 intending to ask was that I wanted to see that same curve
14 not for the triple endpoint, but what I call the double
15 endpoint. I think of the double endpoint as end-stage
16 renal disease/death, where my speculation is the gradient
17 or the slope I would expect would be even greater, but I'd
18 like to see that.

19 DR. BAIN: Okay, we'll work on that.

20 But, as you can see here, this is again one of
21 these curves where now on the x axis here is hazard ratio,
22 so the .5 is that 50 percent reduction. You can see the
23 doubling of serum creatinine if you look at that line. I'm
24 sorry. The primary composite outcome is at the top there.
25 At the very top, the solid line is our primary outcome,

1 the .022. The next line below that is what Dr. Shahinfar
2 already discussed. When you adjust for baseline
3 proteinuria, it moves the effect to the left and you get a
4 p value of .001 that she showed.

5 Now, we haven't shown you that curve for
6 baseline proteinuria as a prediction of ESRD or death, but
7 you can see the same type of effect here when you look at
8 the ESRD. We haven't gotten there yet I think, but you'll
9 see that endpoint of ESRD or death, and then you'll also
10 see that when you adjust again for continuous baseline
11 proteinuria it moves the treatment effect to the left.

12 DR. FLEMING: Let me just clarify a little bit.
13 Put that slide back up.

14 I guess to provide the most precise statement,
15 where I'd expect the biggest gradient is end-stage renal
16 disease as a single endpoint, because certainly what we are
17 seeing is an effect on end-stage renal disease much more so
18 than death, doubling in serum creatinine for reasons we can
19 discuss later. It doesn't strike me as an endpoint that
20 should be as strongly affected by baseline proteinuria as
21 end-stage renal disease itself. In fact, if we look at the
22 adjustment here, the adjustment is in fact visually the
23 greatest for end-stage renal disease.

24 So, my speculation is if we show that gradient
25 curve that you were showing on slide 54, it would be very

1 interesting to show what the relationship is of baseline
2 proteinuria not just with the triple endpoint, but with the
3 double endpoint and with end-stage renal disease itself.

4 DR. BAIN: Okay, so your request is to look at
5 slide 54 for the single endpoint of ESRD and then the
6 double endpoint of ESRD or death. Will do.

7 DR. TEMPLE: And end-stage renal disease or
8 doubling, just for me.

9 DR. BAIN: And end-stage renal disease or
10 doubling. Okay.

11 DR. SHAHINFAR: In RENAAL, we prespecified
12 several sensitivity analyses for the primary composite
13 endpoint, using hemoglobin A1C and mean arterial pressure
14 as time varying covariates. We also performed analyses of
15 baseline subgroups. Hemoglobin A1C, as mentioned in your
16 background, was comparable between the two treatment
17 groups. In the next several slides, I will discuss the
18 sensitivity analysis for mean arterial pressure and
19 baseline subgroups.

20 Blood pressure control was an important
21 treatment goal in the RENAAL study. Blood pressure was
22 aggressively treated in both treatment groups in order to
23 get to equal blood pressure levels between losartan and
24 placebo, a trough systolic less than 140 and diastolic less
25 than 90 millimeters of mercury.

1 The goal blood pressure was achieved by
2 titrating losartan or matching placebo from 50 to 100
3 milligrams first and then titrating other open-label
4 antihypertensives from different classes, with the
5 exception of ACE inhibitors and AII receptor antagonists.

6 The next slide shows concomitant
7 antihypertensive drugs used during the study. As you see
8 from this slide, many drugs from different classes of
9 antihypertensives had to be used in order to control blood
10 pressure in these patients.

11 It should be noted that in addition to study
12 drug, patients in both treatment groups took an average of
13 3-and-a-half antihypertensive drugs from different classes.
14 The use of each class of antihypertensive agents was
15 comparable between the losartan and the placebo group.

16 This slide demonstrates the mean systolic and
17 diastolic blood pressure in each treatment group during the
18 study. The y axis is blood pressure in millimeters of
19 mercury, and the x axis is duration of follow-up. Overall,
20 the mean systolic and diastolic blood pressure were reduced
21 throughout the study. In the losartan group blood pressure
22 declined from 152 systolic and 82 diastolic at baseline to
23 140 systolic and 75 millimeters of mercury diastolic at the
24 study end, while in the placebo group, blood pressure
25 declined from 153 systolic and 82 millimeters of mercury

1 diastolic to 142 systolic and 75 diastolic at the study
2 end.

3 Since both systolic and diastolic blood
4 pressure were aggressively treated, the best approach would
5 be evaluation of mean arterial pressure, which reflects
6 both.

7 This slide demonstrates by percentile the
8 distribution of mean arterial pressure. The y axis is the
9 mean arterial pressure in millimeters of mercury, and the x
10 axis is duration of follow-up. The line in the middle of
11 each box represents the 50th percentile of mean arterial
12 pressure. The bottom of the box is the 25th percentile,
13 and the top of the box is the 75th percentile of mean
14 arterial pressure. The whiskers represent the 5th and 95th
15 percentile of mean arterial pressure in all patients.

16 As you can see, we were successful in reducing
17 blood pressure in these patients. Mean arterial pressure
18 decreased in all patients throughout the study. Overall,
19 except for the first year of the study, the mean arterial
20 pressure was comparable between the losartan and the
21 placebo group. On the average there was a 2 millimeter of
22 mercury difference in mean arterial pressure, with the
23 losartan group having lower blood pressure. This
24 difference, although small, did achieve a statistical
25 significance.

1 Adjusting for differences in mean arterial
2 pressure, using a predefined analysis of a time varying
3 covariate, demonstrated that the losartan effect on the
4 primary composite endpoint and on the irreversible clinical
5 endpoints of end-stage renal disease, death, and a
6 composite of end-stage renal disease or death, were
7 minimally affected by this adjustment.

8 As is demonstrated on this slide, the dotted
9 lines with squares shows the treatment effect after
10 adjustment for mean arterial pressure, using mean arterial
11 pressure as a time varying covariate.

12 This supports the conclusion that the renal
13 protective effects of losartan on the primary composite
14 endpoint and on irreversible clinical endpoints of end-
15 stage renal disease, and end-stage renal disease or death,
16 is over and above the antihypertensive effect of losartan.

17 DR. BORER: Excuse me, Dr. Shahinfar, two
18 things. First, because I always keep my word, it's 30
19 seconds short of 9:45, and we've been importuned to have a
20 break at 9:45 for about 10 minutes, which we will. This
21 seems like a reasonable break point.

22 At this point I want to just take my 30 seconds
23 to ask you about these data, because to me these are
24 particularly important in judging the independent effect of
25 losartan for this indication.

1 Here I am even more concerned about the
2 relation of the model to the conclusion, because, in fact,
3 the adjustment makes the drug appear to be somewhat less
4 effective. I don't care about the p values and all because
5 it's hard for me to interpret those in this setting when
6 we're talking about adjustments.

7 But, did you do anything with blood pressure
8 that was analogous to what you did with protein? For
9 example, you found 4,000, after the fact, that there was an
10 imbalance above 4,000. Wasn't there an imbalance above 160
11 or 180 in favor of placebo, and is that where most of the
12 events lay in the placebo group? I don't know.

13 The other question I would ask is why, and we
14 don't need a prolonged answer here, and maybe one of your
15 consultants wants to get up and talk about this, because
16 they know more than I do. You looked at mean pressure and
17 you said that really was the best integrator, and I would
18 wonder about that.

19 As data emerged it appears that systolic
20 pressure and pulse pressure, particularly in the population
21 that you studied, might be more important, and mean blood
22 pressure would tend to minimize the effect of the widening
23 pulse pressure that you see when you look at the difference
24 in the systolic and diastolic blood pressure curves on drug
25 and on placebo. There is a wider pulse pressure on average

1 throughout the course of the study in the patients on
2 placebo than on losartan. You tend to lose that when you
3 define a mean blood pressure.

4 So, I would like some quick answers to those
5 questions, and if one of them is going to be long, we'll
6 wait until after the break. But first, how about the cut
7 points for blood pressure?

8 DR. SHAHINFAR: We didn't do exactly what we
9 did for proteinuria. It's not exactly the same picture.
10 It was to see where are the outliers.

11 One important factor is that we saw events in
12 all different levels of blood pressure control. But the
13 important finding is that the better the blood pressure is
14 controlled, so even in the placebo group, when blood
15 pressure is controlled absolutely great, we have better
16 treatment effect with losartan, which basically proved that
17 this is not just blood pressure.

18 The answer to your next question, I think is
19 very important, and I agree with you. In looking at the
20 pulse pressure and systolic and diastolic in these
21 patients, we look at all of those factors. Mean arterial
22 pressure was predefined. What I presented today is the
23 predefined analysis and the correction that we did. Did I
24 answer your question?

25 DR. BORER: Pretty much. But it looks like we

1 have an additional answer here.

2 DR. KEANE: Thank you. I'm Bill Keane. I'm
3 the last presenter this morning, but maybe I could just
4 quickly come in here and talk to the issue before we do
5 break. I'm Vice President for Clinical Development in U.S.
6 Human Health.

7 Let me give you some numbers, and if we want to
8 discuss these more -- we did look at the prespecified, as
9 Dr. Shahinfar has indicated, the mean arterial pressure --
10 and you've already seen that data.

11 We've also looked at obviously each of the
12 components, the systolic, the diastolic, as well as the
13 pulse pressure, to see the influence on our risk reduction.
14 In essence, the risk reduction all was approximately 16
15 percent. For the mean arterial pressure, it was 15
16 percent. For the diastolic pressure alone, it was a 17
17 percent risk reduction. For the systolic blood pressure
18 alone, there was a 13 percent risk reduction, and for the
19 pulse pressure, there was a 13 percent risk reduction. So,
20 this basically I think answers the issue that you are
21 raising.

22 I think that this is an important point because
23 overall, as Dr. Shahinfar has said, we did aggressively
24 lower blood pressure in the trial. We came from about 150
25 over 80 at the start. We brought it down to about 140 over

1 about 75 at the end. Blood pressure changed, and these
2 were our adjustments as we looked at them.

3 DR. BORER: We may want to come back to this,
4 but I wonder if anybody, before the break, has any other
5 comments they want to make on the committee about this
6 issue. Otherwise, we can come back to it in later
7 discussion. Tom?

8 DR. FLEMING: Just very briefly, if that slide
9 can go back up. It confirms what your sense was, Jeff.
10 Basically the imbalance seems to be more with systolic than
11 with diastolic, and so when you adjust for systolic rather
12 than the mean you do see the bigger effect of the
13 confounding.

14 DR. BORER: Okay. Thank you very much. We may
15 come back to this later.

16 Let's take a short break now and reconvene at
17 10 o'clock.

18 (Recess.)

19 DR. BORER: Okay. Dr. Bain.

20 DR. BAIN: Dr. Borer, your original question
21 was, was there a difference in baseline blood pressure?
22 Actually you were interested in some of the upper
23 distributions. We looked at the distribution of blood
24 pressure at baseline and there was no difference in the
25 distribution, if you look at the percentiles over time.

1 Now, this morning already we've talked about
2 two different adjustments, and what I want to do is make
3 sure that everybody understands the two different
4 adjustments we've talked about so far.

5 The first one we introduced was the adjustment
6 because we observed this difference in baseline
7 proteinuria. As we showed, there were higher levels of
8 proteinuria in our upper stratum, the greater than 2 grams.

9 The rate was higher in the losartan group. Because of
10 that baseline imbalance we showed you, when we adjusted the
11 treatment effect, the treatment effect got stronger because
12 of the losartan group having the higher baseline protein.
13 So, that's the first one we talked about.

14 Then we introduced another adjustment when Dr.
15 Shahinfar was talking about the difference that we observed
16 in mean arterial pressure over the course of the trial.
17 Okay. So, now we're not talking about baseline anymore.
18 Now we're talking about adjusting for that difference in
19 mean arterial pressure during the study. And what we
20 showed there was, when you adjust for the mean arterial
21 pressure as a time varying covariate, is it had minimal
22 impact on that same treatment affect that we observed on
23 our primary composite endpoint. Okay?

24 DR. BORER: Okay. Thank you.

25 DR. KOPP: Could I ask a blood pressure

1 question? You have blood pressures out to 48 months. Were
2 patients censored for collection of that data when they
3 went on dialysis?

4 DR. SHAHINFAR: No. All patients were included
5 in the analysis, so that included all of them.

6 DR. BAIN: Let me clarify that. If you are
7 talking about the blood pressures that we were using to
8 adjust for the primary composite endpoint, in that
9 adjustment we were only adjusting up to the time they had
10 the event. We continued to collect blood pressures after
11 that, but the adjustment is only in effect up to the time
12 of the event.

13 DR. BORER: Dr. Hirsch?

14 DR. HIRSCH: Thank you. Alan Hirsch.

15 I want to talk about a little bit of physiology
16 and the pre hoc hypothesis for the adjustments. As a non-
17 nephrologist, for me, I am still a little bewildered by
18 what I would have anticipated the adjustment would have
19 accomplished. In other words, in a patient with greater
20 than 4 grams of urinary protein excretion, was it
21 anticipated that losartan would have a greater impact or a
22 lesser impact on the primary outcome, based on the time to
23 the first event? In other words, if we adjust for those
24 patients who are sicker, would we have anticipated a lesser
25 or a greater effect of the drug?

1 DR. BAIN: I think the question was, in
2 patients that entered -- we're back to baseline proteinuria
3 -- with relatively high levels of protein, we were
4 adjusting for that imbalance, because that's mainly where
5 the imbalance was. It turns out that, as Dr. Shahinfar has
6 already indicated, in those patients that have high
7 baseline protein -- like, say, greater than 4 milligrams
8 per gram -- 80 percent of them had an event. And when you
9 adjust for that baseline difference, that's what's having
10 the effect to push the treatment effect to be larger than
11 what we observe when we don't adjust for that imbalance in
12 the high levels of baseline protein.

13 DR. BORER: Does that satisfy you, Alan?

14 DR. HIRSCH: Well, I'll come back to this later
15 then. I'm not entirely satisfied.

16 DR. BORER: Before you start the next section,
17 we've been talking about nephropathy and proteinuria, and
18 Dr. Elia said that the terms are going to be used
19 interchangeably. I would just like to hear from the
20 nephrology members of the panel about that equivalence and
21 how we should interpret these data based on definitions and
22 what have you. Dr. Brem?

23 DR. BREM: Andrew Brem.

24 I was a little bit troubled I guess by the
25 opening remarks, stating something to the effect that

1 proteinuria will equal nephropathy. Proteinuria is a sign
2 of the nephropathy perhaps, and biopsy is the gold standard
3 to establish what the nephropathy is and to make that
4 diagnosis.

5 I certainly realize that one is not going to
6 biopsy 1,500 patients, so that's not a possibility, but I
7 think it's important to recognize that we are using
8 proteinuria as a surrogate for the nephropathy, not as the
9 nephropathy itself.

10 As such, is it well established enough to be
11 used as a surrogate? You're doing your statistics and
12 presenting outcomes based on it as a surrogate. Have we
13 established well enough that higher the proteinuria, the
14 worse the outcome? I think probably much of the discussion
15 that we're having about the statistics right now sort of
16 centers on that question.

17 DR. GOLDMAN: Yes. That's a great question.
18 Bonnie Goldman, Regulatory Affairs.

19 I'd asked that we try to come back because we
20 do have data, if the group would like to see it, especially
21 within this study, about essentially what you're asking
22 about the surrogacy. But it literally would take quite a
23 while to go through during the course of this.

24 The bottom line is, in this study, in fact,
25 there's a very good relationship between the proteinuria

1 and the outcomes. Okay?

2 DR. BORER: At the end of the day, we are not
3 looking at proteinuria as an endpoint, just as an admission
4 point, so that may mitigate against any concern about that.

5 Tom?

6 DR. FLEMING: I think it just might be worth a
7 quick comment, that we've been thinking and talking about
8 proteinuria now in at least three different ways, as a
9 predictor, as an effect modifier, and as a surrogate.
10 Those are in fact completely separate concepts.

11 I am persuaded that proteinuria is a predictor.
12 It is related to the risk of the outcome. Whether it's
13 the triple endpoint, the double endpoint, or the single
14 endpoint, the higher the level of baseline proteinuria the
15 higher the risk of these outcomes we're looking at. So, it
16 is a predictor.

17 Is it an effect a modifier, which might be
18 related to Alan's comment. I'm not sure, but as an effect
19 modifier, the question is, is the treatment effect
20 different in people above 4,000, versus in people 2,000 to
21 4,000, versus less. I don't know the answer to that, but
22 that's an entirely separate question than whether we should
23 adjust for baseline proteinuria if there is an imbalance
24 because it is a potential confounder.

25 The third issue is, is it is a true surrogate?

1 That's the question I ask when I wonder is looking at
2 treatment effect in change in proteinuria levels an
3 adequate measure of whether I'm truly achieving clinical
4 benefit. I would agree that that is entirely
5 unestablished, that proteinuria levels would be an adequate
6 replacement endpoint.

7 DR. BORER: Here we're not doing that,
8 fortunately.

9 Dr. Temple?

10 DR. TEMPLE: Tom's second question would be
11 answered by the display that you initially requested, and
12 that I asked for also, which is to look at what the effect
13 of treatment is in the greater than 4,000 and less than
14 4,000, which is still your poor man's covariate adjustment
15 for people who don't understand covariate adjustments very
16 well. It is very informative. We still hope to see that.

17 DR. BORER: We poor men probably will see that
18 after lunch, and poor women, if there are any of you among
19 us.

20 DR. SHAHINFAR: I think this is where we left
21 off.

22 Next I would like to show you the analysis of
23 primary composite endpoint across predefined baseline
24 subgroups.

25 This slide is intended to convey by visual

1 impact the consistent benefit of losartan across a variety
2 of subgroups. The primary composite endpoint was explored
3 in 18 predefined subgroups, which are shown on this slide.

4 For each subgroup, the point estimates on the graph are
5 all on the left side of the zero line in favor of losartan.

6 Overall, there was no significant interaction between
7 losartan treatment and these predefined subgroups, except
8 for region.

9 When testing as many as 18 subgroups, it's not
10 unexpected that by chance alone one subgroup would have a
11 significant interaction. While it appears that the effect
12 of losartan varies among regions, note that none of the
13 point estimates are to the right of the 0 line.

14 As noted in the FDA review, there is not a
15 significant regional heterogeneity.

16 DR. BORER: Can we just stop you there for one
17 second? I think that one issue that may come up and that I
18 don't understand so well may have to do with definition.

19 Dr. Armstrong, did you want to say something
20 about that?

21 DR. ARMSTRONG: As a non-nephrologist, I would
22 appreciate some discussion about the definition of end-
23 stage renal disease, which is the clinically robust,
24 meaningful component of the double endpoint, which we are
25 relying on. I guess the issue is how systematic is this

1 definition applied across centers and countries, since
2 there were many in your study? To what extent did it
3 relate to some of these other markers such as proteinuria
4 and creatinine?

5 For example, was there heterogeneity across
6 centers or countries as it relates to the application of
7 this to a non-nephrologist's subjective decision about
8 transplantation or dialysis, which is obviously modulated
9 by several factors. So, I would really appreciate some
10 enhanced understanding around this issue.

11 DR. SHAHINFAR: That's a very important
12 question, and the criteria for the definition of end-stage
13 renal disease was a requirement for dialysis or
14 transplantation. As you mentioned, there are some
15 countries where there is less transplantation, and there
16 are more transplantations in other countries.

17 But if the patient required dialysis or
18 transplantation, and the investigator identified that, that
19 this patient needs to be dialyzed, and if the patient
20 refused to be dialyzed, or dialysis was not available,
21 which can happen in some countries, then the Adjudication
22 Committee used the criteria, estimated GFR. The GFR had to
23 be below 10 ml per minute, corrected for body surface area,
24 in order to adjudicate that patient as an end-stage renal
25 disease patient. So, this way we have information on end-

1 stage renal disease in all patient randomized.

2 DR. ARMSTRONG: May I, Mr. Chairman, just
3 pursue this? So, could you then reassure me about the
4 homogeneity versus the heterogeneity as it relates to the
5 application of this across the centers and countries, and
6 the extent to which the definition was aligned with, as I
7 understand it -- we've just had a discussion about
8 proteinuria for example -- could you comment about
9 alignment of that measurement with this endpoint?

10 DR. SHAHINFAR: We used a central lab for
11 measurement for all proteinuria, so it was done uniformly
12 across all countries in the world. With respect to the
13 definition of end-stage renal disease, there are very few
14 patients who either required and did not get dialysis or
15 refused dialysis.

16 DR. HAFFNER: I'm Steve Haffner. I was chair
17 of the Events Committee. It turns out that very few people
18 actually fit into this category. I think there were 16
19 subjects. 10 were in one group and 6 were in the other; 10
20 in placebo and 6 in losartan. I couldn't remember which
21 group it was. It turns out that it was a very small
22 percentage of the people who were identified as end-stage
23 renal disease. We had to go to the Walser formula to
24 characterize them. Of those, I think most were refusals,
25 and not the absence of dialysis as a practical issue.

1 DR. BORER: Looking at it the other way, was
2 there a substantial number of people who were put on
3 dialysis with GFRs that were greater than 10 milligrams per
4 hour or cc per hour, whatever it is, adjusted for body
5 surface area? No.

6 DR. SHAHINFAR: We did not systematically
7 measure estimated GFR. When the physician made a
8 distinction that this patient needed to be dialyzed, based
9 on the condition of the patient and requirement for
10 dialysis, and if that patient was dialyzed, that was
11 captured as dialysis.

12 DR. BORER: So, there might be some non-
13 homogeneity across regions in that regard or no?

14 DR. HAFFNER: We can look at that but we have
15 not looked at that at this point. Once they went on
16 dialysis, we didn't calculate Walser's.

17 There's another issue -- there were some people
18 who got end-stage renal disease who were not classified as
19 doubling. That can happen for two reasons. One is that
20 serum creatinine didn't actually double, or two, in order
21 to be called doubling, they had to get two measures of
22 serum creatinine, and some people were put on dialysis
23 before the second confirmation of it.

24 We actually had long discussions that if there
25 was doubling after dialysis -- you'd figure it would

1 probably double before dialysis -- but we excluded that
2 from the doubling. So, we took what I think is a fairly
3 rigorous point about doubling, and the second measurement
4 also had been done by the central lab. So, there were a
5 few people who didn't make that, but we could do that
6 analysis. But we have not yet by region.

7 DR. BORER: Dr. Nissen?

8 DR. NISSEN: Could you put that slide up on the
9 various subgroups please? I need some clarification
10 because the slide is at variance with the briefing document
11 we received from the FDA.

12 According to our briefing document, the hazard
13 ratio for the Europeans is actually 1.05, so it actually
14 goes in the wrong direction, and you show it in the
15 favoring losartan group.

16 I guess what I want clarification about is that
17 it looks to me, from the FDA briefing document, that
18 virtually the entire benefit for the whole cohort comes
19 from the group from Asia. That is, if you look at the rest
20 of the cohort, there are virtually no effects. The 20
21 percent of patients who were from Asia completely drove the
22 endpoint in the trial. Is that correct or incorrect?

23 DR. SHAHINFAR: You're referring to the region?

24 DR. NISSEN: Yes.

25 DR. KEANE: Again, I'm Bill Keane. Maybe I can

1 take a shot at that at this point in time. I'm in clinical
2 development. I think we were going to get into some of
3 these questions later on, so we can answer it now or we can
4 postpone it to later on.

5 I think one of the important points that Dr.
6 Shahinfar has already mentioned to you is that in fact
7 there was by region a slight interaction that achieved a
8 level of about .047 that was seen in the Asian group. I
9 think as we looked at this with the FDA and in greater
10 detail, actually the FDA -- and I think that's in your
11 briefing document itself -- did not really underscore that
12 this was an important interaction.

13 Now, part of the reason for that is the way we
14 defined regions, first of all. The region was a geographic
15 region that included not only the Pacific Rim but also
16 included the Middle East as well, specifically Israel.
17 There were, from a regional perspective, just inherent
18 reasons in our definitions that maybe this might have been
19 shown as a difference basically, with the point estimates
20 slightly to the left for Asia.

21 DR. NISSEN: No, but your point estimate for
22 Europe favors losartan, and in the FDA briefing document
23 the point estimate favors placebo. So, in Europe this
24 doesn't gibe with the FDA's data that we got.

25 DR. BAIN: Ray Bain, Clinical Biostatistics,

1 Merck Research Labs.

2 If you look at the Merck briefing document,
3 they are all in the right direction. I assume possibly
4 that the FDA was doing a different baseline adjustment. I
5 have to look at their briefing document to figure out
6 exactly what the slight differences were.

7 DR. NISSEN: For the committee, I am looking at
8 page 22 of the FDA's briefing document, and the data don't
9 agree with the data up there. So, I think we really do
10 need to clarify this from the agency as to what are the
11 correct numbers here?

12 DR. BAIN: We also need to look at page 63 of
13 the Merck briefing document because that's what this slide
14 is based on. In the Merck briefing document, we're
15 adjusting for baseline protein as a stratum, but not
16 region, since region is the variable that we're looking at.
17 So, if you look at page 63 in the Merck --

18 DR. NISSEN: I now understand the difference.
19 So, what you did was you took the post hoc adjustment for
20 protein and added it to this.

21 DR. BAIN: No. We took our prespecified
22 adjustment, which is the categories of baseline protein.
23 That was our prespecified analysis. In that analysis those
24 numbers on page 63, although they're in hazard ratios, so
25 you just take 1 minus that times 100, you'll get everything

1 on the left. For example, in Europe it's .943, which is a
2 risk reduction of roughly 5 percent.

3 DR. NISSEN: Tom Fleming, maybe you can help me
4 understand this. I don't understand why the two don't
5 agree here.

6 DR. FLEMING: I think we need to sort this out,
7 as we have a chance maybe over the break, to get a clear --
8 my sense is it has to do with the difference in either --
9 and I don't think it's this exactly -- who's in which
10 region or what covariates are used in these two analyses
11 for adjustments. I would suggest maybe at the break we can
12 get that ironed out.

13 DR. NISSEN: All right. Maybe not now, but I
14 do want to come back to this question later on whether the
15 entire treatment effect for the entire study was driven by
16 the 20 percent of patients that were in Asia.

17 DR. FLEMING: Just on that point, Steve, in
18 either of these two analyses the same general, at least
19 qualitative picture is that Asia carries the greatest part
20 of the signal in either of the analyses.

21 DR. NISSEN: The overwhelming majority of the
22 signal.

23 DR. BORER: Dr. Konstam.

24 DR. KONSTAM: Hi. I'm Marv Konstam from Tufts
25 New England Medical Center. I am here as a paid consultant

1 to Merck.

2 You know, I just wanted to comment from my own
3 experience and perspective about subgroup analyses, and I
4 think the panel is all aware about how treacherous this can
5 be of identifying one subgroup.

6 I just want to point the panel to the FDA
7 medical reviewer analysis of this, because I personally
8 found it enormously instructive. Specifically, in the
9 medical review, page 24, figure 7, it breaks it down by
10 individual countries. What's nice about it is that it
11 shows you the impact of size of the particular subgroups on
12 the relevance of the relative risk that comes out.

13 You can break these down all sorts of different
14 ways, but if you look at this analysis, the individual
15 countries really are all over the map. Actually, it's
16 interesting that the big Asian contribution comes from
17 Israel, by the way, so you can think about that.

18 But, I just want to point that out. I think to
19 me this was a very instructive way of looking at it, and
20 sort of not focusing too much on this Asian business is at
21 least my perspective on it.

22 DR. BORER: Dr. Kopp, you had a comment.
23 Before you do, I just want to point out, Dr. Konstam, I
24 never understood you to be such a humorist. In fact, the
25 countries are all over the map.

1 (Laughter.)

2 DR. KOPP: I wanted to follow-up on a response
3 to Dr. Armstrong's point. I think we had a lot of
4 discussion about how to adjudicate people at the low end of
5 the GFR spectrum who weren't placed on dialysis. I think
6 it is worth thinking a little bit about the patients with
7 presumably GFRs between 10 and 15 or 10 and 16, whatever,
8 who were started on dialysis, and admit that there is
9 undoubtedly both heterogeneity across countries and within
10 countries about whether a particular patient gets started
11 on dialysis on the typical day, and think about what the
12 indications would be. They would certainly, for most
13 nephrologists, include fatigue, anorexia, falling albumin,
14 among the key criteria that would be looked at, that I
15 think would be unrelated that I can think of to the therapy
16 in question.

17 But there are two that at least might play a
18 role that could potentially could be related, and one would
19 be potassium levels, difficulty maintaining potassium,
20 admittedly not too much of a problem with chronic renal
21 failure patients. The other would be difficult to maintain
22 congestive heart failure, a patient for whom diuresis was
23 becoming increasingly difficult. Each of those at least --
24 and here I'm speculating -- run in opposite directions.
25 That is, a losartan patient might be more likely to have

1 hyperkalemia than a placebo treated patient, but less
2 likely to have difficult to manage congestive heart
3 failure.

4 Having said that, there is no way we can really
5 be sure, and I guess the major protection is this idea that
6 it was all placebo-controlled, and so the decision was
7 being made by somebody without advance knowledge about how
8 the treatment was based.

9 But at least maybe you'd comment upon the
10 possibility of how some of those factors that are
11 potentially related to losartan might play into the
12 decision to start dialysis.

13 DR. BORER: You can hold that until you have a
14 chance to think about and come back to it later if you
15 like.

16 Dr. Lindenfeld, did you want to make a comment?

17 DR. LINDENFELD: Just a quick question. When
18 we come back to this issue of region -- and I think we
19 fully understand the problem with subgroups -- I wondered
20 if we could see by these regions the numbers of patients
21 that had a doubling of creatinine versus end-stage renal
22 disease. If we could divide those up by regions and just
23 see if that was different by region.

24 DR. SHAHINFAR: We will show that.

25 DR. BORER: While you're doing that, I think

1 there will be time to respond specifically to Dr. Kopp's
2 question. I know you're going to show us a cardiovascular
3 endpoint analysis, where the heart failure issue will be
4 death with and a safety analysis where the hyperkalemia
5 issue and its relation to sudden death will be dealt with.

6 So, let's bookmark that so you can specifically respond to
7 the question when you get to it.

8 DR. SHAHINFAR: There were two prespecified
9 secondary hypotheses to support the renal protective effect
10 of losartan. The first was that losartan, compared to
11 placebo, would reduce the rate of progression of renal
12 disease as measured by the slope of reciprocal of serum
13 creatinine.

14 This is an important measure that is used by
15 clinical nephrologists to predict the time to dialysis for
16 the patient. Note that the literature on nephropathy
17 indicates that the inverse of serum creatinine value for an
18 individual tends to fall in a linear fashion over time.
19 The slope of this line is an indication of the speed of
20 progression of renal disease. The more negative the slope,
21 the faster the progression. This analysis takes into
22 consideration all patients, not just those patients who
23 have reached a renal endpoint.

24 Another secondary endpoint hypothesis in RENAAL
25 was that losartan would reduce proteinuria during the

1 course of the study, compared to placebo. The next two
2 slides demonstrate the effect of losartan on these
3 secondary hypotheses.

4 For the analysis of the progression of renal
5 disease, we calculated the slope for each patient, and
6 present here in this chart the median slope for two
7 treatment groups. As I referred before, the more negative
8 the slope, the faster is the progression.

9 This slide illustrates the rate of loss of
10 renal function as measured by the slope of reciprocal of
11 serum creatinine. On the y axis is the change in the slope
12 of 1 over sCr. There was a significant reduction in the
13 rate of loss of renal function by 18 percent with losartan
14 with p equals .01.

15 Another secondary hypothesis was the effect of
16 losartan on proteinuria. Reduction of proteinuria has been
17 considered an important therapeutic target for treatment of
18 diabetic nephropathy among clinical nephrologists.

19 On this slide the y axis demonstrates the mean
20 percent change based on geometric mean for urinary protein
21 excretion. The x axis is the duration of follow-up.

22 Losartan was associated with a reduction in
23 urinary protein excretion of 25 percent within 3 months,
24 and this reduction was maintained throughout the study.
25 There was an overall 34 percent reduction in proteinuria

1 compared to placebo, with p less than .001.

2 The significant treatment effect of losartan on
3 proteinuria was unchanged after adjustment for blood
4 pressure at each time point, supporting the conclusion that
5 the antiproteinuric effect of losartan is over and above
6 its blood pressure lowering effect.

7 In summary, in type II diabetic patients with
8 proteinuria, losartan is renal protective by delaying the
9 onset of the primary composite endpoint of doubling of
10 serum creatinine, end-stage renal disease, or death. In
11 the entire cohort losartan reduced the risk of end-stage
12 renal disease by 28.6 percent.

13 Losartan reduces the rate of decline of renal
14 function as measured by the slope of reciprocal of serum
15 creatinine.

16 Losartan reduces proteinuria, and has a
17 beneficial effect on the primary composite endpoint and
18 proteinuria beyond its beneficial effect on blood pressure.

19 I would now like to turn to a discussion of
20 cardiovascular secondary hypotheses. We designed RENAAL as
21 a renal protection study, but recognizing the importance of
22 cardiovascular events in type II diabetic patients, we made
23 a cardiovascular morbidity and mortality a prespecified
24 secondary hypothesis, and adjudicated cardiovascular
25 outcomes.

1 The cardiovascular hypothesis in RENAAL was
2 based on the effect of losartan on cardiovascular morbidity
3 and mortality. We hypothesized that losartan compared to
4 placebo would increase the time to the first event of the
5 cardiovascular morbidity and mortality which was a
6 composite of cardiovascular death, myocardial infarction,
7 stroke, first hospitalization for heart failure, first
8 hospitalization for angina, and revascularization.

9 The next three slides summarize cardiovascular
10 secondary endpoint data in RENAAL.

11 This Kaplan-Meier curve demonstrates the result
12 of the secondary composite endpoint of cardiovascular
13 morbidity and mortality. Although numerically lower on
14 losartan, there was no statistically significant effect of
15 losartan compared to placebo on the composite endpoint of
16 cardiovascular morbidity and mortality.

17 This plot demonstrates the effect of losartan
18 on cardiovascular morbidity and mortality and the
19 individual component of this composite endpoint. The risk
20 reduction and 95 percent confidence interval are presented
21 for the composite endpoint and each of the components of
22 this composite endpoint: cardiovascular death, myocardial
23 infarction, stroke, first hospitalization for heart
24 failure, first hospitalization for angina, and
25 revascularization, both coronary and peripheral

1 revascularization.

2 As you see, the point estimates are all
3 distributed around the 0 line for different components, but
4 overall there is no significant difference in the composite
5 endpoint of cardiovascular morbidity and mortality.

6 During the January 17th advisory committee
7 meeting for irbesartan, this committee expressed interest
8 in the effect of irbesartan treatment on the composite of
9 renal and cardiovascular endpoints in the irbesartan
10 diabetic nephropathy trial. In light of this we performed
11 a post hoc analysis, time-to-event analysis, of the
12 composite of irreversible clinical endpoints of end-stage
13 renal disease, myocardial infarction, stroke, or death in
14 the RENAAL study.

15 As is demonstrated in this Kaplan-Meier curve,
16 losartan significantly reduced this combined endpoint by
17 21.2 percent; p equals .003. This analysis demonstrates
18 that the renal protective benefits of losartan do not come
19 at the expense of increased risk of cardiovascular
20 endpoints, and in fact demonstrates the benefit of losartan
21 in this population.

22 As mentioned earlier, we designed RENAAL as a
23 renal protection study, rather than a cardiovascular study.

24 Therefore, we enriched the population with patients at
25 high risk for progression of renal disease.

1 In summary, in RENAAL there was no statistical
2 significant difference in cardiovascular morbidity and
3 mortality.

4 Post hoc analysis of end-stage renal disease,
5 myocardial infarction, stroke, and death indicate that
6 renal protective benefits of losartan in RENAAL did not
7 come at the expense of increased risk of cardiovascular
8 events, and therefore supports the overall benefits of
9 therapy in these patients.

10 DR. BORER: Excuse me one second.

11 Dr. Kopp, do you want to come back to your
12 issue here. We have just seen the total cardiovascular
13 endpoints. The sponsor hasn't broken out the heart
14 failure, which they did in their briefing document. They
15 did actually have one slide that did. Did you want to
16 restate your question, or are you satisfied with what
17 you've gotten here?

18 DR. KOPP: I don't think it directly addresses
19 the issue. Is it possible that when a clinician is sitting
20 with a patient with a creatinine of 5.5 and trying to
21 decide does this person needs dialysis, would the presence
22 of heart failure or the presence of hyperkalemia have
23 tilted one way or the other that decision. But I think we
24 may get that later from Dr. Haffner's group.

25 DR. HAFFNER: I don't think we're going to get

1 really good data on this. We did not ask people, when they
2 went to end-stage renal disease, to answer a special
3 questionnaire on why they went to end-stage renal disease.

4 Was it dialysis, hyperkalemia, nausea and vomiting?

5 We do have some data on prior events for CHF.
6 We have events on potassiums. But it would be linking data
7 and this isn't all entered. My guess is eventually we
8 could probably figure some of this out, but because they
9 weren't done at the time of dialysis, I'm not sure it's
10 going to be really good data, to tell you the truth.

11 What we clearly could do is we can calculate by
12 regions for instance the Walser to see if creatinine
13 clearance differed by region. We could clearly do that. I
14 am not sure we can do that today. We can clearly do that
15 by whether they were on losartan or in the placebo group,
16 so we can see whether this is systematic bias by area for
17 people who enter end-stage renal disease.

18 But I don't think we're going to be able to get a
19 detailed thing about hyperkalemia versus failure. We can
20 look at the events prior to it. We haven't done that
21 because that doesn't enter into the composite. But I am
22 really not so sure, as an epidemiologist who works on
23 clinical trials, how worthwhile that data really is.

24 DR. BORER: Dr. Lorell.

25 DR. LORELL: Yes, I wonder if you can provide

1 us with data, in regard to the all-cause mortality, as to
2 a breakdown of cause of death, including what percent of
3 deaths were cardiovascular deaths? I think it is a
4 potentially important issue for this committee to discuss
5 for a couple of reasons.

6 One is that this trial was, in fact, shaped and
7 stopped early because of ethical safety concerns of the
8 committee regarding the growing evidence of
9 cardioprotective effects of ACE inhibitors on multiple
10 cardiac events. That letter that was sent to the
11 investigators is in our brochure.

12 So, it raises the question, in regard to your
13 last slide, as to the real issue, a real issue that was
14 also addressed by the Safety and Monitoring Board, not
15 whether the renal protective effects of losartan were at
16 the expense of increased risk, but were at the expense of
17 the absence of cardioprotection from a competitive therapy.

18 That was an issue that clearly was a concern for the
19 Safety and Monitoring Board.

20 So, I think it would be of interest for this
21 committee to know the breakdown of all-cause mortality, if
22 you have that data.

23 DR. SHAHINFAR: Can I first clarify one
24 question you raise? You mentioned the Safety and
25 Monitoring Committee. In fact, the study was not stopped

1 by the Safety Committee. It was stopped by the blinded
2 Steering Committee, who didn't have access to unblinded
3 information. So, that decision was clearly unrelated to
4 internal information from RENAAL. That was the decision of
5 the Steering Committee.

6 Now, with respect to the causes of death and
7 all-cause mortality, would you like me to present that now,
8 or do you want me to present the safety and we can present
9 all the information?

10 DR. LORELL: Why don't you include it whenever
11 you think it would be fit. That would be fine.

12 DR. SHAHINFAR: If you agree, I'll finish the
13 presentation of safety. Thank you.

14 Now I would like to present to you the RENAAL
15 safety results. Overall, the safety profile of losartan in
16 this study was consistent with that listed in the U.S.
17 prescribing information for losartan. In this population
18 of patients with type II diabetes and underlying kidney
19 disease, we expected to see many clinical or laboratory
20 adverse experiences. In fact, this is demonstrated in high
21 event rates of clinical adverse experiences in both
22 treatment groups.

23 On this slide, on the y axis we present the
24 percentage of patients with clinical adverse experiences.
25 For clarity the percentage of patients are also shown on

1 the top of each bar. Up to 95 percent of patients in each
2 treatment group had clinical adverse experiences during the
3 study. There was a slightly higher number of drug-related
4 clinical adverse experiences in the losartan group. There
5 were more patients on placebo who had discontinued for
6 clinical adverse experiences. The number of patients who
7 died because of clinical adverse experiences was comparable
8 between losartan and placebo group.

9 With respect to laboratory adverse experiences,
10 we see a similar pattern. It is important to note that the
11 laboratory adverse experiences were those that were
12 reported by the investigators, not based on a predefined
13 laboratory value. The number of patients with drug-related
14 laboratory adverse experiences was higher in the losartan
15 group. This was mostly attributed to a higher number of
16 patients with hyperkalemia. No patient died because of a
17 laboratory adverse experience.

18 Because of the population that we studied in
19 RENAAL, we predefined six adverse experiences of interest,
20 and performed a prespecified analysis. This table provides
21 the results of our analysis on the six predefined adverse
22 experiences that are listed on the left column.

23 The reason for selecting the six adverse
24 experiences was that acute renal failure has been reported
25 by ACE inhibitors and AII receptor antagonists.

1 Hyperkalemia and hypokalemia were adverse experiences of
2 interest since we were studying diabetic patients with
3 underlying kidney disease. Anemia has been reported with
4 ACE inhibitors and angiotensin II antagonists. It is
5 listed in the prescribing information for losartan. It is
6 also common in patients with advanced renal disease. Since
7 we studied diabetic patients, hyperglycemia and
8 hypoglycemia were also adverse experiences of interest.

9 Except for hyperkalemia with losartan, and
10 hypokalemia in the placebo arm, there was no significant
11 difference in any of the predefined adverse experiences
12 between losartan and placebo. Hyperkalemia is not
13 unexpected in patients with type II diabetes and underlying
14 kidney disease, especially when a drug that blocks the
15 renin-angiotensin-aldosterone system, such as losartan is
16 used.

17 In contrast, the higher incidence of
18 hypokalemia in the placebo arm is probably related to the
19 high use of diuretics. Hypokalemia is important in
20 patients with chronic renal disease. These patients have
21 low bicarbonate, and therefore low serum potassium levels
22 are a true reflection of low intracellular levels of
23 potassium.

24 This slide demonstrates the distribution of
25 serum potassium by percentile in the two treatment groups

1 during the study. The y axis is the level of serum
2 potassium in milliequivalents per liter. The x axis is
3 duration of follow-up. The line in the middle of each box
4 represents the 50th percentile of serum potassium. The
5 bottom of the box is the 25th percentile, and the top of
6 the box is the 75th percentile of serum potassium. The
7 whiskers represent 5th and 95th percentile of serum
8 potassium at each time point.

9 Overall, mean serum potassium was significantly
10 higher in patients who were treated with losartan at each
11 time point. However, the mean differences did not exceed
12 .3 milliequivalent per liter. 95 percent of all patients'
13 serum potassium values were below 6 and above 3.5
14 milliequivalents per liter at each time.

15 This table shows the number of patients who had
16 serum potassium less than or equal to 3.5 and equal or
17 greater than 6.0 milliequivalents in each treatment group
18 at any time during the study. As you can see on this
19 slide, there were more patients in the placebo group with
20 potassium less than 3.5 and more patients in the losartan
21 group with potassium greater than 6.0 milliequivalents per
22 liter.

23 There were more patients with adverse
24 experiences of hyperkalemia in the losartan group. The
25 number of patients with serious adverse experiences of

1 hyperkalemia was small, but higher in the losartan group.
2 Relatively a small number of patients had to be
3 discontinued for hyperkalemia in each treatment group,
4 indicating that hyperkalemia was clinically manageable in
5 these patients. No deaths were attributed to hyperkalemia
6 during therapy.

7 DR. BORER: Dr. Shahinfar, can you just go back
8 to that slide, the last one? That's it. I don't want to
9 overstate the case here. You've shown us total mortality.
10 You're going to show us the breakdown of cause of death,
11 and that's of interest, and overall the difference in
12 deaths was so small that I think that this may not be a
13 major issue.

14 But you say that no death is due to the adverse
15 event or has been attributed -- that was what you said --
16 attributed to the adverse event of hyperkalemia, and I'm
17 sure that's true. I think the only way you could, with
18 certainty, make such an attribution is, the last time you
19 saw the patient alive, the potassium was high.

20 But it's interesting that nominally there is an
21 excess of sudden deaths in the losartan group from your
22 briefing document. Not a tremendous number, but an excess
23 percentage of sudden deaths nominally. It is not
24 statistically significant.

25 I have to wonder whether -- and this may relate

1 more to labeling if the drug is ultimately approved for
2 this indication -- whether this may not have been related
3 somehow to electrolyte imbalance that just wasn't picked up
4 because deaths occur when they occur, not necessarily right
5 after the last lab value was done.

6 Can you just comment on that?

7 DR. SHAHINFAR: What I would like to do,
8 basically in response to all-cause death and cardiovascular
9 death, and for sudden death that you just mentioned, I
10 would like to ask Dr. Jonathan Fox, who is the cardiologist
11 at Merck, to respond to this question.

12 DR. FOX: Thank you, Dr. Shahinfar. My name is
13 Jonathan Fox. I am a cardiologist with cardiovascular
14 clinical research, Merck Research Labs. I will try to
15 address the question. If I could have slide 1228, please.

16 Just to remind members of the committee, you
17 have already seen these data. These are the data
18 described in the cardiovascular composite endpoint and the
19 components, and I believe you're most interested, as Dr.
20 Lorell already pointed out, the cardiovascular deaths.
21 What I'm going to try to do over the next few slides is to
22 walk you through all of the adjudicated causes of death,
23 and to focus on those that were adjudicated as
24 cardiovascular causes.

25 This table shows you a breakdown of the

1 adjudicated causes of death by the Endpoint Committee on
2 the entire intention to treat population. Those causes
3 were adjudicated into the following categories: fatal
4 myocardial infarction, known non-cardiovascular cause. In
5 other words, that included categories of non-cardiovascular
6 death, for which there were sufficient data or other
7 clinical information to allow the Endpoint Committee to
8 come to that conclusion.

9 There was a category of "not determined".
10 There were other cardiac causes, and those could include
11 arrhythmia, for example; other vascular causes, including
12 hemorrhagic death, progressive heart failure, and the
13 category of sudden cardiac death, which Dr. Borer has
14 already pointed out. There was a numerical imbalance in
15 favor of placebo 45 deaths compared to 30 in the placebo
16 arm, for a total of 158 deaths in the losartan arm and 155
17 deaths in the placebo arm, which contributes to the overall
18 Kaplan-Meier curve you saw earlier, which were almost
19 entirely superimposed.

20 Next, please, 1231. This is a subset from that
21 same table, so the totals are different. These are
22 Endpoint Committee adjudicated causes of cardiovascular
23 death in the entire intention-to-treat population.

24 So, again, the categories are exactly the same
25 as you saw in the previous slide, except that the non-

1 cardiovascular causes have been omitted. The upshot of
2 this slide is in the last category of "sudden cardiac
3 death", where, again, you see 45 deaths in losartan and 30
4 in placebo. But in terms of the percentages, those have
5 now been boosted by the removal of the non-cardiovascular
6 deaths, so that the sudden cardiac deaths comprised 50
7 percent of those adjudicated causes of cardiovascular death
8 in the trial, compared to 38 percent.

9 1232 please. Now, I think it's important at
10 this point to point out what the definition was of sudden
11 cardiac death that was used by the Endpoint Committee to
12 adjudicate these cases of patients who died. If there were
13 insufficient clinical information or other supporting data
14 for the Endpoint Committee to categorize patients' death
15 events as one of the other categories of cardiovascular
16 death, for example, fatal myocardial infarction, those
17 patients were adjudicated as sudden death, regardless of
18 what the actual mechanism might have been. There just was
19 not sufficient information.

20 So, the definition of sudden cardiac death in a
21 way becomes a default category when there is a lack of
22 specific information. So, that included any death
23 occurring without warning, any death occurring within 24
24 hours of new symptoms, and any unwitnessed death at home.

25 Let me just pause there and ask if that

1 satisfies Dr. Lorell and Dr. Borer, if that answers the
2 question.

3 DR. BORER: Dr. Lorell.

4 DR. LORELL: Thank you. That's very helpful.
5 Just to be very clear to me, because I didn't see either of
6 those two tables in our briefing books, could you just
7 restate, of the total deaths in the losartan and placebo
8 groups, what percent of total deaths in each group was
9 cardiovascular?

10 DR. FOX: I believe we can get that information
11 from 1230. There were 90 cardiovascular deaths in the
12 losartan arm out of a total of 158. There were 79
13 cardiovascular deaths out of a total of 155 in placebo.

14 DR. LORELL: Thank you.

15 DR. ARMSTRONG: I found this safety
16 presentation very helpful. Thank you. As an entree to my
17 question, I just want to recap my understanding and focus
18 on hyperkalemia and the relationship between hyperkalemia
19 and the endpoint, i.e., transplantation or dialysis. As I
20 understand it, 1 out of 4 losartan patients and 1 out of 8
21 placebo patients had hyperkalemia, and 1 out of 10 losartan
22 patients had hyperkalemia in excess of 6, and 1 out of 20
23 placebo patients had hyperkalemia in excess of 6.

24 As I understand it, also, this hyperkalemia was
25 perceived to be clinically manageable, and none of this

1 hyperkalemia was perceived to have related to death,
2 notwithstanding the chairman's caveat.

3 My question is, if you look at hyperkalemia,
4 all comers, what proportion of those patients went on to
5 dialysis or to transplantation in the context, as Dr. Kopp
6 pointed out, of that being a meaningful indication for that
7 endpoint, and what proportion of the losartan versus the
8 placebo hyperkalemia led to the development of that
9 endpoint?

10 DR. SHAHINFAR: We can get that information for
11 you. We haven't looked at the relationship between
12 hyperkalemia and initiation of dialysis, if I understand
13 your question correctly. We can look into that and get
14 back to you.

15 DR. KEANE: I think one has to recognize that
16 our values of potassium that were determined were
17 determined on a regular interval, and actually dialysis, as
18 you're rightly pointing out, is a therapeutic intervention
19 that may occur. So, our ability to measure potassium
20 immediately prior to the initiation of dialysis is really
21 not within the design of the trial. So, we really don't
22 have that kind of information those kinds of patients that
23 are actually starting dialysis, to define whether that was
24 truly an indication for initiation of dialysis. I don't
25 think we're really going to be able to adequately define

1 with precision and clinical reliability the exact answer to
2 your question.

3 DR. BORER: Dr. Kopp?

4 DR. KOPP: Just to clarify -- if a nephrologist
5 had a potassium come back of 6, he could call the study and
6 say, tell us if the patient is on losartan or not, and he
7 would have the option of stopping the study drug -- well, I
8 guess at that point they would come off the study in either
9 case. But that would be an alternative to putting the
10 patient on dialysis.

11 DR. SHAHINFAR: They managed patients as they
12 would manage these patients in their clinical practices.
13 Only 6 patients were unblinded throughout the study, so we
14 did not unblind every single hyperkalemia in the study. It
15 was not actually the reason for unblinding. Is that the
16 question you're asking?

17 DR. KOPP: Is that because the physician never
18 contacted the study, or they weren't allowed to ask that
19 question?

20 DR. SHAHINFAR: No, they were allowed to ask
21 any question, but they never asked that question. In fact,
22 the reason for unblinding those 6 patients was not by the
23 investigator; it was by a cardiologist. The patient had
24 heart failure, MI, and they asked for the unblinding so
25 they could make a clinical decision in that patient.

1 Hyperkalemia was overall managed. We had
2 guidelines in the protocol of how to handle hyperkalemia,
3 and people were used to handling these patients with
4 hyperkalemia and underlying kidney disease. So, they used
5 potassium lowering agents in both treatment groups.

6 DR. BORER: Just for clarification, in the FDA
7 briefing document there is a table that presents all the
8 patients who were unblinded, so we have that information.

9 Let me just remind the committee or ask the
10 committee, because there will undoubtedly be some
11 discussion as we move forward through the summary here, if
12 you want to say something and you press the button on your
13 microphone, the light comes on and I catch it immediately.

14 We've taken care of 99 percent of the problem that we've
15 had by shifting the table so you don't have to break your
16 neck to see the slides. But what that does is cause
17 everybody to focus on the slides instead of on the hands
18 being raised. So, if you press the button that will make
19 it easier to recognize whoever wants to talk.

20 DR. FOX: Jonathan Fox, Merck Research Labs.

21 Mr. Chairman, if I could just expand just a
22 little bit on the death issue. I think this will also
23 perhaps anticipate the intersection between the concerns of
24 several of the committee members, in terms of the
25 relationship between hyperkalemia and sudden death, which I

1 believe you raised yourself earlier. If I could have 1236
2 please.

3 This slide is a table of some selected
4 laboratory test results that were associated with patients
5 who died in the trial, specifically patients who were
6 categorized in that adjudicated category of sudden cardiac
7 death that I explained a moment ago.

8 The first column is the last central lab
9 measure that was obtained prior to death, and I'll tell you
10 something about that time interval in a moment. It is
11 broken down between the losartan arm and the placebo arm.

12 We have included some laboratory measures that
13 I think are relevant in this patient population who is
14 quite ill in the trial. It would be the serum potassiums
15 and the serum bicarbs, blood glucose, in this diabetic
16 patient population, the serum creatinine, and the urine
17 protein.

18 The conclusion I would like to leave you with
19 from this slide is that in terms of overall means, for what
20 that's worth, the potassiums were in the normal range at
21 that last central lab visit. The bicarbs were near normal.

22 Blood glucose was reflective of this diabetic population,
23 but not indicative of hypoglycemia. Serum creatinines
24 indicated that these patients were well along the way in
25 their nephropathy, and that's also reflected in the means

1 urine protein.

2 Could I have 1237? This table shows you the
3 results of the deaths of any cause of death in patients,
4 and their potassium results if they had a potassium result
5 less than or equal to 3.5 milliequivalents per liter at any
6 point during the study.

7 So, in the losartan arm there were 70 patients
8 who fell into that category and 90 in the placebo arm.
9 Those are all patients who had that measurement. There
10 were 12 patients in that category who actually died during
11 the trial and 11 in placebo. 10 of the 12 in losartan were
12 categorized as 1 or more causes of cardiovascular death,
13 and 3 of those 10 as sudden cardiac death. 2 of the 11
14 patients who died in the placebo arm were cardiovascular
15 deaths, a 1 of those was a sudden cardiac death.

16 Also on this next slide, these are investigated
17 causes of death in those same patients who had any measure
18 of potassium less than or equal to 3.5 at any point during
19 the study.

20 So, I want to emphasize that, independent of
21 what the adjudication was of the Endpoint Committee, again,
22 they relied on a strict set of criteria that had to be
23 satisfied by a sufficient body of information for them to
24 adjudicate a particular death to one of those categories
25 that I showed you earlier. So, if there is insufficient

1 evidence available to them, many of those patients were
2 categorized as sudden death.

3 So, independent of that adjudication, this
4 table shows you clinical information that was obtained from
5 the CRF narratives entered by the investigator. As you can
6 see, there is quite a broad collection of different
7 diagnoses without any particular pattern that I can discern
8 for you in this table.

9 Now, this takes a look at the same kind of an
10 examination of the information that we have, but looking at
11 patients who had a measurement of potassium greater than or
12 equal to 6 milliequivalents per liter at any time during
13 the study. There were 123 patients in the losartan arm and
14 61 in the placebo. Of those patients, of the 123 in
15 losartan, 23 patients died, 7 of those had a cardiovascular
16 death, and 4 of those were adjudicated as sudden cardiac
17 death. 61 patients in placebo had the results of a
18 potassium greater than 6. 13 of those patients died. 6 of
19 those had a cardiovascular death, and 2 were categorized as
20 sudden cardiac death.

21 This is a similar table to what you saw a few
22 slides ago. The investigator reported causes of death in
23 patients with a potassium result greater than or equal to 6
24 at any time during the study who were adjudicated as
25 cardiac death. Again, it contains quite a broad range of

1 diagnoses without any particular pattern.

2 I promised you that I was going to show you
3 some information that related the measurement of serum
4 potassium to the time interval between when that last
5 laboratory measure was obtained and when the patient
6 actually died.

7 What this plot shows you on the ordinate is the
8 last potassium value that was obtained that went to the
9 central laboratory, and on the abscissa, the time in days
10 to the death event from that last measurement. As you
11 would expect, there is a scatter of those data, both in
12 terms of the values of potassium on the y axis, and a
13 scatter with respect to time on the x axis.

14 The legend is shown underneath the title of the
15 slide: in yellow, losartan; in white, placebo. The
16 circles are patients who were still on study therapy at the
17 time they died. The triangles are those were off therapy
18 at the time they died. In fact, there was a group of
19 patients you might consider outliers and just in
20 anticipation of the question as to what happened to those 2
21 patients who had values at or near 6 or above at the time
22 they died and close in time to the time they died.

23 This is a listing of some clinical
24 characteristics of those 2 patients. The first patient,
25 allocation number 4141, was a patient who had already

1 achieved end-stage renal disease and was on dialysis. This
2 laboratory result was obtained approximately 6 days prior
3 to death. It was obtained immediately prior to the
4 dialysis session.

5 Concomitant medications the patient was taking
6 are listed there; and concurrent condition, as I mentioned,
7 the patient already had end-stage renal disease.

8 The other patient, allocation 4455, had the
9 laboratory measure taken approximately 4 days prior to
10 death, was on the medications you see listed there. Of
11 note, the patient had chronic acidosis as revealed by the
12 serum bicarb measurement that was obtained at the same
13 time.

14 Is that helpful to the committee?

15 DR. BORER: It is to me. Let me ask you one
16 more question before we get to the summary. You know, I am
17 a cardiologist. I don't know much about endocrinology, but
18 you have a lot of fire power sitting there.

19 It was interesting to me that on losartan and
20 on placebo, although the frequency of hyper- and
21 hypoglycemia was not statistically significantly different
22 in the two groups, there was a different direction in the
23 trend of the events; that is, hypoglycemia up in one, and
24 hyperglycemia up in the other group. Although statistical
25 significance wasn't reached, and in fact, even the nominal

1 criterion for a statistical trend wasn't reached -- the p
2 values were both about .12 and .15, -- still, the fact that
3 they were directionally opposite was interesting to me. Is
4 there any reason why losartan should affect blood glucose
5 differently than placebo?

6 DR. HAFFNER: This is interesting. I'm not
7 sure it's going to be resolved, but I'll tell you what I
8 believe. I was one of the PIs in the diabetes prevention
9 program. This is an area we're interested in.

10 First of all, the data you've seen is among
11 deaths. So, it's not truly representative of the overall
12 population.

13 The hemoglobin A1Cs during the trial did not
14 differ, and that suggests to me there may not have been a
15 major effect.

16 On the other hand, there's some very
17 interesting literature with both ACEs and now with ARBs,
18 that maybe these agents could prevent type II diabetes.

19 Now, the mechanism is, again, kind of
20 interesting. There is one report of losartan improving
21 insulin sensitivity, but it's a relatively small study, and
22 it clearly needs to be replicated.

23 So, I think the safe answer would be that the
24 data within this trial does not provide strong evidence for
25 a glycemic effect. There is a related trial we haven't

1 talked about, which did sort of quasi show an effect
2 relative to atenolol, but it could have been the atenolol
3 or it could have been the losartan. And there are certain
4 equivalent data with captopril. I think it's not quite
5 resolved at this point.

6 DR. SHAHINFAR: Just answering, glycemic
7 control was comparable, and glucose levels were comparable
8 between the two treatment groups throughout this study.

9 DR. LINDENFELD: JoAnn Lindenfeld. I'd like to
10 just ask a couple of questions before you finish.

11 Patients were withdrawn from ACE inhibitors,
12 about half the patients in this study as I understand it.
13 There was a 6-week period between withdrawal and
14 randomization. Am I correct there?

15 DR. SHAHINFAR: Yes.

16 DR. LINDENFELD: Can you assure me that those
17 groups were equal in the randomization -- in other words,
18 patients who were withdrawn from ACE inhibitors were equal
19 in both groups?

20 DR. SHAHINFAR: Yes. There was an equal number
21 of patients in each treatment group.

22 DR. LINDENFELD: For my interest, and just a
23 quick answer if you have it -- if not I don't desperately
24 need it -- is there a difference in the results of the
25 study in patients who were previously on ACE inhibitors?

1 DR. SHAHINFAR: There is no interaction between
2 losartan treatment and use of ACE inhibitors on the primary
3 outcome of the study.

4 DR. LINDENFELD: I don't mean the use of ACE
5 inhibitors during the study.

6 DR. SHAHINFAR: At baseline, that's right. And
7 that's in the background that we provided.

8 DR. LINDENFELD: Okay. For clarification, when
9 we come back to discuss cardiovascular endpoints, I know
10 there were a number of exclusions here with recent MI, et
11 cetera. Can you give me a percentage of patients who had
12 had a cardiovascular endpoint at baseline prior to
13 randomization? In other words, what percentage of patients
14 in this trial had had some previous cardiovascular
15 endpoint? I know MI was excluded within 6 months, but how
16 many patients had had a distant cardiovascular endpoint of
17 some type? Again, I'm just trying to get at the risk of
18 this group.

19 DR. SHAHINFAR: This is the history for
20 myocardial infarction, angina, stroke.

21 DR. LINDENFELD: So, less than 1 percent had
22 had a previous cardiovascular event?

23 DR. SHAHINFAR: Yes, that's right.

24 DR. LINDENFELD: Okay. That's 12 percent. I'm
25 sorry. Right.

1 DR. CARABELLO: What was the mean time to
2 difference in endpoint? The time difference. In other
3 words, the losartan delayed the endpoint. What was the
4 difference in time between when patients reached the
5 endpoint?

6 DR. SHAHINFAR: Our analysis was a time-to-
7 event analysis. In the study, during the 3-and-a-half
8 years period of follow-up, we were able to demonstrate that
9 for every 16 patients treated, 1 ESRD was delayed basically
10 or was prevented.

11 DR. BORER: But Blase is asking a different
12 question, which is of interest, although I understand that
13 it's difficult to draw firm inferences since some people
14 didn't ever have an endpoint. Among those who had an
15 endpoint, what was the average time to endpoint in the
16 placebo group versus the average time to endpoint in the
17 losartan group? Do you have that? I think there is
18 something in the FDA briefing document about it. Do you
19 have those numbers?

20 DR. KEANE: That's actually a relatively
21 difficult number to calculate as you would imagine. I
22 think as Dr. Shahinfar has already indicated, what was
23 easier to identify and to calculate is really the number
24 needed to treat, which was 16 patients needed to be treated
25 to prevent 1 case of end-stage renal disease.

1 We were also able to demonstrate that we
2 reduced overall end-stage renal disease days by some 32
3 percent in the losartan group. So, that gives you an
4 estimation of the impact.

5 Considering the fact that the diabetic patient
6 today represents almost 40 percent of end-stage renal
7 disease, and we have already projected by the end of this
8 decade that it's going to up to 50 percent, that's a
9 substantial impact I think from a public health
10 perspective.

11 DR. CARABELLO: I'm still not clear. We have X
12 number of patients that reached an endpoint on losartan,
13 and X number of patients that reached an endpoint on
14 placebo, and each patient reached that endpoint in a
15 certain number of days from when he or she began the study.
16 My question is, what's the difference in days or months
17 between those two endpoints?

18 DR. KEANE: I think we can go ahead and get
19 that analysis for you in a little bit more specific way. I
20 don't have the exact answer right now beyond what I've
21 given you, but we'll provide that in a moment or two.

22 DR. CARABELLO: Thank you.

23 DR. FLEMING: Yes, I think it's in the
24 statistical review and evaluation hand out. I think what
25 you're looking for is on page 8, which is best obtained

1 with a Kaplan-Meier estimate of time-to-event distribution.

2 Is that what you're looking for? The difference in the
3 median times to events by Kaplan-Meier estimates?

4 It is on page 8 of the statistical review and
5 evaluation, and the numbers here are 1,303 days versus
6 1,373 days. It does raise another methodologic issue that
7 I'd like to pursue, but I'd be happy to delay that. I
8 don't need to discuss it yet.

9 DR. BORER: Dr. Kopp?

10 DR. KOPP: I had a question on the same point,
11 and maybe, Tom, this is what you're going to get at. As I
12 understand, that was the primary proposed method of
13 analysis, the time to event. The slide you showed this
14 morning talked about time to event, but then you actually
15 gave risk reduction of 16 percent and p .022.

16 I notice in this table on page 8, that it also
17 gives p .022. So, again, it may be my statistical
18 ignorance, but both are ways to look at time to event? Am
19 I being clear?

20 DR. BAIN: I'm not sure I understand the
21 question.

22 DR. KOPP: I'm confused about when you started
23 out saying this was going to be a time-to-event analysis,
24 and then the slide that kind of captured the key data was
25 actually risk reduction. I had the same question you did:

1 what was the actual data? Now I see it on page 8 of the
2 FDA analysis with the same p value.

3 DR. BORER: I think we may be getting into sort
4 of a semantic issue.

5 DR. FLEMING: This is the slide to show. There
6 really are two separate analyses that are being done. One
7 is where do these two curves cross the 50 percent line,
8 which is basically a difference in median time to event.
9 And another very mainstream analysis -- it's really a
10 separate parameter looking at treatment effect -- is to say
11 suppose there's an underlying failure rate over time on the
12 control arm, and suppose the intervention arm alters that
13 by a multiplicative constant, the hazard ratio. What
14 is that reduction in relative risk? And that's what the
15 hazard ratio is, and that's a 16.1 percent relative
16 reduction in the failure rate. So, those are related, but
17 those are, nevertheless, separate measures of treatment
18 effect.

19 DR. KOPP: So, is it by chance that they're
20 both .022, or is that inherent in the way these statistical
21 tests are done?

22 DR. FLEMING: The p of .022 I'm sure relates
23 only to this analysis of hazard ratio estimates in the
24 confidence interval. We rarely test that difference in
25 medians as the basis for statistical p values. The p

1 values that you see are going to be based on the
2 proportional hazards model and the estimate of the relative
3 risk, the confidence interval, and the corresponding
4 significance from that.

5 DR. BORER: Dr. Temple.

6 DR. TEMPLE: Tom, help us a little more. It's
7 common in representing the results of a study to give the
8 total number of events, because you can work with that.
9 You know what that means. Whereas, Kaplan-Meier curves are
10 sort of something that doesn't have a number attached to
11 them. You just have to look at them.

12 But you could apply a hazard ratio risk
13 reduction statement either to the total number of events,
14 sort of independent of time -- some studies do that -- or
15 you can do it this way, which as I understand it, is the
16 risk reduction or hazard ratio of the likelihood of getting
17 an event in a given time or over the entire course of the
18 study. The hazard ratio is a time-related function as
19 usually presented in these analyses.

20 DR. FLEMING: There are many different measures
21 that we could use to assess the nature of treatment effect
22 and the strength of evidence that it's been an established
23 effect.

24 The most traditional or most common analysis in
25 a time-to-event setting is to say we're going to make no

1 assumptions on the nature of the failure rate on the
2 control arm. We're only going to make an assumption that
3 if treatment affects that failure rate, it does so in a
4 multiplicative fashion. It reduces the failure rate by
5 some multiplicative constant, that is constant over time.
6 That's what proportional hazards mean. If treatment
7 reduces the failure rate early in time by 16 percent, we're
8 going to assume it's reducing it late in time by 16
9 percent. What is that percent?

10 That is the fundamental common analysis. It's
11 called the log rank test, the Cox regression. Those are
12 all based on that same fundamental principle. Essentially
13 almost all estimates that we would see, which is the
14 relative risk or the reduction, is based on that hazard
15 ratio estimate, with confidence intervals and p values.

16 Now, there are other analyses that we could do.
17 You can look at total numbers of events. I like to look
18 at that as well as just a descriptor. You could look at
19 the differences in rates at a given time, so you might say
20 is what I really care about is, is there a difference in
21 these events at 2 years? In which case, I would look at
22 the difference in Kaplan-Meier estimates standardized by
23 Greenwood variance estimates. That's a different analysis.

24 Another analysis is to look at the medians.
25 It's a good descriptor, although I tend to favor the

1 proportional hazards analysis because the medians is only
2 telling you how the curves separate at one place, whereas
3 the proportional hazards analysis is looking at a weighted
4 average of what the nature of the effect is on the outcome
5 failure risk over the entire duration of the curve.

6 DR. BORER: Still, Blase is raising a point
7 that we really haven't discussed that I think is very
8 important. If I am understanding correctly, the time-to-
9 event analyses that have been done show us that there is a
10 significant effect by this analysis and that the magnitude
11 of the effect -- that is, the failure rate -- is a
12 reduction of 16 percent. Blase is saying, what does that
13 mean to the patient? You know, 73 days more of dialysis?
14 73 less days?

15 DR. CARABELLO: Yes. As sort of the dull
16 normal on the committee --

17 (Laughter.)

18 DR. CARABELLO: -- that was really the intent.
19 If I start a group of patients on this medicine, by how
20 many days will I delay an endpoint? Specifically, that's
21 the question I'm asking.

22 DR. FLEMING: If these curves were exponential,
23 and they are not -- but if they were -- then a 16 percent
24 reduction in the failure rate would translate into a 16
25 percent extension in the average duration of time to when

1 the event occurs.

2 DR. BORER: Good. Well, we've got that one
3 settled for the moment. Ray, did you have anything else
4 you wanted?

5 DR. BAIN: Just as Tom mentioned, the counts
6 are in your background document by the two treatment
7 groups. Our analysis is that 16 percent is based on the
8 Cox regression hazard, with the prespecified adjustment for
9 baseline proteinuria stratum and region.

10 DR. FLEMING: There is one methodologic issue
11 here that I'd like to pursue at least briefly. It doesn't
12 trouble me greatly, and I'll preface my comments by saying
13 that the reason it doesn't trouble me greatly is because --
14 at least as I noted back in January -- I'm much more
15 persuaded by end-stage renal disease/death as a dual
16 endpoint than the primary specified doubling in
17 creatinine/end-stage renal disease/death as a triple
18 endpoint. I am even more persuaded that's the case here
19 because of my understanding of the way follow-up was done,
20 and I'd like to at least clarify to make sure my
21 understanding is correct.

22 I am absolutely delighted to hear, by my
23 understanding, that all patients were followed until the
24 outcomes for end-stage renal disease and death. So, we
25 have complete information on the endpoint that I am

1 referring to as my preferred endpoint, that dual endpoint.

2 But there were a number of people who had a
3 termination of their serum creatinine assessments prior to
4 the time that any of those endpoints, i.e., the triple
5 endpoints, prior to the time that either a doubling of end-
6 stage renal disease or death occurred. So, they hadn't had
7 any of those three components as yet.

8 Those people were in fact, though, followed for
9 subsequent assessments of end-stage renal disease and
10 death. So, the analyses that we get for the endpoint I
11 care most about are fully valid and are perfectly fine, in
12 spite of the concern I am about to raise.

13 My understanding is for the triple endpoint,
14 though, in those cases -- and if I understand there were
15 130 of those people on losartan and 137 on placebo -- 267
16 -- so about 17.6 percent of our cohort were people who did
17 have cessation of assessments of serum creatinine before a
18 triple endpoint occurred and were subsequently followed for
19 the other elements. They are included in your primary
20 analysis.

21 In essence, in those 267 people as you are
22 continuing to follow them, we're really underestimating the
23 subsequent rates at which the triple endpoint occurs,
24 because we're only using the double endpoint assessments in
25 those people. Now, of course that's happening in both

1 treatment arms. So, both treatment arms are being
2 underestimated.

3 But then when questions come up from my
4 colleagues about how do we interpret the extension in time
5 or delay in the triple endpoint, I can't interpret that
6 anymore, because I haven't followed people for the triple
7 endpoint. I followed some for the triple endpoint, but 267
8 people were followed over a substantial duration only for
9 the double endpoint.

10 Of course, I don't care that much about the
11 triple endpoint. I like the double endpoint. So, this is
12 no problem for me on the double endpoint.

13 (Laughter.)

14 DR. FLEMING: But it makes me even more worried
15 about interpreting your data on the triple endpoint. Am I
16 off target here or is my interpretation correct?

17 DR. BAIN: Your interpretation is correct.
18 What we're focusing on right now are those patients who
19 discontinued their therapy, their blinded therapy, prior to
20 one of those triple endpoint events. Once those patients
21 discontinue their primary therapy, their follow-up could be
22 classified into one of three groups.

23 Either they continue their quarterly
24 measurements, which was by protocol, and we continue to get
25 serum creatinines, a potentially documented doubling, which

1 they get counted in the intention to treat analysis.

2 That's one bucket.

3 The other bucket is, as soon as they
4 discontinue their therapy, they go into what we classified
5 as telephone follow-up, where during telephone follow-up
6 we're not collecting serum creatinines.

7 The third bucket is patients discontinue their
8 meds. They go into clinic follow-up for a period of time,
9 and then they go into telephone follow-up.

10 With those three kinds of different classes,
11 it's difficult to really give you a number as to how much
12 is in telephone, et cetera, but we attempted to. What we
13 did was look at the total follow-up time after
14 discontinuation of their study therapy and determined
15 patient years of follow-up from that time of
16 discontinuation of therapy. Then we also calculated what
17 percentage of that time was in clinic follow-up versus what
18 percentage of that time was in telephone follow-up. We
19 estimated that, and 60 percent of the follow-up post
20 discontinuation of the study therapy is 60 percent. 60
21 percent are followed in clinic that time.

22 So, that gives you a general idea of how we are
23 following those patients post discontinuation of the
24 therapy. Obviously we continued to follow them for the
25 hard endpoints.

1 Now, when we go ahead and do that triple
2 endpoint intention to treat analysis, what we are actually
3 doing is what I would consider to be a conservative
4 analysis, because we're not documenting doubling in either
5 group, so we're assuming that any effect that losartan
6 would have would be the same in the losartan and the
7 placebo group after discontinuation. So, our triple
8 endpoint, even though we had 40 percent of the patients in
9 telephone follow-up, actually the analysis is holding up
10 mainly because it's conservative.

11 DR. FLEMING: Just a brief addition to what you
12 were saying. The only conclusion I am confident to make
13 here is, in these 40 percent of the person years of follow-
14 up time after discontinuation, where we no longer continue
15 to follow the triple endpoint -- we're only following the
16 double endpoint -- if you really care about the triple
17 endpoint -- we're underestimating in both arms the time to
18 the occurrence of the triple endpoint.

19 Whether that leads to a conservative or anti-
20 conservative estimate of treatment effect entirely depends
21 on in these people who are discontinued for follow-up of
22 serum creatinine doubling times, in those people who are
23 discontinued, would the doubling time have occurred more
24 rapidly in the placebo versus the losartan arm? I have no
25 clue about that.

1 So, it leaves me uncertain about how to
2 interpret the data, to an extent at least, if I really care
3 about the triple endpoint, because, again, in 40 percent of
4 the person-years of follow-up after discontinuation -- and
5 after treatment discontinuation I do care to continue to
6 follow people -- I might surmise that I would have less
7 treatment effect after treatment discontinuation. I don't
8 know. That's only a guess. But I do care, if I really
9 cared about the triple endpoint, to know what time is to
10 triple endpoint in all patients.

11 My conclusion in this is that there are 267
12 people, balanced by treatment arm, in whom I am
13 underestimating the time to the triple endpoint in both
14 arms. I have no clue if it's more so in one than the
15 other, and it's just another reason that from my
16 perspective the double endpoint, which occurs in this
17 setting not that much later than the triple endpoint, and
18 is clinically a much harder endpoint, and is free of all of
19 these problems, is an endpoint that I particularly would
20 focus on more so than the triple endpoint.

21 DR. BAIN: Correct. Let me make one other
22 point. When we are talking about these patient-years of
23 follow-up and we're talking about the triple endpoint, if
24 you actually calculate the patient-years of follow-up in
25 clinic over the whole time, not just focusing on those

1 patients who discontinued their therapy, the clinic follow-
2 up is over 90 percent. It's only when you get into that
3 subset of patients that it drops to 40 percent.

4 DR. BORER: Dr. Lorell.

5 DR. LORELL: Thank you.

6 In follow-up of Dr. Fleming's comments, there
7 were two queries that I had. One is, in this difficult
8 group of the 40 percent patient-years who have the issues
9 of discontinuing treatment and problems of telephone
10 contact, do you know what percentage of those patients in
11 each of the intention-to-treat groups actually got put on
12 an ACE inhibitor by their clinical nephrologist? Is that
13 known?

14 DR. SHAHINFAR: We know for the entire cohort
15 those patients who were discontinued from the study, and
16 they were placed on ACE inhibitors or AII receptor
17 antagonists. I have to remind you that this collection of
18 the concomitant medication was extremely difficult after a
19 patient was discontinued from the study.

20 DR. LORELL: I understand that.

21 DR. SHAHINFAR: So, it's going to be either
22 underestimation or -- the data that we have -- can I have
23 that?

24 DR. LORELL: Perhaps you can get that to us in
25 a little bit.

1 DR. SHAHINFAR: This is basically those
2 patients who discontinued the study drug, and we have
3 information on them that they went to either ACE inhibitors
4 or angiotensin II receptor antagonist therapy.

5 DR. LORELL: That's very helpful.

6 The second question I had that relates to Dr.
7 Carabello's efforts to understand the clinical impact. In
8 our briefing supplement that we were provided with in table
9 1-A, there is a comment about the median time to reach the
10 triple event, but we're not provided with data, I don't
11 believe, that might address Dr. Carabello's concern and
12 mine too, as to what the median time is in the losartan and
13 placebo group of reaching end-stage renal disease or
14 reaching the double endpoint. Maybe we could be provided
15 with that.

16 DR. BAIN: Ray Bain, Merck Research Labs.

17 Now, Tom kind of caveated around doing this but
18 we did calculate the number. If I understand the question,
19 it's of the patients who reached a primary composite
20 endpoint event, which there are 327 in the losartan group
21 and 359 in the placebo group, if you actually calculate the
22 median number of days to the event, it's 652 days in the
23 placebo group and 724 in the losartan group.

24 So, there is a delay, but that's a subset of
25 the patients. You're just looking at those patients who

1 actually had the event, ignoring all the other patients,
2 which, if you do the median analysis and go across the
3 median and drop it down to your time line, you'll get
4 estimates of differences in time.

5 DR. BORER: That's for the double endpoint?

6 DR. BAIN: That was the triple endpoint.

7 DR. LORELL: That's different from the table.

8 DR. BORER: Yes. Maybe you can pursue this
9 just a little bit.

10 DR. LORELL: In the table 1A that we were
11 provided, the number is actually quite different, but I
12 would also be interested in following up Tom Fleming's
13 comment that I think for many of us the important issue is
14 end-stage renal disease/death -- what the median time was
15 for reaching that double endpoint. Do you have that data?

16 DR. KEANE: Again, are you asking the median
17 time for doubling, or are you asking the median time for
18 end-stage renal disease?

19 DR. LORELL: No. We're provided in table 1A
20 with the median time to reach the triple endpoint. In
21 follow-up of Dr. Fleming's comment and Dr. Carabello's, can
22 we know what the median time is to reach end-stage renal
23 disease and the median time to reach end-stage renal
24 disease or death.

25 DR. KEANE: I think we can go back and

1 calculate that. We just don't have that immediately on our
2 data set here, so we'll find that out and get back to you.

3 DR. LORELL: Thank you, sir.

4 DR. KOPP: Could you explain the discrepancy
5 that your last question just brought up between the median
6 time to event in our table of about 1,300 days for the two
7 groups, and the median time of about, I think you said,
8 about 650 and 704? This is in both cases the median time
9 to the composite endpoint, triple.

10 DR. FLEMING: I think I might be able to, while
11 you're bringing somebody up. The distinction between the
12 two -- the Kaplan-Meier is the 1,300, whereas I think the
13 analysis of the 700 was if you just take the people with
14 the event, what's the median time at which that event
15 occurred. And that's always going to be a lot less. That
16 was 700.

17 DR. BAIN: Yes.

18 DR. FLEMING: And I would caution against ever
19 looking at that because it's very misleading.

20 What I really care about is what's the Kaplan-
21 Meier? What's the percent free of having an event? If I
22 have two treatment arms, and let's say in one treatment arm
23 10 percent of the people have an event at a year, and in
24 the other treatment arm, 10 percent have an event at a
25 year, and another 10 percent have an event at 2 years, the

1 latter is a worse scenario. And yet the median time to
2 event in the latter case is a year and a half, whereas it's
3 a year in the first arm.

4 So, I don't want to look at, given you've had
5 an event, what's the time to event. I want to look at the
6 entire cohort and see what's the percent free of the event
7 and what's the time at which that crosses the median.
8 That's the 1,300 type number

9 DR. SHAHINFAR: In summary, in type II diabetic
10 patients with proteinuria, there were no unusual or
11 unexpected adverse experiences beyond those already noted
12 in the U.S. prescribing information for losartan. Losartan
13 had a higher incidence of hyperkalemia, and lower incidence
14 of hypokalemia compared to placebo. Losartan was generally
15 well tolerated.

16 I would now like to introduce Dr. William
17 Keane, Vice President of Clinical Development, Merck U.S.
18 Human Health. Dr. Keane will provide the review of
19 evidence and conclusions regarding the renal protective
20 effect of losartan in type II diabetes. Thank you.

21 DR. KEANE: Thank you, Dr. Shahinfar.

22 Members of the committee, representatives of
23 the FDA, other invited guests, ladies and gentlemen. My
24 name is Bill Keane, and I'm delighted to have the
25 opportunity to appear before the advisory committee again

1 in my new capacity.

2 As many of you know, I have recently joined
3 Merck as Vice President of Clinical Development in U.S.
4 Human Health after 28 years in an academic practice of
5 nephrology.

6 Most recently I was professor and chairman of
7 the Department of Medicine at Hennepin County Medical
8 Center at the University of Minnesota in Minneapolis. I
9 was intimately involved with the RENAAL study, both as a
10 member of the Steering Committee, as well as a primary
11 investigator, and participated in the design, the conduct,
12 the oversight, the analysis and publication of this
13 important study.

14 In addition, as President of the National
15 Kidney Foundation, which is a patient-focused organization,
16 I can assure you that we have recognized that the treatment
17 of the type II diabetic patient with proteinuria is an
18 unmet medical need.

19 I would like to provide you with my summary
20 that emphasizes the salient features of this study that
21 make it a compelling data set supporting our proposed
22 indication.

23 The strength of the evidence provided by the
24 RENAAL study is sufficient to support our conclusions,
25 based on a number of critical features, including the

1 robust study design, the results that are clinically
2 important, as well as statistically significant, and the
3 presence of internal consistencies across multiple
4 endpoints and multiple subgroups. Moreover, as you've
5 seen, after adjusting for differences in relevant patient
6 characteristics, particularly the chance imbalance that was
7 observed in baseline proteinuria, an even more dramatic
8 impact can be seen.

9 First, let me re-emphasize that this was a
10 large, multinational study conducted in 28 countries at 250
11 clinical sites. It included a diverse study population,
12 with demographics of patients with type II diabetes here in
13 the United States. There were no patients lost to follow-
14 up in terms of ascertaining their clinical status with
15 respect to end-stage renal disease and death. The key
16 renal endpoints and cardiovascular endpoints were
17 independently adjudicated. Furthermore, there were no
18 patients missing from our intention-to-treat analysis for
19 the primary composite endpoints.

20 I would now like to review the main study
21 results in order to emphasize the internal consistencies
22 and reliability across the multiple endpoints and subgroups
23 of the study.

24 RENAAL provides persuasive evidence that
25 losartan delays the progression of kidney disease in type

1 II diabetic patients with proteinuria, as demonstrated by
2 the following results.

3 The primary composite endpoint of the time to
4 the first event of doubling of the serum creatinine, end-
5 stage renal disease or death, which revealed a risk
6 reduction of 16 percent, with a p value of .02.

7 The clinical endpoint of end-stage renal
8 disease, which revealed a very robust risk reduction of
9 nearly 29 percent. Again, I'll emphasize in this
10 particular clinical component, there were 147 events of
11 end-stage renal disease in the losartan group and 194 end-
12 stage renal events that we observed in the placebo group.

13 The clinical endpoint of end-stage renal
14 disease or death, which also showed a significant risk
15 reduction of nearly 20 percent.

16 Our prespecified subgroup analyses, which
17 included those performed for age, gender, and a variety of
18 other categories, demonstrated that losartan provided renal
19 protection across a wide range of patient subgroups.

20 Dr. Shahinfar has already reviewed with you
21 that we stratified patients by baseline proteinuria, either
22 those below 2,000 milligrams of albumin per gram of
23 creatinine, or above 2,000 milligrams of albumin per gram
24 of creatinine.

25 Despite this, we observed an imbalance in the

1 distribution of baseline proteinuria values between the
2 treatment groups, particularly within the higher
3 proteinuria stratum. That is, in those patients that had a
4 urine albumin to creatinine ratio of greater than 2,000
5 milligrams per gram of creatinine.

6 Given that baseline proteinuria was a strong
7 predictor of the risk of subsequent renal events for
8 patients in both treatment groups, and the risk
9 dramatically rises for patients with high levels of
10 baseline proteinuria, it seemed particularly appropriate to
11 adjust for this observed imbalance.

12 As you can see in the right-hand column, when
13 we adjusted for baseline proteinuria as a continuous
14 covariate, these post hoc analyses showed that the risk
15 reduction for the primary composite endpoint of doubling of
16 serum creatinine, end-stage renal disease or death improved
17 now to some 22 percent, with a p value of .001.

18 The risk reduction for end-stage renal disease
19 improved to nearly 37 percent, and the risk reduction for
20 end-stage renal disease or death improved to greater than
21 25 percent.

22 Thus, these analyses reinforce the conclusions
23 of our primary analyses.

24 It is critical to emphasize the importance of
25 effective blood pressure control in patients with type II

1 diabetes and proteinuria. It is well recognized that
2 patients with type II diabetes and nephropathy either have
3 hypertension at the time they begin to develop nephropathy
4 or become hypertensive by the time they reach one of the
5 clinical endpoints defined in our RENAAL study.

6 In the RENAAL study aggressive treatment of
7 blood pressure was specified in the protocol, and as Dr.
8 Shahinfar has shared with you today, the majority of
9 patients were well controlled in both groups. The
10 differences in blood pressure between the treatment arms
11 were small, and our prespecified analysis for the small 2
12 millimeter differences in mean arterial pressure did not
13 substantially alter the treatment effect on the various
14 renal endpoints. This supports the overall conclusion that
15 the benefits of losartan treatment in this population are
16 not attributable to blood pressure control alone.

17 There were additional secondary renal endpoints
18 in the study. These also provide consistent and persuasive
19 evidence of the treatment benefit of losartan in this
20 patient population. Compared to placebo, losartan showed a
21 significant 18 percent reduction in the rate of progressive
22 loss of kidney function, as estimated by the reciprocal of
23 the serum creatinine. In addition, we found that, compared
24 to placebo, losartan also had a significant 34 percent
25 reduction in proteinuria.

1 Although RENAAL was designed specifically as a
2 renal protection study, because of the recognized increased
3 risk of cardiovascular events in the RENAAL patient
4 population, we prespecified the composite endpoint of
5 cardiovascular morbidity and mortality as an important
6 endpoint. This composite endpoint included all-cause
7 cardiovascular death, myocardial infarction, strokes, time
8 to first hospitalization for heart failure, and time to
9 first hospitalization for angina, and all revascularization
10 procedures, both within the coronary vasculature as well as
11 in the peripheral vasculature.

12 There was no treatment effect demonstrated for
13 the cardiovascular composite endpoint overall. An
14 examination of the individual components revealed the
15 expected fluctuations -- some positive, some negative --
16 that led to the nonsignificant p value for the
17 cardiovascular composite endpoint.

18 While Dr. Shahinfar has already reviewed these
19 findings in detail and we have discussed them also in
20 detail, I simply really wish to emphasize that the benefits
21 of losartan treatment on our renal endpoints did not come
22 at the expense of an increased risk of cardiovascular
23 events in the losartan arm. This is probably best
24 illustrated by our post hoc analysis on the bottom portion
25 of this slide which demonstrated the combined endpoint of

1 end-stage renal disease, myocardial infarction, stroke, or
2 death, and this showed a 21 percent risk reduction with
3 losartan.

4 In conclusion, for patients with type II
5 diabetes and proteinuria, the specter of inexorable
6 progression to end-stage renal disease requiring renal
7 replacement therapy is a frightening prospect. Those of us
8 that have cared for these patients recognize the enormity
9 of disease burden that is borne by these individuals. In
10 my view, the sum evidence of our analysis for the RENAAL
11 data that we have shown you today demonstrate robust,
12 reliable, and clinically relevant evidence that is
13 applicable to a broad population of type II diabetic
14 patients.

15 In addition, our data confirm that the safety
16 profile of losartan in this population is consistent with
17 the U.S. prescribing information for losartan.

18 Finally, in patients with type II diabetes and
19 proteinuria, the treatment effects of losartan across
20 multiple endpoints in the RENAAL study provide persuasive
21 evidence for the renal protective effects of losartan.
22 Indeed, we estimated that over a 3-and-a-half year time
23 period for every 16 patients treated, 1 case of end-stage
24 renal disease will be prevented. RENAAL provides new data
25 that support the role of the renin-angiotensin system

1 blockade for renal protection in diabetes and kidney
2 disease.

3 Thank you very much for your time and
4 attention.

5 Mr. Chairman, I was going to just take the
6 liberty, because there were a number of questions that had
7 come up during the presentation that we thought we could
8 provide some answers to.

9 DR. BORER: That would be helpful, but I think
10 on your behalf really we have some more questions from the
11 committee that may require that you gather some more data,
12 and it might be nice for you to have the break to do that.

13 So, let me take the last few minutes before the lunch
14 break to go to some of these questions. You may be able to
15 answer them now, you may not. If you can't, then you'll
16 have a few minutes to get the information together and
17 we'll go back over all the questions after lunch.

18 Dr. Nissen, you had some concerns?

19 DR. NISSEN: Obviously, we're all very cautious
20 about subgroup analyses, but there were, I think, a lot of
21 questions that I had about the subgroups in the study. I
22 would hope maybe somebody could put of table 14 from the
23 Merck briefing document. That's on page 63 for those of
24 you on the panel that want to look at it. I assume you
25 have a slide with that because I think there are some

1 issues that it brings up about who in fact benefits from
2 this therapy. Is that possible?

3 DR. KEANE: I don't have a slide of that
4 directly, but we'll get maybe an overhead.

5 DR. NISSEN: All right. Well, let me just
6 prime you then with the questions. When I look at this,
7 what I see is, just in terms of counting events -- I
8 understand what we're looking at is time to event, but just
9 counting events -- there were 78 in the losartan group and
10 80 in the placebo group, virtually an identical number, in
11 Latin America. For Europe, there were 58 in the losartan
12 group and 51 in the placebo group. There were more events
13 in the losartan group in Europe. In North America, there
14 were 142 in losartan and 150 in placebo. So, if you look
15 at the Asia group, the 250 patients in Asia provided
16 virtually all of the endpoint differences in the trial. I
17 mean, it is very, very striking.

18 And I recognize the hazards of subgroup
19 analysis, but it's so striking that I went and I looked at
20 the racial background, which is also in table 14, and you
21 see exactly the same thing: 40 percent event rate among
22 African American or black; Hispanic, 55 and 54; white, 40.5
23 and 43; and then a huge difference in the 250 Asians. So,
24 it does speak to the heterogeneity of the response and the
25 robustness of the findings.

1 It looks to me, when I look at these subgroups,
2 that it's all driven by those 250 Asian patients, and I
3 need to understand that better to understand whether this
4 is, in fact, an effect of this drug that's applicable in
5 the patients that come in my clinic and that we see with
6 the disorder.

7 Similarly, if you look at the creatinine data,
8 there's also this very striking disparity where, in fact,
9 there's a higher event rate in the losartan group for those
10 with a creatinine of less than 2, which is by the way the
11 vast majority of patients, and for the somewhat smaller
12 subgroup, about a third of patients where the creatinine is
13 elevated, there's a very striking difference.

14 So, again, not necessarily now, but I really
15 need to understand this very striking heterogeneity, which
16 I recognize does not rise to the level of statistical
17 significance with the exception of region, which does reach
18 statistical significance. But in terms of the point
19 estimates, it's really a striking difference, and I really
20 need to understand that better.

21 DR. LINDENFELD: Just to emphasize that, I
22 wonder if maybe you could show us the creatinine and
23 proteinuria in the Asian region group compared to the
24 others.

25 DR. KEANE: If you have time now, I can sort of

1 begin to address some of these issues because they are
2 obviously important and we obviously recognize that region,
3 and the equivalence of region and race I think we need to
4 recognize is different because region is a geographic area
5 that is quite larger than what actually the ethnicity or
6 racial backgrounds would be.

7 DR. NISSEN: But it appears in both columns.

8 DR. KEANE: Let me then, for purposes of what I
9 think is really sort of more consistent, look at race at
10 this point in time. We can always go back to region per
11 se, but I think the racial differences are important for us
12 to understand because I believe that's applicable then
13 regionally when we start looking at that.

14 So, let me first reiterate your point, that
15 this was a subgroup analysis, and we in fact had 18
16 subgroups, and that in and of itself creates a set of
17 problems.

18 The second thing that I would like to actually
19 address is the fact that there are differences between
20 races and between regions. Specifically there are
21 differences between our regions or races. Let me have
22 slide 624.

23 As you recall, when we looked at proteinuria
24 and showed you the risk that proteinuria has in terms of
25 predicting subsequent events, as you increase your degree

1 of proteinuria, the amount of hazard or the risk for a
2 primary event and also the primary events that occur in the
3 highest group of proteinuria patients dramatically
4 increase. It's in many ways like the old adage that we
5 have in clinical medicine, that is, 10 percent of the
6 people have 80 percent of the events. So, this appears to
7 be in the area of proteinuria as well.

8 And this was an important analysis going into
9 the trial that we recognized. In terms of recognizing, the
10 risk was part of a larger component of things in terms of
11 risk that we actually prespecified. It's called the risk
12 score, and I'd be more than happy to get into that in a
13 moment or two. But we had prespecified our analyses based
14 upon risks that we thought were important in the patients
15 with type II diabetes.

16 We also recognized that proteinuria was one of
17 the most important drivers of the overall risk, so that we
18 focused on proteinuria because it's a clinically relevant
19 measure. It's simple to do.

20 And already, as you see within this particular
21 slide, there are ethnic/racial differences within this
22 important risk factor -- this progression promoter, as it's
23 called -- between Asians, the black patients, the
24 Hispanics, and the whites. In fact, the Asian population
25 had one of the highest rates or highest levels of urine

1 protein excretion rate, so pushing them, if you will, up
2 the slope of risk for a renal event.

3 Now, within the different groups, as Dr.
4 Shahinfar has already alluded to, there were imbalances in
5 terms of stratification to losartan versus placebo that
6 also occurred within the trial. So, this is one big issue.

7 The second big issue that I think is important
8 for us to recognize --

9 DR. FLEMING: Before we leave this big issue --

10 DR. KEANE: Yes.

11 DR. FLEMING: Slide 624. Just to interpret,
12 this is at a certain level relevant. What we're seeing is
13 that the Hispanic population, the Asian population had
14 higher baseline proteinuria. That would lead me to think
15 that they should have had a higher rate of events.

16 So, if we go back to page 63, table 14, that
17 explains the relationship of the events within the placebo
18 arm by country. It explains why the Hispanics and the
19 Asians in the placebo arm had the higher rate. That
20 explains that region would be a predictor of rate of
21 outcome.

22 It tells us nothing, though, about why region
23 should be an effect modifier, so it doesn't answer Steve's
24 question unless you want to go one step further, unless you
25 want to make the statement that not only is proteinuria a

1 predictor, but it's also an effect modifier so that we
2 would expect higher rates in Asia and Hispanics and we
3 expect a bigger effect. But those don't logically follow to
4 me because they are different concepts.

5 DR. BORER: Not only that, we didn't see it.

6 DR. TEMPLE: Yes, you did. If you look at the
7 results by various underlying factors, almost the entire
8 effect is in the people with proteinuria over 2 grams. You
9 did see that before. It would be equally true for 4 grams
10 I'm sure.

11 DR. NISSEN: Yes, but Bob, the 277 patients in
12 the Hispanic group who have the highest proteinuria,
13 there's no treatment effect. So, this doesn't make any
14 sense to me. One of the high groups, the Asians, has all
15 the benefit and a group that's even higher with proteinuria
16 has actually a hazard ratio that's worse in the losartan
17 group. So, this doesn't explain anything.

18 DR. TEMPLE: Well, you have to see how these
19 break down by region as well. This is not broken down by
20 region because some people in each region are both. But
21 you did actually see -- I thought it was fairly striking --
22 that if you look at the hazard ratios, essentially all of
23 the effect was in the people who were above 2 grams, which
24 could have something to do with that.

25 DR. KEANE: Can I go on? Because I think

1 that's an important set of issues here. But I do have,
2 beyond this, some specific, I think, explanations that we
3 need to see to interpret actually what we're talking about
4 right now.

5 May I have the next slide? This really then
6 underscores the second feature that is present that is
7 different amongst Asians or different, I should say,
8 amongst ethnic groups, racial groups, as well different
9 amongst the different regions.

10 But here displayed are really the racial
11 events. As you can see, there are different levels of
12 discontinuation that are occurring prior to the first
13 event, so prior to our primary event. Within the Asian
14 group, there are highly compliant groups of individuals
15 while we have in caucasian and Hispanics somewhat less
16 compliance or utilization of the drug. Now, this has an
17 impact, and let me show you the next slide please, and that
18 should be 627.

19 If one takes our primary endpoint and just does
20 the evaluation -- this is the primary composite endpoint
21 indicated in the dark lines here, the solid lines with the
22 circle point estimates -- as you look at those point
23 estimates and confidence intervals, and then you adjust for
24 those patients who are on treatment who actually took the
25 drug, and then you adjust for those patients who were on

1 treatment that had a change in urine protein excretion
2 rate, as you can see, the point estimates for the Asians,
3 the blacks, Hispanics, and whites gradually evolve to the
4 left-hand side of the line of 0, supporting at least the
5 importance of both proteinuria, as well as being on therapy
6 as modifying this primary composite endpoint across all
7 races.

8 This is, again, supported by our overall look
9 at there was no interaction between races and treatment
10 arms. So, I think this is supportive and consistent data
11 that shows that we can actually benefit these renal
12 outcomes in all of our patients of these different ethnic
13 and racial backgrounds.

14 DR. BORER: Rather than go on to any other
15 issues -- I think these were important slides, and you may
16 have some more that you will want to show us after the
17 break. Having been stung by the criticism of lack of break
18 last week and since it is now exactly 12 o'clock and it
19 says on the schedule that you can go to lunch at 12
20 o'clock, including the FDA people, 12 o'clock it is and
21 lunchtime it is, and we'll get back together at 1:00.

22 (Whereupon, at 12:00 p.m., the committee was
23 recessed, to reconvene at 1:00 p.m., this same day.)

24

25

1 AFTERNOON SESSION

2 (1:00 p.m.)

3 DR. BORER: Okay. It's 1 o'clock and 30
4 seconds, so we're a little late starting here.

5 At this point there are several unanswered
6 questions and, as yet, unasked questions from the committee
7 that we'd like to raise, and I want to say for all of us to
8 the sponsor that, especially since we're going to move into
9 a period where the questions may become more intense and
10 the discussion may become more intense, all of us
11 appreciate the efficiency and completeness with which the
12 sponsor has presented the data. I know you'll have
13 additional information for us, and we appreciate the superb
14 consultants you brought along to help answer the questions.

15 So, with that having been said, let's move right along
16 here.

17 Dr. Nissen, you were in the middle of your
18 questions and then we have several more that I marked down
19 to go back to from other committee members, and there may
20 be some additional ones as well. Steve, why don't you
21 start?

22 DR. NISSEN: Thanks very much, Jeff.

23 I want to continue with this regional and
24 ethnic imbalance and see if I can explore this a little bit
25 more. But actually before I do, we really do have a

1 problem here with the FDA data and the company data not
2 agreeing. Tom, I particularly think I need your help with
3 this.

4 If you look at the table on region, you see
5 that there are 58 events in your table in the losartan
6 group and 51 in the placebo group, and the hazard ratio is
7 .943. Now, I assume that that's the case because, even
8 though there are more events, the time to events would
9 presumably have been much shorter in the placebo group.

10 But the same number of events in the FDA
11 analysis on page 22 of the briefing document gives a
12 completely different hazard ratio. It's 1.05. That's a
13 pretty big difference, and I don't get it, Tom. Or to the
14 company, I don't understand why they don't agree.

15 DR. BAIN: Yes. This was one of the
16 housecleaning activities that I was going to come back to.

17 We went back and looked at the FDA background
18 document versus the Merck background document. Let me
19 point out that in the Merck background document, as
20 prespecified in our protocol and data analysis plan,
21 whenever we do a subgroup analysis, we also adjust for the
22 baseline proteinuria category or stratum. And when we do
23 that in our analysis, which is the prespecified analysis,
24 we get our results.

25 We went back and confirmed that if you

1 eliminate that baseline proteinuria as a covariate in the
2 analysis, you get the FDA results. So, theirs is
3 completely unadjusted. Ours is adjusted for what we
4 randomized on, which was a prestratification factor, which
5 was baseline proteinuria less than 2/greater than 2.

6 DR. NISSEN: That helps me a lot.

7 Again, we can argue about how robust the effect
8 is, but clearly those 250 patients that were in Asia, that
9 are either defined racially as Asians or geographically as
10 Asians, provided almost all the treatment effect in the
11 study. That's obviously an extremely striking finding.
12 So, in exploring your data, I was looking for an
13 explanation for that.

14 I'd like to ask you if you could show by region
15 the rate of discontinuation of medication. I have it from
16 the FDA briefing document on page 16 for the panel members.

17 What is very interesting here is that there is a huge
18 imbalance between placebo and losartan in drug
19 discontinuation in the Asian group but not in the other
20 groups. So, I think potentially this is a smoking gun that
21 explains why there's such a big difference here.

22 If you look at this, fully 45 percent of the
23 placebo discontinued and 26 percent of the losartan group
24 discontinued; whereas, in the other regions, there's very
25 little difference in discontinuation rate. I'd sure like

1 an explanation for that because, again, it's a very
2 striking finding. I think it could explain all of this
3 because if a patient discontinues, they obviously get less
4 intense follow-up, and I'm worried that those discontinued
5 patients had their blood pressures going out of control and
6 that's why we see this. So, many more of the patients in
7 the placebo arm were discontinued in Asia than in the
8 treatment arm.

9 Can you help me with this?

10 DR. KEANE: Maybe I can. Let me just jump back
11 a little bit to sort of set the stage. Again, these are
12 all a result of our subgroup analyses that were done. I
13 want to emphasize two points.

14 One is the fact that these patients did have
15 differences in proteinuria, and I did want to emphasize the
16 point that we have differences in discontinuations, as
17 you've already alluded to.

18 Now, probably the most important point, in
19 terms of us now looking at this, will be to look at what,
20 in fact, happened to end-stage renal disease and death
21 because that's where we have captured 100 percent of all of
22 the events in all of the patients. So, this is very, very,
23 I think, powerful data for us to look at.

24 I can show you I think both by region, as well
25 as by race -- and recognizing we have these differences in

1 terms of the geography, as well as compared to ethnicity.
2 So, let me just show slide 629, if I may.

3 I think as you can see here, this is for end-
4 stage renal disease or death, which I think we have been
5 discussing this morning as, in fact, being the hard outcome
6 that is of particular relevance in any kind of renal
7 protection trial. I think across the board, as you can see
8 in the solid line, which is our primary analysis, and then
9 as we look at on-treatment, that is the patients who
10 sustained therapy throughout the trial, as well as then
11 those patients who were on treatment who had an adjustment
12 for proteinuria, again this being an important risk for
13 progression of kidney disease, our point estimates for the
14 different ethnic groups, again, move slightly to the left
15 of the 0 line, again favoring losartan. Now, this is by
16 race.

17 Let me just show you then by region, and I
18 think that's slide 850.

19 DR. NISSEN: You already showed this to us I
20 think before lunch, didn't you? This is the same slide?

21 DR. KEANE: No, no.

22 This is ESRD. This is 829. This is ESRD or
23 death. Again, I think the same events are evident in here,
24 with these adjustments that I've just gone through, on-
25 treatment, on-treatment, and adjusted.

1 Now, if we go by region, again by Asia, Europe,
2 Latin America, and North America, again we believe that
3 there is a shift of the point estimate more or less to the
4 left, again favoring losartan in all the different regions.

5 So, I think that at least gives us confidence
6 in these exploratory analyses that in fact the direction
7 that we're going is correct. It's applicable across
8 multiple groups, multiple ethnic groups, and in fact across
9 this region that we prespecified as one of our prespecified
10 subanalyses.

11 DR. BORER: Dr. Brem?

12 DR. FLEMING: Can we comment on this before we
13 take off?

14 DR. BORER: We can, sure. Is this about this
15 particular issue?

16 DR. FLEMING: Yes.

17 DR. KEANE: Yes. I was just turning to my
18 colleague now because I think part of Dr. Nissen's question
19 had been related to what happens if you do the analysis
20 with or without different countries or different regions,
21 and I was going to ask Dr. Bain to actually address that
22 specifically because we have done that.

23 DR. NISSEN: I do want to see it for the
24 unadjusted because I really want to see the raw data. I
25 want to see the data without all that proteinuria

1 adjustment and all that. I just want to understand it with
2 the raw data.

3 DR. BAIN: In that primary analysis up there,
4 the only adjustment was for what we prespecified in the
5 data analysis plan as being our primary. It's just the
6 adjustment for baseline proteinuria strata, two strata, and
7 the region.

8 Now, what Dr. Keane alluded to was the issue
9 that Dr. Nissen brought up about, gee, all the effect seems
10 to be going away if, for example, you looked in the regions
11 other than Asia. We were, obviously, interested in
12 exploring that issue also. Unfortunately, I'm going to
13 have to put an overhead slide up here, which is going to be
14 pretty difficult to read, but let me do that.

15 What we're doing here is we're looking for
16 influential either regions or countries within a region,
17 and I'll explain this. I actually have hard copies for the
18 committee.

19 Now, what I'm focusing on there is the
20 composite endpoint of ESRD or death by the intention-to-
21 treat analysis. And if you remember from the main
22 presentation, the risk reduction for this particular
23 outcome, ESRD or death by intention-to-treat, was 20
24 percent overall.

25 Now, if you look within a region -- and those

1 regions are highlighted with brackets around them -- Asia,
2 Europe, Latin America, North America -- what this table
3 shows you is when you eliminate that country or region
4 that's on the left-hand column, if you go across to the
5 third column, it has a risk reduction. That tells you what
6 the resulting risk reduction is. So, for example, if you
7 were to eliminate the region Asia from the analysis, the
8 risk reduction goes from 20 percent down to 13 percent. If
9 you eliminate Europe, 21.9; Latin America, 21.6; North
10 America, 23.3. Now, that's by region.

11 Now, what has been alluded to earlier is we
12 prespecified region and the countries that went into that
13 region, but that's just geographic. But now, look at the
14 countries within Asia. The countries are Hong Kong,
15 Israel, Japan, Malaysia, Singapore. What this shows is if
16 you eliminate them one at a time -- for example, if you
17 eliminate Hong Kong, the risk reduction goes from 20
18 percent to 16.5. If you eliminate Israel, it goes to 16.8.
19 So, you see within Asia, it ranges from 16 to 21.

20 As a matter of fact, if you do that one-country
21 elimination at a time -- without eliminating any countries
22 it was a 20 percent reduction. And now if you eliminate
23 one country at a time, it ranges anywhere from 16.5 to 23
24 is the number I'm picking out, which is roughly around that
25 20 percent. So, what we're doing here is an analysis to try

1 to figure out if there are influential countries.

2 DR. NISSEN: This is for the double endpoint.

3 DR. BAIN: This is ESRD or death.

4 DR. NISSEN: Do you have it for the triple
5 endpoint? Because that was your primary efficacy
6 parameter.

7 DR. BAIN: Now, this one, the overall result
8 was 16 percent when we did the triple endpoint, 16 percent
9 reduction. Again, when you eliminate a whole region, it
10 has a major impact: 7.1 percent in Asia, which is a pretty
11 big impact. But if you actually go in and eliminate one
12 country at a time, now we're talking about a range from 13
13 to 23 in a risk reduction.

14 DR. NISSEN: Right, but what happens when those
15 257 patients from Asia are eliminated out of the 1,500, the
16 statistical significance is lost completely. Now the
17 confidence intervals overlap no benefit.

18 DR. BAIN: I would agree that eliminating a
19 whole region is going to have an impact here, but what
20 we're interested in looking at, we're looking at the
21 countries within a region. So, when you look at
22 eliminating one country at a time, within Asia it's 13 to
23 16.

24 DR. TEMPLE: But to be fair, it's not
25 eliminating a whole region that's the problem. It's

1 eliminating Asia. If you eliminate the other regions,
2 nothing happens at all. In fact, the whole thing gets
3 better. If you eliminate North America, and all of a
4 sudden you're up to 22.4 percent, so that's a big
5 improvement. So, it's that Asia drives the triple
6 endpoint.

7 DR. ZEGER: My name is Scott Zeger.

8 DR. TEMPLE: What that means is hard to say,
9 but it's true.

10 DR. NISSEN: I'm not passing judgment on what
11 we do with that information, but I guess what I wanted to
12 drill down on is the fact that if you take the 257 patients
13 out of the 1,500 in Asia, the study loses any statistical
14 significance for the triple endpoint.

15 DR. FLEMING: Could I maybe add a little bit to
16 this? There have at least maybe three issues put on the
17 table as we've been trying to explore and understand this
18 better.

19 One is that these analyses are being done by
20 the stratification factor for baseline proteinuria, and I
21 would strongly agree that's the right thing to do. As your
22 primary analysis, you ought to be at analysis accounting
23 for structure you imposed at randomization. So, what the
24 sponsor is doing here in this stratification seems
25 appropriate.

1 The explanation, given a couple slides back on
2 what happens when you look at the on-study or per-protocol
3 treatment, I'm less persuaded by because that's not
4 preserving the randomization. And to defend the sponsor,
5 they weren't putting that forward as their primary analysis
6 either, but I don't see that as being particularly
7 persuasive.

8 The third issue, though, is they are looking at
9 this issue as it relates not only to the triple endpoint
10 but also the double endpoint. Again, it may be my
11 perspective coming through again that I believe that double
12 endpoint is, A, the most clinically relevant and, B, the
13 most interpretable because I'm not exactly sure what impact
14 it has when we stop following some 267 people in the course
15 of the study for changes in doubling in creatinine times.

16 As a result, if either you look at this slide
17 or you go back to the previous slide that shows these
18 results of the impact of taking out region, looking at this
19 -- actually in fact maybe what I'm really looking at --
20 well, this is fine. I wanted to actually look at the
21 overhead slide as well, but this is fine.

22 Essentially what we're seeing, when we take out
23 Asia, is a reduction in the estimate here that would have
24 been 19.9 percent down to 13 percent, and for the triple
25 endpoint, it was a reduction from 16.1 down to 7. So,

1 taking out Asia has a bigger relative effect here in the
2 robustness of the triple endpoint, taking it from 16 down
3 to 7; whereas for the double endpoint, it takes it down
4 from 19.9 to 13.1, and it's almost significant.

5 And in fact, to be fair to the data, if we eye
6 the data and we find the worst region or the region that
7 accounts for most of the effect, and we pull that out and
8 say, gee, does the remainder still achieve statistical
9 significance, there are two problems with that. One is
10 there's less data, so you weren't necessarily powered to
11 show significance, and secondly, it's data dredging in the
12 reverse direction where you're taking out the best results
13 and hoping that it stands.

14 So, Steve, your issues are relevant. I think
15 it's very important to do the probing that you're doing so
16 that we can get a sense because we're going to be asked
17 later on, does a single study stand, and we're going to be
18 asked to address this particular kind of issue. Is there
19 robustness when you look at issues such as subgroups?

20 My own sense about this, though, is I find it
21 reinforcing that when I look at the double endpoint, the
22 amount of the effect that's being accounted for by Asia to
23 me seems to be less. I'm more confident that these data
24 seem robust through this issue on the double endpoint than
25 on the triple endpoint.

1 DR. NISSEN: Although you would have to agree,
2 Tom, that the primary prespecified efficacy parameter was
3 the triple endpoint. So, I would tend to focus on what was
4 prespecified up front, and what happens, when you take out
5 the Asian population, is it's no longer significant.

6 DR. BORER: But to be entirely fair, the
7 prespecified endpoint didn't include a subanalysis by
8 region.

9 Did you have a --

10 DR. ZEGER: I could never have made the point
11 as eloquently as Dr. Fleming.

12 (Laughter.)

13 DR. KEANE: I wonder if we could just continue
14 along this vein because I think there have been some other
15 issues that came up, Mr. Chairman, that I think are
16 important for us to answer to help further clarify some of
17 the relationships between proteinuria and some of the
18 endpoints that we looked at.

19 Specifically, I think the question this morning
20 of end-stage renal disease and whether or not proteinuria
21 was a useful parameter or risk to actually predict end-
22 stage renal disease in this whole setting, and I think that
23 would be an important component to our discussion, if I
24 may.

25 DR. BORER: Before you do, Dr. Brem had a

1 question earlier. Go ahead.

2 DR. BREM: I wanted to ask whether two issues
3 might have impacted your information that you've presented.

4 One is in the stratification, you had patients
5 who received 50 milligrams and another group of people who
6 got 100 milligrams. And is there a dose dependency in
7 this, and did one group perhaps in Asia get 50 milligrams
8 or 100 milligrams and others get 50? Was there a
9 difference by dose?

10 And then the other question I had -- and
11 perhaps you may not be able to answer this -- is these
12 patients were older and could certainly have acquired other
13 forms of preexistent renal disease on top of their
14 diabetes. And since we do know that IgA nephropathy tends
15 to occur in significant amounts in Asian populations, could
16 these patients have two processes that would perhaps both
17 benefit from treatment with an ACE inhibitor or an ARB?

18 DR. KEANE: Let me respond to the initial
19 question first in terms of actually the amount of drug that
20 was used. Over 70 percent of our patients actually were
21 utilizing 100 milligrams of losartan per day. In fact, the
22 way we utilized losartan was to titrate it up based upon
23 blood pressure. Really, that's the fairest way to look at
24 this. If we were going to do something, did we see a dose
25 dependency, in terms of any effect, I think we would have

1 had to look at a totally different design for our clinical
2 trial. So, we haven't really addressed that within the
3 RENAAL trial as a specific endpoint.

4 The second issue is that the diagnoses that
5 were made here in terms of the clinical diagnosis of type
6 II diabetes and nephropathy, one would have to recognize
7 that this was a clinical diagnosis. It was made in clinic.

8 In fact, it was made by experienced clinical
9 investigators, mind you, and experienced nephrologists and
10 endocrinologists used to dealing with this patient
11 population. So, while we did not, and were not able to by
12 the design of the study, ascertain whether or not there was
13 something else going on, all of these patients had clinical
14 characteristics, as you already saw today, that were
15 consistent with the diagnosis of type II diabetes, as best
16 we're able to do in a clinical trial of this nature.

17 So, if that helps to answer your questions.

18 DR. BREM: Yes.

19 The second point I just raise out of curiosity,
20 not that you'd have the answer.

21 The first point, however, the dose dependence.

22 Is there any information that you've been able to put
23 together that looked, at least, at whether 50 milligrams in
24 that 30 percent of patients had an effect? Was there any
25 effect at all?

1 DR. KEANE: I think the fairest answer in that
2 was that we have not looked and we didn't plan to look in
3 terms of whether or not there was any dose dependency in
4 terms of the effect. We were again titrating towards blood
5 pressure and achieving the blood pressure that we have
6 already talked about this afternoon.

7 DR. ARMSTRONG: I was going to wait, but since
8 the issue of dose has been raised, Mr. Chairman, first a
9 comment and then a question.

10 I'm persuaded that in the Asian subgroup that
11 it wasn't so much the discontinuation of placebo, which is
12 comparable, but as has been said by the sponsor,
13 compliance. In fact, they complied with losartan far
14 better than any other subgroup. So, if there is a
15 plausibility associated with this, I think it probably is
16 driven by that.

17 But do I understand correctly that the sponsor
18 is not in a position to address the issue of dose response
19 and efficacy? If that's correct, fine. Are they in a
20 position to address the issue of safety? Because it took
21 about 6 months to get the 70 percent of the population up
22 to 100 milligrams and although we've seen the Kaplan-Meier
23 curves on efficacy, we've seen the safety data not
24 presented according to its time dependency. And it would
25 be of interest and I think relevance to the label and to

1 advice to practitioners for me to understand, in
2 relationship to the final ascertainment, about the issues
3 of safety and dose and time course.

4 DR. KEANE: Again, I don't think we have a
5 specifically evaluated dose and time and safety related
6 issues. What I can say clearly is that overall throughout
7 the entire conduct of the study, the adverse events that we
8 saw, both clinical and laboratory, were relatively small.
9 Dr. Shahinfar has already presented that information to you
10 today.

11 Again, I'll go back to my answer previously.
12 We really didn't look at any kind of dose dependency with
13 regards to any of the responses that we saw in these
14 patients.

15 Finally, I'll make the point that you have to
16 remember that all of these patients, in large part, have
17 progressive loss of kidney function, and at any time
18 interval throughout their course, they're going to have
19 different clinical manifestations of their disease. Their
20 blood pressure may be more difficult to control. They may
21 have differences in levels of proteinuria when you look at
22 the disease itself. So, I think that should answer, I
23 believe, your questions in terms of differences in the drug
24 utilization.

25 DR. ARMSTRONG: With respect, Mr. Chairman, the

1 notion of safety and issues that were relatively small, as
2 I understood it, 1 out of 4 patients with losartan had an
3 adverse event characterized by hyperkalemia and 1 out of 10
4 had hyperkalemia in excess of 6. So, as a non-
5 nephrologist, I wouldn't characterize that as a small
6 frequency of adverse events. I think it's a genuine issue
7 and I was simply asking whether there was a time course
8 relevant to the appearance of hyperkalemia, which is a
9 clinically relevant event.

10 DR. BAIN: I'll let Dr. Keane respond to that
11 clinical question, but let me first go back to your desire
12 to want to look at dose effect. This particular study
13 design was not a forced titration. Patients were titrated
14 based on their response to blood pressure. So, it's very
15 difficult to do any type of a "randomized comparison"
16 between dosages when they're being up-dosed based on how
17 they're responding to therapy.

18 DR. BREM: So, you essentially, in asking for
19 approval, would recommend what dose? The 100 milligrams or
20 the 50 milligrams?

21 DR. KEANE: As the trial was designed, it was
22 an up-titration to blood pressure. So, we're starting at
23 50 and then moving up to 100 to satisfactorily control
24 blood pressure at 140 over 75, which is what was achieved
25 in this clinical trial.

1 Let me go back to address some of the other
2 issues regarding the clinical aspects of this. All of
3 these patients are sick patients, as you know. This is a
4 group of individuals with advanced renal dysfunction that
5 frequently have problems with hyperkalemia, and so the fact
6 that we only saw 1 in 10, for me as somebody who has been
7 in the practice of nephrology, is almost routine. We know
8 these kinds of abnormalities are happening. We actually
9 aggressively treat for them and manage these patients such
10 that hyperkalemia or related potassium issues are not seen
11 very frequently in this patient population. So, I think
12 that is part the management of patients with more advanced
13 renal dysfunction and the problems of hyperkalemia are not
14 uncommon.

15 DR. BORER: Dr. Hirsch?

16 DR. HIRSCH: Dr. Keane, I want to go back,
17 nevertheless, and beat on the dose-response question one
18 more time because I'm still intrigued by moving from
19 preclinical pathophysiology to human disease. Although
20 there wasn't a real effort to be able to evaluate the
21 actual drug dose and response, nevertheless there is a
22 blood pressure effect.

23 So, you must have had the opportunity to look
24 at the change in blood pressure in cohorts or in tertiles
25 of blood pressure lowering to outcome because they aren't

1 identical between the losartan and the placebo groups. So,
2 one might get some information. In other words -- you know
3 where I'm going with this.

4 DR. KEANE: Yes. I think the issue with us is
5 that you have to recognize that, again, blood pressure was
6 difficult to treat in these patients. We required about
7 three-and-a-half additional medicines on average to
8 actually control blood pressure. So, to actually interpret
9 any dose titration that might be occurring -- are any of
10 these drugs going down, are they being upped -- is
11 virtually impossible for us I think to get at this point in
12 time any reasonable explanation or reasonable data
13 regarding dose titration because there are so many things
14 consistently going on within an individual patient because
15 our goal was to lower the blood pressure and we were using
16 three-and-a-half plus drugs to achieve that.

17 DR. HIRSCH: I know it's difficult but perhaps
18 that can explain some of the regional heterogeneity.
19 Obviously, blood pressure lowering is a key determinant of
20 the renal outcome. So, I'm still curious.

21 DR. KEANE: We can probably look into that, Dr.
22 Hirsch, and see if there's any data that we can pull out to
23 satisfy your inquiry.

24 But I will emphasize again that we were trying
25 to control blood pressure, not looking at dose-response

1 curves, and if we wished to do that, we would have done a
2 different trial. That's something maybe in the future that
3 we can do. I think that's something that's interesting.

4 DR. TEMPLE: Are you asking about the
5 relationship of outcome to success in controlling blood
6 pressure or to dose?

7 DR. HIRSCH: The former, the success in
8 controlling blood pressure as being a means to determine
9 and achieving that clinical outcome regardless of how
10 difficult it was to get there.

11 And that gets back in a sense to the earlier
12 discussion of how we make adjustments, adjusting over a
13 group mean -- I want to do a regression and say, well, on
14 balance, the whole population had a benefit that's
15 independent of the blood pressure. But it may really well
16 be that those patients treated with whatever combination
17 drugs, losartan achieved the greater blood pressure
18 lowering in those that had a clinical benefit.

19 And it has impact on how I translate this in my
20 patients if this drug were to achieve approval. Overall,
21 if I can achieve the blood pressure lowering, one way or
22 the other, with compliance in any region, I may achieve a
23 comparable benefit. Blood pressure is important here.

24 DR. TEMPLE: So, you might do this by -- that
25 is, compare people who got below systolic of 140 with

1 people who didn't, things like that?

2 DR. HIRSCH: You can look at those who achieved
3 target. You could do it by tertiles. You could do an
4 actual regression within each of the treatment groups. A
5 change in the relationship between the two treatment groups
6 in a regression would satisfy me. A different outcome in
7 the two treatment groups for comparable blood pressure,
8 getting rid of that delta, would satisfy me. That would
9 tell me it's the drug not the pressure.

10 DR. KEANE: We do have some data in terms of
11 actually trying to address the issue, Alan. It is
12 confounded by the fact that all these things are going on.

13 DR. HIRSCH: I know how difficult it is. I
14 really realize that there are many things going on here.
15 But nevertheless, if I had to look at one factor, other
16 than region, compliance, et cetera, it would be blood
17 pressure in a hypertensive diabetic population.

18 DR. KEANE: Yes, and I think clearly this gives
19 you the ranges of blood pressure that actually we achieved
20 in the overall trial. So, it's hard actually. It doesn't
21 answer precisely your question in terms of how we got
22 there, but I think, as you can see, in amongst the
23 different regions, blood pressure was reasonably -- and
24 this is the mean arterial pressure -- controlled.

25 Do we have a stratification by achieved blood

1 pressure?

2 DR. BORER: While you're finding that, just to
3 get back to Paul's issue, I wonder if you have some
4 information about the distribution of adverse events in the
5 treated group over time. Forget about the relation to when
6 they were titrated up or titrated down. If you saw that
7 the rate of adverse event occurrence was approximately
8 similar across the duration of the trial, then that would
9 give you some confidence that you're not seeing something
10 happen because more drug is being given over time. So,
11 while you're looking for the data to answer Alan's
12 question, maybe you can see if you have that as well.

13 DR. KEANE: Fine.

14 DR. BAIN: Dr. Borer, related to that issue of
15 adverse events, we prespecified in the protocol -- I think
16 there were -- six prespecified adverse events, which we
17 looked at specifically, and what we did in that analysis
18 was a time to the first event of that prespecified adverse
19 event. Do we have that slide?

20 Now, just to confuse you, we have hazard rates
21 here rather than risk reductions, but essentially to get
22 the risk reduction, you just take 1 minus that hazard rate
23 and multiply by 100. So, for each one of these, we looked
24 at was there a difference between the two treatment groups
25 in the time to the first occurrence of the adverse event

1 that we prespecified. And as you go down the list, which
2 was already introduced in the main core presentation, we
3 did find a difference in hyperkalemia and also hypokalemia.

4 DR. BORER: Thank you, Ray.

5 And with regard to Alan's question?

6 DR. KEANE: We'll have to look at the database
7 in greater detail to see if we can ferret or tease out some
8 of that information.

9 DR. BORER: Okay.

10 DR. KEANE: Would you like me to actually try
11 to clean up some of the issues that we addressed while we
12 get this answer for you?

13 DR. BORER: That would be fine. Before we
14 leave this regional issue, though, which we've had a lot of
15 discussion about, the FDA reviewed this data. We have a
16 medical reviewer. We have two statistical reviewers, Dr.
17 Hung and Dr. Chi, and they saw all these things and didn't
18 flag these as being show stoppers. I'd like to just hear
19 from the FDA about the FDA reviewers' conclusions about the
20 issue of differences among regions and differences among
21 races. Dr. Hung, are you back there?

22 DR. HUNG: Basically I did some analyses and
23 tried to explain potential differences between the Asian
24 region and the other regions. I seem to feel that for some
25 reason the Asian people have higher baseline proteinuria

1 levels. So, I kind of got the impression that that
2 probably at least partially explains the differences among
3 this potential heterogeneity. Other than that, I really
4 cannot conclude anything else. That's my best explanation.

5 DR. BORER: But you didn't find that the
6 outcome, skewed as it might have been in terms of
7 subanalysis by region, precluded the ultimate conclusion
8 that the drug worked and that it was reasonable to infer
9 that the drug worked. Is that correct?

10 DR. HUNG: Yes.

11 DR. BORER: You just didn't think the regional
12 issue was a show stopper.

13 DR. HUNG: Right. I don't think the regional
14 issue is sort of a killer for the evidence. But I feel
15 that all the evidence is not strong, although I realize
16 that because the triple endpoint has -- remember, this is a
17 loss of information about creatinine in some patients. So,
18 the current analysis, which is an intent-to-treat analysis,
19 may dilute some of the potential signal. That's my
20 feeling. I cannot say one way or the other.

21 DR. BORER: Bob?

22 DR. TEMPLE: Well, I don't have to tell you or
23 anybody on this committee that this is one of the big
24 conundrums in analyzing trials, and we've had some of those
25 before this committee. There's a strong bias supported by

1 strongly worded papers that instruct you that if you do
2 subset analyses, you're some kind of idiot. Yet, the
3 plausibility and the interestingness of them is
4 overwhelming in the other direction. They seem very hard
5 to ignore. It's perfectly obvious that if you take the
6 triple endpoint and remove Asia, you've got very little
7 left. Well, as I think Paul Meyer wrote in a paper, if you
8 take the strongest anything out of a trial, it always looks
9 weaker. Of course, from the other end, people like to drop
10 the worst clinic because it was obviously a bunch of shoddy
11 practitioners and you shouldn't leave them in. And then
12 the study always gets stronger.

13 So, we're tormented by this constantly. I
14 don't know how much attention people have paid to it, but
15 the MERIT study of metoprolol in heart failure had a strong
16 finding overall on the combined endpoint of hospitalization
17 and death and a very strong finding everywhere in the world
18 but in the U.S. on death alone, a nearly 50 percent
19 reduction in mortality in the rest of the world and a 0
20 percent effect essentially in the United States.

21 So, we said in the label very carefully, this
22 may be true, may be not true, and have been abused all up
23 and down the world since then for relying on a subset
24 analysis, which everybody knows is stupid because Richard
25 Peto shows that you can use adiacal signs and make

1 convincing arguments and stuff like that.

2 In some sense, there's no really perfect answer
3 to this, which is why you're looking at all these other
4 subsets. You're looking at the double endpoint. It's not
5 as strong there. That makes some sense. But there is no
6 perfect answer to these questions. You make the
7 observation. If there's an obvious explanation, then
8 everybody is happy, but there never is.

9 I think our overall impression is that you
10 should make major decisions based on subgroups like that
11 very cautiously. I won't say never. I think sometimes
12 they're so overwhelming you can't sensibly ignore them, but
13 you do it with the greatest possible care and you try to
14 think of everything that might explain it and look in other
15 places and do your best. But nobody can give you a yes or
16 no answer. Therefore, we never do.

17 DR. NISSEN: If I can just comment very
18 briefly, I think that was obviously wise advice. I try to
19 restrain myself from looking at those too. When a study is
20 very powerful, a strong p value, robust findings, I tend to
21 look a little harder if something is marginal. I suspect
22 that this committee in the past -- I have only been on here
23 a year or two -- I think probably has done that. So, where
24 we begin to take more credence in those groups is when we
25 have an effect that's kind of marginal and now we're trying

1 to understand why is it marginal. That is why I probed
2 this area. I would never have probed this area if the
3 treatment effect had been substantially larger and the p
4 value a lot stronger.

5 DR. TEMPLE: Before you leave that, it's also
6 true that if they're really, really strong, you can almost
7 never make them go away by looking at a set. Well, so
8 there's a certain tautology in that. It's true. When the
9 p value is .02, all kinds of things can make it go away.
10 It's not that hard. There we sit stuck on this pin.

11 DR. BORER: Before we go on to this next issue,
12 Tom, you wanted to make a point?

13 DR. FLEMING: I'd just add a little more
14 philosophy to this. As both Steve and Bob have
15 acknowledged, this is an issue that is extremely difficult.
16 It's an art.

17 We all recognize that in all likelihood the
18 efficacy and safety of treatments probably do differ by
19 various patient characteristics, and yet our trials are
20 barely powered to be able to reliably determine treatment
21 effect in the aggregate. So, when you start breaking down
22 into subgroups, you're inevitably going to be underpowered
23 to be able to really reliably detect the signal when there
24 really is a difference, so you have false negatives. But
25 you also have a great risk of false positives, because

1 you're testing inherently so many different hypotheses by
2 looking in all these subgroups, that you may see something
3 that looks like it's an effect modifier and it's spurious.

4 My own sense is that doesn't mean we shouldn't
5 look at subgroups and have some general sense of whether or
6 not this is giving us greater confidence or lesser
7 confidence about the reliability of the results. But most
8 would argue in most settings, if we see something that is
9 in fact evidence of effect modification, it's generally an
10 hypothesis generation that needs some kind of external
11 validation.

12 In fact, I've always argued when I look at
13 subgroups there are three fundamental things I look for.
14 One is what is the overall strength of evidence here that
15 we have. How strong is the statistical evidence. And one
16 of the many ways of looking at this are tests for
17 interaction. The tests for interaction on a region is a
18 .04.

19 I suspect when you take into context all of the
20 subgroups that were looked at here, the probability that
21 you're going to see something of this order of magnitude by
22 chance alone is not negligible.

23 At the same time, the reason it concerns me a
24 little bit is I care the most about the U.S. at this point
25 because we're an advisory committee for a regulatory

1 authority looking at U.S. applications, and the U.S.
2 population does certainly show less effect than the
3 average. But basically from a strength of evidence here,
4 this isn't a show stopper as I see it.

5 The second criterion is biological
6 plausibility. How plausible is it that there really is
7 effect modification? The example I always use is
8 herceptin. Herceptin is an intervention that was developed
9 based on the concept of Her-2/neu overexpression. So, if I
10 see effect modification by level of Her-2/neu
11 overexpression in an advanced breast cancer patient, I'm
12 not surprised. That's highly biologically plausible.

13 So, a lot of the probing you've been doing,
14 Steve, I think is very appropriate here. Is there some
15 rationale here that explains this just beyond statistical
16 association? Right now, from what I've seen, I don't see a
17 smoking a gun. That doesn't mean that it's not true, but I
18 don't see anything that's truly substantially establishing
19 plausibility.

20 The third criterion is independent
21 confirmation. There needs to be some independent
22 confirmation. My own sense about this is looking at
23 region, it's in most trials likely that what Peto would say
24 or Salim Yusef or many others who've written on this, their
25 advice is wise. Most of these signals are, in fact,

1 spurious. We need confirmation.

2 My only twinge of reservation here is it seems
3 like we've seen quite a few studies coming before us where
4 the North American or the U.S. population shows less
5 effect, and that just may be a spurious observation on my
6 part. But it would be interesting to go back and look more
7 globally. I don't generally trust the results in a single
8 trial that there's a region effect modification, but might
9 there be more here that if we looked more widely, as the
10 FDA can do with its benefits of seeing so much of what's
11 happening in research -- and of course, this is an answer
12 that I don't want to see be proven because we really do
13 want to be able to rely on international results, and yet
14 we do need to also know what the truth is.

15 So, my own sense, in terms of confirmation, is
16 we have this single study, and one of the limitations is it
17 doesn't give us an ability to confirm whether there's a
18 region effect modification for losartan in this indication.

19 But it would be of interest to see whether, in a broader
20 sense, other studies that are at least in related classes
21 might show any evidence of region effect modification.

22 DR. NISSEN: Tom, I'm sorry. One quick follow-
23 on. I really wasn't probing the concept necessarily that
24 this group had some modification of effect, but I was
25 terribly struck by the fact that so many more patients in

1 the placebo arm in Asia discontinued than in the treatment
2 arm. And I wondered if the behavior of the practice
3 patterns, the clinicians, how patients got in and out of
4 the trial, was somehow different in this region and that
5 that's driving some of this.

6 DR. FLEMING: Well, I think what we're seeing,
7 as you astutely pointed out, for the differences I think is
8 that so many on losartan in Asia didn't discontinue. What
9 was really distinguishing was the Asian population on
10 losartan had a very low rate of discontinuation. Now, that
11 might, in fact, influence enhanced efficacy if higher
12 levels of adherence implies higher levels of efficacy.

13 Also though, as you pointed out, a valid
14 concern is if, therefore, there is particular adherence in
15 the Asian population to losartan and more so than in the
16 placebo Asian patients, does that in any way reflect on or
17 influence the level of follow-up for outcome. I haven't
18 heard anything on end-stage renal disease/death outcomes,
19 but it might for the triple endpoint. But for me, I'm not
20 so worried about that because I'm going to look at the
21 double endpoint.

22 DR. NISSEN: Right, and the reason I was
23 probing that, Tom, is why should they be so much more
24 compliant with losartan in Asia than they were with
25 placebo? It's a very, very striking difference.

1 DR. BORER: Everybody was more compliant with
2 losartan than placebo, according to the data. The
3 magnitude varied but everybody was, I think.

4 There was one, to me, striking point that came
5 out of your regional blood pressure data that will lead
6 into Alan Hirsch's question that I'll let him present
7 himself. In the Asian group, the blood pressure effect of
8 treatment was, to me, importantly less than in the other
9 regions; that is, in fact, although we get into the mean
10 arterial versus systolic versus whatever issue that we
11 raised earlier, the mean arterial pressure for the last few
12 years in the Asian group was actually higher on losartan
13 than on placebo. So, the fact that the effect was seen in
14 that group as strongly as it was, despite the fact that the
15 blood pressure was higher on the putatively active agent,
16 is an interesting piece of confirmatory evidence to me
17 about the blood pressure.

18 But Alan raised another question about the
19 relation of change in blood pressure to outcome, and
20 perhaps you want to ask that directly.

21 DR. HIRSCH: It's not easy to sit between these
22 two sides of the table and get a point out.

23 Just to make one more philosophical point
24 before leading into the data, we're obviously looking for
25 biologic reality not for differences only in practice

1 standards or in how we defined end-stage renal disease. I
2 hope. Because biologic reality is if the molecule works,
3 hopefully, more or less genomically similar, that it will
4 work everywhere in the world. At least I am going to make
5 that assumption for the moment.

6 So, to link the two arguments here for a
7 minute, my worry is that something is happening. There's
8 something we're seeing in this signal in whichever
9 countries comprise Asia whereby this is a group that has --
10 and here's my hypothesis -- a higher baseline protein
11 excretion, perhaps again a higher placebo discontinuation
12 rate, hitherto unexplained, and perhaps -- because we
13 really were not sure -- a lesser blood pressure lowering
14 effect, and therefore the losartan group looks better
15 there. I don't know if that's true or not, but I was
16 trying to make a chain of biologic causality.

17 So, what I was trying to come up with -- I
18 think angiotensin II is potentially a toxic agent, but this
19 is a drug approved for blood pressure lowering in a trial
20 that was designed to lower blood pressure. I came up with
21 two analyses that I thought might work again.

22 One again is since you're titrating the target
23 blood pressure, could we see data that simply segregates
24 all the patients in the trial into those that achieved
25 their target and those that did not achieve their target

1 and then look at the effect on ESRD or any event rate by
2 achievement of target blood pressure. If all those
3 patients that achieve target blood pressure have the same
4 outcome, regardless of treatment allocation, then it's not
5 the molecule. It's the blood pressure. Everybody with me?

6 Or another way of looking at it is to create,
7 again, a regression or a slope. The y axis, for example,
8 might be a risk or a hazard ratio, and the x axis, for
9 example, might be again a change in blood pressure because
10 you do have sequential clinic visits every 3 or 4 months,
11 and again a change in the slope there would also indicate
12 an effect of the drug versus placebo.

13 DR. BAIN: We have not done the analysis which
14 looks at only those people who achieved their blood
15 pressure and then what the outcomes are because essentially
16 we're getting into this area of two outcomes and adjusting
17 one outcome for the other and not really doing a randomized
18 comparison. So, it's very difficult and complex. We can
19 do the analysis. It's the interpretation that becomes
20 extremely difficult.

21 DR. HIRSCH: I realize I may be asking for
22 something more than can occur, but nevertheless, I'm making
23 an effort and I'll look to my other colleagues for support
24 or not.

25 DR. TEMPLE: In his discussion of this, Salim

1 distinguishes between sort of good things to adjust for and
2 look at and bad things to adjust for. The good things are
3 all baseline characteristics because those are at least
4 randomly assigned or at least you're pretty sure they are.

5 Adjusting for outcome variables is very treacherous
6 business because one factor can have different effects on
7 both. So, as was just explained, they tend not to do those
8 kinds of things. It doesn't mean you can't do them, but
9 you've got to be careful and think hard about it.

10 DR. BORER: With that having been said, we have
11 several more questions still unanswered, and I think, Dr.
12 Keane, you were going to move on to clean up some of those
13 and we'll see if you go down the whole list here.

14 DR. KEANE: Let me just bring back up Dr. Bain.
15 I think this is a very important issue related to the
16 outcomes in relationship with proteinuria and looking at
17 end-stage renal disease as the endpoint. I think Ray has
18 provided us with that information now so that you can take
19 a look at it. It really is, I think, a very important
20 relationship.

21 DR. BAIN: A little housecleaning first. Table
22 1 on page 8, where we were discussing earlier looking at
23 the median time, and it looked like losartan was 13.3,
24 1303, and placebo was 1373. Essentially what was done in
25 this table was they went to the median on the y axis, drew

1 a line across until they hit the two curves, and then
2 dropped the line down to estimate the median time. It
3 turns out that these numbers are flipped. We confirmed
4 that losartan is actually 1373 and placebo was 1303, and
5 you can confirm that yourself by going to that figure of
6 our triple endpoint and drawing a line for yourself and
7 dropping it down. You'll see that you hit the placebo
8 cumulative incidence curve first and you drop down to 1303.

9 DR. THROCKMORTON: What page?

10 DR. BAIN: Page 8 in the FDA, table 1,
11 statistical review.

12 DR. BORER: Yes. Where it shows a 70-day
13 difference, the 70-day difference is in favor of losartan.

14 DR. BAIN: So, that's number one.

15 Going back to -- it seems a very long time ago
16 -- a conversation, where Tom nicely laid out the different
17 types of adjustments that are typically done. We talked
18 about a baseline predictor, and then we talked about an
19 effect modifier. I want to take the first one first, and
20 we're back to the overhead.

21 This is a request where, remember, in the main
22 presentation we showed the baseline prediction of the
23 triple endpoint, and then there were a lot of requests for
24 the prediction of ESRD, the prediction of ESRD/death, and
25 Dr. Temple wanted a prediction of doubling ESRD.

1 That's what we showed in the main presentation.
2 Remember when we got out to about 16, we drew a line over,
3 it was like 12, 15. Here, this one is the doubling ESRD,
4 so there's a steeper curve so that baseline proteinuria is
5 actually very predictive of ESRD doubling, and it's most
6 predictive of end-stage renal disease. Again, remember,
7 that's baseline proteinuria. So, that's the answer to that
8 question.

9 DR. KOPP: Just one other point. That's
10 proteinuria defined as milligrams of albumin per gram of
11 creatinine.

12 DR. BAIN: Yes. Urinary albumin to creatinine.

13 So, that was our baseline predictor analysis.
14 The next one that you requested was the effect modifier
15 analysis to see whether or not the risk reduction varied
16 across the categories of baseline proteinuria. Slide 605.

17 So, what we're doing here is we have five
18 categories of baseline proteinuria. We have the total
19 sample size of individuals within each one of those
20 categories. And the last column is the risk reduction.
21 Now, remember, this is the risk reduction for the primary
22 composite. Remember, overall the primary outcome was 16
23 percent. So, you can see that when you're less than 1,000,
24 it's a risk reduction of 4.6. It goes up to 15 when you're
25 in 1,000, and then 2,000, it's up to 17, all the way up,

1 and when you're greater than 4,000, with 163 patients
2 distributed between the two groups, you're at about 20
3 percent. So, that's the risk reduction as a function of
4 baseline proteinuria category. So, that's housecleaning
5 number two.

6 Now, one last thing that I'd like to do is
7 something that we talked about much earlier today, which
8 was the prespecified analysis for what we called the
9 baseline risk score. Could I see slide 1339?

10 This is just a little paragraph from our data
11 analysis plan that indicates what this analysis is. So, we
12 were looking at the treatment effect comparing losartan to
13 placebo, adjusted by various baseline covariates, and this
14 analysis was done in two steps. The first thing we did was
15 we developed a risk score. What we did was we took pooled
16 data. We pooled the placebo and losartan data, and
17 formulated a baseline risk score for our primary composite
18 endpoint. Then after we did that, we took that risk score
19 and estimated the treatment effect when we controlled for
20 this baseline risk score.

21 1340, please. We prespecified in the DAP a
22 total of 15 baseline risk factors that we would be
23 interested in evaluating in this manner, and they are
24 listed there.

25 1341. Essentially what we did for our primary

1 composite outcome, the baseline risk score was defined as a
2 linear combination of those covariates that were
3 significantly selected among the covariates. The way we
4 did that was we just did a stepwise selection procedure
5 using the Cox regression model without treatment effect in
6 the model, meaning we were pooling the placebo and
7 treatment groups. Then the treatment effect was determined
8 by performing this model with terms, including the
9 treatment effect and the baseline score. So, that was the
10 second part. And then we reported the results with a p
11 value and 95 percent confidence interval.

12 Now, we're on to 1135.

13 DR. FLEMING: If you go back a slide for a
14 second.

15 DR. BAIN: Okay. So, urine albumin to urine
16 creatinine ratio. That was our prespecified stratum. So,
17 those are the 15 characteristics, some of them continuous,
18 some of them categorical.

19 DR. FLEMING: So, certainly key ones would be
20 systolic blood pressure based on what we've seen. You're
21 going to be identifying those that are predictive of
22 outcome and independently predictive, and that's a very
23 rational thing to do. At the same time, what guides some
24 of our interest as well is whether factors are imbalanced,
25 and one that's not as strongly predictive, if it's

1 imbalanced, really is key. So, hematuria and systolic
2 blood pressure would be two that we would think that
3 there's interest in.

4 DR. BAIN: Okay. So, we'll take a look at
5 those.

6 So, now we're on to slide 1135. So, in
7 summary, we used the multivariate Cox regression model,
8 pooled the treatment groups, and looked at the primary
9 composite endpoint as a dependent variable, and selected
10 significant predictors by a stepwise procedure. Then we
11 calculated the risk score as a sum of the products of the
12 significant predictors based on their regression
13 coefficients from those that remained in the Cox regression
14 model after the stepwise procedure.

15 Next slide. Now, this is two slides, but what
16 I'm going to show you here is these are the two groups.
17 Although we did the analysis pooled, I'm going right back
18 to our baseline slide where, remember, we had a slight
19 imbalance in the mean proteinuria between the two groups,
20 and that's still there. And you can see serum albumin,
21 serum creatinine, hemoglobin, sitting systolic blood
22 pressure. So, on this slide you have five of the ones that
23 remain in the risk factor model.

24 What I put on the right-hand side is kind of an
25 indicator of the strength of an individual variable and its

1 relationship with the risk score. It turns out that the
2 strongest of our baseline risk score factors was urine
3 albumin to creatinine ratio.

4 The next slide will give you the rest of the
5 covariates that were in this model. It turns out that
6 Latin American, yes/no, insulin use, and at the bottom here
7 you see what I was alluding to earlier, the linear score of
8 significant factors. The more negative that value is, the
9 higher your risk. So, you can see that, when you do a
10 linear combination of these risk factors, you tend to see
11 that the placebo group is -- I'm sorry. The other way
12 around. The more negative, the less at risk you are.
13 Therefore, what's shown up here is the losartan group has a
14 higher risk when you look across not just one factor, but
15 all seven of those factors.

16 Next slide. So, then what we did was we took
17 it to step two which was take that risk factor score, which
18 is a single score for each individual and enter that into
19 our original model, which was our primary results model,
20 which was where we showed that 16 percent reduction. And
21 if we now adjust for their baseline risk score, the risk
22 reduction goes from 16.1 to 23.9.

23 Graphically it's shown on the next slide.
24 There's our primary outcome above, and when we adjust it, a
25 stronger treatment effect, less than .001.

1 Although we developed the risk factor score
2 based on the triple endpoint, we actually used that risk
3 factor score to see what effect it had on our other
4 clinical endpoints, and that's on the next slide. You can
5 see that you see that same effect. For ESRD, the treatment
6 effect got stronger, and for end-stage renal disease or
7 death, the adjustment made it slightly stronger.

8 DR. BORER: Ray, can I just ask about the
9 factors you used? It seems that all of them are reasonable
10 except perhaps for region. That sort of begs the issue.
11 Did you sort of look at this? Latin America, yes/no,
12 probably was down on the list.

13 DR. BAIN: Well, no, the original list had all
14 regions. It just turns out that that particular region of
15 the four --

16 DR. BORER: No. I understand. But you
17 ultimately used Latin America, yes/no, in the model that
18 you used for adjustment. Wrong?

19 DR. BAIN: No. Latin America went into the
20 risk factor score. It was one of the things that you
21 multiplied by in order to come out with a single number for
22 a patient.

23 DR. BORER: Right. That's what I mean. So, it
24 was used to adjust for risk.

25 DR. BAIN: Correct.

1 DR. BORER: And all I wanted here is that if
2 you took Latin America out of there, you've still got a
3 directionally similar movement --

4 DR. BAIN: We would have to run that analysis.
5 We just did? The same.

6 DR. FLEMING: It should be.

7 It's certainly relevant to know that we didn't
8 just look at proteinuria, but what's apparent from this is
9 that when you look at those covariates that are going to
10 fall out of a variable selection model, the one that's
11 dominating here is proteinuria. It's very predictive of
12 outcome, and it's the one that is influencing outcome
13 effect because there's also this imbalance in the tail.
14 So, this is certainly a reassuring analysis to say it's not
15 just that we're looking at proteinuria because we could see
16 that it had this imbalance.

17 It would be relevant, though -- at least for
18 me. I'd like to see the analysis that simply adjusts
19 simultaneously for baseline systolic blood pressure because
20 the differences that we see over time were largely already
21 apparent at baseline. And Bob Temple is right. One has to
22 be really careful when you're using post-baseline values of
23 covariates and how you interpret the results. But some of
24 the blood pressure difference was already apparent at
25 baseline. So, even if it doesn't show up in your variable

1 selection model, it could be an influential factor as a
2 confounder because of that imbalance.

3 My suspicion is that the most significant
4 confounder here is proteinuria. It's increasing the
5 estimate of effect from 16 to 23 percent or 22 percent, and
6 systolic blood pressure at baseline I'm guessing will
7 correct that back 1 or 2 points, and in the end you'll
8 still have a net increase but not quite as much when you
9 just look at proteinuria, which is more or less what's
10 showing up out of that analysis.

11 DR. BAIN: So, we'll do that.

12 DR. BORER: While you're doing that, JoAnn, you
13 had several questions related to looking at the double
14 endpoint, and perhaps you want to restate them if they
15 haven't been answered already.

16 DR. LINDENFELD: No. I think I've gotten an
17 answer to those in this data.

18 DR. BORER: Blase, I think you had asked about
19 the time to event when you looked at the double endpoint as
20 an outcome, the average time to event rather than the
21 triple endpoint. I'd like to hear that too, if you happen
22 to have that, understanding, as we all do, that it's a poor
23 man's way of looking at anything; that is, the average time
24 to event among those people who had events when the event
25 was the real hard endpoint.

1 DR. BAIN: You wanted it for ESRD only, Jeff?

2 DR. BORER: ESRD or death.

3 DR. BAIN: Okay. So, why don't you put up the
4 ESRD slide from the core presentation, or whatever one.

5 Now, of course, in this particular outcome, we
6 don't get to 50 percent of events on the y axis, so we
7 can't talk about the median time. But we can talk about,
8 for example, the time to 20 percent of the patients
9 reaching their endpoint. Therefore, you just draw a line
10 over from 20 and drop it down. So, it's about 2-and-a-half
11 years for placebo and 3 years for -- so, about a 6-month
12 difference.

13 DR. BORER: How about if you do the same thing
14 since that's obviously a reasonable way to get a gestalt of
15 the number we asked for. If you look at the post hoc
16 analysis curves where you accounted for MI, stroke,
17 cardiovascular endpoints, so we're seeing a net effect.

18 DR. TEMPLE: What are you asking, Jeffrey?
19 They saw no difference in those.

20 DR. BORER: No, no. What they did was to
21 combine ESRD, death, myocardial infarction, and stroke in
22 one analysis.

23 DR. BAIN: So, Jeffrey, let me make sure I
24 understand. Which endpoint?

25 DR. BORER: There it is.

1 DR. BAIN: Now, here you could probably maybe
2 go up to 40 percent, although it's getting pretty thin up
3 there. But again, you would do the same thing. You could
4 draw a line from either 40 or 30 around, and you're going
5 to see that there's a difference in the time to the events.

6 DR. BORER: Yes. We're still talking about 6
7 months.

8 DR. BAIN: 6 months.

9 DR. BORER: Beverly?

10 DR. LORELL: I think the other way, Tom
11 Fleming's comments notwithstanding, that both Blase and I
12 were interested in getting a feel for is among those
13 patients who had a major heart event, what was the average
14 time to that event.

15 DR. BORER: These are the people who had
16 endpoints. So, that's about the best we're going to do,
17 but it looks like it's about 6 months.

18 DR. FLEMING: Before we leave this, could I
19 comment on these two slides, 379 and 73, maybe going to 397
20 first?

21 From a renal perspective, this is what one
22 might expect to be the component of a composite endpoint
23 when you look at the clinical endpoints, the component that
24 would be presumably most specifically being targeted,
25 prevention of end-stage renal disease. It's interesting,

1 when one is doing studies such as these that there's a lot
2 of wisdom for not doing a study that would have 2 years of
3 median follow-up. And this study, in essence, has
4 information predominantly through 3 years, a limited amount
5 of information out to 4 years.

6 Just to follow up on some previous discussion,
7 this estimate is a 28.6 percent relative reduction in the
8 rate of failure. That's based on a weighted average of
9 what the true reduction is at all points over time. The
10 true reduction in the first 18 months is 0. The curves are
11 overlapping through the first 18 months, which means that
12 the true reduction between 18 months and about 42, when you
13 have most of your data, is probably about 40 to 45 percent,
14 meaning that if you do a study like this and you use the
15 log rank and the Cox regression methods and get relative
16 risk reductions, those estimates are a weighted average
17 over time of what truly isn't a truly constant reduction
18 over time. The reduction in the first 18 months is 0; in
19 the last 18 months is 40.

20 So, if you had done this study with one less
21 year of follow-up, you would be weighting much more
22 proportionally on the 0 rather than the 40, and your
23 estimate would have been 20 percent. If they had done
24 another year of this trial, and these curves represent
25 truth, the estimate would have been even greater than 28.6.

1 So, it's interesting to look at these curves
2 and understand what these relative risk estimates mean, in
3 particular when there's evidence such as this that the
4 reduction isn't constant over time.

5 The second point on the next slide, if you look
6 at slide 73, you see the same basic phenomenon. One of my
7 questions here is this is in fact an endpoint that some of
8 us in January had really wanted to focus on if we wanted to
9 focus on clinical measures that were combining both the
10 renal as well as cardiovascular elements. In the renal, we
11 were saying end-stage renal disease/death; in the
12 cardiovascular, were cardiovascular death, MI, and stroke.

13 So, I'm delighted the sponsor presented this.

14 Essentially what we're looking at is this is
15 made up of 47 fewer end-stage renal disease events, 18
16 fewer MIs, 3 fewer strokes, and 3 excess deaths, which are
17 made up of 11 excess cardiovascular deaths, but 8 fewer of
18 the non-cardiovascular deaths. That's a net difference of
19 65 events, although I can't tell how many people that is.
20 I'm assuming it's probably about 40 to 55 fewer people have
21 at least one of these events because this is a time to the
22 first of those types of events analysis. Can the sponsor
23 clarify what that is?

24 DR. KEANE: We're just looking, Dr. Fleming.

25 DR. FLEMING: You can tell me later after you

1 have a chance to look.

2 DR. KEANE: It is.

3 DR. FLEMING: What's the answer?

4 DR. KEANE: Time to event.

5 DR. FLEMING: I can add up and see there are 65
6 fewer events, but I can't tell how many fewer people had at
7 least one event. That's what this analysis is looking at.
8 It's time to the first of those types of events. I'm
9 guessing it's 40 to 55.

10 DR. KEANE: We're in the process, as I said, to
11 get the number of patients that were involved with this.

12 DR. BAIN: One more housecleaning chore. Tom
13 asked when you go and do the Cox proportional hazards
14 regression on the triple endpoint, remember it was a 16
15 percent reduction. When you adjust for baseline systolic
16 blood pressure, it goes to 21.6 in addition to baseline
17 proteinuria stratum.

18 DR. BORER: My list of questions is exhausted
19 but yours may not be. Did you have some other
20 clarifications you wanted to give us?

21 DR. KEANE: Yes. If you will just give us a
22 couple of more seconds, we'll give the response to Dr.
23 Fleming's question in terms of the number of patients that
24 the event curves actually encompassed.

25 DR. BORER: While you're doing that, what we'll

1 move on to next, as soon as you have that answer, is the
2 questions, and we'll structure the rest of the discussion
3 around those.

4 DR. KOPP: One additional question.

5 DR. BORER: Two. I think JoAnn has one there
6 also.

7 DR. KOPP: To return to the issue of how you
8 define end-stage renal disease, it was suggested during the
9 break that one additional analysis would be to ask what was
10 the final creatinine before somebody went on dialysis and
11 did it differ between the two groups.

12 DR. BAIN: Now, I believe the question was that
13 and by region for serum creatinine. At least, that's what
14 I have here.

15 Let me tell you by region these are median
16 serum creatinines. I'm going to give you losartan then
17 placebo. In Asia, it's 7.0 and 6.2. In Europe, it's 4.9
18 and 5.3. In Latin America, it's 5.2 and 6.6. In North
19 America, it's 4.4 and 4.9.

20 Now, the interesting thing about those numbers
21 is you'll probably notice that they tend to be lower in
22 Europe and North America. Well, it turns out that the time
23 from the serum creatinine to end-stage renal disease is
24 actually higher in those two groups, probably driven by
25 study drug discontinuation and then some of those patients

1 going into telephone follow-up. So, therefore, you're not
2 getting serum creatinines very close to their ESRD events.

3 DR. BORER: It seems clear, if I heard the
4 presentation correctly that you just made, that the
5 creatinines at endpoint were systematically higher in
6 people on placebo than on losartan. Is that correct? Did
7 I hear that right?

8 DR. BAIN: No. They go back and forth. It's
9 true in Asia. It's not true in EU. It's not true in Latin
10 America, and it's not true in North America. So, in Asia
11 it tends to be a little higher.

12 But now, remember, we're talking about in Asia
13 a total of 60 events; in EU, a total of 40; 60 in Latin
14 America; and North America -- you know. So, the numbers
15 are pretty small here. Remember, these are only people who
16 actually had the event of end-stage renal disease.

17 DR. BORER: Right. Is there any reason that
18 there should be a discrepancy as large as 1 milligram
19 percent of creatinine between those groups? Does that have
20 to do with timing of checking --

21 DR. BAIN: I'll put out a possibility there.
22 These are people who are going to end-stage renal disease.
23 So, it really is probably a function of exactly where
24 you're picking them up relative to their end-stage renal
25 disease. That's a guess.

1 DR. BORER: It's probably an unanswerable
2 question.

3 JoAnn?

4 DR. LINDENFELD: I have two questions about
5 secondary endpoints. The first is we've seen that there's
6 a nice reduction in proteinuria. Could you tell me if the
7 reduction in proteinuria correlates with the doubling of
8 serum creatinine or end-stage renal disease? Just in terms
9 of how we use these drugs, I'm interested in knowing if the
10 change in proteinuria correlates with the other endpoints.

11 DR. KEANE: It does.

12 DR. LINDENFELD: Strongly?

13 DR. KEANE: Strongly.

14 DR. LINDENFELD: Good.

15 Then in terms of cardiovascular endpoints, I
16 know this is a difficult issue, but I'd like to hear your
17 discussion. The study was stopped prematurely because of
18 data with ACE inhibitors and cardiovascular mortality.
19 Here we see very little signal for cardiovascular
20 mortality. I understand there's a little bit shorter
21 follow-up here and fewer numbers, but we see very little
22 signal there. I wondered if you could just tell me your
23 thoughts about why there doesn't appear to be much effect
24 on cardiovascular mortality.

25 DR. KEANE: There are a number of things that I

1 think are clinically relevant. First of all is the RENAAL
2 trial is a renal protection study. It wasn't a study of
3 cardioprotection. So, we had a smaller group of patients,
4 1,500. Usually when you look at a cardioprotective study,
5 it's substantively larger. So, that was one set of issues.

6 And number two is actually the duration of the
7 trial was relatively shorter as compared to most
8 cardioprotection studies.

9 When we look at the type of patient we had in
10 our study, we made a very concerted effort to not have
11 patients that had a lot of cardiovascular disease
12 antecedent to our randomization process for the RENAAL
13 trial, so that we had lower cardiovascular disease
14 manifestations or disease history. We had a smaller group
15 of patients. We were looking at specifically enriching our
16 population for renal events. So, I think when you look at
17 all of that, I think that explains, at least in part, the
18 reason why we didn't see a major difference in
19 cardiovascular endpoints.

20 Again, our composite, I'll just underscore, was
21 almost a 10 percent risk reduction for the composite. So,
22 it was in the right direction, and as I showed in my
23 concluding slide, there was some noise around the 0 line in
24 terms of the point estimates for each of the components of
25 the overall. So, I think that's most likely, as best I'm

1 able to look at, the explanation for why there wasn't more
2 robust changes in cardiovascular disease endpoints.

3 DR. BORER: We'll go on to the -- I'm sorry.
4 Blase?

5 DR. CARABELLO: But from a functional
6 standpoint, the fact of the matter is, the Data and Safety
7 Monitoring Board said these patients should not be
8 precluded from being on an ACE inhibitor, or maybe you
9 could even take that as a recommendation that they should
10 be on an ACE inhibitor. I've got a sea of folks in my
11 hospital who are diabetics and already on an ACE inhibitor.
12 We don't have any data about that interaction. What are
13 we supposed to do?

14 DR. KEANE: I'm not sure I completely
15 understand what question you're asking me.

16 DR. LORELL: May I help?

17 DR. KEANE: Yes.

18 DR. LORELL: I think I know what Dr. Carabello
19 is getting at. I think it's a very difficult issue here.

20 The issue of use of an ACE inhibitor was raised
21 in two ways in this trial. It was raised in study design
22 by prohibition of use of an ACE inhibitor during the trial
23 and a washout period. Secondly, it was raised, as Dr.
24 Carabello pointed out, by the Steering Committee
25 prematurely stopping this trial because of the issue of

1 cardioprotection in multiple arenas of the use of an ACE
2 inhibitor.

3 We've seen data presented here, very elegantly,
4 of really an extraordinary tight link in this patient
5 population of renal events and cardiovascular events. If
6 my numbers are correct, 1 out of 5 of the patients in this
7 study died, and in the losartan group, 57 percent of those
8 were cardiovascular events. So, this is a major clinical
9 issue for Dr. Carabello's patients and most of us around
10 the table.

11 So, I think one of the real dilemmas here that
12 was part of design and part of trial stoppage is the issue
13 of how you think about this drug for renal protection in
14 the absence of demonstration of a cardioprotective effect,
15 which is what kills many of these patients. And it's very
16 problematic. We don't have any data here in this
17 population about combined use of ACE inhibitor and ARB.

18 So, I think what Dr. Carabello and I might like
19 to hear is your thoughts in industry, were this drug to be
20 approved, as to how you would recommend the use of this
21 drug relative to the absence of a demonstrated
22 cardioprotective effect as your secondary endpoint and the
23 ethical issue that was raised by the Steering Committee in
24 stopping this trial of potential ethical need to use an ACE
25 inhibitor.

1 DR. BORER: While you're answering that, just
2 clarify for me. I believe you had 100 patients who
3 actually were on ACE inhibitor because the ACE inhibitor
4 prohibition was by amendment after the trial had started,
5 if I'm not mistaken. So, you may actually have some data
6 that are relevant.

7 DR. KEANE: Actually that is not correct. All
8 the patients prior to randomization had their ACE inhibitor
9 or ARB stopped. Let me just make some points and then we
10 can have some additional discussion.

11 We did look at interactions, as you saw,
12 between prior ACE use, prior ARB use, and either the renal
13 or cardiovascular endpoints, and there was no interaction,
14 as best we could see, in that data set. So, it didn't look
15 like prior utilization of ACEs or ARB impacted any of the
16 results that we had.

17 Number three is that in terms of the Steering
18 Committee's decision to stop the trial, obviously we didn't
19 know what the recommendations were at that point in time.
20 Dr. Brenner is here, if you'd like to have this discussion
21 in greater detail. What our concern was, in a more global
22 perspective, is that we had a placebo group of patients
23 that were not on any AII blockade. With the Mann data that
24 came out in the Annals last year, that raised at least a
25 concern for us in terms of continuing the trial. And that

1 was really what I think our issue was. It wasn't that we
2 weren't seeing any other benefits for ARB, but the issue
3 was that we had half of the trial that was not on any AII
4 blockade.

5 Then finally, let me just make the point that
6 you have to take our data in the context of what the study
7 was. This was a study that was done in patients with
8 advanced renal functional declines, type II diabetics with
9 proteinuria, and all had fairly advanced disease. All of
10 the other ACE data that is out there is not in this
11 population. So, it does present us with a bit of a
12 difficulty in terms of what should be done on an individual
13 patient basis, but our focus really was in the patients
14 with advanced renal disease, with proteinuria, and looking
15 at renal outcome. So, we didn't have that data at that
16 point in time when we stopped our trials.

17 DR. BORER: Steve and then Bob.

18 DR. NISSEN: Yes. I think we're all saying
19 about the same thing. Let me see if I can be very precise
20 here.

21 Our diabetic hypertensive patients with renal
22 insufficiency are, by and large, all on ACE inhibitors, and
23 they're on them for two reasons I think. One is that
24 there's some pretty good evidence of cardiovascular
25 protection that I think most people would generally accept,

1 and many individuals have extrapolated from the type I data
2 and said, well, if it works in type I nephropathy, it
3 probably works in type II even though the agency never
4 approved it. So, now we're confronted with if the agency
5 approves losartan for this indication, clinicians are faced
6 with a really big dilemma. Do you take the patient off the
7 ACE inhibitor and switch them over to losartan? Do you add
8 losartan to an ACE inhibitor?

9 I think what people are getting at and I would
10 like to be very specific about is our fear that for
11 patients that are stable and doing well on ACE inhibitors,
12 because of a label change, someone is going to say to the
13 physicians, here's the only labeled drug for renal
14 protection in type II diabetes. Let's have you take your
15 patient off of your ACE inhibitor and put them on losartan.

16 Then we don't know whether the cardioprotective benefits
17 of ARBs are comparable to ACEs or not. We don't know that.

18 So, this creates a huge dilemma and that
19 dilemma was manifest by the Steering Committee of this
20 trial feeling like they couldn't go on with the trial
21 because they couldn't withhold ACE inhibitors from these
22 patients. So, we're really on the horns of a terrible
23 dilemma as a consequence of that.

24 DR. KEANE: Let me just call on Peter for a
25 second here.

1 DR. BORER: Just before you do, Bob, did you
2 have a comment to make first? Then, Peter, maybe you can
3 comment.

4 DR. TEMPLE: I just thought it would be very
5 helpful to pin down exactly which treatments are because
6 we're analogizing and doing our best and which treatments
7 are really well documented. The only data comes from HOPE.
8 Right? Not the same population. No renal disease, but
9 they did have macroalbuminuria. Right. So, it's those
10 people you'd be worried about, people who are being put on
11 some ACE inhibitor or other on the basis of HOPE or
12 ramipril itself, which is the only one that was actually
13 studied. So, that's the group that you're worried about.
14 What do you do with those people now? If they turn out to
15 have a little elevation of creatinine, do you now ignore
16 those results and switch or things like that? Good
17 questions.

18 DR. BORER: Peter?

19 DR. KOWEY: Let me just address this. Peter
20 Kowey, paid consultant for Merck.

21 Blase, let me put this in some perspective
22 because it's a question that's come up repeatedly in
23 looking at this information. A lot of people have already
24 asked this question. And the answer is that from my
25 perspective as a cardiologist, we frequently have patients

1 that have competing risks and have competing diseases for
2 whom there are some therapies that actually overlap. There
3 are situations where we have to make a value judgment as to
4 how important the cardiovascular end of things are going to
5 be in this scenario versus how important is the renal end
6 of this disease in this scenario. Because you're right.
7 We do not have data on combined use of these two drugs.
8 So, we can't advocate that.

9 As a cardiologist, my answer is that the more
10 I'm concerned about these patients being disposed to a
11 renal endpoint from the point of view of how they look,
12 vis-a-vis the patients that were enrolled in the trial, the
13 more likely I am to use an ARB, and the more likely they
14 are to look to me like a cardiovascular patient, the more
15 likely I am to use an ACE inhibitor. But it's a judgment
16 that needs to be made on a patient-to-patient basis.

17 I think it's a little tiny bit unreasonable to
18 expect a trial like this to answer every question that can
19 be asked about cardiovascular disease. It can't. There
20 are data on both sides of the question for ARBs and ACE
21 inhibitors even within this realm.

22 So, I understand your question but I don't
23 think that approving this drug for this indication
24 necessarily places patients at risk. It places doctors in
25 a position where they have to make a clinical judgment, but

1 you do that all the time anyway. So, that's where I think
2 this sits.

3 DR. BORER: I'd like to weigh in here just for
4 a minute because this is a drug approvability panel,
5 advisory panel. I think that we have to consider what the
6 FDA does and what it doesn't do, and as Bob pointed out,
7 what the data that we're weighing in against are.

8 My understanding of the HOPE trial is it
9 involved individuals who were greater than 55 years of age
10 with known coronary disease and at least one other risk
11 factor and that risk factor could have been diabetes. It
12 could have been hypercholesterolemia. It could have been
13 hypertension. And one drug was used. Actually two, if you
14 include vitamin E, but that didn't work. So, that's the
15 database. That's it.

16 Having said that, the FDA doesn't establish
17 medical practice. Sorry, Bob. I know that bothers you.

18 (Laughter.)

19 DR. BORER: No, the FDA doesn't mandate medical
20 practice. It may indirectly, but that's not what it does.

21 So, in a sense this issue is not a primary FDA concern.
22 The medical practice is determined by consensus, by
23 advisory panels, which I'm not too happy with, and by the
24 courts based on what evidence you can bring to bear if
25 something goes wrong and somebody says it's your fault that

1 it did.

2 Ultimately there aren't the data to draw the
3 firm conclusions that people might draw about should you be
4 on an ARB, should you be on an ACE inhibitor, just as Peter
5 said. The data aren't there. You have to make your best
6 judgment based on the data that exist.

7 I would like to suggest, therefore, just so we
8 can take this issue off the table and not make it an
9 approvability issue, when I don't think it really primarily
10 ought to be, but it's worth a great deal of thought because
11 it's a very important issue because people do act on the
12 basis of just what Steve said and Beverly said -- that is,
13 if the indication is there, then it may be malpractice not
14 to do this -- that perhaps the FDA should consider, if
15 everybody on the panel agrees to this, something in
16 labeling that says what we know and what we don't know and
17 that anything that's said about this drug shouldn't be
18 construed to suggest that the approval, if it happens to be
19 approved for this indication, should be construed as a
20 mandate that it must be used, that indeed competing risks,
21 individual patient, et cetera. This is a drug that can do
22 certain things or maybe can't. If we find that it can,
23 then it can.

24 I think that this is something that we've never
25 considered before in a formal way, and I think considering

1 the possibility of putting something into the label about
2 it might help. But I think that fundamentally we shouldn't
3 make approvability decisions based on this because the data
4 aren't there to allow us to do it. So, I throw that out
5 for discussion so we can get rid of it before we go on.

6 Bob?

7 DR. TEMPLE: It's an interesting thought. We
8 occasionally do say what is now known about something
9 although we don't we do it very often. It gets very, very
10 difficult. For example, I'm sure most people think that
11 ACE inhibitors should be used in the population that was
12 studied in HOPE. The fact is only one drug of that class
13 has been studied. There isn't a second study. The result
14 was dramatic and, incidentally, not so impressive in the
15 United States.

16 (Laughter.)

17 DR. TEMPLE: For what you will choose to make
18 of that.

19 Well, if you really wanted to set the whole
20 stage, you'd have to write a little essay describing all
21 those things and say what you should when one member of a
22 class does something. How much should you believe about
23 all the others? And then by the time you're done talking
24 about people with other risks -- for example, if you have a
25 little heart failure, well, there's a lot more data on ACE

1 inhibitors than there is about AII blockers. So, that
2 might influence you.

3 This is not an easy thing to write. I think
4 you should write a chapter. But we would certainly
5 consider trying to provide some perspective as best we can.

6 But our trouble is we're not supposed to infer things too
7 much. We're supposed to be even more data-dependent than
8 you guys are, and that really means you can't speculate at
9 all in labeling. So, it's a problem.

10 DR. BORER: Steve?

11 DR. NISSEN: The reason we have this dilemma,
12 of course, is that a therapy, ACE inhibitors, in this
13 population became virtually the gold standard without ever
14 coming before the FDA for approval. That is to say, ACE
15 inhibitors for renal protection. If you talk to
16 diabetologists and to nephrologists -- you guys correct me
17 if I'm wrong -- but for many a year everybody has put these
18 patients on ACE inhibitors for their renal protective
19 effects. That's reality and we have not changed that. So,
20 you see the reason it's a little bit different than some
21 other circumstances is not so much a question of competing
22 risks, it's also a question of are ARBs as good, even
23 though ACE inhibitors were never approved, so that what
24 will the public policy implications be of approving under
25 those circumstances.

1 DR. TEMPLE: It's not not approved. They
2 weren't studied.

3 DR. NISSEN: I understand.

4 DR. TEMPLE: It's not the same thing.

5 DR. NISSEN: Okay, I understand.

6 But I'm saying a therapy that was neither
7 studied nor approved has become the gold standard. So, now
8 you've got this gold standard that's actually made of
9 bronze, and now what we're looking at is what evidence do
10 we require in order to set the conditions in motion for
11 people changing that practice.

12 DR. TEMPLE: I will say something about that.
13 This comes up when we try to think about how something
14 compares to "available therapy." Well, what does available
15 therapy mean? What people do or what we've actually
16 written up? We actually have just put out a final guidance
17 on this. This is important because it determines whether
18 you're a priority drug or not and other things that you
19 probably don't care that much about.

20 What we have is a strong bias toward available
21 therapy, meaning something that has been through our
22 review. You might think we would think that and you
23 wouldn't be surprised. But it means someone has bothered
24 to pull the data together and put it forth, and you get
25 extra credit for having done that. Our inclination is to

1 think that approvals and things like that should take into
2 account what has been well documented, but probably
3 shouldn't worry too much -- you may choose to worry about
4 it; that's your privilege -- about what might be true but
5 nobody has ever bothered to study or been able to study.
6 It would be hard to study those things now. That's
7 probably the reason nobody does it.

8 In labeling and other places, we do tend to
9 focus most on what's actually known and studied and been
10 before us and been reviewed by expert committees and less
11 on things that might be true but haven't been studied.

12 DR. BORER: Dr. Haffner, did you have a comment
13 before Paul and Beverly?

14 DR. HAFFNER: Yes. First of all, I understand
15 I have been active in the ADA in professional practice, and
16 this is a very difficult issue. In fact, the ADA came out
17 with new recommendations on their professional practice
18 recommendations in January and they disagree in different
19 position papers. The hypertension paper said that ARBs and
20 ACEs were both first line partially because we hedged our
21 bets for the cardiovascular issue. The nephropathy
22 guidelines said that ARBs were first line and ACEs were a
23 second choice. And this is actually the same professional
24 organization.

25 I should mention that the entire thing is

1 driven by HOPE data, and the HOPE study, as you know,
2 didn't actually examine this issue. There is no ACE data
3 in people with advanced renal failure. And if you go back
4 to the two years before HOPE came out, in fact many people
5 thought that ACE inhibitors might not be so great on the
6 basis of the UK PDS data. So, HOPE isn't the only study in
7 diabetics. The UK PDS data compared this relative to
8 atenolol and actually atenolol did a little bit better than
9 an ACE inhibitor in spite of the fact the ACE inhibitor is
10 better tolerated.

11 So, I agree. I think ACEs are a good therapy.

12 I don't think they have been well established in
13 progression of renal disease, but I don't frankly know in
14 these sorts of patients whether ACEs are better than ARBs.

15 We don't actually have that sort of comparison, and my
16 guess is we'll never actually have that comparison. So, I
17 think there is some general uncertainty and you have to
18 look beyond the most recent study. There is a long history
19 there with some real questions involved in it.

20 DR. BORER: Thank you. I should point out too
21 that the issue of approval of ramipril for the
22 renoprotective effect that was putatively seen in HOPE was
23 voted against by this panel when it came up a couple of
24 years ago.

25 Paul and then Beverly.

1 DR. ARMSTRONG: In responding to your
2 challenge, Mr. Chairman, which I think is were this drug to
3 be approved, what would the label look like, my own view
4 would be that it does work in a very specific population
5 that we've heard about today and does not cause harm
6 relative to some other issues that we would be concerned
7 about that coexist in these patients and indeed in the
8 broader population that we all see. So, I think crafting a
9 label, to the extent that we know what it does or we think
10 we know what it does in a fairly select population where a
11 number of issues that patients receive ACE inhibitors for
12 are not present, would be prudent. We obviously wish to
13 avoid the issue that Dr. Carabello has raised which would
14 be that a broad number of patients at risk to
15 cardiovascular disease who are receiving ACE therapy now
16 for good indications and evidence-based medicine would not
17 be switched off them because they have co-existent renal
18 disease.

19 DR. BORER: Beverly?

20 DR. LORELL: Thank you. Well, that really
21 underscores a concern that I had along this issue. I think
22 that if cardiovascular events were defined not as a
23 retrospective, ad hoc look but as a clear secondary
24 endpoint in this trial -- and I think one of the concerns
25 in my mind, just as was pointed out in the presentation

1 earlier today, is the data on cardiovascular events were
2 inconsistent in this trial. They didn't all go the right
3 way. The cardiovascular death event -- and cardiovascular
4 death was 57 percent of all deaths in the losartan arm --
5 in fact, went the wrong way. So, I think it's an added
6 source of some unease in this discussion that the
7 cardiovascular death, in fact, looked to go the wrong way.

8 The data were not internally consistent in sitting on the
9 left of the line, and this committee really wrestled with
10 that issue as a component of our decision regarding a
11 different ARB for the same indication not too long ago.
12 So, it is problematic.

13 DR. BORER: Yes. It's a problem. Clearly,
14 again, the cardioprotective studies didn't involve people
15 with near end-stage renal disease and whatever. So, it's
16 hard to get to. But that's why I think, at the end of the
17 day, we may have to suggest to the FDA that it do some
18 label crafting.

19 Bob?

20 DR. TEMPLE: Remind me. But except for HOPE,
21 most of the cardioprotective effects of ACE inhibitors have
22 been shown in people with ventricular dysfunction.
23 Somebody needs to correct me if this is wrong, and that's
24 not true of HOPE. So, these people didn't have that. The
25 only figure that related to heart failure actually was

1 going the appropriate way. So, I'm not so sure, except for
2 HOPE, which I do find inspiring -- it's not quite clear
3 what the effects of ACE inhibitors are in all those other
4 settings in the absence of ventricular dysfunction. I
5 think that's true.

6 DR. BORER: Yes, that's true, and I think you
7 would have said HOPE is hopeful, had you the facility with
8 words that Dr. Konstam does.

9 (Laughter.)

10 DR. TEMPLE: Of course, as someone who lives in
11 the United States, I'm not sure I know about that
12 statement.

13 DR. BORER: Why don't we move ahead to the
14 questions.

15 But before we do that, I'm going to have to ask
16 a point of information. It says on here that there is to
17 be a break at 3 o'clock. Now, it's a quarter of 3:00. I'm
18 wondering if we're mandated to take that break? We're not?
19 We're not. Okay.

20 Oh, excuse me. One thing I've forgotten to do
21 that I should have done is I didn't ask if there was any
22 public comment. The meeting should have been open at 1
23 o'clock for public hearing. We didn't have any formal
24 requests, but was there anyone who came here to make a
25 public statement?

1 (No response.)

2 DR. BORER: No. The record should show that
3 there was no request for public comment.

4 Now let's go on to the questions here. The
5 Cardio-Renal Advisory Committee is asked to opine on the
6 benefits and risks of losartan, an angiotensin II receptor
7 antagonist, for the treatment of nephropathy in type II
8 diabetes. Reviews of chemistry, pharmacology, toxicology,
9 biopharmaceutics, biometrics, and clinical safety present
10 no apparent barriers to its approval.

11 The committee is asked if it believes the
12 strength of evidence for a treatment benefit supports
13 approval.

14 The direct evidence is derived from one study.
15 RENAAL enrolled 1,513 subjects with type II diabetes,
16 hypertension, proteinuria, albumin to creatinine ratio
17 greater than or equal to 300 milligrams per gram, and serum
18 creatinine between 1.5 and 3 milligrams per deciliter.
19 Subjects were randomized to placebo or losartan, titrated
20 as tolerated from 50 milligrams to 100 milligrams, and
21 followed for a mean of 2.4 years. The primary endpoint was
22 a time-to-first-event comparison of losartan and placebo
23 for death, end-stage renal disease, or doubling of serum
24 creatinine. The result was an estimated risk reduction of
25 16 percent, p equals .022, with treatment groups diverging

1 after about 6 months.

2 So, our first question. There were 686 total
3 endpoint events in the placebo and losartan groups, 32
4 fewer in the losartan group than on placebo. One of the
5 characteristics of a none-too-small p value is that the
6 result is sensitive to the handling of subjects with
7 incomplete data. And Tom actually got into that in some
8 detail earlier. In RENAAL, there were no subjects
9 randomized but not treated, no subjects with questioned
10 event adjudication, and no subjects lost to follow-up for
11 end-stage renal disease or mortality.

12 So, 463 subjects discontinued the drug. How
13 were they handled? How should they have been handled? And
14 what effect did the sponsor's rules for handling dropouts
15 have on the credibility of the principal findings?

16 We can deal, I think, with all of those
17 together. Tom, do you want to start off?

18 DR. FLEMING: How were the patients who
19 discontinued drug handled? My understanding is they were
20 all followed from that point forward. All of them were
21 followed for the end-stage renal disease/death endpoints.
22 As I understand, 40 percent of the person-years of follow-
23 up subsequent to that time, though, patients were not
24 followed for the change in doubling in creatinine time. As
25 a result, the procedure used by the sponsor to handling

1 those patients who discontinued was fully appropriate,
2 giving us complete follow-up information about end-stage
3 renal disease and death.

4 There is, however, a problematic issue arising
5 with the 267 patients who did, in fact, have
6 discontinuation of their follow-up of doubling in serum
7 creatinine time prior to having any of the elements of the
8 triple endpoint. While those people did, in fact, have
9 subsequent follow-up for end-stage renal disease/death, it
10 makes the triple endpoint analysis a bit more difficult to
11 interpret, but the double endpoint, end-stage renal
12 disease/death, analysis is fully free of any of that
13 concern.

14 DR. BORER: JoAnn, you were the committee
15 reviewer. Do you have any other issues?

16 DR. LINDENFELD: No. I have nothing to add to
17 that. I agree.

18 DR. BORER: Does anybody around the table
19 disagree with those conclusions?

20 (No response.)

21 DR. BORER: No? Great.

22 We will move on to number 2. Of the 686
23 primary endpoint events on placebo or losartan, 52 percent
24 were creatinine elevation and 48 percent were death or need
25 for dialysis. All of the treatment difference was the

1 effect on creatinine. Was this a statistical anomaly? Was
2 this because there were just so few clinical outcome
3 events? Was this because the effect on clinical outcome
4 would not be expected over 54 months? Was this because an
5 effect on serum creatinine is a poor predictor of clinical
6 outcome?

7 Why don't we stop there, and JoAnn, why don't
8 you take those four together? Then we'll go on to number
9 5.

10 DR. LINDENFELD: Let me start off with was this
11 a statistical anomaly. I don't believe so, and I don't
12 believe that this was just that there were so few outcome
13 events. I believe that the serum creatinine is a predictor
14 of clinical outcome. I think when we look at the
15 combination of end-stage renal disease and death, that's
16 positive. So, I would say that, to just phrase this a
17 little bit differently, we did see the double endpoint of
18 end-stage renal disease and death was positive, and I think
19 the creatinine is predictive of that. So, let me answer
20 that that way rather than these specific questions.

21 DR. BORER: Okay. With that having been said,
22 what we saw I think was that when you looked at time to
23 first event, in fact, all the action was here, but when you
24 looked beyond that to death or end-stage renal disease, bad
25 things happen maybe because the disease progressed, which I

1 think is what you're saying.

2 DR. LINDENFELD: Exactly.

3 DR. BORER: Bob?

4 DR. TEMPLE: It still seems strange and not
5 fully explained although, for the reasons you just gave,
6 not worrisome. When the first thing that happens is end-
7 stage renal disease, somehow that's not influenced. When
8 end-stage renal disease follows creatinine doubling, which
9 in some sense it always must, then it turns out to be okay.

10 There must be something in the analysis that led to that
11 conclusion because it all doesn't make sense, but maybe it
12 doesn't matter for the reasons you just gave. There's
13 something odd about it.

14 DR. BORER: Statistical anomaly.

15 Before we go on to 2.5, does anybody on the
16 committee have a different view of 1 through 4? Steve?

17 DR. NISSEN: I just would say if it's a
18 statistical anomaly, if I'm not mistaken, the same thing
19 was seen in the previous trial, the IDNT trial. So,
20 there's obviously something biologically going on here, and
21 I worry about these people who didn't have creatinine
22 measured, whether somehow that's influencing it. How do
23 you get to have end-stage renal disease before doubling
24 your creatinine? Well, one of the ways to get there is
25 nobody has your creatinine in hand, and so they don't know.

1 Maybe that's what's going on here, Bob. That would be one
2 of my guesses.

3 DR. TEMPLE: But you'd still expect it to be
4 influenced. If there's an overall delay, there ought to be
5 an overall delay on end-stage renal disease where you
6 didn't get a creatinine just as much as where you did.

7 DR. NISSEN: I hear you.

8 DR. TEMPLE: There's something wrong with the
9 analysis here that we haven't been smart enough to figure
10 out. That's what I think.

11 DR. BORER: Tom, are you smart enough to figure
12 it out?

13 DR. FLEMING: Well, I just want to take the
14 burden off the statisticians and put it back on the
15 clinicians here.

16 (Laughter.)

17 DR. FLEMING: I can figure it out
18 statistically, but I don't know what the true clinical
19 answer is.

20 Look at figure 379. You can't but just
21 remember when you did look at figure 379, which is the
22 figure that showed what was the time to end-stage renal
23 disease distribution. Remember we were saying those curves
24 overlapped in the first 18 months and then separated
25 thereafter. So, statistically part of the reason that we

1 are seeing the numbers of people who have end-stage renal
2 disease as their first event not being different between
3 arms isn't surprising when I look at this curve.

4 Now, I'm going to ask my clinical colleagues
5 the harder question. Why is it, if there's an effect, that
6 it doesn't show up at all for the first 18 months and then
7 emerges thereafter?

8 DR. BORER: Yes. I will try to answer that
9 even though I'm not a nephrologist.

10 DR. FLEMING: Before you answer it, because I
11 really do want you to, one other aspect of this that does a
12 bit to complicate things when we look at the triple
13 endpoint is when you look at doubling first and then you're
14 not necessarily following time to doubling in all people,
15 quite frankly I have a lot of trouble understanding the
16 triple endpoint when we have 267 people who aren't followed
17 for one element for a significant period of time. So, I
18 prefer to look at the double endpoint or the single
19 endpoint here, ESRD, and in this endpoint the question I
20 would ask you clinically is, is there an explanation that
21 you have for why you don't prevent events in the first 18
22 months and then you do thereafter?

23 DR. BORER: The entry criteria for this study,
24 as I understand them, was a creatinine of 1.5 to 3. Renal
25 failure nominally is a creatinine of 6. So, nobody entered

1 even close to renal failure and they had to at least double
2 their creatinine to make it, and it takes some time to do
3 that. So, I'm not at all bothered by the fact that there
4 was a period of no effect when you look at the ESRD as the
5 endpoint.

6 Bob?

7 DR. TEMPLE: I guess one explanation is let's
8 hypothesize that there's a delay before you accomplish much
9 with this therapy. That means the end-stage renal disease
10 endpoints that turned up as initial endpoints were people
11 who turned up with that problem very early. The die had
12 been cast, if you like, and wasn't as influenceable as
13 later end-stage renal disease. That makes some sense.
14 Maybe we can model that or something.

15 DR. NISSEN: But the data was to the contrary,
16 though, because the higher your creatinine at the
17 beginning, the more likely you were to see a benefit. If
18 you look at the subgroups of creatinine less than 2 and
19 greater than 2, almost all the benefit was in the people
20 who started out greater than 2.

21 DR. BORER: Ray, would you like to weigh in
22 here?

23 DR. BAIN: Yes. We did look at those 64 and 65
24 end-stage renal disease events that occurred without a
25 doubling, and we looked at a number of factors to try to

1 understand why this occurs. One of the things that was
2 curious was you remember that the average baseline serum
3 creatinine across all groups was 1.9. If you look at those
4 64 and 65 patients who went to dialysis without doubling,
5 they had a higher serum creatinine. So, they were worse
6 off coming into the trial and maybe had a different
7 trajectory.

8 DR. BORER: Let's go on to 2.5. Is everybody
9 else satisfied with this discussion so far?

10 Let's go on to 2.5. Subjects who experienced
11 doubling of serum creatinine could later have end-stage
12 renal disease or die. When these events are counted, the
13 relative risk of death on losartan was 1.02 and the risk of
14 needing dialysis .71. Are these data supportive of an
15 effect on clinical outcome?

16 I think we just answered that one.

17 3. In RENAAL, the mean blood pressure was
18 significantly lower in the losartan group than in the
19 placebo group. How does one know that blood pressure alone
20 was not responsible for losartan's treatment effects? And
21 3.2, is the mechanism of the treatment effect relevant to
22 the description of trial outcomes?

23 JoAnn?

24 DR. LINDENFELD: I'm not sure we absolutely
25 know that this wasn't a blood pressure effect, but we saw

1 the data corrected for differences in systolic blood
2 pressure, pulse pressure, which made more of a difference
3 than the mean blood pressure. Although the effect was
4 somewhat less, the effect was still present. I feel
5 relatively assured, based on this and other data comparing
6 amlodipine that we've reviewed previously, that this wasn't
7 all a blood pressure effect.

8 DR. BORER: JoAnn has added a new wrinkle to
9 the discussion that we'll come back to later. Are there
10 any other comments about that? Steve?

11 DR. NISSEN: Yes. I basically agree.

12 The difficulty I have is estimating the
13 magnitude. Again, the reason it becomes relevant here is
14 this issue of a relatively marginal statistical
15 significance for the overall trial. When you've got a
16 marginal result, then this analysis becomes very important.

17 So, I think it's very difficult to estimate the effect of
18 blood pressure here. They made their best guess at it. It
19 was very reasonable and so on. But if it were a larger
20 effect due to blood pressure, then that marginal p value
21 becomes even more marginal. So, it becomes relevant in the
22 context of a very subtle drug effect.

23 DR. BORER: Dr. Brem?

24 DR. BREM: Well, I'd like to come back to the
25 dose issue again. The design of the study was to titrate

1 the medication up to dose effect and then there seems to be
2 a paradox that, once you've done that, there's no effect of
3 blood pressure on renal preservation. I'm still having
4 trouble grappling with the separation.

5 If the blood pressure isn't important, why
6 didn't everybody get 100 milligrams and then you titrate
7 the blood pressure so that you know 100 milligrams works or
8 it doesn't work. Now, we have a third of the patients who
9 had 50 milligrams. Again, in reference to a prior study
10 that we reviewed in January, there was a dose dependence.
11 So, I still come back to separating the direct effects on
12 the kidney from those that are directly related to blood
13 pressure.

14 DR. BORER: Yes, that's a toughy. For myself,
15 I'd come back to the Asian data that we saw. The effect on
16 outcome events was biggest and the effect on blood pressure
17 was negligible, if any, which would be consistent.

18 Blase, was that your light on or Alan?

19 DR. CARABELLO: The blood pressure in the
20 Asians was a little higher.

21 DR. BORER: But the treatment effect was less.
22 The effect of losartan relative to placebo was the least
23 on blood pressure.

24 Alan, were you going to say something?

25 DR. HIRSCH: I was just going to repeat my same

1 point. I feel like I've gained some support here. Just
2 that I think it's hard to know the blood pressure effect
3 simply using adjustments within the study regressions.
4 This committee has often looked at this issue and
5 determined that in different populations one can predict
6 different effects of a net blood pressure difference. I
7 would simply say for the record I don't think we know.

8 DR. KEANE: And if I may just underscore the
9 fact that we actually titrated or up-dosed the losartan to
10 achieve a blood pressure effect. We weren't looking at the
11 reverse of that. There were at least three-and-a-half
12 additional agents that these patients were on at any given
13 time interval. So, it makes it very difficult to tease out
14 what actually is going on with the effect of the drug as
15 you change the dose.

16 DR. BORER: Tom?

17 DR. FLEMING: Just maybe to draw another
18 distinction here and follow up on what Alan is saying.
19 There are at least two ways to look at adjustments for the
20 blood pressure. As I see it, the particular differences
21 are in the systolic blood pressure. Those are apparent at
22 baseline as well as over time. The imbalances at baseline
23 we can handle through a traditional covariate adjustment,
24 and both that factor and proteinuria are imbalanced at
25 baseline. As the analyses that have been presented to us

1 show, the particular influential covariate there is
2 proteinuria, much more so than the imbalance in baseline of
3 systolic blood pressure.

4 But they started off, I think, at 153 versus
5 150, and over time they ended up at 142 or 143 against 140.

6 So, the separation was maintained.

7 So, the other question to ask, beyond whether
8 there was confounding because of baseline imbalance, is to
9 what extent is the effect of treatment mediated through the
10 changes or differences that are seen over time in blood
11 pressure. Bob was talking earlier about the caution one
12 has to have when you're using time varying covariates to
13 essentially try to address the question, is the effect of a
14 treatment on outcome entirely mediated through a marker, in
15 this case blood pressure over time.

16 One classical approach statistically to do that
17 is to use what's called a time varying covariate, not just
18 to adjust for differences in that covariate at baseline,
19 but differences in that covariate over time, so that at the
20 time of any event, you take into account what the person's
21 blood pressure was at that particular time.

22 The caution one has to have is that the way you
23 interpret that, if there's no difference after adjusting
24 for that covariate, isn't that treatment is not influencing
25 the outcome, but the entire effect of treatment seems to be

1 accounted for by the effect of treatment on that covariate,
2 on that marker.

3 When this is done here, some of the effect is
4 accounted for by the differences in blood pressure,
5 although it looks like, from what I've seen, the majority
6 of this effect is still there even when you adjust for the
7 time varying covariate of blood pressure over time.

8 I agree with Alan's point about, however, as
9 sophisticated as these analyses are, you've got to
10 interpret them with great caution. For example, that
11 analysis is basically assuming that if the time varying
12 blood pressure is, in fact, influencing risk of outcome,
13 it's specifically mediated through what that exact last
14 measured value was. Maybe it isn't. Maybe it has more to
15 do with what the value was over a period of 6 months before
16 that time or a combination of that blood pressure and any
17 other things, such as diastolic blood pressure or other
18 factors.

19 So, it's statistically the best approach I can
20 think of doing. It's what the sponsor did. It gives you a
21 clue about whether or not the effect of treatment is at
22 least partially mediated through these differences in blood
23 pressure, but it's only a clue and one has to interpret it
24 very cautiously.

25 DR. BORER: We've said a lot of things here and

1 I'm going to try to summarize them just to make sure
2 everybody agrees so that the FDA has a clear statement.

3 With regard to 3.1, how does one know that
4 blood pressure alone was not responsible for losartan's
5 treatment effects? I think the general consensus is we
6 don't know, but we think it probably didn't because of all
7 the things that people have said here.

8 And is the mechanism of the treatment effect
9 relevant to the description of trial outcomes? Well, we
10 don't really know that either, but we think that the
11 outcome is not solely based on the effect on blood
12 pressure, but we can't say that rigorously.

13 Does everybody accept that? Okay. FDA you've
14 just heard it.

15 Comment on other secondary endpoint in RENAAL.

16 There was a prespecified analysis of time to first
17 cardiovascular death, nonfatal MI, hospitalization for CHF
18 or unstable angina, stroke, or coronary or peripheral
19 revascularization. There were 515 such events with no
20 significant difference in the distribution between groups.

21 Is this evidence of a lack of clinical benefit? Is it
22 comforting that there was a lack of apparent harm? Were
23 there simply too few events to show a meaningful effect?

24 JoAnn, why don't you go ahead on 4.1?

25 DR. LINDENFELD: I think that there are too few

1 events here, too short a period of time, and a population
2 that does not have as high a cardiovascular risk as we
3 might expect. So, I would say that this is not necessarily
4 lack of clinical benefit, it also makes it difficult to say
5 that there's lack of harm. We see very little difference.

6 I'm comforted that there's no trend here toward harm. I
7 think we'd have to follow these patients longer to see
8 evidence of clinical benefit. I'm not disturbed, from what
9 I've heard, by the lack of clinical benefit. I think that
10 we just would have to follow more patients for a more
11 prolonged period of time.

12 DR. BORER: Is everybody in agreement with
13 that? Are there any other comments? Tom?

14 DR. FLEMING: Just to maybe refine, I agree
15 with JoAnn, just to add a bit to it in the spirit of what
16 we also said three months ago in January.

17 It's very relevant to assess these
18 cardiovascular events. Clearly, a major portion of what's
19 happening to these patients clinically that's very
20 important goes beyond the specific renal events into the
21 cardiovascular arena.

22 If one looks at the aggregation of these six
23 components, one sees an estimate of about a 9 percent
24 decrease. If one had expected to have had sensitivity, I
25 guess I would have to say to you clinically how big does

1 the study have to be, and the answer to that depends on
2 whether you would require a 30 percent relative reduction
3 or a 10 percent relative reduction or a 5. If you actually
4 required a 10 percent relative reduction to be clinically
5 meaningful, which is consistent with what the point
6 estimate is here, we would have needed to do a study of
7 about 10,000 to 15,000 people. With 10,000 to 15,000
8 people, instead of 1,500 people, we would have been
9 adequately powered to discern the difference between a 10
10 percent reduction and no reduction.

11 So, I would disagree with the sponsor who, at
12 one point, said these data show no effect on these
13 endpoints. I think these data suggest a 10 percent
14 reduction, and it's not possible to discern whether or not
15 that's reliable evidence of a reduction or consistent with
16 random variability with no true reduction at all.

17 I also, though, break this down a little bit
18 further to say, as we said three months ago, not all of
19 these are equally important, and we wanted in particular
20 three months ago to focus on cardiovascular events, MI, and
21 stroke. When I look at those sub-elements, it's about a 5
22 percent relative reduction if we wanted high sensitivity.
23 If you view a 5 percent relative reduction to be clinically
24 relevant, you want to distinguish that from no reduction,
25 it would take 50,000 people.

1 So, my overall sense here is these data are
2 suggestive a reduction of 10 percent. That's consistent
3 with none. At least we can rule out that there's not a 30
4 percent reduction. So, if you say that's what we need,
5 then this study is conclusively ruling out the kind of
6 benefit you would expect or you would want to see. I
7 suspect that we wouldn't say there has to be a 30 percent
8 reduction. On the other hand, we could rule out that
9 there's harm by 10 percent.

10 Now, one of the comments that came up is look
11 at cardiovascular death though. That's going in the wrong
12 direction. That's 90 versus 79, 10 percent in the wrong
13 direction. That is something to think about. That is, in
14 fact -- and I will maybe discuss this more -- a signal that
15 there might be an increase, but in that small subgroup,
16 that's entirely consistent with worse than a 10 percent,
17 maybe a 30 percent, reduction or entirely consistent with
18 no reduction at all to a 10 percent benefit.

19 So, in the same sense that I would caution
20 against looking at 50 against 68 nonfatal MIs, saying, ah,
21 we've clearly prevented nonfatal MIs, I would caution
22 against looking at cardiovascular deaths of 90 versus 79,
23 saying we've done something bad there. When we start
24 subdividing these into these sub-elements of the six, we
25 really push the data beyond the limits of what they can

1 reliably be telling us.

2 So, my overall view of this is an extension of
3 what JoAnn has said. This study clearly should have, as it
4 did, look at cardiovascular events. It's suggesting a 10
5 percent reduction, but it would have had to be 5- to 10-
6 fold larger to be able to reliably discern whether that's
7 truth or whether that's in fact random variability off of
8 no difference at all.

9 DR. BORER: Is everybody in agreement with Tom?
10 Are there any concerns? Paul?

11 DR. ARMSTRONG: Are we going to have a chance
12 to talk about the potential for harm at some other point in
13 the questioning sequence? I don't see it, and if you want
14 to reserve it to the end, that's fine.

15 DR. BORER: We will in the very next question.

16 DR. ARMSTRONG: I'll keep my powder dry.

17 (Laughter.)

18 DR. BORER: Actually the one after 4.2. Once
19 we get to 5, we get into the meat of the issue here. I'm
20 sorry about that. We're talking about proteinuria, and I
21 said the "meat" of the issue. Sorry.

22 (Laughter.)

23 DR. BORER: 4.2. Proteinuria, assessed as
24 milligram per gram of creatinine was lower on losartan at
25 all times after baseline. Additionally, the rate of loss

1 of renal function, assessed by the slope of reciprocal of
2 the serum creatinine over time, was significantly lower by
3 about 13 percent in the losartan group. What did these
4 results contribute to the confidence one has in the
5 clinical benefits of losartan in RENAAL?

6 DR. LINDENFELD: These add confidence to the
7 benefits we've seen. I think these are all consistent
8 based on what we think the pathophysiology of this disease
9 is, to see a reduction in proteinuria and a decrease in the
10 slope of creatinine, and the fact that also that the
11 decrease in proteinuria correlates with the outcome. So, I
12 think these are all consistent and add somewhat to the
13 confidence of the results.

14 DR. BORER: Are we all okay with that? Okay.

15 Number 5. I'm sorry, Paul, I misspoke. We'll
16 get to the issue that you raised somewhere in here, but not
17 quite with this one because the focus of number 5 is a
18 little different.

19 Are the results of RENAAL alone an adequate
20 basis for approval of losartan for the treatment of type II
21 diabetic nephropathy?

22 A drug with a related mechanism of action,
23 captopril -- oh, sorry. I'm moving on here.

24 Are the results alone an adequate basis for
25 approval?

1 DR. FLEMING: Just for clarification, you were
2 right when you told Paul that it's question 5. I assume in
3 question 5 we now bring everything together, including
4 safety.

5 DR. BORER: Yes, okay. Paul, did you want to
6 say something about that?

7 DR. ARMSTRONG: Well, I wanted to reflect on
8 the fact that in the safety presentation -- first of all,
9 I'm convinced this drug is effective. I'm also convinced
10 that it has the potential for harm and that in
11 understanding that, a better appreciation of some of the
12 issues around hyperkalemia in particular seems to me to be
13 germane.

14 So, where I'm going with this, Mr. Chairman, is
15 that the sponsor in the safety presentation suggested that
16 there were no deaths attributable to adverse events. Yet,
17 we saw data on two or three patients who died suddenly who
18 had potassiums of 7 and 6 as the last measured biochemical
19 indicators, which to me, while not establishing cause and
20 effect, nonetheless we're not able to dismiss that the
21 sudden deaths in those patients were not attributable to
22 those potassiums.

23 Although we've heard from elegant and
24 sophisticated consultants about the fact that hyperkalemia
25 is common with these patients and therefore we can expect

1 that 1 out of 4 or 1 out of 10 might have either
2 hyperkalemia or potassiums greater than 6, many physicians
3 treating these patients will not be as sophisticated as
4 those in this room. So, the issue around how to balance
5 that and to weigh it into a label and a caution is the
6 issue I wanted to bring forward because I was uncomfortable
7 that we have had adequate discussion around that. And I
8 say that still with the notion that this is an effective
9 drug.

10 DR. BORER: We ought to have a little bit of
11 discussion about that. I think that we have to remember,
12 in the context of that discussion, that in talking about
13 risk, we have to consider risk in the context of its
14 acceptability for the intended use, and the sponsor did
15 give us an all-cause mortality, all-cause horror show, risk
16 versus benefit relationship analysis. So, ultimately we're
17 really talking about the relation of benefit to risk.

18 Having said that, this is an issue that we
19 didn't really get into in any major way. Perhaps we ought
20 to talk about that a little bit.

21 Bob, did you want to say something?

22 DR. TEMPLE: Yes. It may not be obvious from
23 the question, but recall in January in a similar situation
24 the issue was whether a single study with a p value in the
25 neighborhood of .02 was sufficient evidence. So, this is

1 intended to introduce that --

2 DR. BORER: Yes. We'll get to that.

3 DR. TEMPLE: I just wanted to be sure.

4 DR. BORER: It hit me over the head like a
5 sledgehammer that that's where we were going here, but
6 let's get the safety issue off the table first. Beverly?

7 DR. LORELL: Well, the discussion today has
8 raised an issue that I hadn't thought about previously, and
9 that deals with the time course of apparent benefit that
10 Tom Fleming brought up where one must wait for about 18
11 months to see the curves begin to diverge.

12 We've also seen some evidence which is
13 suggestive. Although the trial was not designed to look at
14 this, there are some very elegant data suggesting that the
15 likelihood of benefit is greatest in those patients who
16 have a more severe degree of renal dysfunction, whether as
17 estimated as a marker using serum creatinine or magnitude
18 of proteinuria.

19 So, I think the risk of hyperkalemia for the
20 general public is a weighty one in that the issue comes up,
21 do we know whether or not we are exposing a population that
22 is going to have much less relative benefit in terms of
23 renal protection to a risk of hyperkalemia. I guess that
24 here what I think we didn't quite ever see that I think Dr.
25 Hirsch brought up is it would have been nice to see sort of

1 the time course of time to hyperkalemia to understand
2 whether or not the time course of exposure to risk of an
3 event that is at minimum a huge hassle for the clinician
4 and the patient and at worst life-threatening, follows a
5 similar time course of benefit or whether there's a
6 discrepancy there. I'm not sure if said that clearly.

7 DR. BORER: No, you did. It sounds as if we
8 don't, at first glance, have the data to answer the
9 question rigorously.

10 But before Dr. Kopp weighs in here, Paul
11 specifically raised this issue in the context of a labeling
12 discussion, and I would ask you whether you think that your
13 concern here is one that rises to the level of
14 approvability or is this something that really requires a
15 big bold caution in the label because we just don't know
16 and we're not sure exactly what subgroup really benefits?
17 And obviously hyperkalemia kills. So, is this a labeling
18 issue? Is this an approvability issue? What do you think?

19 DR. LORELL: I'm not sure about it. I think in
20 looking at the numbers that I've written down during the
21 day, there was a sizeable number of patients who had
22 hyperkalemia of a magnitude that would, at minimum, warrant
23 getting the patient to the emergency room to give an anti-
24 hyperkalemic therapy. It looks like the development of
25 even a single hyperkalemic 6.0 or higher event was somewhat

1 predictive of death. So, I guess I would welcome
2 discussion among others on the panel on this.

3 DR. BORER: Dr. Kopp?

4 DR. KOPP: My comment was actually not so much
5 about hyperkalemia. I don't know if it's going to be off
6 target, but it relates to this issue of clinical benefit
7 and who benefits the most. It's true if the outcome is not
8 seeing dialysis, not going on dialysis during the course of
9 the study, the greatest benefit was in those who had the
10 highest levels of creatinine. But if we understand this
11 drug as slowing the rate of decline of renal function, then
12 you can argue that the person who starts it earlier with a
13 lower creatinine will enjoy more days of not having
14 dialysis because their rate has slowed. So, they might
15 enjoy 1 or 2 years of being dialysis-free, whereas the
16 patient who begins it late with a creatinine of 3 or 4 has
17 fewer months or years of benefit.

18 DR. BORER: Bob?

19 DR. TEMPLE: Is it a fair presumption that the
20 people most likely -- maybe it's known from the data -- to
21 become hyperkalemic are the people who were more impaired?

22 I ask that because these drugs are already approved for
23 the treatment of hypertension, irrespective of whether they
24 prevent renal dysfunction, and they, ACE inhibitors, and
25 beta blockers all increase the rate of hyperkalemia. We

1 know that. It's in the label and there are warnings about
2 it.

3 So, are we looking at something that's worse
4 than usual, and if it is worse than usual, is it in the
5 people who you think get the most benefit or is it in
6 everybody, including the people who don't seem to benefit
7 very much; that is, the people who aren't as impaired?

8 The company may have data on this.

9 DR. BORER: Yes. Do we have an answer to that
10 question, Dr. Keane?

11 DR. KEANE: Yes. I was just going to show,
12 first of all, one of the slides to show the overall
13 magnitude of the potassium levels throughout the entire
14 trial to reemphasize the fact that potassium is in the
15 range, throughout most of the trial, in the upper 4
16 milliequivalents so that we're really not seeing a huge
17 degree of hyperkalemia in this patient population overall.

18 DR. ARMSTRONG: Mr. Chairman, 1 out of 4
19 patients were hyperkalemic with losartan. So, when you say
20 you were not seeing a lot of patients, for me 1 out of 4 is
21 a substantial number. I'm sorry.

22 DR. NISSEN: This slide is not relevant here.

23 DR. BORER: Yes. The average values may not
24 speak to the point.

25 DR. NISSEN: They don't speak at all to it.

1 DR. KEANE: This is the percent of patients
2 with an event described as hyperkalemia in the losartan
3 group over time compared to the placebo group.

4 DR. HIRSCH: So that is a fourth. I think our
5 concern is not the mean but obviously those patients who
6 lie near the limits would be at risk.

7 DR. TEMPLE: Is that a percent or a fraction?
8 What is on the y axis?

9 DR. KEANE: That's percent of patients with an
10 event.

11 DR. BORER: So, it's .2 percent.

12 DR. TEMPLE: Is it 3 percent, not 30 percent?

13 DR. KEANE: 25 percent.

14 DR. TEMPLE: So, it isn't the percent. It's
15 the fraction.

16 DR. BORER: Beverly?

17 DR. LORELL: I'm not sure I know what to do
18 with this. But I think it's of interest in thinking about
19 this because the time course of accumulating severe
20 hyperkalemic events starts early and progresses. So, we
21 have, in terms of thinking about risk benefit, a period
22 that goes for many months where there's no difference
23 between placebo and intervention up against a period of
24 enhanced risk. I think all would agree, relative to your
25 question, Bob, that this is a different level of risk of

1 hyperkalemia than is seen in the hypertension trials. In
2 fact, the hypertension trials would have excluded many of
3 the patients, not all but many of the patients, in this
4 study.

5 DR. BORER: In all fairness, though, and
6 without wanting to advocate one position or another, when
7 you look at the mortality curves, they're spot on too
8 during that period. So, it's a risk, but people weren't
9 dropping like flies because they incurred that risk.
10 Obviously, whoever was managing them saw the problem and
11 dealt with it adequately. Again, I think we have to
12 consider -- maybe we just have to keep it in mind for
13 subsequent points on this list of questions -- that this is
14 an open issue. Should this be an approvability issue or
15 should it be a labeling issue?

16 Let's get to the intent of question number 5.
17 This is a single study.

18 DR. KEANE: Can I just add so that we're clear
19 about what the potassium values were? I think as you look
20 at the distribution of K levels between 5.5 and up to 7,
21 the distribution that is occurring within the vast majority
22 of these is weighted at the lower levels, i.e., 5.5 to 6
23 and 6 to 6.4, towards losartan. But as you get into the
24 higher potassium levels that we were able to determine,
25 they're fairly comparable both in the losartan group and in

1 the placebo group. So, I think that should be taken into
2 consideration when one talks about what the potassium
3 values are in these patients that are going to have
4 hyperkalemia.

5 DR. BORER: Okay, thank you.

6 Well, the intent of number 5 really is whether
7 a single trial is adequate as a basis for approval. We
8 have this study alone. We saw a study in a related
9 compound or a pair of studies in a related compound with at
10 least some similar pharmacologic effects three months ago.
11 We've heard about data, and this committee when it was
12 differently constructed, considered other data that might
13 be related having to do with a compound that has some
14 effects on the same system, the renin-angiotensin system.

15 So, the question is do we have enough
16 information from this one trial alone, and if we don't, is
17 there sufficient information available from other sources
18 that we have enough information in aggregate to draw a
19 conclusion about approvability.

20 With that in mind, we were all sent --

21 DR. THROCKMORTON: Jeff, I think I'd like to
22 break those up just a bit. Let's stay focused on are the
23 results from RENAAL alone an adequate basis without taking
24 into account any other data that you might want to. You'll
25 have chances in later questions to do that.

1 DR. BORER: Okay. Well, then let's just do
2 that since that's what the FDA wishes us to do.

3 Are the results of RENAAL alone an adequate
4 basis for approval of losartan for the treatment of type II
5 diabetic nephropathy? JoAnn?

6 DR. LINDENFELD: RENAAL, taken in isolation, I
7 don't think would make this an approvable drug. I think
8 the p value was not what we would usually consider for one
9 multicenter trial. We saw that as the primary time to
10 first endpoint, this was primarily a doubling of
11 creatinine. My answer to this would be no.

12 DR. BORER: Does anybody else have any comment
13 about that? Does anyone disagree with it? Steve?

14 DR. NISSEN: I don't disagree, but I want to
15 make sure I say why I don't think it's adequate.

16 First of all, it is a single trial. That alone
17 is not a bar if the single trial is very robust, but it's a
18 single trial with a fairly marginal p value.

19 Tom, in all deference to your comments, I have
20 to use the triple endpoint here. That was the prespecified
21 endpoint. So, I focus a lot of my thinking on you get one
22 chance up front to choose your endpoint and this is what
23 they chose. Right or wrong, it's pretty marginal.

24 Then I've got all these confounders to deal
25 with. I've got the problem that if you take out the 250

1 patients from Asia from either the triple or the double
2 endpoint, it no longer is statistically significant. Now,
3 that does not meet my standard for a robust effect when it
4 seems so clear that it's being driven by a very small
5 population. Similarly, there are other confounders here,
6 like the blood pressure difference.

7 So, when you take a marginal p value for the
8 principal endpoint of the trial and then you erode it with
9 these other factors, then it doesn't rise to that level of
10 evidence that we've typically required for approval. So,
11 alone RENAAL doesn't meet the standard from my perspective.

12 DR. BORER: Does anybody else want to state an
13 opinion about this? Beverly?

14 DR. LORELL: I would like to. I spent some
15 time actually reviewing the criteria that the FDA itself
16 has put out for a single trial because we wrestled with
17 this issue very recently.

18 I think that there was very compelling data
19 presented both from this study and in aggregate for many
20 studies supporting a role of the renin-angiotensin system
21 in accelerating progression of diabetic renal disease. And
22 I doubt any around the table would disagree with that
23 biology.

24 But if one looks at the guidelines from the FDA
25 itself to both industry and those of us as reviewers for

1 evidence of effectiveness from a single study, there are
2 several concerns, and I agree with JoAnn.

3 First, with regard to the endpoints that were
4 presented to us in trial design, there is not evidence of a
5 highly statistically persuasive outcome. The statistical
6 outcome for the predefined primary endpoint was marginal.

7 Secondly, we're advised to look at consistency
8 across subgroups. Here I think the issue of the gnarly
9 problem of the data from Asia is very problematic and
10 really counts as a point of concern about consistency.

11 Third, very explicitly, we are advised to look
12 in a single trial as to whether or not there is evidence of
13 efficacy in multiple endpoints involving different events.

14 The example that's given in the publication from the FDA
15 is quite helpful here. In this trial, the different events
16 that we are given to look at are end-stage renal disease
17 and all-cause mortality. I think one could argue very
18 strongly that doubling of creatinine and end-stage renal
19 disease are highly coupled, and we know that because the
20 median time from going on to end-stage renal disease after
21 doubling of creatinine is only 30 days. So, if we look at
22 the criteria of different endpoints regarding clearly
23 different events, this trial does not quite make that
24 criteria. There was not an effect on all-cause mortality,
25 nor was there an effect on a very important predefined

1 secondary endpoint of major cardiovascular events.

2 Finally, I am influenced by the concerns that
3 the Steering Committee of this trial itself had regarding
4 the use of this drug in the context of albeit insufficient
5 data regarding ACE inhibitors in cardioprotection.

6 So, I think with the criteria that the FDA
7 itself provides to its advisors for thinking about efficacy
8 in a single study, it doesn't quite reach the burden.

9 DR. BORER: I'm going to ask in a minute
10 whether everybody agrees with this, which I think we do.
11 But I want to just ask for a little clarification about the
12 last statement. What I heard -- and we have the person
13 here who can tell us about it -- was not that the Steering
14 Committee was concerned about people not taking ACE
15 inhibitors, but they were concerned about half the patients
16 not taking any drug that impacted on the renin-angiotensin
17 system. I think we don't want to over-interpret what they
18 did based on something they didn't mean. So, can we just
19 have a clarification about that?

20 DR. BRENNER: My name is Barry Brenner. I had
21 the pleasure as serving as the PI and the chairman of the
22 Steering Committee.

23 You're correct. The late January '01 meeting
24 of the DSMB issued a directive based on their review not
25 only of HOPE but of another publication that was soon to be

1 printed, the Mann paper, which was a substudy of HOPE
2 dealing with patients who had renal disease. In that study
3 there was cardiovascular protection with ramipril. That
4 was the first time that a population akin to the RENAAL
5 trial showed a benefit in a clinical trial with
6 interruption of the renin-angiotensin system. That was
7 late January.

8 Our committee convened on 10 February, very
9 soon after learning of the concern of the DSMB. And we
10 were blinded, although they were not of course. And we
11 voted unanimously to terminate the trial a year before it
12 was scheduled to be terminated because our concern was that
13 in the placebo group there was no renin-angiotensin system
14 blockade.

15 The decision was based on increasing evidence
16 from HOPE to some extent but much more compellingly from
17 the Mann paper, not yet published, but which we had in
18 manuscript form, that in patients with renal disease ACE
19 inhibitors may be effective in reducing cardiovascular
20 events, as I say, in patients who had cardiovascular risk
21 factors. So, it was because the placebo group had not
22 received and could not in the trial receive blockade of the
23 renin-angiotensin system.

24 Recall that when the trial began, there was
25 virtually no evidence about protective effect on the heart,

1 cardiovascular system, with ACE inhibitors. And the first
2 paper that was compelling in this regard in diabetics was
3 the substudy of HOPE, and that was in the year 2000, five
4 years after we began. So, once we had now additional
5 evidence, obviously only coming from HOPE -- but that's all
6 there was -- dealing with renal disease and showing a
7 cardiovascular protective effect, that drove us as an
8 independent body to terminate the trial.

9 DR. BORER: Thank you. I've just learned that
10 the FDA would like a formal vote on this question.

11 DR. FLEMING: Could we have further comment
12 first?

13 DR. BORER: We sure can.

14 DR. FLEMING: I'd like to just add a little bit
15 to this. This certainly to me is the most critical
16 question on the agenda.

17 As my colleagues have pointed out, great
18 emphasis should be given to the primary endpoint of the
19 trial. There certainly is evidence of benefit here. The p
20 value was .02 on that primary endpoint. When we saw a
21 similar phenomenon or a similar strength of evidence in
22 January, some of us judged that as consistent with one
23 positive trial but not two positive trials.

24 Going beyond that, as has been pointed out,
25 there are some safety issues here, most discernably or

1 notably the hyperkalemia. There are some concerns about
2 subgroups. As the FDA has asked us to look at consistency
3 across subgroups, we see within the Asian population an
4 apparent dominance in terms of where much of the positive
5 signal is. And as has been pointed out, there are some
6 issues to address relating to the ACE inhibitors and their
7 efficacy and how does that complicate this. These are all
8 areas of concern.

9 Having acknowledged those areas of concern,
10 though, I think there are a number of really critical
11 issues to consider. I'd like to turn in particular to the
12 specific criteria the FDA has asked us to consider about
13 whether a single study is adequate.

14 We, in fact, have a large multicenter trial.
15 That's the easy part.

16 Going beyond that, though, of real critical
17 importance are the criteria, are there multiple endpoints
18 that essentially reinforce our sense of strength of
19 evidence, and are these results statistically very
20 persuasive findings. My own sense about that, even though
21 it's subjective, is something I've always attributed to Ray
22 Lipicky although he denies it's his, but I always think of
23 $.025 \text{ squared times } 2$ as a two-sided significance level that
24 might be viewed as what you need to see in order to be
25 consistent with the strength of evidence from two adequate

1 and well-controlled trials. That's a p value of .00125.

2 When I look at these data and probe further, I
3 acknowledge that -- and I'm very reluctant to move away
4 from a prespecified primary endpoint except in those very
5 settings where I have a philosophical strong concern about
6 the appropriateness of the choice of that endpoint. To my
7 way of thinking, what we're looking at here is a
8 combination of loss of renal function and death, and we're
9 trying to best characterize that. I'm at a loss for
10 knowing why we have to use the component doubling in
11 creatinine time when it doesn't take that long to follow
12 people, if it really is going to translate into end-stage
13 renal disease, to end-stage renal disease. Hence, as some
14 of us at least argued last January, we were questioning the
15 persuasiveness of the triple endpoint.

16 If this study had had a triple endpoint of four
17 0's and a 1, and the double endpoint of end-stage renal
18 disease/death had been a .03 to .06, I would have called
19 that at best a study that translates into the strength of
20 evidence of a single positive study. I.e., I would have
21 discounted the surrogate.

22 As a result, I think it's logically
23 inconsistent for me, even though I'm a strong believer in
24 adhering to the primary endpoint, that if I would not have
25 given credence to that primary endpoint, I should focus on

1 what it is that really matters, particularly in a setting
2 such as this where I can't interpret the primary endpoint
3 because they didn't follow 267 people to the triple
4 endpoint. They did, to their credit, fortunately from my
5 perspective, followed everybody to what really to me
6 matters from a renal perspective, end-stage renal
7 disease/death. When you look at that endpoint, one gets
8 significance levels on the order of .002. That's for end-
9 stage renal disease; .009 for end-stage renal
10 disease/death.

11 That's not, by the way, what we saw in January.
12 When we looked away from the triple endpoint into these
13 elements last January, we were seeing less strength of
14 evidence, which for some of us was the concern. Here we're
15 seeing much more strength of evidence.

16 Furthermore, we asked, looking at a different
17 domain, last January please don't just show us the
18 clinically important renal endpoints. Also show us the
19 most important, clinically important cardiovascular
20 endpoints, which before I ever looked at these data, we had
21 specified as end-stage renal disease, MI, stroke, and
22 death.

23 When you look at those specific endpoints for
24 stroke and death -- granted, cardiovascular deaths are
25 notable as having 11 in excess in the wrong direction --

1 strokes and non-cardiovascular deaths are 11 in the right
2 direction. So, overall, there's no difference. I'm not
3 claiming as a result that I know that there's any benefit
4 here on anything related specifically to mortality, but
5 over and above those measures are the 47 excess deaths
6 prevented on end-stage renal disease and the 18 events
7 prevented on MI, which is a total of 65 events, which
8 statistically is at the .003 level.

9 So, now I'm looking at what in January we
10 called the clinically most important events which, by the
11 way, are the ones we can interpret because that's where
12 they had complete follow-up. What we see are significance
13 levels on the order of .002, .009, .003 before there's any
14 adjustment for an issue of baseline imbalances, which is
15 another area that causes many of us to be greatly cautious.

16 Nevertheless, I've been persuaded that there's something
17 here of real relevance, and when adjusts for imbalances in
18 proteinuria, there's another log reduction in these
19 significance levels.

20 Now, is there persuasive data here when you
21 start subdividing into two groups? Well, let's subdivide
22 the Asian into the non-Asian populations. Now, that's in a
23 certain sense not particularly optimal for this
24 intervention because we did so specifically having seen the
25 greatest signal coming from the Asian population.

1 But when we do so, if you go with me for the
2 moment to what's the most important endpoint, which I don't
3 believe is the triple endpoint -- it's the double endpoint
4 -- when you look at the double endpoint and you adjust for
5 proteinuria, it must be significant. Clearly the Asian
6 subgroup is significant even without adjusting for
7 proteinuria. The sponsor showed us the double endpoint in
8 the non-Asian patients had a lower confidence interval. It
9 was very close to 0 even before adjusting for proteinuria.

10 So, if you focus on what really matters, end-
11 stage renal disease and death, and account for what is a
12 pretty strong case for an imbalance at baseline adjusting
13 for that, even if you simultaneously also adjust for the
14 systolic blood pressure differences, you'll find subgroups
15 in the Asians and the non-Asians that I strongly suspect
16 and can ask the FDA to validate will, in fact, be
17 significant in both of those groups.

18 So, as I look through these criteria, I am
19 seeing statistically persuasive findings that are on the
20 level of .00125, and we are seeing, across the endpoints
21 that really matter, the renal endpoints alone or the renal
22 and cardiovascular endpoints that last January we
23 designated as the most important, there's a significant
24 difference there as well.

25 What about the consistency across subgroups?

1 That is certainly a relevant concern. There are some
2 uncertainties, but the analysis that I was just giving of
3 the Asian/non-Asian on the dual endpoint I think does show
4 that there's benefit across both groups.

5 What about hyperkalemia? It's harder for me to
6 assess that. We're seeing, over 3 to 4 years, a difference
7 in 10 to 12 percent against 25 percent. But unless you
8 would believe that that would translate into influencing
9 negatively these hard clinical endpoints long term and, if
10 anything, if we're going to project out long term, I'm
11 thinking we have every reason to think the effects here are
12 going to be even greater. These curves are diverging. I
13 hate to put emphasis on what we haven't seen, but if we're
14 going to extrapolate what the adverse effects of
15 hyperkalemia may be that we haven't yet seen, and if you're
16 going to take the liberty to do that extrapolation, you
17 should take the liberty to extrapolate what these effects
18 are. They're growing over time. The separation between
19 these curves in ESRD-free survival are actually growing
20 over time.

21 The last issue is the issue of how to address
22 the ACE inhibitor. At least my understanding is if we
23 assumed that we knew the ACE inhibitor was effective and we
24 have a placebo-controlled trial and if in fact you look at
25 these data and say that this is establishing that losartan

1 is effective, it seems to me the question isn't whether you
2 approve losartan. The question is can we motivate the
3 conduct of a trial following the approval of losartan that
4 would allow us to establish whether we should be using an
5 ACE inhibitor or an ARB or the combination thereof. That
6 seems to me the relevant follow-up question if there is in
7 fact some uncertainty.

8 So, when I look at these FDA criteria, I find
9 very strong evidence to suggest that what we are looking at
10 as those factors that need to be met for this study to be
11 viewed as adequate for approval are in fact met.

12 DR. BORER: Since we've been asked for a vote,
13 let me translate that into simple terms. Is RENAAL alone
14 an adequate basis for approval of losartan? Tom?

15 DR. FLEMING: Do you want my vote?

16 DR. BORER: Yes.

17 DR. FLEMING: Yes.

18 DR. BORER: Let's start at the other end of the
19 table because we haven't given them too much of a chance,
20 and then we'll come back around. Yes or no and give a
21 reason.

22 DR. BREM: I guess yes, after that compelling
23 discussion.

24 (Laughter.)

25 DR. BREM: I was going to say it's equivocal,

1 but I've been certainly swayed by your arguments. So, I
2 vote yes.

3 DR. BORER: Dr. Kopp?

4 DR. KOPP: Yes, I have a problem here too that
5 I was of the belief that the great sin was to do other than
6 what was laid out initially, and I think coming from a
7 statistician, what you have said has been swaying me. I
8 actually would have preferred to vote last and see what
9 other people were saying.

10 (Laughter.)

11 DR. KOPP: But since I'm going at this point, I
12 would have to say yes. And I guess the reason is because I
13 am swayed that ESRD is important, that there was a
14 significant difference.

15 DR. BORER: Bob?

16 DR. TEMPLE: Well, just to be sure. I'm
17 certainly not trying to influence the answer. But
18 alternative analyses are always persuasive to the people
19 who like them. It's just worth remembering. I think Tom
20 is very sensible, but he's presenting you an analysis that
21 he finds persuasive for reasons that he gave. But subgroup
22 analyses and alternative analyses and covariate analyses
23 that we see all the time are always persuasive. Just don't
24 forget that.

25 (Laughter.)

1 DR. BORER: Paul, are you persuaded?

2 DR. ARMSTRONG: I'm not allowed to vote with
3 the knowledge of the field and taking other things into
4 account. It's only on this data. And if I knew nothing
5 else about the field, I would be optimistic but reserved
6 and would probably vote no.

7 DR. BORER: Do you want to state for the record
8 a couple of reasons?

9 DR. ARMSTRONG: Just the strength of the
10 analysis and the fact that it's a positive trial, and I
11 think it's effective. But it's one trial and the evidence
12 isn't of the usual standard. But clearly we haven't heard
13 the end of this discussion today.

14 DR. BORER: Beverly, I think you already gave
15 all your reasons, but if you have any more to add, go ahead
16 and give your vote.

17 DR. LORELL: I would still vote no. I think
18 Tom Fleming's discussion is very persuasive, but
19 nonetheless, it's not our job to redesign the trial and the
20 primary and secondary endpoints that were predefined. So,
21 using the predefined endpoints of this trial, I would vote
22 no.

23 DR. BORER: Susanna?

24 DR. CUNNINGHAM: Well, I've been challenged all
25 day as I've listened to all the discussion and been going

1 back and forth and thinking about what does this mean to
2 the person who has renal disease and what does this mean to
3 the person who is going to be developing renal failure. I
4 think after listening to Tom's very persuasive presentation
5 and thinking about what it would mean perhaps to have 6
6 months less of renal failure, I would say yes in this case.

7 DR. BORER: Steve?

8 DR. NISSEN: I think I've already stated my
9 reasons, and actually, Tom, although you are very
10 persuasive, I was not persuaded.

11 (Laughter.)

12 DR. NISSEN: A lot of what I know you taught
13 me, and I still think to go beyond the primary prespecified
14 endpoint of the trial, you've got to have very compelling
15 reasons to look at what you're looking at.

16 I do also agree with what Bob Temple said,
17 which is I can take data and I can contort it almost any
18 way I want and come to a conclusion.

19 But I look at the primary efficacy endpoint,
20 and I really believe that it was marginal and that it was
21 really troubling to see that it all came basically from one
22 subgroup. I just don't think that's the compelling
23 evidence that a single trial needs to have. And the word
24 is "compelling," and it's just clearly to me not there from
25 this single trial. And I reserve the right to vote

1 otherwise based upon other information today, but on this
2 trial alone, I don't think it comes even close.

3 DR. BORER: I would vote no also. I think that
4 these are very suggestive data, that it's a positive trial,
5 but I'm concerned about the lack of robustness as judged by
6 the different results across different groups. Things tend
7 to go the same way, but the magnitude is highly variable.
8 There are a lot of confounders that I can't interpret
9 fully. There are inadequate data to draw firm conclusions
10 about cardiovascular events, although that is not a show
11 stopper, and there are some concerns about safety that also
12 aren't show stoppers but I would like to see some
13 confirmatory evidence before I would vote yes. So, I will
14 vote no for this trial as a single trial to be sufficient
15 for approvability.

16 Alan?

17 DR. HIRSCH: The last three speakers have
18 mostly summarized most of my reasons for voting no.

19 But having the microphone on, this really was a
20 visionary trial design when it was created. It's landmark
21 in its ability to show a definitive, clear change in ESRD
22 in this particular population studied.

23 But I really do believe it's important for us
24 to stick to the true trial, high significance design with
25 no homogeneities that are evident with robust, overlapping

1 positive outcomes, especially -- and I'm bring to the table
2 another issue -- in an era when I think all of us are
3 seeing an effort for us to reach to molecules and to study
4 them in a global design, bringing in multiple countries in
5 a way that ultimately that, besides our USA mandate, will
6 be used for global marketing.

7 When we have a single trial of what I think is
8 sort of borderline significance, I think the standard has
9 to be very high, and I'd like to caution all of us not to
10 lean on single trials, ignoring everything else that we
11 might know about ARBs and ACE inhibitors, before we set a
12 new de facto standard. These standards should have the
13 very highest level of knowledge. We're not there with a
14 single trial.

15 DR. BORER: Blase?

16 DR. CARABELLO: Yes, I agree. I think that
17 we've made mistakes based on single small trials. If this
18 were 30,000 patients, that might be one thing, but I do
19 agree that single trials are dangerous. But my major
20 reason for voting no here is I can't get away from the fact
21 that almost the entire benefit was concentrated in a tiny
22 subsection of the study without even a trend in the other
23 subpopulations.

24 DR. BORER: JoAnn, as our committee reviewer,
25 you have the last word.

1 DR. LINDENFELD: I would say again no for the
2 reasons that have been stated. I think this study by
3 itself is not quite convincing enough. Also, by itself I
4 don't think it gives us as much assurance that this isn't
5 just a blood pressure effect.

6 DR. BORER: So that means we can go on to the
7 subsequent questions.

8 A drug with a related mechanism of action,
9 captopril, has an indication for diabetic nephropathy in
10 patients with type I diabetes. The primary basis of that
11 approval was the demonstration in a 409-subject, 2-year
12 study, of 51 percent reduction (p equals .004) in risk of
13 doubling of serum creatinine alone, and a 50 percent
14 reduction (p equals .006) in risk of mortality or end-stage
15 renal disease. Both effects were manifest in the first few
16 months of treatment. Captopril also reduces the
17 progression of microalbuminuria to overt proteinuria.

18 Are the results with captopril germane to a
19 discussion of losartan? In particular, 6.1, is nephropathy
20 in type I diabetes enough like type II diabetes? 6.2, are
21 the pharmacological effects of captopril and losartan
22 adequately similar?

23 JoAnn?

24 DR. LINDENFELD: We discussed this in January
25 with the same question, and I think at that time we felt

1 that the nephropathies in these two were very similar. The
2 patients are more different perhaps than the nephropathies
3 in some of their other covariates.

4 And the pharmacologic effects, while not the
5 same, are similar.

6 DR. BORER: So, then you think the results of
7 captopril are germane to the discussion.

8 DR. LINDENFELD: Yes. Sorry. I do.

9 DR. BORER: Does anybody disagree with that?
10 Steve?

11 DR. NISSEN: Yes, I guess I don't agree. While
12 the drugs affect the same enzyme system, they attack it at
13 different points. Gosh, I could give you innumerable
14 examples of drugs. Even within a class, it's tough to know
15 whether an effect is held across the class. There are some
16 very good examples of drugs where one drug in the class
17 actually is effective and the other drug isn't.

18 Now we're asked to look across two classes of
19 drugs. The minute you broaden it to that point, I think
20 it's a terribly slippery slope. I've had a lot of time to
21 think about this since January, and I feel more strongly
22 than ever that you can't extrapolate ACE inhibitor data to
23 ARBs and you can extrapolate ARB data to ACE inhibitors.
24 And you really shouldn't try. Even in the ACE inhibitors,
25 there are issues of tissue selectivity, et cetera. We

1 don't know if they're important or not, Bob, but the point
2 is that's even within an individual class, let alone across
3 two classes. So, I really do think this is dangerous.

4 DR. BORER: Paul?

5 DR. ARMSTRONG: In the same way that I'm unable
6 to transfer the effects of ACE inhibitors to ARBs in
7 cardiovascular disease, which I know more about than this
8 subject, I would not be prepared to do it with the subject
9 matter at hand.

10 DR. BORER: Dr. Brem?

11 DR. BREM: I would say the question before us
12 really, is there some similarity and can you draw from that
13 similarity -- I don't pretend to say that they're
14 equivalent and I don't think that's the question before
15 us. We're just asked is there some relevance from one to
16 the other, and I think the answer to that is probably yes,
17 there is. They do have some potential common modes of
18 action.

19 And diabetic pathology, the actual structural
20 changes and so on are quite similar from one to another.
21 Many of the pathologic mechanisms involving glycosylated
22 end-stage products and proteins and so forth are quite
23 similar.

24 So, I think there is a similarity, but it's not
25 equivalence. I think we should recognize that.

1 DR. BORER: That's with regard to type I and
2 type II diabetes.

3 DR. BREM: And the captopril and losartan, that
4 there are similarities that you might be able to draw upon,
5 but they're not equivalent.

6 DR. BORER: I'd like to comment on that too. I
7 agree with those who would not accept the captopril data as
8 particularly germane. That is, I agree with Dr. Brem that
9 there is something there. We are affecting the same
10 system, and therefore at the very least, the effects of
11 captopril would, for me, support the generation of a
12 hypothesis that ARBs work in patients with diabetes to
13 protect the kidneys. But beyond that, I'd have a hard time
14 going because there are, I think, potentially important
15 differences in pharmacologic effects between the two
16 classes.

17 I agree absolutely with Steve. Among the
18 molecules within a class, I think we've seen many examples
19 of different pharmacologic effects besides the primary ones
20 that we think about and therefore I would want to see more
21 information than merely the captopril information to
22 support losartan effectiveness.

23 On the other hand, I say again that I agree
24 with Dr. Brem, that there's something here that supports at
25 least the hypothesis that ARBs work, and then we have to

1 study them.

2 Alan.

3 DR. HIRSCH: One more extrapolation of that.
4 Obviously, there are relationships between these drug
5 classes that let us form hypotheses to lead to new clinical
6 trials and there are similar effects. Let's ask the
7 question. How would we all know on this panel or how would
8 we know designing if they were similar or not? What it
9 would take would be comparative trial data in humans. So
10 far, what we're doing on this panel I think is taking
11 monotherapy versus placebo in trials from different
12 populations and different investigators and then trying to
13 make comparisons. That's not how we'll decide if they're
14 similar or not.

15 DR. BORER: Beverly?

16 DR. LORELL: I agree, Jeff, with your summary
17 on this issue.

18 DR. BORER: Tom?

19 DR. FLEMING: I largely agree as well, Jeff.
20 The FDA in a number of instances in the past, as I
21 understand, has followed a strategy that I think makes a
22 lot of sense. If they're working with the sponsor in
23 setting up a development program and recognizing that we
24 need confirmatory studies that a strategy has been at times
25 to have two studies of the agent done in

1 pathophysiologically related settings and if the studies
2 are both positive, they reinforce each other and we obtain
3 the confirmatory evidence in that manner.

4 If one level of germaneness would be is this in
5 fact a study of that nature that could reinforce the RENAAL
6 study -- and my sense is not at all -- in fact, if we
7 believed that the captopril study provided evidence that
8 was that relevant, I would wonder why this committee or the
9 FDA in general hasn't approved captopril in type II
10 diabetes, step one.

11 Step two is it's a different class, as has
12 already been mentioned by my colleagues, and my clinical
13 colleagues can address this much better than I.

14 So, my own sense is at a certain level it is
15 germane, but I would consider it a pretty modest level
16 because it is in fact a related, but still different,
17 setting with a different class.

18 DR. TEMPLE: Jeffrey?

19 DR. BORER: Bob.

20 DR. TEMPLE: Just to be clear, we anticipated
21 that people might find the single study standing on its own
22 not a million miles away from sufficient but fairly close.

23 This was an invitation to think about whether something in
24 a closely related pharmacologic class tipped you over.

25 DR. BORER: And you've heard that it wasn't so

1 far.

2 DR. TEMPLE: Yes. This was to offer you that
3 opportunity.

4 DR. BORER: On the remaining four questions, we
5 need to have a formal vote with reasons.

6 First, if the results with captopril are
7 relevant to losartan, are the results on -- well, we've
8 actually said what we needed to say. Do you want a formal
9 vote on 7 now?

10 DR. THROCKMORTON: 7 has been answered to our
11 satisfaction. If you would just go to 8, that would be
12 terrific.

13 DR. BORER: Let's go to 8. Are the results of
14 RENAAL and prior expectations derived from the captopril
15 database an adequate basis for approval of losartan for the
16 treatment of -- I think we've answered that one as well,
17 but we can give a formal vote, if you like.

18 DR. THROCKMORTON: Yes, if you wouldn't mind.

19 DR. BORER: Okay. Why don't again we start at
20 the far end of the table here. Dr. Brem?

21 DR. BREM: Sticking my neck out first, yes, I
22 would vote for approval. My thinking is that while both
23 classes are clearly different, I absolutely agree with
24 that, and there are perhaps subtle mechanisms of action
25 which may be different, and when we argue that type II

1 diabetes and type I diabetes aren't exactly the same
2 either, there's enough supporting evidence, in terms of
3 direction and efficacy, that I would use those two together
4 to make a story that it's worth approval.

5 DR. BORER: Dr. Kopp?

6 DR. KOPP: Well, I earlier said that RENAAL
7 alone was sufficient, so obviously I have to say that A
8 plus B are sufficient. I don't actually find that the ACE
9 inhibitor data adds much.

10 DR. BORER: Paul?

11 DR. ARMSTRONG: No.

12 DR. BORER: Beverly?

13 DR. LORELL: No.

14 DR. BORER: Susanna?

15 DR. CUNNINGHAM: Yes and no.

16 (Laughter.)

17 DR. BORER: We need a comment there.

18 DR. CUNNINGHAM: I already said yes for the
19 first, so that's my statement. But I don't believe that
20 captopril is the same thing. I believe it has a different
21 action, so I can't say yes to the whole thing.

22 DR. BORER: Steve?

23 DR. NISSEN: No.

24 DR. BORER: And I vote no.

25 Alan?

1 DR. HIRSCH: I'd like to keep some suspense
2 going here for a while.

3 The previous question was more theoretical, and
4 I gave a very vigorous theoretical answer. When we come to
5 practical gestalt sense of things, I am swung a bit,
6 although I will tell you I haven't quite swung over, so I'm
7 still a close no.

8 DR. BORER: Blase?

9 DR. CARABELLO: No.

10 DR. BORER: JoAnn?

11 DR. LINDENFELD: No.

12 DR. BORER: Tom?

13 DR. FLEMING: I've already said yes based on
14 RENAAL. I would agree.

15 DR. BORER: The record should show that Tom
16 agreed to yes/no.

17 Okay, we're up to number 9. Let's go through
18 number 9 and then I want to ask a question. Number 9. In
19 considering the approval of irbesartan for diabetic
20 nephropathy, the advisory committee expressed interest in
21 the program for losartan, which we did. The respective
22 sponsors now have reciprocal agreements allowing reference
23 to IDNT and RENAAL in support of one another's programs.

24 Do the findings of IDNT support the
25 effectiveness of losartan for diabetic nephropathy? Why

1 don't we start with that and then we'll go to 9.2
2 separately. JoAnn, do you want to start with that?

3 DR. LINDENFELD: I believe the IDNT results do
4 support RENAAL, and I think a doubling of creatinine was
5 the primary finding there. But doubling of creatinine in
6 my own mind -- and we discussed this last time -- is more
7 than just a surrogate. Doubling of creatinine is a real
8 result that I think we can see in the clinic that applies
9 to patients. Yes.

10 DR. BORER: Tom?

11 DR. THROCKMORTON: Jeff?

12 DR. BORER: I'm sorry. Yes.

13 DR. THROCKMORTON: I wondered if we could maybe
14 get just a little more discussion around this question.
15 Maybe it wasn't worded quite as well as we could have.
16 Part of this was what's your level of comfort about
17 thinking of two drugs from pharmacologically related
18 classes as supporting efficacy. You can imagine some
19 people might be uncomfortable doing that in the same sense
20 that we heard some uncomfot about the ACE inhibitor data
21 informing your decisions about an ARB. Maybe that's a
22 foregone. Maybe that's easy. There's no question that
23 it's informative and could be supportive, but if there is
24 anything short of that, it would be interesting to hear
25 some thoughts along those lines.

1 DR. BORER: Okay. I thought you wanted that in
2 the context of 9.2, but that's fine. Bob?

3 DR. TEMPLE: Whenever. This is a slightly
4 radical concept for us. That's why we sent you the
5 evidence document which discussed it. Give me a minute to
6 just say something about that document.

7 One of the things it did was describe all the
8 circumstances -- it was our attempt to describe all the
9 circumstances in which we would accept a single study of a
10 particular drug and a particular use as sufficient
11 evidence. You already referred to one. If it's really
12 strong and the p value is out to the end of your arm and
13 there's internal consistency, fine, we've all done that,
14 and that's fine.

15 We also defined a whole bunch of situations in
16 which one would draw further support from other controlled
17 trials. Now, you can say that's a Bayesian prior or you
18 can say you really have another study and it doesn't really
19 matter. We give a whole list, studies of different doses,
20 other regimens. There is one thing toward the end of that
21 list that talks about pharmacologic and pathophysiologic
22 endpoints.

23 Although the words aren't as clear about the
24 present situation as one might have liked, because we
25 didn't really think of it, there is a suggestion that when

1 knowledge of the pharmacology, coupled with clinical
2 evidence that that pharmacology is relevant, is present,
3 that you might be able to rely on a single study, not no
4 studies. We're not talking about class labeling or
5 anything like that.

6 The main difference between the past cases that
7 we considered and this one is what we had in mind when we
8 wrote it is, oh, you've got 12 studies of ACE inhibitors.
9 Maybe now one more might do.

10 In this case, there isn't any approval of any
11 of them. So, there's a certain simultaneity that we hadn't
12 really come to grips with. You're thinking about one study
13 is supporting a drug, coupled with another study supporting
14 another drug, and vice versa, sort of a crossover, where
15 there isn't any established track record, approved drug.
16 But we thought that was close enough to the situation we
17 had contemplated to invite you to think about it, and we're
18 thinking about it internally too.

19 It's something of a novelty, although as we've
20 pointed out when we looked at it, we've been approving new
21 heart failure claims for ACE inhibitors based on single
22 studies with p values between .05 and .01 right along. We
23 have not identified that as being based on the prior
24 experience, but there's no other good explanation. We have
25 not insisted on p values at .00125 or anything like that.

1 So, we've been engaging in this without making it
2 particularly explicit. So, this time we're making it
3 explicit and giving you hard work.

4 DR. BORER: Well, we're explicitizing here. I
5 guess that given that preamble, JoAnn, you may want to
6 discuss 9.1 and 9.2 together.

7 DR. LINDENFELD: Yes. Again, I think the
8 findings of IDNT do support the effectiveness of losartan.
9 The captopril helps just a tiny little bit I think for
10 several reasons. We've seen a pathophysiology here and a
11 biologic plausibility that's consistent. We've seen a
12 doubling of creatinine in both studies that's relatively
13 similar, although we didn't see the end-stage renal disease
14 in IDNT I think we've seen in this, and for all the reasons
15 Tom said, I think we see a consistency of overall benefit
16 here and no specific differences in the drugs that I can
17 see that make me concerned that we're dealing with some
18 sort of different mechanism among the single class of
19 drugs. So, for all these reasons, I do think that IDNT
20 supports RENAAL.

21 DR. BORER: So, going to 9.2.1, are the
22 findings of IDNT as persuasive for losartan as would be a
23 replication of RENAAL?

24 DR. LINDENFELD: No. I think a replication of
25 the same study with the same drug would be more persuasive,

1 but a little bit more. I can't put a number on that.

2 Let me just get the next one. A second study
3 demonstrating losartan and slows progression I don't think
4 would be as persuasive as IDNT. We've said that
5 proteinuria alone we're not willing to consider as an
6 endpoint in itself. So, 9.2.3, a study demonstrating
7 progression to microalbuminuria would not be as strong.

8 And beating an active control arm in RENAAL, I
9 think I'd have to understand exactly what you meant by
10 that.

11 DR. THROCKMORTON: That was a reference to the
12 IDNT trial where, if you remember, there was an active
13 control arm.

14 DR. LINDENFELD: Yes. I think for all the
15 reasons we said there, because it's hard to tell if an
16 active control arm might cause some problems, that that
17 would not be as strong either.

18 DR. BORER: So, it sounds as if -- I'm trying
19 to pin you down here so we can move on to the next one with
20 real confidence -- that IDNT is supportive of RENAAL but
21 not quite as supportive as a second trial would have been.

22 DR. LINDENFELD: Right.

23 DR. BORER: And we'll get to the next question
24 about whether it's supportive enough.

25 Tom, what do you think about that?

1 DR. FLEMING: I think I largely agree point by
2 point with JoAnn's answers. My sense is clearly here what
3 we're dealing with now is an agent from the same class and
4 a study in the exact same setting, which adds to the
5 relevance, but to my way of thinking clearly is not as
6 persuasive as if we had a second trial of losartan in type
7 II diabetes. So, it is certainly addressing some of the
8 concerns that at least I had in the previous question, but
9 it's less persuasive than another trial would be of
10 losartan.

11 I think it's relevant here because at least
12 some of us viewed that the IDNT trial provided a strength
13 of evidence consistent with, just barely, one positive
14 study. At least some of us argued that was one positive
15 study, just barely, but not the greater strength of
16 evidence that we would think you would have to have had to
17 base an approval on that.

18 And the surrogate, microalbuminuria, study that
19 was presented in that setting, if it were presented in this
20 setting, as JoAnn said, I would view to be of relatively
21 low persuasiveness. That's the type of study that adds to
22 the sense of biological plausibility, but I want to see it
23 confirmed with a clinical endpoint trial.

24 So, it's not necessarily symmetric here. Maybe
25 I need to clarify that, but in essence what I'm viewing is

1 that with RENAAL in hand and with IDNT being viewed as a
2 single positive trial, it doesn't really provide the
3 strength of evidence of a full separate trial, but it
4 certainly is relevant and provides some additional strength
5 of evidence to RENAAL.

6 DR. BORER: Blase?

7 DR. CARABELLO: You're asking for comment or a
8 vote?

9 DR. BORER: We're asking for a vote and a
10 reason.

11 DR. CARABELLO: I would vote yes.

12 First of all, I want to point out that being
13 from Philadelphia, I thought IDNT was a word, like "IDNT a
14 nice day," using it in a sentence.

15 (Laughter.)

16 DR. CARABELLO: I think these two agents are
17 extremely close together. I think they constitute two
18 confirmatory trials, that they are effective in type II
19 diabetes, and I would vote yes for approval.

20 DR. BORER: It wasn't the approval issue.

21 (Laughter.)

22 DR. CARABELLO: I vote that they're
23 complementary.

24 DR. BORER: Alan?

25 DR. HIRSCH: Can I make this simple? I think

1 they are clearly complementary, but as Tom has said,
2 ultimately it would be nice to have a single molecule
3 studied definitively before we create a new precedent. The
4 reason for that is, again, that we tend in our
5 pharmacologic era to try to differentiate products even
6 within the same class when that's to our benefit, and now
7 we're trying to lump them when it's to our benefit. At
8 some point, we need secure knowledge again. But they
9 clearly are supportive.

10 DR. BORER: I would vote that IDNT is certainly
11 supportive of the effectiveness of losartan. As JoAnn and
12 Tom and everybody else said, I find the persuasiveness
13 somewhere short of replication of RENAAL and forget about
14 the other two. I'll tell you why.

15 There are some outstanding safety issues that
16 we want resolved here, and while I can accept IDNT as
17 sufficiently supportive of efficacy of a drug that does the
18 things that both of these drugs do in common, so that
19 that's nicely supportive, the safety issues are harder for
20 me to understand. Small differences in pharmacologic
21 effects based on molecular structure might well alter the
22 safety profile a little bit. The magnitude of hyperkalemia
23 that occurs, what have you.

24 Since those issues weren't really adequately
25 resolved or completely resolved -- I shouldn't say

1 adequately -- for losartan in this one trial, I am
2 concerned that they're still not resolved when I have the
3 IDNT data. The cardiovascular protection issues remain
4 again. Here too, I'm not sure that two drugs of the same
5 class do exactly the same thing.

6 So, if I were to, ultimately at the end of the
7 day, say that the concordance of evidence is sufficient so
8 that we should approve losartan for the indication that's
9 being sought, I would write the label very carefully to
10 indicate what we don't know and what's left out here.

11 I think that I would reemphasize what I said
12 earlier, particularly in view of what I've just said, that
13 if we vote to approve the drug, that the FDA should cause
14 something to be written into the label that makes it clear
15 that we are not in any way suggesting the data are
16 sufficient to mandate that this drug should be used in
17 preference, say, to ACE inhibitors or whatever else we may
18 be doing. That's hard to do. I understand, but I think
19 that that's important because of the paucity of information
20 we have.

21 And finally, I think it's very important that
22 if we believe this as a committee that we go on record
23 saying that we do not believe that if we choose to vote for
24 approval, that we're suggesting in any way that that
25 mandates class labeling for ARBs. It may be that the FDA

1 was never thinking of that. I'm sure the FDA never was
2 thinking of that, but I personally would like to say that I
3 don't think that that would be right.

4 DR. TEMPLE: Yes. I really wanted to make that
5 clear. We're talking about when a single study of ordinary
6 persuasiveness as opposed to superior persuasiveness would
7 be enough. We're not talking about a no-study standard.
8 We're more risk-averse than that.

9 DR. BORER: Well, so having said that, again in
10 summary, I believe that IDNT supports losartan. I have
11 concerns. I don't think it's the same as a replication of
12 RENAAL or a second study with losartan. Where we end up on
13 that depends on some of the ancillary issues about labeling
14 that I raised.

15 Steve?

16 DR. THROCKMORTON: Jeff, but what I'm hearing
17 is most of your concerns have to do with safety exposure.
18 And I heard some of the same things from Alan. Is that
19 what you're suggesting?

20 DR. BORER: Well, I am concerned about safety.
21 Let me say also that with regard to efficacy, I would
22 prefer to have a second study of losartan. However, I
23 would be willing to accept the concordance of evidence from
24 IDNT and RENAAL as sufficiently demonstrating efficacy for
25 diabetic nephropathy. The issue of approvability, however,

1 relates to efficacy as related to safety, and there I have
2 some concerns and I just want to express those.

3 DR. THROCKMORTON: Yes, but the only reason I
4 was saying that is here we have a large safety database of
5 the two compounds because they are approved. You might
6 imagine a place where two unapproved compounds --

7 DR. BORER: True. Well, the fact is we're
8 talking here about people with near end-stage renal
9 disease, which changes the ball game a little bit, and it
10 may be that within the dossiers that have been submitted,
11 there's a lot of information about that. That may resolve
12 the issue. I don't know those data because they weren't
13 presented.

14 DR. FLEMING: Just one more clarification
15 before we go on.

16 DR. BORER: Tom.

17 DR. FLEMING: A comment that Bob just made I
18 think, at least from my perspective, it would be
19 appropriate to just have a quick follow-up. I think what
20 Bob was pointing out was this is the type of consideration
21 that's especially relevant when you have a single study and
22 it provides -- I think your words were -- an ordinary level
23 of or what I would call just an adequate level of strength
24 of evidence to meet what we would think of as the standard
25 for this to be called a single positive trial. And does

1 this evidence reinforce it at a level that would lead you
2 to say, now we can approve, as opposed to where you might
3 say you don't need this if you viewed that that single
4 trial was of the strength of evidence of two positive
5 studies.

6 I would just point out that I don't disagree
7 with that. I would just point out that those of us -- and
8 some of us have said so, that we view this IDNT trial as
9 relevant but less than the strength of evidence that you
10 would have had by another study, technically speaking,
11 would argue that you could readily have a single positive
12 trial of the agent in hand that just barely is adequate to
13 be judged as a single positive study and, hence, a
14 companion trial that together doesn't make it because the
15 second study is less than the same strength of evidence.

16 By the way, one last point is keeping this in
17 mind, to be consistent, if that other trial goes in the
18 wrong direction, we would equally be weighing that; i.e.,
19 if it's relevant, it's relevant whether it's positively
20 reinforcing or negatively reinforcing.

21 DR. TEMPLE: I think that's true.

22 We haven't asked you to compare the two studies
23 here, but I just want to remind you the other guys beat two
24 drugs, including one where the effect on blood pressure was
25 almost the same. So, it has its own strengths too, but

1 each one has somewhat different strengths.

2 DR. BORER: It's interesting. I think, though,
3 I want to add something to what I said earlier in light of
4 Tom's comment. I'm persuaded by IDNT in part because of my
5 interpretation of RENAAL. Even though I wouldn't have
6 accepted it as a single study that is dispositive for
7 judgment or for approval, I do think it's better than the p
8 equals .022 for the primary endpoint because I too am
9 persuaded, as Tom is, that the hard endpoints are more
10 important.

11 So, I give a little bit higher bounce to RENAAL
12 than it might have had nominally and a little bit lower
13 bounce to IDNT than anybody else might. But you put the
14 two together, and that makes me feel reasonably comfortable
15 about the effectiveness. And then I have the other issues
16 that I mentioned.

17 Steve?

18 DR. NISSEN: I don't think we should go here.
19 I want to dissent a little bit on what's been said, and let
20 me see if I can articulate why.

21 First of all, I think there's a danger when
22 looking across two different trials involving two different
23 drugs. I would just point out to you there's a lot of
24 precedent here. We have drugs, HMG CoA reductase
25 inhibitors. Everything looked like it was going to go in

1 the right direction, and it pops up that cerivastatin has a
2 huge problem. Nobody anticipated that.

3 DR. TEMPLE: Not an effectiveness problem.

4 DR. NISSEN: No, not an effectiveness problem,
5 but a safety problem. I'm just pointing out that there are
6 examples. There are many examples where there is
7 heterogeneity here.

8 DR. TEMPLE: No one would ever argue that you
9 learn about the safety of a member of a class from the
10 previous members.

11 DR. NISSEN: I understand.

12 DR. TEMPLE: Beta blockers have been
13 carcinogenic occasionally and done other things. We don't
14 think that for a minute.

15 DR. NISSEN: No. I understand.

16 But just to say that I think we set up a
17 precedent here if we're not careful that we may regret. I
18 guess I want to be careful about that precedent.

19 Let me point out something else. If you're
20 going to combine the data in your mind from IDNT and
21 RENAAL, you have to combine all the data. And I would
22 point out to you that in RENAAL, there was about a 12
23 percent excess of cardiovascular death, not significant,
24 compared to placebo. Isn't that right? Wasn't it 1.12?

25 DR. TEMPLE: In RENAAL.

1 DR. NISSEN: Yes, in RENAAL. And in IDNT,
2 there was a 36 percent excess in comparison to active
3 control, in this case amlodipine. So, the cardiovascular
4 deaths went in the wrong direction in both trials. So, if
5 you're going to combine the two trials, you got to combine
6 them on the plus and on the minus side.

7 Again, what it does tell me is that the when
8 you combine those two trials, looking for cardiovascular
9 benefit, you're now looking at 3,000, 4,000 patients.
10 You're not looking at such a small study. So, now we're
11 sitting here in a post-HOPE era and with all the data we
12 have and now we've got two trials, both of which failed to
13 show the cardiovascular events going in the right
14 direction. In some cases like IDNT, they went in the wrong
15 direction for one of the arms. And now I'm troubled by it
16 all.

17 I don't think you can combine it for the
18 efficacy side without looking at both the pluses and the
19 minuses of combining the two trials, and I really do think
20 there's a lot of risk here in taking two different drugs in
21 the same class and saying, well, we've got trials with each
22 of these, we're going to use them to support each other
23 because it's going to come up again and again, unless you
24 really want to make the standard a lot lower. So, that's
25 my dissent.

1 DR. BORER: Susanna?

2 DR. CUNNINGHAM: I think a yes and a no. I
3 think they could support each other, but I don't think it's
4 as good as replication. But I think all the other points
5 that have been made are good ones. So, it's not exactly a
6 real clear-cut picture.

7 DR. BORER: Beverly?

8 DR. LORELL: I think that having looked at
9 these two trials very close together is almost more
10 troublesome than helpful. I think I agree more with Steve.
11 Let me see if I can articulate why that is true.

12 I think the evidence in aggregate from both of
13 those trials and other smaller studies compellingly argues
14 that there is a biologic real effect of interfering with
15 the renin-angiotensin system on progression of renal
16 disease. However, I think there are a couple of problems
17 when you actually look at these two trials together, and I
18 think we can't help but do that on this panel.

19 One is that they are remarkably congruent in
20 the modest nature of the effect on the primary endpoint,
21 and in fact they're quite congruent that for the primary
22 composite endpoint, the p value for both was .02. So, if
23 anything, that would suggest that the effect is a fairly
24 modest one and not a robust one.

25 If one tries to dissect and sort of redesign

1 the trials, going back to Tom's analysis of the hard
2 endpoint of end-stage renal disease, here we actually have
3 a bit of a problem because in this trial, if one pulls that
4 out not as the primary endpoint, it looks highly
5 significant. In fact, if I recall my data notes correctly
6 from a month ago, it was not significant in the other
7 trial.

8 DR. TEMPLE: They didn't have the same kind of
9 follow-up here.

10 DR. LORELL: I agree.

11 DR. TEMPLE: Up to the time of the endpoint,
12 the results were the same.

13 DR. LORELL: In fact, in pulling it together,
14 we have somewhat discordant data.

15 I share Steve's concern that we have two data
16 sets with the very worrisome hint of actually a negative
17 effect on the hard endpoint of cardiovascular death which
18 was the major cause of all deaths in this trial.

19 So, if anything, I think yes, it supports an
20 effect on the biology, but I think that putting them
21 together actually is somewhat dissuasive for approval of
22 this for the endpoint.

23 DR. TEMPLE: Can I just ask? The previous
24 study showed no difference versus placebo on mortality, and
25 there was a trend or maybe more than a trend, favoring the

1 calcium channel blocker. So, are you saying that suggests
2 a negative effect on mortality, or are you suggesting that
3 everybody ought to be on a calcium channel blocker?

4 DR. LORELL: No, I didn't say that at all. Far
5 be it for me to suggest that all these patients should be
6 on calcium channel blockers.

7 DR. TEMPLE: I didn't think so.

8 DR. LORELL: That was not what I said. I agree
9 with Steve's point that there is a worrisome signal
10 regarding an event that is of immense clinical importance.

11 DR. THROCKMORTON: But it's just worth thinking
12 so we understand. In the IDNT trial -- and I can be
13 corrected if I'm wrong -- the irbesartan mortality was, in
14 fact, lower on irbesartan than it was on placebo, 16
15 percent versus 14.9 percent. You're right. Amlodipine had
16 a point estimate that was lower than irbesartan, but versus
17 placebo, which was the comparison we had today, in fact it
18 trended in the correct and better direction. So, it would
19 be a wash if all you were doing was adding the two of them
20 up.

21 DR. FLEMING: Just as a quick statistical
22 clarification, Steve and Beverly, I think you raise very
23 relevant issues about looking at each of these components,
24 and cardiovascular death certainly is a key component.
25 Just as a reminder, the 90 versus 79 deaths translates into

1 an absolute 1.5 percent increase or a relative increase of
2 about 14.5 percent, and for an event that occurs at 10 to
3 12 percent in the population to be able to detect that
4 relative risk, i.e., to reliably sort out whether this is a
5 true 15 percent increase or it's purely noise, would take
6 20,000 to 25,000 people. It is more of a concern seeing it
7 in two trials of size 1,500 apiece, but even those two
8 together are still one-eighth of the size that we would
9 need to say anything reliable about whether that's a true
10 increase or it's consistent with random variability.

11 DR. TEMPLE: Tom, let's be clear we've got the
12 facts right. We don't think you do see it in the
13 irbesartan trial. What you see is a comparison with a
14 different drug, but against placebo it was actually
15 slightly but irrelevantly better.

16 DR. LINDENFELD: Yes. I think just the placebo
17 group was 16 percent in IDNT and I think 14.9 in irbesartan
18 and 14.1 in amlodipine.

19 DR. TEMPLE: So, that's not seeing the same
20 thing twice.

21 DR. LINDENFELD: Overall deaths, total
22 mortality.

23 DR. FLEMING: I thought what Beverly --

24 DR. LORELL: My concern was cardiovascular
25 deaths explicitly.

1 DR. BORER: Let me ask before we go on to Paul,
2 we want for the record, Beverly, a vote on 9.1. Do the
3 findings of IDNT support the effectiveness of losartan for
4 diabetic nephropathy. Forget out the magnitude of the
5 support which you've already answered in detail. But can
6 you look at these data in looking for support for RENAAL?

7 DR. LORELL: I think they provide soft support
8 in aggregate.

9 DR. BORER: Paul?

10 DR. ARMSTRONG: I side with JoAnn and I do so
11 in the context of reassurance about ARB versus placebo in a
12 broader pattern of evidence than what's been provided. So,
13 when I take that into context, I'm comforted.

14 DR. BORER: Do you want to go to the specifics
15 of 9.2 in addition to having voted yes on 9.1?

16 DR. ARMSTRONG: Just to reiterate what JoAnn
17 has said all the way down the line.

18 DR. KOPP: Yes. I think the findings from IDNT
19 are supportive.

20 I guess I'd like to make a point about
21 cardiovascular mortality to remind ourselves that once
22 somebody starts dialysis, the first-year mortality is
23 something like 20 to 25 percent and the two-year mortality
24 is something like 40 percent. So, if this drug is able to
25 postpone dialysis for even 6 months, it may gain more lives

1 because people don't start dialysis and die from
2 cardiovascular death potentially caused during that 6
3 months or 2 years of treatment.

4 DR. NISSEN: That's why I was so disturbed by
5 the fact it didn't go in the right direction. I would have
6 expected that a drug that would delay renal failure would
7 have an effect on death, and the fact that it didn't was
8 very bothersome to me in both trials.

9 DR. KOPP: Yes, that's a fair point.

10 I guess one issue would be is the dialysis
11 follow-up sufficient to see the full benefit, but I take
12 your point.

13 DR. BORER: Dr. Brem?

14 DR. BREM: To 9.1, I think they are supportive
15 in several ways. One, there is a dose dependence to the
16 effect in the IDNT trial which we have not been able to
17 demonstrate in this trial. Also, the blood pressure was
18 more comparable in the IDNT trial. There was, in other
19 words, less of a difference. So, it would support the view
20 that if you gave enough of a sartan drug, that it would
21 have some beneficial effect on the progression of diabetic
22 nephropathy.

23 The other point that I would make is I think
24 that the irbesartan trials now are more supportive in light
25 of ancillary or perhaps less relevant endpoints and that is

1 the progression of proteinuria. In this particular study,
2 there is a clear demonstration that proteinuria is
3 associated with the progression of renal disease. That was
4 not able to be demonstrated on the IDNT trial
5 satisfactorily. So, if one uses that information in
6 context, that's further information to me that would
7 support its beneficial effects.

8 So, yes, I think the two studies together are
9 complementary. They aren't additive, but they are
10 complementary.

11 DR. BORER: Having said that, why don't we
12 start with you again. I think we know the vote because
13 you've already given it. Should losartan be approved for
14 the treatment of nephropathy in patients with type II
15 diabetes?

16 DR. KOPP: Yes.

17 DR. BORER: Do you need more reasoning given
18 everything we've heard, or can he just vote yes?

19 DR. THROCKMORTON: No.

20 DR. BORER: Okay.

21 Dr. Kopp?

22 DR. KOPP: Yes.

23 DR. BORER: Paul?

24 DR. ARMSTRONG: Yes.

25 DR. BORER: Beverly?

1 DR. LORELL: No.

2 DR. BORER: Susanna?

3 DR. CUNNINGHAM: Yes.

4 DR. THROCKMORTON: Jeff, I'm sorry. If for any
5 reason that you don't -- well, no, that's fine. I take it
6 back.

7 DR. BORER: Steve?

8 DR. NISSEN: No.

9 DR. BORER: I vote yes, but I want to expand a
10 little bit. I think that the drug should be approved for
11 diabetic nephropathy in patients with type II diabetes.
12 I've already talked about my views of RENAAL, that I think
13 it's a pretty good trial, better than the nominal p value
14 on the primary endpoint, but not as good as two. I thought
15 IDNT supported it to some extent, sufficient so that I'm
16 willing to accept the effectiveness. But I'll say again I
17 believe that there are multiple safety issues that have to
18 be dealt with in labeling, that the mandated use of this
19 drug has to be dealt with in labeling, the fact that it
20 shouldn't be mandated.

21 And I am concerned about cardiovascular death,
22 but I'm less concerned than some of my colleagues because
23 we haven't looked at this population before, and I just
24 don't know what happens to cardiovascular events in people
25 who have end-stage or near end-stage renal disease. So,

1 I'm really not willing to delay the drug because of my
2 concerns, which are very real, about the cardiovascular
3 event issue. I won't say cardiovascular death because I
4 agree with Dr. Kopp about the tradeoff there.

5 Having said all that and having voted yes, I
6 have to say that if another ARB comes to this committee
7 tomorrow for the same indication, I may say no because I
8 may not find the totality of data from all these trials
9 that we've seen now -- that's two, RENAAL and IDNT --
10 together with whatever is presented for the new molecule
11 sufficient to weigh me in favor of the new molecule even if
12 the study turns out to be nominally positive for the new
13 molecule. So, this is not a precedent. This is a specific
14 issue.

15 Alan?

16 DR. HIRSCH: Well, I would vote no, but that's
17 in the guise that I don't believe a disapproval, if the
18 committee goes that way, will deprive a single patient with
19 diabetic nephropathy of access to a molecule that's
20 available. However, if approval is recommended, then like
21 my colleague to my left, I believe that the safety issue --
22 yes, sir?

23 DR. TEMPLE: Well, I guess we would hope, as a
24 general matter, that you not consider such practicalities.

25 DR. HIRSCH: Okay, I won't.

1 DR. TEMPLE: We want your answer and your
2 answer is no.

3 DR. HIRSCH: It remains the same.

4 DR. TEMPLE: But if your answer would be
5 different if somehow they weren't available --

6 DR. HIRSCH: No. I hear you. My answer, Bob,
7 is the same in the sense that I've been all day, including
8 at the last meeting, trying to be consistent between the
9 two meetings, like Bev, trying to look at the data from
10 single trials, even the two trials together, and decide,
11 fine, if this weren't available, do I have adequate data at
12 this point to bring it to approval. I would like not to
13 have to change my vote the next time an ARB comes. I'd
14 like to make sure the evidentiary standard persists.

15 DR. TEMPLE: But I guess we hope the votes will
16 be as if it wasn't available. Otherwise, it's sort of --

17 DR. HIRSCH: Well, I answered that. You may
18 have to ask the others who aren't here to revote.

19 DR. TEMPLE: No. You were the only one who
20 indicated that was influencing you. It's hard not to face
21 reality and know that it doesn't really matter whether we
22 approve it or not. People can use it anyway because they
23 can read the New England Journal. But we like to try to do
24 it completely abstractly and on an evidentiary basis and
25 not do that because, you know, fair is fair.

1 DR. HIRSCH: Fair is fair.

2 DR. THROCKMORTON: Was your reason for raising
3 the issue of it being available your concern with the
4 safety?

5 DR. HIRSCH: Yes. My hesitation is deep and
6 long and I'm not sure that the whole room has to hear a
7 rehash of what you've heard, but it does get attributed to
8 what I would see if I were merging the two trials. I'd
9 still believe there is a safety blip that is of some
10 concern and efficacy standards that are not quite achieved.
11 So, it's that risk/benefit analysis we always follow here
12 on prima facie evidence.

13 DR. BORER: Blase?

14 DR. CARABELLO: I vote yes.

15 DR. BORER: I think you already gave your
16 reasoning.

17 JoAnn?

18 DR. LINDENFELD: I vote yes. Just two quick
19 comments.

20 Again, as has been said before, I think we need
21 to try to say something that this doesn't imply superiority
22 over ACE inhibitors, just something in there.

23 And the other thing in terms of safety is that
24 I think we need to make it clear that the risk of
25 hyperkalemia is cumulative with this drug over the course

1 of the drug and probably always needs to be monitored
2 ongoing over time. That's a little bit different I think
3 than in other situations.

4 DR. TEMPLE: I do have to say commenting that
5 it's not better than ACE inhibitors or that that hasn't
6 been studied would be a very unusual thing for us to do
7 when there's no data showing that ACE inhibitors work in
8 this setting.

9 DR. LINDENFELD: That's right.

10 DR. TEMPLE: That's tough to support.

11 DR. LINDENFELD: That is tough, but maybe we
12 can think about that. But it would be nice to not have
13 this supplant the use of ACE inhibitors I think or not have
14 this be a reason to do that. Maybe that's not possible,
15 but I share that concern.

16 DR. TEMPLE: Also, if either of you have
17 specific suggestions or little phrases that you think will
18 do this, please.

19 DR. BORER: Off-line we can do that. I think
20 what JoAnn is saying is the same thing that I am, that
21 there has to be some kind of language that says we are not
22 saying that this is the be all and the end all. Now, how
23 to say that, I think we can figure out, make some
24 suggestions, and you can improve upon them, and something
25 can be done.

1 DR. TEMPLE: Again, though, for reasons that
2 are not known to us, no one has bothered to study ACE
3 inhibitors in this setting. I don't know why that is.
4 Maybe because they're all going to go off patent soon or
5 whatever the reason is, but nobody has studied it.

6 DR. BORER: Right. No, I'm not suggesting that
7 we can say anything about the relation to ACE inhibitors.
8 What I'm saying is we must say that we don't know what the
9 story is with cardiovascular morbidity and mortality here,
10 and therefore we can't say that this is necessarily the way
11 that one must go in the individual patient.

12 DR. TEMPLE: We would certainly give the
13 results that show no benefit on those endpoints. That
14 would be part of the trial.

15 DR. BORER: Okay, well, I don't want to take up
16 everybody's time, but we can write down some suggestions.

17 Tom?

18 DR. LORELL: Mr. Chair, are we really giving
19 two votes here? One is a yes/no vote if we get to have a
20 conditional labeling, and one is a yes/no vote if we don't
21 have any influence on labeling?

22 DR. BORER: No. I think we're saying we think
23 that the drug should be approved or it shouldn't be
24 approved and then we're giving caveats about how we think
25 that ought to happen. We're only an advisory committee and

1 the law is sitting across the table from us.

2 DR. TEMPLE: No, but suggestions on what you're
3 thinking of are welcome. I'm just trying to signal that
4 saying something like we don't know whether you're better
5 than ACE inhibitors, when they don't even have that claim,
6 would be very difficult to support against -- well, it
7 would be difficult to convince me to do that, much less the
8 company.

9 DR. BORER: I was going to make the suggestion
10 off-line, but sometimes we have meetings or sections of
11 meetings about issues rather than about drugs. I think
12 this issue in so many areas may have risen to the point of
13 needing some kind of open discussion at some other time.

14 Tom, I think you already voted on
15 approvability, but do you want to restate it?

16 DR. FLEMING: Sure. Yes, I vote approval. And
17 maybe I could just add one additional clarification and
18 that is I wanted to reinforce the wisdom that I have heard
19 today that one has to be extremely cautious when one is
20 analyzing data in putting particular focus on primary
21 endpoints. I want to strongly endorse that as extremely
22 important. From a statistical perspective, when we're
23 using a trial as a confirmatory trial, if one wants to
24 assess strength of evidence in a confirmatory fashion, it
25 is extremely important that we prespecified our primary

1 hypothesis with primary analysis methods so that we can
2 really interpret strength of evidence in that context.

3 Having said that, as strongly as I think
4 statistical procedures are extremely useful in making these
5 assessments, as to whether or not studies adequately
6 establish favorable benefit to risk, any statistical
7 approach must be viewed with the broadest assessment,
8 bringing in clinical judgment.

9 In my own sense in this particular trial, if
10 one views, as I do in this particular case, that the
11 primary endpoint that was set up here has as an important
12 component, at least a component that I view to be a
13 surrogate, which in particular in this setting isn't
14 intrinsically necessary to rely on because the clinical
15 endpoints are occurring so rapidly, it's my own assessment
16 that the evidence that I would have looked for in this
17 trial -- even though the primary endpoint had the triple
18 component, I would have relied on the double component
19 anyway.

20 Essentially, at least in my own perspective,
21 what one needs to avoid is a situation where you don't see
22 what you had hoped to see in the primary endpoint and you
23 start looking around for other ways of getting a more
24 favorable conclusion. Clearly that's hazardous.

25 What I'm trying to sort through here, though,

1 is in my own assessment, at least speaking for myself,
2 that's not the issue. As we discussed in January, some of
3 us had argued very strongly that in a setting such as this,
4 the primary endpoint ought to be based on those elements
5 that are truly the clinical elements, especially when they
6 can be addressed in a timely way and especially in a
7 setting such as this where it's somewhat problematic how to
8 interpret the triple endpoint because there wasn't a
9 complete follow-up. It creates a situation, at least for
10 me in my own judgment, that the primary endpoint would not
11 have been persuasive even if it had been meeting the
12 standards for strength of evidence of two positive trials.

13 So, I guess what I'm trying to articulate here
14 is just to reinforce that there is great wisdom in stating
15 that one needs to be extremely cautious about the strength
16 of evidence and the focus that you give to the primary
17 endpoint, but there clearly needs to be judgment and you
18 clearly need to look at the totality of results. So, I
19 would like to make it clear that, at least in my own case
20 where my view of what is clinically relevant leads me,
21 independent of what these data would have shown, to focus
22 on the dual endpoint shouldn't be viewed as an endorsement
23 of readily deviating from the primary endpoint.

24 DR. BORER: What we've said in summary is that
25 the majority of the committee favors approval of this drug

1 for the requested indication. I think it's important to
2 remember that there have been many important concerns
3 raised and that, though the vote was clearly and decisively
4 in favor of approval, the magnitude of the difference
5 between those who voted yes and those who voted no may be
6 relatively small and, therefore, that there's no binding
7 precedent based on this vote for how this committee would
8 view other drugs if they come with similar credentials for
9 similar indications.

10 Finally, I think I can say for all of us that
11 we voted the way we did without the expectation that
12 another trial is going to be performed because the data
13 didn't satisfy everybody. We voted based on what we have.

14 Bob?

15 DR. TEMPLE: I just wanted to thank you. This
16 was another difficult session, just like the one in January
17 was. You grappled with it.

18 I have to tell you it's hard not to allow
19 people to draw inferences from the fact that we're making
20 use of data from another drug of the same class, and they
21 will. You are, of course, free to be as situation-
22 appropriate as you want.

23 But this was very helpful to us and it was a
24 good discussion. So, we thank you.

25 DR. BORER: Thank you.

1 If there are no other questions from the FDA,
2 I'll call the meeting adjourned.

3 (Whereupon, at 4:47 p.m., the committee was
4 adjourned.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24